

at

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

7526 00 JUL 19 11:48

DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY COMMITTEE

MEETING NO. 52

OPEN SESSION

Thursday, June 29, 2000

10:00 a.m.

This transcript has not been edited or corrected,  
but appears as received from the commercial  
transcribing service; the Food and Drug  
Administration makes no representation as to its  
accuracy.

Holiday Inn Bethesda  
Versailles I  
8120 Wisconsin Avenue  
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.  
735 8<sup>th</sup> Street, S.E.  
Washington, D.C. 20003  
(202) 546-6666

## PARTICIPANTS

Lynn A. Drake, M.D., Chairperson  
Jaime Henriquez, Executive Secretary

## MEMBERS

Robert E. Jordan, M.D.  
O. Fred Miller III, M.D.  
Robert S. Stern, M.D.

## SPECIAL GOVERNMENT EMPLOYEES

Wilma F. Bergfeld, M.D.  
Joan P. Chesney, M.D.  
John J. DiGiovanna, M.D.  
James S. Kilpatrick, Jr., Ph.D.  
Joseph McGuire, M.D.  
Joel Mindel, M.D., Ph.D.  
Eduardo Tschen, M.D.  
William Rosenberg, M.D.

## GUEST SPEAKERS

Roselyn E. Epps, M.D.  
Mervyn Elgart, M.D.  
Richard Steven Feldman, M.D., Ph.D.  
Lloyd E. King, Jr., M.D., Ph.D.  
Theodore Rosen, M.D.  
Mary Spraker, M.D.

## FDA

Jonathan K. Wilkin, M.D.  
Lois LaGrenade, M.D.  
Hon-Sum Ko, M.D.  
Markham C. Luke, M.D., Ph.D.  
Martin Okun, M.D., Ph.D.  
Susan Walker, M.D.

## C O N T E N T S

**NDA 20-010 - Lotrisone (betamethasone dipropionate  
and clotrimazole) Lotion  
Schering-Plough, Inc.  
for Treatment of Tinea Pedis, Tinea Cruris  
and Tinea Corporis**

Call to Order and Welcome: Lynn A. Drake, M.D.	5
Conflict of Interest Statement: Jaime Henriquez	5
Overview of the Issues: Jonathan Wilkin, M.D.	10
Presentations - FDA	
Fixed Drug Products Combination Policy: Antifungal Plus Corticosteroid for Tinea Infections: Martin Okum, M.D., Ph.D.	11
NDA 20-010 Lotrisone Lotion: Markham Luke, M.D., Ph.D.	63
Review of Lotrisone Adverse Events: 1984-1999: Lois LaGrenade, M.D., M.P.H.	67
Recap: Markham Luke, M.D., Ph.D.	76
Presentations - Invited Experts	
Perspectives on Topical Antifungal Therapy: Theodore Rosen, M.D.	78
Open Public Hearing	95
Presentations - Invited Experts (Continued)	
Tinea Incognito and Strong Topical Steroids: Mervyn Elgart, M.D., Ph.D.	95
Use of Lotrisone in the United States: Richard Steven Feldman, M.D.	105
Sponsor Presentation	
Lotrisone Facts and Issues: Todd Plott, M.D.	135
Question for the Committee	166

C O N T E N T S (Continued)

<b>NDA 20-996 - Dermex II (zinc oxinate) Ointment Dermex Pharmaceuticals, LLC for Treatment of Actinic Keratosis, Basal Cell Carcinoma and Squamous Cell Carcinoma</b>	
Conflict of Interest: Jaime Henriquez	223
Introductory Remarks: Jonathan Wilkin, M.D.	224
FDA Presentation	
NDA 20-996 - Dermex (zinc oxinate) Ointment: Hon-Sum Ko, M.D.	225
Sponsor Presentation	
Summary of Company: Frank Potestio, M.D.	232
Updating Committee on Dermex: Carl Hanson	236
Committee Discussion	250

P R O C E E D I N G S

**Call to Order and Welcome**

DR. DRAKE: Good morning. I am Lynn Drake from the University of Oklahoma, currently, and, as of July 1, from Harvard Medical School. I would like to welcome you to the fifty-second meeting of the Dermatologic and Ophthalmologic Drugs Advisory Committee for the Center for Drug Evaluation and Research of the Food and Drug Administration. The date is June 29, 2000.

The first thing I would like to do, before introductions, is make a primary introduction. I would like to introduce our new executive secretary, Jaime Henriquez.

MR. HENRIQUEZ: Welcome.

**Conflict of Interest Statement**

MR. HENRIQUEZ: The following is the conflict of interest statement. The following announcement addresses the issues of conflict of interest with regards to this meeting and is made a part of the record to preclude even the appearance of such at this meeting.

Based on the submitted agenda and information provided by the participants, the agency has determined that all reported interests in firms regulated by the Center for Drug Evaluation and Research present no potential for a conflict of interest at this meeting with the following exceptions.

1 In accordance with 18 USC 208-B, full waivers have  
2 been granted to Drs. Joel Mindel and Robert S. Stern.  
3 Copies of these waiver statements may be obtained by  
4 submitting a written request to the FDA's Freedom of  
5 Information Office located in 12A-30 in the Parklawn  
6 Building.

7 In addition, we would like to disclose for the  
8 record that Dr. Lynn Drake has interests which do not  
9 constitute a financial interest within the meaning of 18 USC  
10 208-A but which could create the appearance of a conflict.  
11 The agency has determined, notwithstanding these interests,  
12 that the interests of the government and her participation  
13 outweigh the concerns that the integrity of the agency's  
14 programs and operations may be questioned.

15 With respect to the FDA's invited guest, Drs.  
16 Stephen Feldman, Mervyn Elgart, Theodore Rosen and Mary  
17 Spraker have reported interests which we believe should be  
18 made public to allow the participants to objectively  
19 evaluate their comments.

20 Dr Elgart would like the disclose that he speaks  
21 for Vertex concerning Mentax. Dr. Feldman would like to  
22 disclose that his Department of Dermatology received a  
23 teaching grant from Ortho for Spectazole and a teaching  
24 grant for Allergan grant for Naftin Cream and research  
25 funding from Ortho from Spectazole Cream and NDA 21-026 His

at

1 department has also received similar research funding in the  
2 past from Glaxo for Oxistate.

3 Dr. Theodore Rosen would like to disclose that his  
4 department received a grant from Vertex for a study of  
5 Mentax. Approximately six years ago, his department  
6 received a grant from Allergan for a study of Naftin. Dr.  
7 Rosen was an investigator on the study but received no  
8 personal remunerations. Dr. Rosen has also given talks  
9 concerning Mentax for Vertex and received speakers fees from  
10 1998 through 2000. In 1999, Dr. Rosen received an  
11 honorarium from Allergan for a lecture concerning Naftin.

12 Dr. Mary Spraker is an investigator on unrelated  
13 studies for both Schering and Glaxo. In the event that the  
14 discussions involve any other products or firms not already  
15 on the agenda for which the FDA participants have a  
16 financial interest, the participants are aware of the need  
17 to exclude themselves from such involvement and their  
18 exclusion will be noted for the record.

19 With respect to all other participants, we ask, in  
20 the interest of fairness, that they address any current or  
21 previous financial involvements with any firms whose  
22 products they may wish to comment upon.

23 DR. DRAKE: Thank you.

24 The agenda is very busy this morning. It is a  
25 very interesting agenda. The first thing I would like to do

1 is introduce the panel. I think most of us know each other  
2 but there may be some who don't and there also may be people  
3 in our audience who would like to know who the participants  
4 are.

5 I guess I would like to start from this side of  
6 the table with the FDA folks and we will wander around the  
7 table. Please identify yourself and your affiliation.

8 DR. LaGRENADÉ: I am Lois LaGrenade with the  
9 Office of Postmarket Drug Risk Assessment at the FDA. I am  
10 a medical officer.

11 DR. OKUN: I am Marty Okun with the Division of  
12 Dermatologic and Dental Drug Products, FDA.

13 DR. LUKE: Markham Luke with the FDA, Center for  
14 Drug Evaluation and Research, Dermatologic Division.

15 DR. WILKIN: Jonathan Wilkin, Division Director,  
16 Dermatologic and Dental Drug Products.

17 DR. MINDEL: Joel Mindel, Mt. Sinai School of  
18 Medicine, Departments of Ophthalmology and Pharmacology.

19 DR. McGUIRE: Joe McGuire, Stanford, Dermatology  
20 and Pediatrics.

21 DR. ROSENBERG: Bill Rosenberg, Dermatology at the  
22 University of Tennessee.

23 DR. KILPATRICK: Jim Kilpatrick, Biostatistics,  
24 Medical College of Virginia, Richmond, Virginia.

25 DR. STERN: Robert Stern, Dermatology at the Beth



1 Israel Deaconess Medical Center at Harvard Medical School.

2 MR. HENRIQUEZ: Jaime Henriquez, FDA.

3 DR. BERGFELD: Wilma Bergfeld, Cleveland Clinic,  
4 Departments of Dermatology and Pathology.

5 DR. JORDAN: Bob Jordan, Dermatology, University  
6 of Texas, Houston.

7 DR. MILLER: Fred Miller, Geisinger Medical  
8 Center, Dermatology.

9 DR. DiGIOVANNA: John DiGiovanna, Dermatology,  
10 Brown University and National Cancer Institute.

11 DR. TSCHEN: Eduardo Tschen, Dermatology,  
12 University of New Mexico.

13 DR. DRAKE: This panel, just for the audience's  
14 pleasure, are our invited experts. Please.

15 DR. EPPS: Roselyn Epps, Children's National  
16 Medical Center, Washington, D.C.

17 DR. ROSEN: Ted Rosen, Department of Dermatology,  
18 Baylor College of Medicine, Houston.

19 DR. FELDMAN: Steve Feldman, Department of  
20 Dermatology and Pathology at Wake Forest University School  
21 of Medicine.

22 DR. KING: Lloyd King, Vanderbilt University and  
23 Nashville V.A., Dermatology and Dermatopathology.

24 DR. DRAKE: I would like to thank all the  
25 committee and our experts for your time. I also want to

1 thank the FDA for the very nice presentations, that we have  
2 received the documents ahead of time which is nice because  
3 we have had an opportunity to review them and we look  
4 forward to your presentations.

5 I guess we would like to start with Dr. Wilkin,  
6 who is Chair of the Dermatologic and Ophthalmologic Drugs  
7 Division. Dr. Wilkin, would you give us an overview,  
8 please, of what we discussing today.

9 **Overview of the Issues**

10 DR. WILKIN: Thank you, Dr. Drake. What we are  
11 going to be looking at later this morning is Lotrisone  
12 Lotion which is currently under review as an NDA in our  
13 division. You will hear the regulatory history of Lotrisone  
14 Lotion. You will learn that it received an approvable  
15 letter in past. I can tell you that the sponsor has met the  
16 conditions spelled out for approvability and so the intent  
17 is, in the very near future, that I will be signing an  
18 approval letter for this product.

19 This product is, of course, a line extension from  
20 the Lotrisone Cream which has been of interest in the  
21 literature to dermatologists and different comments have  
22 been made. We have invited guests to speak to some of those  
23 very specific Lotrisone-related issues.

24 What we hope to hear from the committee and also  
25 from the guests is constructive suggestions for labeling

1 that might address some of these issues.

2 Before we get into the very specific aspects of  
3 this particular antifungal corticosteroid combination  
4 product, we would first like to begin the morning session  
5 with an overview of antifungal/corticosteroid combination  
6 product policy and ways of thinking about the attributes of  
7 these products and how they might be labeled.

8 Again, in general, we will not be talking about a  
9 specific product but I think those kinds of answers will  
10 then help inform the specific questions that will follow on  
11 this particular product.

12 DR. DRAKE: Thank you very much.

13 I think we will move, then, onto the main part of  
14 the program. Dr. Okun, would you like to begin?

15 **Presentations - FDA**

16 **Fixed Drug Products Combination Policy:**

17 **Antifungal Plus Corticosteroid for Tinea Infections**

18 DR. OKUN: Good morning.

19 [Slide.]

20 As Dr. Wilkin indicated in his introduction, my  
21 purpose here is to present an overview of how the agency's  
22 combination policy is applied to topical drug products  
23 containing an antifungal agent and a corticosteroid agent.

24 [Slide.]

25 First, I would like to define some symbols that

1 will be used in the presentation. AF+CS refers to a fixed-  
2 drug combination topical drug product containing and  
3 antifungal and a corticosteroid agent for treatment of tinea  
4 infections. AF refers to a topical drug product containing  
5 an antifungal agent. CS refers to a topic drug product  
6 containing a corticosteroid agent.

7 [Slide.]

8 21 CFR 300.50 spells out the informational needs  
9 for approval of fixed combination prescription drugs. Each  
10 component of the combination must be shown to make a  
11 contribution to the treatment effect. The dosage of each  
12 component is such that the combination is safe and effective  
13 for a patient population requiring combination therapy as  
14 defined in the labeling of the product.

15 [Slide.]

16 This slide describes the informational needs for  
17 approval of an antifungal/corticosteroid combination drug  
18 product for treatment of tinea. When I say tinea here and  
19 throughout this presentation, I am referring specifically to  
20 tinea pedis. The agency view is that the treatment of tinea  
21 pedis is the highest hurdle for assessing efficacy of  
22 antifungal agents and that treatment of tinea cruris is the  
23 appropriate disease model to assess local adverse events for  
24 safety.

25 First a word about entry criteria for patients

1 enrolling in tinea pedis studies. The patients must have  
2 the clinical signs and symptoms of tinea infection at  
3 baseline. They must have a positive KOH at baseline.  
4 Fungus culture is collected at baseline and patients whose  
5 culture turns out to be negative are subsequently excluded  
6 from the efficacy analysis.

7           Informational needs for approval are that the  
8 contribution of the antifungal and of the corticosteroid  
9 components be demonstrated, that the  
10 antifungal/corticosteroid combination be demonstrated to  
11 safe and effective for tinea infections and that the  
12 population requiring combination therapy be identified.

13           [Slide.]

14           What are the potential benefits to patients with  
15 tinea of using an antifungal/corticosteroid combination  
16 product? The antifungal component is going to treat the  
17 infection with the point of cure occurring approximately  
18 five weeks after the start of treatment.

19           The corticosteroid component will relieve the  
20 symptoms of infection early, usually about one week after  
21 the start of treatment. The antifungal/corticosteroid  
22 combination, then, relieves symptoms of infection early and  
23 treats the infection.

24           [Slide.]

25           In comparing the antifungal/corticosteroid

1 combination with the antifungal stand-alone product, an  
2 antifungal stand-alone product relieves the symptoms by the  
3 point of cure as the infection is cured. The antifungal  
4 stand alone may provide some relief of symptoms early as the  
5 infection begins to clear.

6 The only potential benefit of  
7 antifungal/corticosteroid combination, compared to  
8 antifungal, is if the antifungal/corticosteroid combination  
9 provides more symptomatic relief early.

10 [Slide.]

11 I am going to use the following abbreviations for  
12 the rest of this talk on my slides: ROS to stand for relief  
13 of the signs and symptoms of tinea infection such as  
14 itching, erythema, scaling early in the treatment course;  
15 TTI referring to the treatment of tinea infection which  
16 includes mycologic cure and relief of signs and symptoms of  
17 tinea infection, usually occurring late in the treatment  
18 course or post-treatment.

19 [Slide.]

20 To demonstrate the contribution of the  
21 corticosteroid component in antifungal/corticosteroid  
22 combination products, the antifungal/corticosteroid  
23 combination must be shown to be superior to the antifungal  
24 stand alone in relief of symptoms early in the treatment  
25 course.

1 This condition applies regardless whether the  
2 corticosteroid stand alone is equivalent to the component  
3 product with respect to the relief of symptoms or if the  
4 corticosteroid stand alone is inferior to the component  
5 product with respect to the relief of symptoms.

6 The experts on clinical-trial design here are  
7 probably blanching at the notion of demonstrating  
8 equivalence in the clinical study. In fact, the equals sign  
9 is really a shorthand for demonstrating noninferiority  
10 within a prespecified confidence interval.

11 Scenario No. 1 where the corticosteroid arm is  
12 superior to the antifungal/corticosteroid combination with  
13 respect to the relief of symptoms is a scenario that I will  
14 discuss the ramifications of in a few minutes.

15 [Slide.]

16 To demonstrate the antifungal component in an  
17 antifungal/corticosteroid combination, the combination must  
18 be shown superior to the corticosteroid-only arm in terms of  
19 the treatment of the tinea infection. This condition  
20 applies regardless whether the antifungal is inferior to the  
21 component product with respect to tinea infection.

22 The ramifications of scenarios 2 and 3 where the  
23 antifungal arm is equivalent with respect to the combination  
24 for treatment of tinea infection or where the antifungal arm  
25 is superior to the combination arm with respect to treatment

1 of tinea infection will be discussed in just a few minutes.

2 [Slide.]

3 Let's return to one of the previously identified  
4 scenarios, Scenario No. 1. To refresh our memories, in  
5 Scenario No. 1, the combination product is demonstrated  
6 superior to the antifungal arm with respect to the relief of  
7 symptoms which demonstrates the contribution of the  
8 corticosteroid component. Also, the combination product is  
9 demonstrated superior to the corticosteroid-only arm with  
10 respect to the treatment of tinea infection; this  
11 demonstrates the contribution of the antifungal component.

12 What if the corticosteroid-only arm is superior to  
13 the combination product with respect to the relief of  
14 symptoms. Under this scenario, the combination product has  
15 risks and benefits associated with its use. The risk is  
16 that the antifungal component in the combination product is  
17 impairing the early relief of signs and symptoms.

18 The benefit is the antifungal component is  
19 providing treatment to the tinea infection. Very obviously,  
20 in this scenario, the benefits of a combination product is  
21 outweighing its risks.

22 [Slide.]

23 Let's consider Scenario No. 2. Let's assume that  
24 the combination product is superior to the antifungal arm  
25 with respect to the relief of symptoms. Again, this



1 demonstrates the corticosteroid contribution. Let's assume  
2 the combination product is superior to the corticosteroid-  
3 only arm. This demonstrates the contribution of the  
4 antifungal component in terms of treatment of tinea  
5 infection.

6 Let's assume the combination product is equivalent  
7 to the antifungal stand-alone arm with respect to the  
8 treatment of tinea infections. Under these circumstances,  
9 the question for your consideration is should such an  
10 antifungal/corticosteroid combination product with these  
11 properties be labeled for all tinea or should it be labeled  
12 only for the more inflammatory tinea that warrants the use  
13 of an antiinflammatory treatment.

14 [Slide.]

15 Let's consider Scenario No. 3 now. In this  
16 scenario, again the combination product is superior to the  
17 antifungal with respect to the relief of symptoms, again  
18 demonstrating the corticosteroid contribution. The  
19 combination product is superior to the corticosteroid arm  
20 with respect to the treatment of tinea infections, again  
21 demonstrating the contribution of the antifungal component.

22 What if the antifungal arm is superior to the  
23 combination arm with respect to the treatment of tinea  
24 infection? Under these circumstances, combination product  
25 has risks and benefits associated with its use, the

1 principle risk being that the corticosteroid component is  
2 impairing the treatment of the tinea infection.

3           The benefit is that the corticosteroid component  
4 is providing early relief of the signs and symptoms of  
5 infection. Under this scenario, only patients with  
6 clinically significant symptoms at baseline could benefit  
7 from use of the combination product.

8           A question for your consideration is how could  
9 labeling of such a product identify which patients could  
10 benefit from an antifungal/corticosteroid combination drug  
11 product with these properties.

12                   [Slide.]

13           I would like to turn now to a discussion of some  
14 aspects of the clinical-study design for  
15 antifungal/corticosteroid combination drug products. The  
16 original paradigm that has been used for study of these  
17 products is a three-arm or sometimes a four-arm study where  
18 the arms are antifungal plus corticosteroid combination,  
19 another arm being the antifungal stand alone, another arm  
20 being the corticosteroid stand alone, and, in some  
21 circumstances, a fourth arm being the vehicle for all the  
22 other arms.

23           There are some shortcomings of including a  
24 corticosteroid arm in a clinical study of treatment of tinea  
25 infections in that anecdotal experience and publications

1 suggest that the corticosteroid component may interfere with  
2 the host-immune response against a fungus.

3 [Slide.]

4 This leads us to wonder if we can reconsider  
5 clinical-study design to fulfill the informational needs of  
6 the combination policy without enrolling the study subjects  
7 in a corticosteroid stand-alone arm.

8 [Slide.]

9 A new paradigm under consideration is a three-arm  
10 study where the three arms are composed of antifungal plus  
11 corticosteroid combination, the antifungal stand alone and  
12 the vehicle. In this paradigm, if the combination is  
13 superior to the antifungal stand alone with respect to the  
14 relief of symptoms, this demonstrates the contribution of  
15 the corticosteroid component.

16 If the combination product is superior to the  
17 vehicle with respect to the treatment of tinea infection,  
18 this demonstrates the contribution of the antifungal  
19 component under the assumption that the corticosteroid is  
20 not contributing to the treatment of tinea infection.

21 So we have demonstrated, under this paradigm, the  
22 contribution of each component. What information is lost by  
23 not having a corticosteroid stand-alone study arm?

24 [Slide.]

25 Under the three-armed study where there is a

1 combination arm, antifungal arm and vehicle study arm, in  
2 the absence of a corticosteroid study arm to demonstrate  
3 antifungal contribution, the combination product must be  
4 shown to be superior to the vehicle with respect to  
5 treatment of tinea infection.

6 If the combination product is demonstrated to be  
7 superior to the vehicle with respect to relief of symptoms,  
8 this may be due either to the contribution of the  
9 corticosteroid component or to early antiinflammatory effect  
10 of the antifungal component or, potentially, both.

11 For a sponsor to claim that the antifungal  
12 component provides relief of symptoms early in treatment  
13 comparable to that of a corticosteroid component, the  
14 sponsor would have to demonstrate that the antifungal arm is  
15 equivalent to the corticosteroid arm with respect to the  
16 relief of symptoms and that the antifungal arm is superior  
17 to the vehicle with respect to the relief of symptoms and  
18 that the corticosteroid arm is superior to the vehicle with  
19 relief of symptoms. This claim is not possible without a  
20 corticosteroid stand-alone arm.

21 A question for your consideration is whether the  
22 knowledge obtained from including a corticosteroid arm  
23 compensates for the previously mentioned shortcomings of  
24 having a corticosteroid arm in a clinical trial for  
25 treatment of tinea infections.

at

1 This concludes my presentation. Thank you.

2 DR. DRAKE: Thank you very much. You did a great  
3 job. That is complex and you did it in a manner that all of  
4 us understand. Thank you.

5 DR. OKUN: Thank you.

6 DR. DRAKE: There has been a lot of thought gone  
7 into that presentation.

8 I would like to ask Dr. Wilkin, would you like to  
9 consider these questions now? Is this an appropriate time?

10 DR. WILKIN: I think it would be. The questions  
11 that are limited to this.

12 DR. DRAKE: The three questions he has?

13 DR. WILKIN: That's right; just to the  
14 antifungal/corticosteroid combination policy types of  
15 issues. Yes.

16 DR. DRAKE: Let's consider the questions, then, at  
17 this moment, since this is fresh in our mind, of just the  
18 policy issues, the three questions that were outlined. I am  
19 going to pose the first question, then.

20 The first question is, "Is the proposed set of  
21 decision rules for antifungal/corticosteroid combination  
22 topical products acceptable for documenting the contribution  
23 of both components?" That is the question.

24 I would like to ask for a point of clarification.  
25 I have read it, but I think, for the whole committee's

1 benefit, the proposed set of decision rules we have before  
2 us or we been just given questions. We don't really have  
3 the proposed set on a slide yet.

4 Jon, is the proposed set of rules before us? Do  
5 you want to elucidate a little bit on those?

6 DR. WILKIN: If you like, Dr. Okun has them in his  
7 slides.

8 DR. DRAKE: Could we go back to the slides? I  
9 would like to go back so we are all talking the same set of  
10 rules.

11 DR. OKUN: I am just trying to think of which  
12 would be the most appropriate slide.

13 DR. DRAKE: I think one of the questions that  
14 pertained to this was on Slides 13 and 15. One of the  
15 things you wanted to know is the corticosteroid arm  
16 important for this. That was Slides 13 and 15.

17 DR. OKUN: Certainly, we can start with that. If  
18 we consider the utility of the corticosteroid-only arm,  
19 let's go the Slide No. 15.

20 [Slide.]

21 This is the three-armed paradigm in which a  
22 corticosteroid-only arm is excluded. In this paradigm, for  
23 us to demonstrate the contribution of each component, again,  
24 there is the combination arm, the antifungal stand-alone arm  
25 and the vehicle arm. The antifungal combination

1 corticosteroid arm must be demonstrated superior to the  
2 antifungal arm with respect the relief of symptoms.

3 I should mention, parenthetically, that implicit  
4 in this is also that the antifungal/corticosteroid  
5 combination arm is superior to the vehicle with respect to  
6 the relief of symptoms. In addition, the combination arm  
7 must be shown to be superior to the vehicle with respect to  
8 the treatment of the tinea infections.

9 The latter demonstrates the contribution of the  
10 antifungal component. So our Question No. 2c, "Is it  
11 sufficient that the corticosteroid does not reduce the  
12 antifungal activity--" Dr. Drake, what question are we on?  
13 I'm sorry.

14 DR. DRAKE: Let me back up. Maybe I didn't make  
15 myself very clear. On Question 1, one of the questions that  
16 I think probably everyone has is is the proposed set of  
17 decision rules for this combination product acceptable for  
18 documenting the contribution of both components.

19 I think we may be a little weak, at least from my  
20 personal perspective, on exactly what are the proposed set  
21 of rules which I don't think I actually know for sure  
22 exactly what we are proposing. Or is that what we are  
23 supposed to decide? Do you want advice on what we would  
24 propose?

25 DR. OKUN: I think I can help you out.

at

1 DR. DRAKE: Good. I could use some help.

2 DR. OKUN: I apologize. I should have made this a  
3 little more explicitly clear. Obviously, several decision  
4 rules were bandied about in my presentation. I wasn't very  
5 explicit in terms of referring to which we were talking  
6 about here.

7 DR. DRAKE: While you are looking, I am going to  
8 take a couple of comments from the panel. Dr. Stern?

9 DR. STERN: One of the interesting things about  
10 these rules is that they are in the complete absence of any  
11 rules about risk. Somehow, we have gotten into thinking a  
12 lot about tinea pedis where the risk of these agents, except  
13 for what is in the rules, is probably minimal.

14 But, in fact, these combination agents are used in  
15 a variety of other sites where there may be greater  
16 desirability to avoid corticosteroids where possible. So,  
17 if you are just looking at inflammation at eight days and  
18 fungal cure at 35 days, I am not sure that these rules can  
19 be generally applicable unless you have it as the caveat and  
20 in a site where you don't really care whether you are using  
21 steroids for a week or two.

22 That is the one thing that seemed to be a little  
23 bit absent from this discussion which I know is very much a  
24 concern for the specific agent we are going to be  
25 considering later.



1 DR. WILKIN: I think the point is well taken. I  
2 think actually your concern is incorporated into one of the  
3 decision rules. Perhaps, the best place, rather than the  
4 slides where we would be looking at the individual rules, if  
5 you look at this document that came to you, the Overview and  
6 Questions, if you look at the top of--

7 DR. DRAKE: Jon, was this in the material that was  
8 mailed out to us ahead, or is it in our packet today?

9 DR. WILKIN: It is material that was mailed to  
10 you.

11 DR. DRAKE: It is in the mailed packet; okay.  
12 Let's just make sure we are on the right thing.

13 DR. WILKIN: It is under Tab 1.

14 DR. STERN: Are these the three, the last page of  
15 Tab 1.

16 DR. WILKIN: At the top. It starts, in  
17 parenthesis, if the antifungal is superior to the  
18 combination for antifungal activity, that could be  
19 anticipated for many products, and, antifungal is non-  
20 inferior, essentially non-different, from the combination  
21 for antiinflammatory activity, then the question is one  
22 could approve the antifungal alone for all tinea regardless  
23 of the inflammatory component because, once again, the  
24 antifungal alone is superior to the combination for  
25 antifungal activity and the sponsor has not been able to

1 demonstrate a difference between the combination and the  
2 antifungal alone in treating the antiinflammatory part.

3 So that would be the first decision rule. The  
4 question is does that particular outcome and how we would go  
5 with that. So this would not be a combination product. It  
6 would be approval of the antifungal alone.

7 DR. STERN: But implicit in that, would you not  
8 approve a combination product where the antifungal  
9 component, in fact, gave you these results, superiority with  
10 respect to mycologic cure and equivalence with respect to  
11 antiinflammatory effect?

12 So the other side of the question is, it is clear  
13 here that the antifungal, assuming it beats placebo, would  
14 be indicated for all types of tinea. It is clear to me that  
15 it would be. But is the flip side if someone gives you  
16 agent A, that antifungal, and B, the corticosteroid and this  
17 combination, these are the results of these trials the you  
18 say, "Sure, A is fine for all types of tinea but we are not  
19 going to approve the combination of A and B because you  
20 already have something that is, in fact, better in one  
21 metric and equal in the other metric, so no combination  
22 agent."

23 DR. WILKIN: Yes; that is exactly what is intended  
24 by this. That is what is intended by that decision.

25 DR. STERN: I think you should say then only the

1 AF would be approved because it doesn't say, "And we would  
2 reject the AF/CS combination."

3 DR. WILKIN: Yes. It does say, "Then approve AF  
4 alone, the antifungal alone." That is what the intent of  
5 that was. But you are right; we could have added in there,  
6 "And reject the combination."

7 DR. DRAKE: I see some hands. Dr. Rosenberg?

8 DR. ROSENBERG: At the risk of wandering a little  
9 bit, I feel I really want to make a few remarks about the  
10 one particular antifungal/corticosteroid combination, the  
11 Lotrisone, which is--

12 DR. DRAKE: Bill, can I wait until we get to the  
13 section?

14 DR. ROSENBERG: Well, no; I want to talk in  
15 general. It relates to the product we have seen.

16 DR. DRAKE: If you comment on general policy for  
17 any product--

18 DR. ROSENBERG: Yes; general policy.

19 DR. DRAKE: This, right now, is general policy on  
20 any product that might come before the FDA.

21 DR. ROSENBERG: Exactly; this is general policy.

22 DR. DRAKE: Please.

23 DR. ROSENBERG: When the first of these products--  
24 and I wanted to commend Dr. Wilkin and the agency for the  
25 format of this in which we look at the whole issue of

1 corticosteroid/antifungal combinations.

2 DR. DRAKE: I think that is very proper.

3 DR. ROSENBERG: I think it is necessary that we do  
4 that. I recall when the first of these products was being  
5 developed and when it was being reviewed and so forth,  
6 different people were here at that time. It had to meet  
7 very specific criteria. It had a very active, good  
8 antifungal, clotrimazole, and to add a corticosteroid to it  
9 and meet the combination product requirements, it had to add  
10 a dimension.

11 The dimension that was required was that the  
12 inflammation--the clotrimazole was going to cure the fungal  
13 infection at a high rate, so why add something to it. What  
14 was added was a high-potency corticosteroid in order to give  
15 more rapid symptomatic relief than could be achieved with  
16 the clotrimazole alone.

17 Now, the plain clotrimazole is quite good at  
18 providing symptomatic relief.

19 DR. DRAKE: Bill, can I get you off the product  
20 and get you back into generics?

21 DR. ROSENBERG: Okay; we will talk generically.

22 DR. DRAKE: Yes, please.

23 DR. ROSENBERG: The antifungals we now have are  
24 quite good and people get better quite quickly and their  
25 symptoms go away quite quickly. If you are going to

1 demonstrate that putting a corticosteroid into the product  
2 adds to it, you need a very good corticosteroid. You need a  
3 very good corticosteroid to make it go that much more  
4 quickly.

5           So that is why we have very high-potency  
6 corticosteroids added to antifungals, because if you had a  
7 lesser potency corticosteroid, it would be hard to catch up  
8 with the good antiinflammatory of curing the fungus  
9 infection.

10           I submit that that may not be the right question.  
11 I think more to the point is that is there a really a  
12 clinical need for such rapid relief of symptoms that we need  
13 to add a corticosteroid to a good antifungal. I would  
14 submit that, no, there is not, really. But I think there is  
15 a major and very important need for a reasonable treatment  
16 for red, scaly spots that might be fungus and might not be  
17 fungus.

18           In the real world, in managed care, where we have  
19 primary-care doctors, there is public policy towards OTC  
20 where possible, where even specialists who are on time  
21 categories of patient visits and laboratory fees, et cetera,  
22 are trying to hold down costs, that there is a real place  
23 for something that would be a very good treatment for  
24 something that might be fungal but not necessarily so, in  
25 the hands of whatever person is treating it.

1           In fact, I submit that the product which is now  
2 there, the combination product, succeeds as it does not  
3 because it provides more rapid symptomatic relief for bona  
4 fide fungal tinea pedis but because it fills that need for a  
5 combination corticosteroid/antifungal.

6           I submit that a better performance for that  
7 purpose, the combination, would be one with an antifungal  
8 with a less strong corticosteroid, one that is suitable for  
9 use in something that doesn't require a very powerful one,  
10 hydrocortisone, for instance.

11           In fact, the old CIBA Viaform, if I may mention  
12 it, hydrocortisone product which left because it couldn't  
13 meet the antifungal requirements--I remember the hearing. I  
14 was involved in that. The plain Viaform wasn't all that  
15 good for antifungal but it was a very good product. Of  
16 course, it might have been fungal, it might have been  
17 nummular, it might have been bacterial and it just might  
18 have been dermatitis. In fact, I see it is back.

19           So, we have, now, a public policy where most  
20 medical problems of this magnitude are being handled by  
21 primary-care physicians and many of them, again with public  
22 policy in yesterday's New York Times at the OTC level where  
23 patients see that there is something round and itchy and  
24 they would like to buy something that would be helpful for  
25 it, and should be allowed to have something over the

1 counter.

2           So this is a whole different set of questions. I  
3 think the present combination products are not being used  
4 for--I think we are telling ourselves a story if we are  
5 going to characterize these things on how rapidly they  
6 relieve symptoms without interfering with the antifungal  
7 properties and if there are any side effects and so forth.

8           I think we have backed ourselves into a very  
9 useful product that could be made better by making the  
10 regulatory aspect of it somewhat different.

11           Thank you.

12           DR. ROSEN: Lynn, can I make a comment?

13           DR. DRAKE: Please.

14           DR. ROSEN: I think more specifically to the  
15 question that is being asked right now, at least one of the  
16 questions that is posed to the panel, is whether you want  
17 some approval from us or the panel whether to go from a  
18 four-arm to a three-arm in terms of how to determine the  
19 efficacy of antifungal, antifungal combination drugs.

20           The one thing you are dropping out is the  
21 corticosteroid-only arm. I think that is a major question  
22 that you are asking. While I think that that was not of  
23 significance, and I am going to address this later in my  
24 presentation so I won't elaborate, it wasn't of significance  
25 for some of the antifungal agents because there is actually

1 a hierarchy of inherent antiinflammatory activity for the  
2 antifungal drugs as stand alone.

3 It is really not an issue for the current one that  
4 is going to be discussed later but there may be an issue for  
5 some of the other antifungals which have come along  
6 subsequently which have more inherent antiinflammatory  
7 properties.

8 The thing is a manufacturers probably will not  
9 attempt to claim antiinflammatory activity equal to  
10 corticosteroid because the only way to verify that would be  
11 to continue with a corticosteroid-only arm. But I don't  
12 think the manufacturers are really going to go after that  
13 claim. That is the first thing.

14 The second thing is from a purely pragmatic  
15 standpoint, if you include the corticosteroid-only arm, then  
16 what you are doing is deliberately subjecting some number of  
17 patients in a clinical study to application of  
18 corticosteroid to a fungal infection. I seriously doubt  
19 that any clinician in the room would really want to do that  
20 to their patients.

21 Now, intellectually, it might be nice to compare  
22 corticosteroid-only to antifungal-only and that would allow  
23 the claim of the antifungal if, in fact, it were equal or  
24 better. It would allow the claim of the antifungal to  
25 possess antiinflammatory properties equal to or better than



1 the corticosteroid.

2 But I think nobody really would want to do that,  
3 as a clinical investigator, to put corticosteroid-only on  
4 known fungal infections because you have excluded negative  
5 KOH and negative culture. You are not putting it on  
6 question-mark diagnoses. You are putting it on patients who  
7 have a known fungal infection.

8 So I would be in favor, although it would be  
9 intellectually interesting to compare corticosteroid and  
10 antifungal, I would be in favor of leaving your three-arm as  
11 you propose and dropping out the corticosteroid-only arm  
12 unless or until some sponsor said, "I want to claim that my  
13 antifungal is as good as a steroid," and I don't think you  
14 will have many takers on that.

15 Even though it may be true, that study could pose  
16 risks for the participants. So I think your three-arm for  
17 virtually everything you might get would be appropriate  
18 rather than the four-arm. And that is your specific  
19 question and that would be my suggestion.

20 DR. DRAKE: I have three hands that I have noted;  
21 Bob Jordan, Wilma Bergfeld and John DiGiovanna, in that  
22 order, please.

23 DR. JORDAN: I guess I come back to my comment  
24 earlier about the exclusion of patients who are KOH-  
25 negative. The main reason, I think the way these products

1 are being used now, those are the very patients that are  
2 being subjected to these kinds of treatments.

3 As I mentioned before, very oftentimes, with very  
4 inflammatory tinea, you may have a negative KOH. You may  
5 have a positive culture, but, again, it is this group of  
6 patients that probably are being treated with this  
7 combination more than just the antifungal alone. So I still  
8 have a problem with that.

9 DR. DRAKE: Bob, I just want to elucidate a little  
10 bit. I want you to elucidate a little bit because everybody  
11 in the audience may not know exactly what you are referring  
12 to about the inflammatory nature of an early patient and the  
13 enrollment criteria for study, and they may never get in one  
14 of these.

15 Would you please comment on that for the benefit  
16 of the total audience?

17 DR. JORDAN: Very oftentimes, in tinea, when it is  
18 very inflammatory, the KOH will be negative. The culture  
19 may be negative for that matter because of other  
20 superimposed factors that are involved with the tinea. I  
21 think by excluding that group of patients, those are the  
22 very patients that are going to be treated with this  
23 combination anyway, it seems to me we are losing something  
24 in terms of the benefit, if there is a benefit, to these  
25 combination products.

1 DR. DRAKE: Thank you.

2 Dr. Bergfeld.

3 DR. BERGFELD: I think there are many questions  
4 that have been put upon the table but I would like to  
5 address a couple of things that have been said. First of  
6 all, Dr. Rosen, I disagree with you that you don't need a  
7 corticosteroid arm because, if you heard from the FDA, they  
8 stated that this was a tinea pedis, interdigital type.  
9 There is very, very little risk to the patient if you were  
10 to do a corticosteroid arm in that particular group.

11 I am sort of interested in the fact that tinea  
12 pedis is sort of the gold standard for the site to be  
13 treated yet the application will be to the groin and to the  
14 trunk and maybe a small spot, or a very large area, which  
15 adds a dimension of risk. But, as far as treating tinea  
16 pedis, there is very minimal risk to using a corticosteroid  
17 arm.

18 The other thing that I was interested in in the  
19 study design was if you are going to have a response to  
20 corticosteroids, and it was very nicely demonstrated that  
21 the response was in the first five days, and that the tinea  
22 response, the fungal response and the fact the culture-  
23 negative was seen at the end of the treatment period which  
24 was stated to be 30-plus days.

25 In the design, and I didn't see this but I suspect

1 it was done, was there a notation on clinical symptomatology  
2 in the first five days rather than the global which was, I  
3 gathered, what was presented at the 30-day marker, because  
4 the symptom response for corticosteroids, as stated by  
5 everyone, is acute within the first week. If the was  
6 significantly improved, that would be a significant feature.

7 DR. WILKIN: The actual time of when the  
8 antiinflammatory effects are assessed is somewhat up to the  
9 sponsor to suggest. What we don't like to see in phase III  
10 trials is where they will look at inflammatory signs and  
11 symptoms over several days and then come in and tell us,  
12 "Well, the greatest difference was on Day 3 or 4 or 5," sort  
13 of after the fact and that was where the statistical  
14 difference is.

15 So it is always nice if they have looked in a  
16 phase II kind of study where they have figured out when they  
17 are going to see that difference and that they will, then,  
18 do the study in phase III where they will assess at the time  
19 that they anticipate the greatest delta between the  
20 corticosteroid-containing arms and the non-corticosteroid-  
21 containing arms for the inflammatory signs and symptoms.

22 DR. DRAKE: John?

23 DR. DiGIOVANNA: I think a part of my comment has  
24 already been iterated, but I would just like to rephrase it  
25 a bit in that I think that this is labeled as a policy

1 document. I think that a main issue that I see is if one  
2 were to appropriately look at when a wise use of these  
3 compounds, this combination would be, it would be mostly for  
4 individuals who have been excluded by the entry criteria  
5 that you have listed.

6 Most dermatologists who get a positive KOH, or  
7 most dermatologists would do a KOH, in a suspected tinea if  
8 it was inflammatory. But I think there is enough  
9 documentation to know that there are many of those, if not  
10 most of those, that would have a negative KOH and a negative  
11 culture.

12 Therefore, if you exclude those patients who are  
13 most inflammatory and most likely to be the ones to benefit  
14 from this, you have already set up a situation where the  
15 rest of the very nice logistic paradigm falls through.

16 So what I would like to suggest is whether or not  
17 there is a role for a redefinition of what the clinical  
18 circumstances, what the appropriate indication might be,  
19 for, as Dr. Rosenberg said, a red, scaly spot that is  
20 suspected of being a tinea.

21 DR. DRAKE: Dr. Wilkin?

22 DR. WILKIN: Yes; if I could get some--it sounds  
23 like there is actually some convergence in Dr. Jordan, Dr.  
24 DiGiovanna and Dr. Rosenberg. They are going into, I think,  
25 a very helpful area for us at the FDA to hear.

1           There is this group that really has not been  
2 identified in our previous studies that come to us that may  
3 have some benefit from this kind of a product. My question  
4 back to the whole committee, but especially the three of  
5 you, is do you envision that ultimately in the population  
6 that will be analyzed statistically for outcomes that, at  
7 some time during the treatment, there will be a positive KOH  
8 or a positive culture, or are you suggesting that really we  
9 should have more of a reductive kind of approach to the  
10 indication and the indication should be "red and scaly?"

11           DR. ROSENBERG: If I could answer, I would say  
12 absolutely. I think there is a place for studies of red  
13 scaly things that might or might not be fungus, which comes  
14 up all the time in many, many offices and many, many  
15 households.

16           I think the appropriate study would be to enlist  
17 those kinds of people, just as they are, to do KOHs and do  
18 cultures to see how things turned out, but to treat them  
19 with these different arms and see, in fact, how the  
20 combination product versus the different single products did  
21 for the whole mass of them, those who turned out to have it  
22 and those who turned out not to have it.

23           One of the things we would be looking for would be  
24 if the combination did no harm and it got a few that  
25 wouldn't have been picked up by the other, then why not a

1 combination. Certainly, the over-the-counter area of  
2 medicine includes such cough-cold--if we backed up and went  
3 after the symptoms of redness and scaliness, then such a  
4 product would make all kinds of sense, or similar products,  
5 a nystatin, a hydrocortisone cream, a Viaform--back to  
6 Mycolog 1.

7           Those are things, though, that enjoyed great  
8 popularity in a profession of doctors who are getting up  
9 every day and trying to do the right thing for their  
10 patients. I think, by being so rigid in terms of how we  
11 define these products, we have backed ourselves into the  
12 same sort of a thing, but not the way we have intended.

13           DR. DRAKE: Jon?

14           DR. WILKIN: If I could just respond to that, not  
15 to the part about rigid but, actually, to the part about--  
16 what I think the evidence has come in in the past and what  
17 we have asked for on these kinds of products would allow us  
18 to say, yes; there is an antifungal contribution. We have  
19 documented that, and also there is an antiinflammatory  
20 contribution and then taking that information that both of  
21 those have been demonstrated, then I could actually see a  
22 translation into the clinical setting of reduction where,  
23 then, it is a reductive approach to the clinical problem but  
24 it is based on a fairly substantial database that says if it  
25 is fungus, it is going to be okay. We have good outcomes

1 with this product. And, if it is not, you are treating the  
2 inflammation anyway.

3 DR. DRAKE: Rob?

4 DR. STERN: As we are coming to convergence, I  
5 hate to throw a random thought out there, but when we have  
6 looked at these data, it seems that the antiinflammatory  
7 component from corticosteroids, if there is a benefit, is  
8 early on, usually in the first five or seven days of what is  
9 typically two- or three- or even four-week courses of  
10 therapy.

11 So we have coupled two agents, one of which you  
12 need to use for a number of weeks if there is fungus there  
13 for clearing and nonreoccurrence with an agent that you only  
14 want there for the first five or seven days because there is  
15 little or any evidence of additional benefit either  
16 practically or theoretically once you have gotten beyond  
17 that point for these red, scaly, itchy things.

18 So I guess my question, from a health perspective,  
19 given the wide variety of these very efficacious  
20 antifungals, why have these agents at all when you have  
21 unnecessary therapy for sometimes as much as 80 percent an  
22 extra agent there. You only need it for the first  
23 20 percent.

24 It is not so difficult to have recommendations, in  
25 fact, that you use in certain situations, two agents when



1 there is ambiguity. That is certainly something I do. You  
2 use the appropriate antiinflammatory corticosteroid,  
3 depending on its degree and the site, the appropriate one,  
4 for three, five, seven or ten days and then, since you think  
5 there might have been fungus there, you use the appropriate  
6 antifungal agent for two, three or four weeks, depending on  
7 the site of the agent and the data for that.

8           So I guess I would like to go back and sort of  
9 maybe take the radical position, are we missing the main  
10 boat about whether these agents are, in fact, useful enough  
11 in clinical practice, given the widely available, over-the-  
12 counter antifungal agents that are highly efficacious for  
13 yeast and fungus and very inexpensive.

14           Are we doing bad things rather than good things  
15 even considering them for approval because, in fact, their  
16 net clinical benefit, in terms of risk/benefit, is likely to  
17 be small. So maybe we should make one step back before we  
18 make rules.

19           DR. DRAKE: I want to apologize to the committee  
20 for kind of taking the prerogative here, but time is a  
21 little bit tight here. I think this discussion has really  
22 demonstrated how important something new in the last few  
23 years that the agency is doing, and that is addressing the  
24 policy issues.

25           I saw them do policy with respect to

1 onychomycosis. I saw you do policy with respect to  
2 psoriasis. I think this is another major step in trying to  
3 prospectively define the policy which is a benefit to  
4 industry, a benefit to us and a benefit to everyone.

5           However, any time you get into policy, the  
6 discussions become quite interesting and wonderful. First  
7 of all, I want to compliment you for it and I want to  
8 apologize to the agency for us kind of having a packed  
9 agenda here and, as chairman, I want to get us done on time.  
10 But we would come back to it.

11           Having said that, in the interest of time, what I  
12 would like to do is kind of get a sense of the committee on  
13 your specific questions that you have asked us so we can go  
14 on to the invited presentations. Time permitting, we may,  
15 then, revisit this later in the day. Would that be  
16 acceptable?

17           I still don't understand Question 1, so I am going  
18 to skip it and come back to it. But I think we do have a  
19 lot of understanding about Question 2b. I am going to start  
20 there, and I am going to read it. "Is the knowledge and  
21 corresponding labeling that allows the claim that  
22 antifungals alone provide the antiinflammatory activity  
23 comparable to a corticosteroid a sufficient advance in  
24 public health to warrant a corticosteroid-only arm?"

25           I don't know that we want to have a vote. Do you

1 want just the sense of the committee, or do you want a vote  
2 on this? How much information do you guys want? Do you  
3 want a sense so that you can have leeway to continue to  
4 contemplate this or do you want to vote?

5 DR. WILKIN: We would be pleased to have a vote on  
6 this, but I should say that we always listen very carefully  
7 to all of the discussion leading up to the vote, so it is  
8 not just the vote that we rely on.

9 DR. DRAKE: I think it is clear that there are a  
10 lot of parameters that this committee has put on the table  
11 that are not being addressed, perhaps, in the traditional  
12 way we do the studies. We may be missing a big cohort of  
13 patients that would benefit from such a product.

14 I wanted to ask Dr. Rosenberg a very quick  
15 question before we vote. When you said red, scaly spots,  
16 did you mean that we would still try to identify a causative  
17 agent such as mycology or would you just throw that out and  
18 base it totally on just red, scaly spots?

19 DR. ROSENBERG: Otherwise undiagnosed. I think  
20 the study should be done at a primary-care level or a walk-  
21 in-clinic level.

22 DR. DRAKE: I think that is a very important  
23 notion.

24 DR. ROSENBERG: That is where the people are, and  
25 that is where the prescriptions are written.

at

1 DR. DRAKE: As a lot of things move to OTC, this  
2 becomes a more important notion because not everybody has  
3 the expertise to do the KOHs or to interpret them properly.  
4 So I think that is an important notion for FDA to please  
5 think about when you work with industry and for industry who  
6 is in the audience, I would strongly suggest that one of you  
7 perhaps capture this opportunity to answer some of these  
8 questions which are clearly important to the committee  
9 members.

10 Now, back to Question 2b. Yes? Jim? I knew I  
11 couldn't get straight to it.

12 DR. KILPATRICK: I would like to ask the agency  
13 about "comparable to." That speaks to equivalence which is  
14 difficult to establish, or do we simply mean not  
15 statistically different from which is a question of how  
16 large the sample is. Jon?

17 DR. WILKIN: We don't mean just simply not  
18 significantly different from, which always allows the type-2  
19 error. No; we are interested in actually a formal analysis  
20 of equivalence. Noninferiority is what we are looking for.

21 DR. DRAKE: So what we are talking about is how  
22 many of us think that--does everybody understand the  
23 question on 2b? I would like to ask for how many are in  
24 agreement with this Question 2b. If you are voting yes,  
25 please raise your hand. If you want a corticosteroid arm,

1 raise your hand, please.

2 [Show of hands.]

3 DR. DRAKE: Ten. I think it is a unanimous vote.

4 DR. MINDEL: I have a question. How long is the  
5 corticosteroid arm?

6 DR. DRAKE: I think what we have seen, in this  
7 study, at least, was about eight days. Jon, would you like  
8 to address that?

9 DR. WILKIN: I think Dr. Mindel has an important  
10 question. One can build into protocols an ethical safety  
11 escape clause, if you will, that if these patients are  
12 followed very closely and if it looks like there is  
13 deterioration, while one will not know which arm of the  
14 study they have gone into, that patient can be taken out of  
15 the study immediately and given standard therapy.

16 DR. DRAKE: Wilma?

17 DR. BERGFELD: I am trying to grapple with all  
18 this as many of the panel members are, but I am looking at  
19 the reverse side of that and that is called the risk side.  
20 If, for instance, you were to apply this to a rash,  
21 clinically suspected to being tinea and it wasn't, what harm  
22 would be done?

23 Well, in reality, none, with how we give it. If  
24 this was applied, this topical combination was applied, to  
25 something that was tinea and it also has a limitation of

1 duration of therapy, what harm would be done?

2           The worst scenario I can see, and I did read some  
3 of the information sent here, was that you might have some  
4 thinning of the skin. But, again, you have limitations of  
5 time. It is not a forever application. It is two weeks,  
6 four weeks, max, usually.

7           So when we are talking about risk assessment,  
8 which I know we will speak about later, no matter which way  
9 you go with either product, the harm is rather minimal.

10           DR. DRAKE: One other thing they suggested in the  
11 data they presented us is that--I agree with you. I think  
12 the harm is rather minimal. Besides the thinning skin, one  
13 other thing that was pointed out was that the  
14 corticosteroids may--by suppressing the inflammatory  
15 response, if it is tinea that is present, then there may be--  
16 -it may work less fast. That is not good English, but it  
17 may work not quite as quickly if it is on the tinea because  
18 you are getting a suppression of the host response from the  
19 corticosteroids.

20           DR. BERGFELD: But your studies would reflect  
21 that.

22           DR. DRAKE: I think if the studies are designed  
23 properly, they would. I think that is the issue. That is  
24 the question they are asking us on policy that we need to  
25 make sure that these prospective studies are designed with

1 that information.

2 Question 2c, I am going to go to next. "Is it  
3 sufficient that the corticosteroid does not reduce the  
4 antifungal activity of the combination to label the product  
5 for all tinea or should combination products containing  
6 corticosteroids be labeled only for the more inflammatory  
7 tinea warranting antiinflammatory treatment?"

8 I think this is a key question and I think one of  
9 the things they have asked to address, according to Dr.  
10 Wilkin's introductory statement. It is not a question of  
11 whether this at least one upcoming product and, potentially  
12 future products are approved, but do we want to tinker with  
13 labeling or do we want to make recommendations about  
14 labeling.

15 Am I correct on that, Dr. Wilkin? Please clarify.

16 DR. WILKIN: Yes, Dr. Drake, you are. And 2a  
17 actually helps this question in that we are asking about  
18 this, perhaps somewhat inelegant phrase, of "sufficiently  
19 inflamed tinea warranting a corticosteroid component," which  
20 is, then, captured again in 2c.

21 We were hoping that you might first address this.

22 DR. DRAKE: I would be happy to do so.

23 DR. WILKIN: Come up with maybe something better  
24 to put in labeling if you think this is an important  
25 consideration in the Indications Section of the labeling.

at

1 DR. DRAKE: May we go to 2a instead. I think that  
2 is a better order. I am still avoiding 1 for the moment. I  
3 want you to know that.

4 Question 2a; "Can a distinction be made in  
5 labeling between minimally inflamed tinea not requiring a  
6 corticosteroid component and sufficiently inflamed tinea  
7 warranting a corticosteroid component and, if so, suggest  
8 wording."

9 So the first question I would like to pose to the  
10 committee is can a distinction be made between minimally  
11 inflamed and sufficiently inflamed warranting a  
12 corticosteroid. First of all, I want to ask you to vote,  
13 can a distinction be made between that or do you want a  
14 little discussion beforehand because we have not discussed  
15 that at all.

16 Dr. Bergfeld?

17 DR. BERGFELD: We have never had great success in  
18 defining minimal to severe in any disease. My preference  
19 would be inflamed versus noninflamed.

20 DR. DRAKE: Other comments? All right; then let's  
21 vote. Would the committee vote, please. I want to vote on  
22 the question, not on Wilma's comment. I agree with your  
23 comment, actually, but I think we should do this.

24 DR. STERN: Are we interested in the presence of  
25 inflammation or are we interested in the presence of



1 inflammation and symptoms associated with inflammation,  
2 particular pruritus, in most cases, because most of the  
3 tinea I see--I see a lot of tinea that is completely  
4 asymptomatic but it is still pink and, therefore,  
5 inflammatory but it doesn't need a corticosteroid because  
6 they are not itchy.

7           So I wonder if it should be a distinction between  
8 inflammatory and symptomatic as the criteria versus not  
9 meeting both of those criteria.

10           DR. DRAKE: I see a lot of heads nodding. I would  
11 like just a sense. This is not a vote. I would like just a  
12 sense of the committee about recommending linking  
13 inflammatory and symptomatic together versus inflammatory  
14 alone.

15           How many would like to see the two words linked?

16           [Show of hands.]

17           DR. DRAKE: For the FDA, I think you see a sense  
18 of the committee that just pink doesn't cut it, that you  
19 need pink and itchy, or whatever.

20           Now then, back to Question 2a. That is a  
21 suggested labeling. Now, with respect to Question 2a, and I  
22 am going to try to help the committee get this simmered  
23 down, would the FDA be willing to accept inflammatory versus  
24 non-inflammatory? Do you want me to address the issue just  
25 as the question says, "minimally versus more than minimal?"

1           Hearing no answer, what we are going to do is we  
2 are going to do it just the way the FDA did it. I want a  
3 sense of the committee. First of all, can we distinguish,  
4 do you think, and should the labeling distinguish, between  
5 minimally inflamed and sufficiently inflamed? Can we make  
6 that distinction?

7           All those who think we can make that distinction,  
8 please raise your hand.

9           [One hand raised.]

10          DR. DRAKE: All those who think we cannot make  
11 that distinction, raise your hand.

12          [Show of hands.]

13          DR. DRAKE: John, comment?

14          DR. DiGIOVANNA: I think we can do it by the way  
15 Rob said we can do it.

16          DR. STERN: But not by that word alone.

17          DR. DiGIOVANNA: By the presence of symptoms.

18          DR. DRAKE: I understand that, but we sort of  
19 backed into it. I wanted the sense of the committee. Now,  
20 I would entertain a suggestion from the committee, having  
21 done those two steps. I would entertain, then, a motion so  
22 that we can vote on it to provide some information to the  
23 committee regarding how we ought to phrase it.

24          Rob?

25          DR. STERN: I would suggest that the labeling

1 should be distinguished between those agents which are just  
2 to treat tinea pedis and those agents which might be tested  
3 for treating tinea pedis which has associated symptoms since  
4 I think it is hard to have much tinea pedis without any  
5 inflammation and recognize it except by culture and random  
6 sampling.

7           So I would say it is plain tinea pedis and tinea  
8 pedis with associated symptoms as opposed to signs or  
9 laboratory tests--associated inflammation, rather than  
10 symptoms.

11           DR. DRAKE: Symptomatic inflamed tinea? Would you  
12 accept that?

13           DR. MILLER: Symptomatology can be very varied  
14 also. With some tinea pedis, you can have fissures and it  
15 is very painful and perhaps the corticosteroids are not  
16 going to play a role there. So there might be some  
17 obfuscation with just symptomatology.

18           DR. STERN: Can we think of anything but pruritus,  
19 I guess would be my question, that would be an indication  
20 for--probably pruritus is really the only one. I don't  
21 think burning or pain, as you rightly suggest, are likely to  
22 be corticosteroid-responsive aspects of--

23           DR. DRAKE: So would you like to change the  
24 wording of your motion to read--to use the word "pruritus"  
25 instead of symptoms?

1 DR. STERN: Can you think of other symptoms beyond  
2 pruritus that we are really thinking about with this that  
3 would be reasons for using a corticosteroid?

4 DR. ROSENBERG: I don't want to go over and over  
5 again. I think the reason for using a corticosteroid is  
6 maybe it is just a patch of eczema and not fungus at all.  
7 That is the reason for putting the corticosteroid on.

8 DR. DRAKE: And it might not itch. A patch of  
9 eczema might not itch.

10 DR. ROSENBERG: It doesn't have to itch. If you  
11 don't know what it is, that is what you do.

12 DR. DRAKE: Then let's leave some leeway.

13 DR. ROSENBERG: If you will put in, "For use on  
14 rashes of unknown--dermatitis of unknown cause," then  
15 undiagnosed. If you want to have a product for undiagnosed  
16 skin disease, it would fill a major societal need.

17 DR. DRAKE: We will come back to that. I want to  
18 stay on my questions.

19 DR. ROSENBERG: This would be a start.

20 DR. DRAKE: We will come back to that.

21 Wilma?

22 DR. BERGFELD: I would like to revisit the terms.  
23 I still think that symptomatic and inflamed might be the  
24 best term. I disagree with my colleagues who say that it  
25 doesn't help when it is painful. I think that pain is

1 related to inflammation and swelling and it does help that.  
2 And it may even help fissures because they are involved with  
3 swelling and inflammation.

4 DR. DRAKE: Pain and itch are often very hard to  
5 separate because those fibers run right next to each other  
6 and they have shown the synapses jump. So I think there is  
7 a legitimate argument probably not to separate them.

8 Fred, you had a comment?

9 DR. MILLER: I guess I do. I was just going to  
10 comment on Bill's comment about using this on red, scaly  
11 areas undiagnosed. We are certainly going to have to change  
12 the entire way we have been taught and we think about  
13 diagnosis and using combination therapy.

14 When we look at these particular products--indeed,  
15 I don't know dermatologists that really are using them; it  
16 is mainly the non-dermatologists who are using the  
17 combinations.

18 DR. ROSENBERG: I would just interject that the  
19 good non-dermatologists are using these. The bad ones are  
20 giving them dose packs. Let's put this all in perspective.

21 DR. DRAKE: Bill, as usual, you are about six  
22 years ahead of the rest of us. In the interest of time, I  
23 really do want to try to--Rob, can we say symptomatic?

24 DR. STERN: Yes.

25 DR. DRAKE: All in favor of that motion, please

1 raise your hand.

2 [Show of hands.]

3 DR. DRAKE: All opposed.

4 [No response.]

5 DR. DRAKE: It passes. I have done 2a. Now, I am  
6 going to go to 2c, please. That is the one we read a minute  
7 ago. "Is it sufficient that the corticosteroid does not  
8 reduce the antifungal activity of the combination to label  
9 the product for all tinea or should combination products  
10 containing corticosteroids be labeled only for the more  
11 inflammatory tinea warranting antiinflammatory treatment?"

12 It seems like we just answered that. But we can  
13 vote on it. Any comments on this motion?

14 DR. BERGFELD: I am going to bring back the issue  
15 of "no harm." What harm would be if it was incorporated  
16 into the--a combination was used, a noninflammatory?  
17 Whether there is a need or not, what harm would there be?

18 DR. STERN: Wilma, would you be in favor of having  
19 class 2 steroids be over the counter? I think if you are  
20 not in favor of that, then there is some potential for harm  
21 in these agents because, if there is no harm with their  
22 potential use, a class 2 steroid as we are discussing here,  
23 then that would be grounds for it.

24 I certainly think there is potential for harm with  
25 these agents used when they don't add additional benefit.

1 That is why I think the labeling should be restrictive to  
2 cases where there is, in fact, also some additional  
3 potential benefit and, therefore, since it is hard for me to  
4 believe, nor have I seen demonstration of additional benefit  
5 of corticosteroids with an antifungal in the absence of  
6 symptoms related to inflammation, I would say that we would  
7 take the more restrictive approach.

8 DR. BERGFELD: I would agree with you in the fact  
9 that it seems logical not to include an extra chemical when  
10 you don't need it. The fact is this is still a prescription  
11 item and the fact is that the prescribed use is two to four  
12 weeks, max six weeks. That is the labeling.

13 Now, one could approach the "no harm" part of it  
14 by the labeling if one desired.

15 DR. DRAKE: I think, though, that all of us have  
16 seen six weeks--I mean, if every doctor in the world stuck  
17 strictly to the labeling religiously, it might be a  
18 different world. But, in fact, I think we have to really  
19 seriously consider whether we want combinations used just  
20 willy-nilly, or whether we want to have some restricting  
21 labeling on it and try to encourage doctors to use it  
22 appropriately instead of using it inappropriately.

23 I think that is the issue, is that nobody is  
24 trying to deny access to this drug. What we are trying to  
25 do is help the agency look at the labeling to try to

1 encourage better behavior and better compliance with the  
2 labeling.

3           So your choice with this motion, or with this  
4 question, is whether you want to endorse the first half or  
5 the second half. The second half really calls for a little  
6 more restrictive where it says you really shouldn't use  
7 corticosteroids. The labeling will outline corticosteroids  
8 for inflammatory, and not just tinea across the board, which  
9 is the first half.

10           So I am going to ask you to choose between the  
11 first and the second half of this question. Everybody in  
12 favor of the first half where you would just--all products  
13 for all tinea, please raise your hand.

14           [No response.]

15           DR. DRAKE: All in favor of having the label--any  
16 product containing corticosteroids be labeled only for the  
17 more inflammatory tinea warranting antiinflammatory  
18 treatment. Please raise your hand.

19           [Show of hands.]

20           DR. DRAKE: Once again, it is unanimous.

21           So now we have answered every question except 1.  
22 We are back to my 1. Maybe since we have had all this  
23 discussion, we can understand this a little better. I think  
24 what we have just decided maybe is what this question is all  
25 about, wouldn't you say? I think we have answered this



1 question because we have given you--by answering 2, we have  
2 actually answered 1. Is everybody in agreement? Does  
3 anybody on the committee have a different opinion?

4 Bingo. We are on time. Wilma?

5 DR. BERGFELD: I'm sorry. I think that one  
6 important statement has to be made and that is the fact  
7 that, for patient compliance, the need to get the patient  
8 the information is probably the most important factor. So  
9 patient handouts regarding use need to accompany these kinds  
10 of products.

11 DR. DRAKE: That is a positive suggestion.  
12 Another positive suggestion I would like to make is I think  
13 this whole notion of the FDA addressing the policy issues--I  
14 said it earlier. I can't tell you how important I think it  
15 is. I think the things that Bill Rosenberg and others here  
16 today have put on the table--just like Eduardo and John and  
17 Bob putting this business of improper enrollment or maybe  
18 improper exclusion in the inclusion criteria.

19 I think Joe's question--well, you have asked  
20 questions earlier about other things, anyway. I just think  
21 all the questions--I told you I would be the first one to do  
22 it--I think all the questions that have come about here  
23 really are important and may warrant a longer session with  
24 more in-depth discussion and contemplation.

25 I think getting the policy set prospectively is

1 really to everybody's benefit and I want to congratulate you  
2 for it.

3 Joel?

4 DR. MINDEL: I would like to throw one other  
5 question, whether all these steroid antifungal agents should  
6 be limited to a five-day maximum use, because whether it is  
7 a fungus or not, it seems like that is the limit. If it is  
8 not a fungus, five days is enough. If it is a fungus, then  
9 you should switch, at that point, to an antifungal agent  
10 alone.

11 The problem you get with a combination, the worst  
12 pharmacologic, or one of the two worst pharmacologic  
13 reasons, for giving a combination, the first worst argument-  
14 -there are three. But one of them is that you continue one  
15 of the drugs when you don't need it.

16 I showed this to Jonathan and he said would I  
17 bring it up, but the U.S. Pharmacopoeia, in talking about  
18 the clotrimazole and betamethasone topical combinations  
19 makes the recommendation in the U.S Pharmacopoeia's  
20 publication--it says, "During the first few days of  
21 treatment," they are recommending this, "or as long as  
22 inflammation persists, after this time U.S.P. medical  
23 experts recommend the use of plain clotrimazole or other  
24 topical antifungal agents," and their experts also recommend  
25 a maximum of five days."

1           That seems to me a reasonable length of time. It  
2 would cut out, I think, actually a lot of the considerations  
3 that we have today. If it came in a tube that was just a  
4 five-day supply.

5           DR. DRAKE: A dosing tube of five days.  
6 Interesting.

7           Bob?

8           DR. JORDAN: I guess this is a paradox that I  
9 think all of us are kind of wrestling with, at least I am,  
10 as a physician when I see patients like this. Again, the  
11 way the studies were designed, with a positive KOH and  
12 culture, my likelihood of using one of these agents, if I  
13 have got a positive KOH and culture, is pretty small. It is  
14 actually the patients that Bill Rosenberg has been talking  
15 about where there is a confusion between an eczema versus a  
16 tinea where they might have some benefit for the patient.

17           There may be a need to treat for longer than five  
18 days, but maybe Bill could comment on it. Personally,  
19 though, if I have got a patient that I have seen and I have  
20 got a positive KOH and culture, I doubt that I would ever  
21 use an agent like this.

22           DR. DRAKE: Bill?

23           DR. ROSENBERG: I think that is right. To move up  
24 a notch from something on the skin, I don't know what it is,  
25 to--maybe it is nummular dermatitis, round, patchy

1 dermatitis, that can be confused with fungus frequently and  
2 can be hard to treat and which--the older books, the British  
3 books, still, the new Rooks textbook still comes out for  
4 Viaform corticosteroid combinations--require actually  
5 persisting treatment.

6           So to the degree that we were going to have a  
7 product that was good for a fungus and good for nummular  
8 eczema, and really no criticism of the person who couldn't  
9 tell which it was, then I think the limitation on days  
10 starts to fall down.

11           Rather, I think we should think about a product--  
12 there is no harm in using any of these antifungals forever.  
13 They really don't do any harm. I think the time limitation  
14 has to do with the corticosteroid and that relates to its  
15 category, whether it is a very strong one or a weaker one.

16           A lovely product would be a hydrocortisone  
17 combination with some appropriate antimicrobial. The  
18 problem with that is if you are trying to make that product  
19 go through the hoop of bringing more rapid statistically  
20 significant relief of symptoms than the antimicrobial alone  
21 in a defined fungus infection, you are not going to get it.

22           So to get from here to there, we have to--you  
23 can't get from here to there the way the rules are now  
24 written.

25           DR. DRAKE: Joe. That is the last comment before

1 we go on to the next section.

2 DR. McGUIRE: Okay; this is the last word.

3 DR. DRAKE: You betcha. From the expert. It is  
4 your final answer; right?

5 DR. McGUIRE: I just wanted to point out that we  
6 are shooting at two different products. Bill wants  
7 something that is safe, that is going to be used in a  
8 shotgun way by lots and lots of practitioners. Our other  
9 goal is to somehow limit and make this product safer in the  
10 market.

11 Everyone sitting around the table realizes that  
12 the use of these combination drugs is far more related to  
13 the practitioner than whether the KOH is positive. Some  
14 people use the drugs. Others, like Bob Jordan, rarely use  
15 the drug. So we are marching off in two different  
16 directions.

17 DR. DRAKE: We are, and we are going to march  
18 right back down the road here to where we need to be. What  
19 I want to do is I would like to take this opportunity to  
20 welcome two additional experts who have come in late. I  
21 suspect planes were late, if I had to guess; Dr. Merve  
22 Elgart and Dr. Mary Spraker. I would like you to identify  
23 yourselves, which I have just done, but give us your  
24 affiliation, please, for the record.

25 DR. ELGART: I am Dr. Elgart. I am in private

1 practice. I am Clinical Professor of Dermatology at George  
2 Washington University and my plane flew in from Alexandria.  
3 I couldn't find a place to park.

4 DR. DRAKE: Then I have a real question about your  
5 watch.

6 DR. SPRAKER: I am Dr. Mary Spraker. I am a  
7 pediatric dermatologist on the faculty of Emory University  
8 in Atlanta. I came a day early because I wanted to be here  
9 for the Lotrisone discussion because it is of interest to  
10 all of us in pediatric dermatology.

11 DR. DRAKE: Good. Now then, we have three  
12 presentations between now and the noon hour. I don't know  
13 how much time each of you were given, but I know it wasn't  
14 more than fifteen minutes. However, if you can do it in  
15 twelve minutes or so, that would be wonderful. If you  
16 can't, that's fine. We will run late.

17 Yes, Jon?

18 DR. WILKIN: Excuse me, Dr. Drake. We still have  
19 Dr. Luke and Dr. LaGrenade to give the Lotrisone--

20 DR. DRAKE: I can't believe I just did that. Do  
21 you want to fire me?

22 DR. WILKIN: No.

23 DR. DRAKE: I can be fired, you know.

24 DR. WILKIN: We're doing fine.

25 DR. DRAKE: We are not on time and that is why you

1 were trying to get my attention a minute ago. Now we are in  
2 big trouble, you guys, time-wise. That's okay. It's worth  
3 it. Dr. Luke, would you please give us your presentation in  
4 the manner in which you see fit.

5 **NDA 20-010 Lotrisone Lotion**

6 DR. LUKE: Hi. Good morning. I would like to  
7 discuss NDA 20-010, Lotrisone, betamethasone and  
8 clotrimazole, Lotion.

9 [Slide.]

10 Part of the evidence for effect of Lotrisone  
11 Lotion, NDA 20-010, is based on the effectiveness of the  
12 combination of the two active components in Lotrisone Cream,  
13 a different NDA, NDA 18-827, submitted on December 23, 1982  
14 and approved in 1984.

15 Lotrisone Lotion has the same active ingredients  
16 in the same concentrations and the same indication and  
17 dosing regimen as Lotrisone Cream.

18 [Slide.]

19 The development program for Lotrisone Lotion, NDA  
20 20-010, formulation, the same concentration, again, is as  
21 follows: one parallel group comparison of active lotion and  
22 vehicle in tinea pedis. The tinea pedis is considered to be  
23 the higher efficacy hurdle, as Dr. Wilkin had stated  
24 earlier.

25 A parallel group comparison of active lotion and

1 vehicle in tinea cruris. Tinea cruris is the most sensitive  
2 for adverse drug reactions, as was previously stated.  
3 Together, the two trials would allow interpolation for tinea  
4 corporis, a separate indication.

5 In addition, a vasoconstrictor assay to compare  
6 cream and lotion and confirm availability of corticosteroid  
7 was performed.

8 [Slide.]

9 NDA 20-101 for Lotrisone Lotion was originally  
10 submitted to FDA on August 31, 1989. I would like to have  
11 you keep in mind that the studies were done over a decade  
12 ago.

13 [Slide.]

14 Study No. 1 was conducted with Lotrisone Lotion  
15 versus vehicle. This is a two-armed study for Lotrisone  
16 Lotion. 119 patients were included for safety. 93 of those  
17 patients were included for efficacy. The age group of the  
18 patients was ages 12 to 80. Lotrisone Lotion was found more  
19 effective than vehicle in treatment of tinea pedis.

20 [Slide.]

21 Study No. 2 was a study on Lotrisone Lotion versus  
22 vehicle in tinea cruris. This, again, was a two-armed study  
23 for Lotrisone Lotion. 126 patients were included for  
24 safety, 120 patients included for efficacy. The age group  
25 was 16 to 88. Lotrisone Lotion was found more effective



1 than vehicle in the treatment of tinea cruris.

2 [Slide.]

3 Again, from Study 2, 7 of the 63 subjects using  
4 Lotrisone Lotion failed to complete the study all due to  
5 lack of efficacy. 15 of 63 of the vehicle patients failed  
6 to complete the study, again, all due to lack of efficacy.  
7 Two patients in the Lotrisone group and one patient in the  
8 vehicle group experienced dry skin during the study and that  
9 was the major local side effect of the drug.

10 [Slide.]

11 A vasoconstrictor assay was submitted. The date  
12 was 1990. The Lotrisone Lotion, the to-be-marketed product,  
13 from this data, appears to have comparable or lower  
14 blanching scores compared to Lotrisone Cream. No confidence  
15 intervals were provided and no bracketing was done in this  
16 study with the lower strength topical corticosteroid; hence,  
17 the submission of additional vasoconstrictor assay data by  
18 the sponsor.

19 [Slide.]

20 Two additional studies were performed and a  
21 different manner of presentation of the study was given  
22 using a categorization of the subjects upon where they would  
23 fall, whether they would favor Lotrisone Cream, whether no  
24 difference was detected or whether they would favor  
25 Lotrisone Lotion. The nonsignificant McNemar's test exact

1 p-values were shown.

2 [Slide.]

3 To summarize, the combination  
4 antifungal/corticosteroid was shown to be superior to  
5 vehicle for antifungal effect. Vasoconstrictor assays  
6 showed that Lotrisone Lotion was comparable to Lotrisone  
7 Cream for corticosteroid effect. The safety profile was  
8 adequate to allow labeling for the population study.

9 A side note; this was the last NDA for which  
10 vasoconstrictor assays were used to determine inflammatory  
11 effect.

12 [Slide.]

13 Based on information submitted, the agency  
14 determined that Lotrisone Lotion was approvable. An  
15 approvable letter was sent to the Schering Corporation on  
16 July 31, 1991. The issues for response were CFC issues and  
17 a labeling update.

18 [Slide.]

19 On October 7, 1999, Schering Corporation submitted  
20 a response to the approval letter of July 31, 1991. This  
21 and subsequent submissions responded adequately to the  
22 issues brought forth in the 1991 letter.

23 [Slide.]

24 During the interim between 1991 and 1999,  
25 additional information regarding the Lotrisone drug product

1 emerged.

2 [Slide.]

3 The first of these, I would like to propose, is  
4 the Rosen and Elewski paper from 1995 in JAAD which proposed  
5 the failure of clotrimazole betamethasone dipropionate  
6 cream in treatment of *Microsporum canis* infections.

7 [Slide.]

8 In 1996, there was a paper by Dr. Elgart which  
9 appeared in *Dermatologic Clinics* describing the use of  
10 topical corticosteroids in the treatment of unrecognized  
11 dermatophyte infection, *tinea incognita*, which may lead to  
12 non-cure. Additionally, he discussed the use of combination  
13 antifungal corticosteroid drugs.

14 [Slide.]

15 In 1999, Fleischer and Feldman described a  
16 prescription of high-potency corticosteroid agents and  
17 clotrimazole betamethasone dipropionate by pediatricians.

18 At this point, I would like to introduce Dr. Lois  
19 LeGrenade from the Office of Postmarketing Drug Risk  
20 Assessment, or OPDRA for short. She will describe a review  
21 of Lotrisone adverse events as has been reported.

22 **Review of Lotrisone Adverse Events: 1984-1999**

23 DR. LeGRENADÉ: Good morning.

24 [Slide.]

25 I am going to summarize for you the results of a

1 review of Lotrisone adverse events reported to the agency  
2 from the time of approval to 1999.

3 [Slide.]

4 First a little background. Lotrisone Cream was  
5 approved in July of 1984. It is a combination product  
6 consisting of clotrimazole, which is a synthetic antifungal  
7 agent and betamethasone dipropionate which is a high-  
8 potency topical steroid.

9 It is labeled for twice-daily use for two weeks  
10 duration for tinea cruris and tinea corporis and twice daily  
11 use for four weeks duration for tinea pedis.

12 [Slide.]

13 The original 1984 label indicated that it was not  
14 to be used in children under the age of 12. The Precaution  
15 Section of the label, Pediatric Use, contained the statement  
16 that "Safety and efficacy have not been established in  
17 children under 12."

18 In 1991, the label was strengthened with the  
19 addition of the words in the same section, "The use of  
20 Lotrisone in diaper dermatitis is not recommended."

21 [Slide.]

22 The objective of our review was to compile a list  
23 of all the adverse events reported to the agency from the  
24 time of marketing to 1999. Specifically, we were asked to  
25 focus on the following questions; were the adverse events

1 reported associated with the duration of treatment longer  
2 than indicated by the label and were adverse events reported  
3 in the under-12 age group.

4 [Slide.]

5 In order to compile this review, we searched the  
6 agency's Adverse Event Reporting System, AERS for short, for  
7 all adverse events reported to Lotrisone Cream in the time  
8 period under review. We also used commercially available  
9 databases IMS Health and we used two of their databases  
10 which are available to the agency, NPA, National  
11 Prescription Audit. We used this to estimate the total  
12 numbers of prescriptions for Lotrisone over the period, and  
13 NDTI, National Disease and Therapeutic Index, to get the  
14 demographics of the patients for whom these prescriptions  
15 were written and the indications for use.

16 [Slide.]

17 Just the next few slides, I will tell you a little  
18 bit about the databases that we used. AERS is a  
19 computerized passive surveillance system into which all our  
20 adverse events reported to any drugs in the agency are  
21 entered. It is a spontaneous system and that means that the  
22 agency does not go out and solicit, actively solicit,  
23 adverse-event reports, but we rely on health-care  
24 practitioners, physicians, nurses, pharmacists and  
25 consumers, themselves, to report adverse events to us in the

1 agency either directly or through the company responsible for  
2 the drug. It contains all adverse events reported from 1969  
3 until the present time.

4 [Slide.]

5 The strengths of AERS is that it is a cost-  
6 effective method of detecting rare adverse events. Its  
7 limitations are that it is not comprehensive. There is  
8 substantial underreporting and it varies depending on the  
9 type of adverse event, the seriousness of the adverse event,  
10 and whether it is a labeled or unlabeled adverse event.

11 [Slide.]

12 It also has limitations in the fact that  
13 individual case reports are not always complete. For  
14 example, we may lack information in the age of the case or  
15 on the gender of the case and other information may be  
16 missing from each report.

17 [Slide.]

18 The IMS data that we have available or that we  
19 used for this review, National Disease and Therapeutic  
20 Index, or NDTI. It is an ongoing survey of treatment  
21 practices and diseases at patient visits to office-based  
22 medical practices in the Continental United States. From  
23 that we got the demographics of the cases and indications  
24 for use.

25 The National Prescription Audit, or NPA, provides

1 estimates of the total numbers of prescriptions written for  
2 drugs in the United States. Its basis is an audit of retail  
3 pharmacies including chain, independent, food stores and  
4 mail-order pharmacies.

5 [Slide.]

6 Now to the results. The total number of adverse  
7 events reported to the agency in association with Lotrisone  
8 Cream use was 786. The total number of cases was 330. The  
9 reason why the number of events exceeds the number of cases  
10 is because it is possible, and, indeed, it often happens,  
11 that a case may have more than one adverse event reported.

12 For those cases for whom we had information on  
13 gender, there were 151 females and 126 males. This slide  
14 shows the number of Lotrisone adverse events reported by  
15 year. You can see that there is a gradual rise from the  
16 time of marketing until the present time, then a decline  
17 until the present time, with a peak in 1991 and again in  
18 1994.

19 [Slide.]

20 This slide shows the top ten adverse events  
21 reported with Lotrisone. The first three are more or less  
22 common to nearly all topical drugs reported to the agency.  
23 What I want you to focus on are that skin atrophy and skin  
24 striae are both in the top ten, and these are permanent  
25 conditions once they develop.

1 [Slide.]

2 This slide shows the distribution of cases by age,  
3 gender and duration of treatment. But first I want to  
4 explain how we defined our age categories. For example, in  
5 the first category, 0 to 1, 1 includes all cases who have  
6 not reached their second birthday and is similarly applied  
7 down the categories.

8 We see that, in the first three categories, in the  
9 0 to 1 age group, there were twelve cases of adverse events:  
10 2 to 6, 13; 7 to 12, 19; and, in all, in the 0 to 12 age  
11 group, there were 25 percent of all adverse events reported  
12 to the agency for Lotrisone Cream.

13 Additionally, we see on the far-right column that  
14 58 percent of patients in the 0 to 1 age group were treated  
15 for longer than two weeks, 38 percent in the 2 to 6, and  
16 32 percent in the 7 to 12 age group.

17 [Slide.]

18 Looking at the pediatric adverse events by age  
19 group, in the 0 to 1 age group, there were nine patients  
20 treated for diaper dermatitis, nine of the twelve, making  
21 75 percent. 16.5 weeks was the mean duration of therapy.  
22 The median was six weeks and the range was 1 to 80 weeks.  
23 Seven of the nine cases of diaper dermatitis had a duration  
24 of therapy in excess of 2 weeks.

25 [Slide.]



1           Also in the 0 to 1 age group, we had one case of  
2 growth retardation. A male infant, aged 1 year and 4  
3 months. The indication for the prescription was diaper  
4 dermatitis and he was treated for 27 weeks.

5           [Slide.]

6           There were three cases of skin atrophy in the 0 to  
7 1 age group as well. Two of them were female. All had, as  
8 the indication for prescription, diaper dermatitis and all  
9 were treated for longer than 2 weeks and one extreme case,  
10 80 weeks.

11          [Slide.]

12          There was also one case of benign intracranial  
13 hypertension in this age group, a five-month-old infant, for  
14 whom other information was not forthcoming.

15          [Slide.]

16          In the 2 to 12 age group, there were 32 cases with  
17 an equal gender distribution. 61 percent of these patients  
18 were treated for longer than two weeks with a mean of  
19 26 weeks, a median of 6 weeks and a range from 1 day to  
20 156 weeks.

21          [Slide.]

22          The indications for treatment in this age group,  
23 the top three were tinea faciei, tinea corporis and diaper  
24 dermatitis.

25          [Slide.]

1 In the 2 to 12 age group, the most frequent  
2 adverse events were similar to that for the overall group.  
3 We also see that there were three cases of atrophy of the  
4 skin, two in patients who had tinea faciei and, in both of  
5 these patients, the duration of treatment was longer than  
6 two weeks being 4 and 13 weeks respectively.

7 [Slide.]

8 We also had clinically serious adverse events in  
9 adults. We had three patients with Cushing's syndrome. We  
10 only had clinical information on one patient who was a 38-  
11 year-old female who was prescribed Lotrisone for a yeast  
12 infection and whose duration of therapy was 5 years.

13 [Slide.]

14 Now we turn to the usage patterns which we got  
15 from IMS Health Data. I must say, at this point, that we  
16 are using this at this presentation with permission from IMS  
17 Health.

18 [Slide.]

19 The computerized NPA records only go back as far  
20 as 1993. We can see that between the years 1993 and 1999,  
21 the estimated total number of prescriptions for Lotrisone  
22 Cream rose from 4.3 million gradually to 5.1 million in  
23 1999.

24 DR. DRAKE: May I interrupt you for just one  
25 moment. What is IMS Health?

1 DR. LeGRENADÉ: IMS Health is a data vendor. It  
2 used to stand for something but it no longer--the name is  
3 now IMS Health.

4 DR. DRAKE: Okay; so it is just a data bank.

5 DR. LeGRENADÉ: Yes; it is just a data vendor.

6 DR. DRAKE: Thank you.

7 [Slide.]

8 DR. LeGRENADÉ: This slide shows the total  
9 Lotrisone prescriptions by age. This we got from the NPA  
10 data. We can see that 6.5 percent of all prescriptions  
11 written in the time period were for patients in the 0 to 1  
12 age group, 6.8 in the 2 to 6 and 6.4 in the 7 to 12 age  
13 group--

14 [Slide.]

15 --making for a total of nearly 20 percent of all  
16 prescriptions written in the time period in the United  
17 States being written for children under the age of 12.

18 [Slide.]

19 This slide and the next few will show the top five  
20 diagnoses for which prescriptions of Lotrisone Cream were  
21 written. For the non-physicians present, ICD-9 stands for  
22 the ninth revision of the International Classification of  
23 Diseases which is a numerical coding system which  
24 facilitates the tracking of morbidity and mortality data over  
25 time and worldwide.

1 We can see from this data that prescriptions were  
2 written in the 0 to 1 age group for candidiasis and diaper  
3 dermatitis in the top five in the 0 to 1 age group.

4 [Slide.]

5 In the 2 to 6 age group, the top five  
6 prescriptions also included diaper dermatitis.

7 [Slide.]

8 In the 7 to 12 age group, these are the top five  
9 conditions. They include non-fungal infections of alopecia  
10 and pityriasis rosea.

11 [Slide.]

12 So we concluded from this review that Lotrisone  
13 Cream is widely used off-label. It is used in children  
14 younger than 12 years old. It is used for diaper  
15 dermatitis. And it used for longer than two weeks. Serious  
16 adverse events have been reported in association with this  
17 off-label use and I must point out here that the actual  
18 numbers of events are likely to be much higher because of  
19 the substantial underreporting that I mentioned earlier.

20 Thank you.

21 DR. DRAKE: Very nice.

22 Markham, do you have more?

23 **Recap**

24 DR. LUKE: That would start on Slide 17.

25 [Slide.]

1 I would like to recall what the agency has  
2 discussed. Our indication for Lotrisone Lotion needs  
3 clarification. We would like the committee to discuss what  
4 would be an appropriate indication for this NDA. We have  
5 put on the table inflamed tinea versus all tinea.

6 Additionally, we would like to address the issue  
7 of *Microsporum canis* indication which the sponsor has  
8 proposed and I understand will be discussed. Additionally,  
9 we would like to discuss means for addressing off-label use  
10 of the Lotrisone Lotion and, perhaps, the Lotrisone Cream  
11 product as Dr. LeGrenade has discussed.

12 Specifically, we would like to discuss pediatric  
13 use, diaper dermatitis and duration of use.

14 [Slide.]

15 I would like to show you what the applicant has  
16 proposed as a Pediatric Use Section for Lotrisone Lotion,  
17 NDA 20-010. Pediatric use: Lotrisone Lotion is not  
18 recommended for use in children under 12 years of age as the  
19 safety and effectiveness have not been established in well-  
20 controlled clinical studies in pediatric patients under  
21 12 years; it is not to be used for diaper dermatitis. It is  
22 not to be used under the age of 12 and not to be used in  
23 diaper dermatitis.

24 I understand we have guest speakers.

25 DR. DRAKE: Let me make sure I have my agenda

1 correct. We will go through the whole presentation and we  
2 will do the questions last. Is that satisfactory? Fine.

3 I think we have time to at least start on our  
4 invited presentations. We are actually fine on time. We  
5 are okay because there is some time this afternoon which is  
6 not committed that we can commit. But I do believe we have  
7 time to at least do one of the presentations before lunch.

8 Dr. Rosen, about how long is your presentation?

9 DR. ROSEN: It is an hour but, for you, I will do  
10 it in fifteen minute.

11 DR. DRAKE: You are a wonderful human being. How  
12 would you like to go right now

13 DR. ROSEN: Let's do it.

14 **Presentations - Invited Experts**

15 **Perspectives on Topical Antifungal Therapy**

16 DR. ROSEN: Good morning.

17 [Slide.]

18 Dr. Wilkin asked me to give an academician-  
19 clinician view of the perspective of combination antifungal  
20 agents. I want to make a few key points. I have to lay  
21 some predicates for that. I will try and do this very  
22 quickly. I have already told you where I am from.

23 [Slide.]

24 Of course, we are talking about superficial  
25 infections. We are not talking about anything other than

1 that. And we are primarily talking about dermatophytosis  
2 because that is what the indication is. While I agree with  
3 Dr. Rosenberg's comments that there may be some ambivalent,  
4 ambiguous cases and we might need a nice, safe drug for  
5 those cases, in fact, what we are talking about is a drug  
6 that is approved and indicated for dermatophytosis.

7 [Slide.]

8 I do want to point out that there are different  
9 dermatophytes. There are those that primarily affect  
10 humans, anthropophilic, those that primarily affect animals,  
11 zoophilic, and some that primarily are found in plants and  
12 soil, the geophilic. They are not all equivalent. Just  
13 like spokes in a bicycle, they are not the same.

14 [Slide.]

15 I also want to point out that we are talking about  
16 different tineas. When we were talking about the studies  
17 where tinea pedis is sort of the gold standard, and I thank  
18 Dr. Bergfeld for disagreeing with me and pointing out that  
19 the risk may be low on the feet, in point of fact, we are  
20 also talking about an agent that is approved for tinea  
21 elsewhere. That includes in the groin and on the body.

22 I would like to further point out that some of  
23 these are quite chronic, in fact. Tinea cruris may be  
24 recurrent over many years or even an entire lifetime and  
25 that chronicity and that recurrence leads to the inescapable

1 possibility of repetitive clinical use despite the labeling  
2 being for short-term use as in two weeks.

3           If the patient has a tube and they have recurrence  
4 of tinea cruris, crotch rot for those who are not  
5 dermatologist or physicians, they will see fit, I am  
6 certain, to use the drug over and over again. So we are  
7 talking about apples and oranges. Tinea pedis is not the  
8 same thing, athlete's foot, as fungal infections elsewhere.

9           [Slide.]

10           And then we have talked a little bit about finding  
11 fungi. And for everything that is fungal, there is  
12 something that looks an awful lot like it that isn't fungus.  
13 I am of the opinion that people should try and find fungus  
14 and that there are ways to find fungus.

15           [Slide.]

16           I am also of the opinion that most clinicians who  
17 are adequately trained can find fungus, whether it is by KOH  
18 or culture or other methodology. There are some cases, and  
19 this has already been discussed by the panel, and probably  
20 deserves additional discussion and maybe an entire other  
21 session, where one, despite repeated efforts and diligent  
22 and proper efforts, cannot find fungus.

23           By the same token, the fact that a drug may be  
24 used, not approved but used, in a "shotgun" fashion then  
25 sends a message that, perhaps, these endeavors are not



at

1 worthwhile at all to which I disagree. I think the  
2 proposition should be that individuals, regardless of their  
3 specialty and that includes primary care, should be trained  
4 to adequately use the appropriate one of these techniques to  
5 find fungi and to know what they are treating.

6 [Slide.]

7 Granted, there are some that we can't find but I  
8 think an effort should be made in every case and that using  
9 a "shotgun" method of therapy really discourages appropriate  
10 investigation.

11 Now, granted, if something is fungal and that is  
12 what we are talking about, a drug that is approved for  
13 fungal infection, one has to make a choice of therapy.  
14 There are various factors that enter into that including the  
15 site of infection, the likely pathogen which we will get  
16 back to, what are the risks, the age and health of the  
17 patient.

18 [Slide.]

19 There are two basic possibilities in algorithmic  
20 approach, either a topical or a systemic drug.

21 [Slide.]

22 For some conditions, a systemic drug really is the  
23 drug of choice for most nail infections, for tinea capitis,  
24 for, of course, the deep or systemic fungal infections that  
25 involve the viscera and, possibly, for extremely extensive

at

1 superficial fungal infections. What is extensive enough to  
2 warrant systemic therapy versus topical therapy I think  
3 could be defined by the physician. And then, for most  
4 localized infections, topical therapy is certainly an  
5 adequate form of treatment.

6 [Slide.]

7 It would be nice to avoid the potential for side  
8 effects, which I think are greater with oral medications, if  
9 topical medication is used. And so you avoid the potential  
10 for drug-drug interactions and serious adverse reactions  
11 such as hepatotoxicity, hematologic toxicity, taste  
12 disturbance, skin rashes and so forth with systemic agents.

13 [Slide.]

14 I think it is important that we understand that  
15 antifungal agents--just as diseases are not the same,  
16 antifungal agents are not the same--and that clotrimazole is  
17 not the same thing as econazole which is not the same thing  
18 as naftafine or butenafine or cyclic peroxolamine.

19 They are different based on pharmacokinetics and  
20 pharmacodynamics. Basically, what happens when you rub that  
21 cream on, where does it go, how long does it bind, how is it  
22 ultimately metabolized and excreted and what does it best  
23 work for, what is the spectrum of antifungal activity.  
24 These do impact upon even topical agents.

25 [Slide.]

1 I have listed all of these different aspects of  
2 topical antifungal mechanisms. Each one of them has some  
3 bearing on the use and the efficacy of the drug. I am going  
4 to go through them very quickly, but I am going to come back  
5 to them at the very end.

6 For example, the various agents that we now have  
7 available, and I do think we need to keep in mind that 1984,  
8 when the drug Lotrisone was first approved, is not 2000. We  
9 now have a large number of classes of drugs. In 1984, all  
10 we had were the azole, the imidazole agents. We now have  
11 allylamine, benzylamine, hydroxyperidone and there are  
12 several other entirely new classes of antifungal drugs that  
13 are being worked on.

14 They all have a different mechanism of action.  
15 While the end result resolution of the fungal disease is the  
16 same, the different mechanisms of action impact upon other  
17 properties of these drugs and impact on which organisms the  
18 various classifications of drugs work best upon.

19 Also, the impact on a laboratory granted property  
20 of the drugs whether they are fungistatic or fungicidal and  
21 without going into great length as to how that is  
22 determined, it is a laboratory technique.

23 [Slide.]

24 Ultimately, the difference between fungistatic and  
25 fungicidal may not alter the ultimate results but, in fact,

at

1 fungicidal activity, those that have been demonstrated in  
2 vitro in a test tube to be fungicidal are generally  
3 associated with more rapid resolution.

4 [Slide.]

5 Some drugs, antifungal drugs, bind to keratin, the  
6 outermost dead portion of the skin, more than others do, and  
7 is that important? Again, keratin binding, like fungistatic  
8 or fungicidal, does not materially alter the ultimate result  
9 that can be obtained but it is associated with reduced  
10 frequency of application in a shorter duration of therapy.

11 As you notice, Lotrisone is approved for four  
12 weeks for tinea pedis where some of the newer agents can be  
13 used for as short a duration as one week based, in part,  
14 because they bind to the site and can be used for shorter  
15 periods of time. Ultimately, the resolution may be the same  
16 but there is a difference.

17 [Slide.]

18 In vitro assays are very difficult to compare  
19 because there are varying techniques, varying methodologies,  
20 various parameters that are set up but basically, within any  
21 given technique, all the publications pretty much agree that  
22 for the dermatophytes, the subject of this discussion,  
23 butenafine, terbinafine and naftifine generally have lower  
24 minimal inhibitory concentrations than the azoles.

25 Does that mean that the azoles won't work? Of

1 course, it does not. But what it does mean is that, in some  
2 situations, this may be a disadvantage. Again, we will get  
3 back to that.

4 [Slide.]

5 To show you some quantitative data derived from a  
6 variety of publications, and there is a degree of variation,  
7 again based upon the technique that was used to determine in  
8 vitro minimal inhibitory concentrations which do not always  
9 correlate with clinical use, but you can clearly see that  
10 the minimal inhibitory concentration for virtually all the  
11 imidazoles are higher than some of the newer agents when it  
12 comes to dermatophytes.

13 [Slide.]

14 The opposite is true when it comes to Candida  
15 albicans.

16 [Slide.]

17 Again, a lower MIC does not improve the achievable  
18 ultimate results but those agents for which a given organism  
19 is used that have a lower minimal inhibitory concentration  
20 generally will have more rapid symptomatic relief and  
21 shorter overall courses of therapy. These are based on  
22 comparison studies, head-to-head comparison studies where  
23 the azoles have been compared to some of the more new  
24 agents.

25 Also, the lower MIC does become important not so

1 much for the anthropophilic fungi like *Trichophyton rubrum*  
2 or even many cases of *Trichophyton mentagrophytes*, but it  
3 does become important for those organisms where the MIC is  
4 at the absolute upper end of those MICs that have been  
5 measured, mostly zoophilic and geophilic fungi, particularly  
6 zoophilic, those that are acquired from animals.

7 [Slide.]

8 So this brings me, with that predicate, to steroid  
9 antifungal combination. I think the rationales for use  
10 would be to treat both fungal infection and some form of  
11 eczema or red scaling spots, as some of you have called  
12 this, when the diagnosis is uncertain.

13 The steroid component, in theory, should aid in  
14 symptomatic resolution even if the disease is known to be  
15 fungal in nature. These would be the two rationales for use  
16 of a steroid antifungal combination understanding that the  
17 first rationale listed is not the one for which the drug  
18 under discussion is indicated nor approved.

19 There is no indication nor approval for use of a  
20 combination agent for red scaly spots whose etiology is  
21 unknown. I think that is a very good issue that has been  
22 brought up and really warrants an entirely separate  
23 discussion and, perhaps, a charge to the sponsor if they  
24 should choose to seek that sort of indication.

25 But, really, what we are talking about is the

1 second one where the steroid should aid in the symptomatic  
2 resolution when a disorder is known to be fungal.

3 [Slide.]

4 I have already said my prejudice, and I admit it,  
5 that using this, in fact, to me discourages very careful  
6 medical care because it says, "Use a combination. It  
7 doesn't matter what it is; it should take care of it." I am  
8 of the opinion that our primary-care physicians as well as  
9 dermatologists should be capable of looking for fungi and  
10 knowing what they are treating.

11 There are potential side effects. Those have  
12 already been mentioned. I will show, I think, one more  
13 slide along those lines. But the other important point I  
14 want to make, based upon MICs, based upon fungistatic versus  
15 fungicidal activity based upon keratin binding and mechanism  
16 of action, all of those properties, at least the steroid  
17 antifungal combination agent under discussion today, which  
18 may or may not apply to other agents with different  
19 antifungals because they are not all the same, may not work  
20 in those infections acquired from animals.

21 [Slide.]

22 We have already been told about some of the  
23 potential for steroid-related adverse events. Again, I  
24 agree that the likelihood on the foot is small, but do bear  
25 in mind the chronicity of use for recurrences if patients

1 have fungal infection involving the groin which may actually  
2 originate from the foot and even from the nails. The  
3 patient who has an agent that has worked once will use it  
4 again without their physician's supervision.

5 That is a proviso. It is a given. It is common  
6 sense and it happens. So one has to be concerned about  
7 things like atrophy and striae in the groin. That is in  
8 adults and perhaps even more so in children.

9 I agree, again, a very elegant discussion about  
10 adverse events seriously underestimate the number of adverse  
11 events because this is a voluntary reporting system and not  
12 everybody is going to report every adverse event.

13 [Slide.]

14 I also would like to point this out, that in the  
15 Year 2000, it is not the same as 1984, that some of the  
16 current antifungal agents have inherent antiinflammatory  
17 properties.

18 [Slide.]

19 Without, again going into detail, and for time,  
20 suffice it to say that we have investigated this in an  
21 in vivo system in human beings--

22 [Slide.]

23 --based upon the similarity of inflammatory  
24 mediators induced by ultraviolet exposure and fungal  
25 infection.



1 [Slide.]

2 This is just an example. You can see some red  
3 dots and some minor red dots and no red dots.

4 [Slide.]

5 It is one of the patients in our studies which  
6 showed that, of the antifungal agents as they are currently  
7 available for prescription--i.e., out of the tube, the  
8 entire preparation--that, based upon measuring reduction in  
9 erythema and inflammation, some of the newer agents are  
10 actually quite inflammatory in this in vivo system in human  
11 beings.

12 There have also been in vitro investigations and  
13 in vivo investigations in animals that support this data in  
14 human beings. They are all very parallel. My point in  
15 showing this is that if one of the predicates for using a  
16 steroid antifungal combination is that the steroid component  
17 is important for reducing the inflammatory portion of a  
18 fungal infection, that, in point of fact, some of the  
19 currently available antifungals are quite good at reducing  
20 at inflammation by themselves.

21 You have a protocol which you agreed to, at least  
22 in theory, that may allow a sponsor to claim that  
23 inflammatory property, but it has already been shown in an  
24 informal, small study on human beings.

25 [Slide.]

1           There are the references for that particular work.

2           [Slide.]

3           Here is the bottom line and towards the end of my  
4 discussion. Because the current steroid antifungal product  
5 under discussion utilizes an antifungal agent that has a  
6 high MIC for some organisms, particularly the zoophilic  
7 ones, has a low keratin binding, is fungistatic rather than  
8 fungicidal, there is a theoretical risk. That is why there  
9 may be some failures or some worsening of infection.

10           You have been shown data to the effect that that  
11 can happen on occasion. The reality is that most of the  
12 time, this is not a clinical issue but it is an issue when  
13 certain organisms are involved.

14           [Slide.]

15           That relates to some of the patients that we  
16 reported in the paper that you have been given in advance.  
17 An individual who was diligently applying a steroid  
18 antifungal combination to tinea of her hand acquired from  
19 her new kitten. By the way, I am not anti-kitten. I have  
20 two cats, so I like them. But this is what she was applying  
21 diligently, twice daily and her disorder getting worse  
22 instead of better.

23           [Slide.]

24           In one week of applying a different antifungal  
25 agent which is keratin-binding, inflammatory and a lower MIC

1 for *Microsporum canis*, which is what she cultured, she was  
2 clear.

3 [Slide.]

4 Another patient who was diligently applying the  
5 steroid antifungal combination in consideration today who  
6 acquired *Microsporum canis* infection from a kitten that his  
7 wife had purchased who slept in the bed with them mostly on  
8 him. He cleared when this combination agent was stopped.

9 There are several other cases in the paper that  
10 you have.

11 [Slide.]

12 The question might be put, well, why should this  
13 happen at all, because if you do in vitro testing, while  
14 there is a high MIC for *Microsporum canis* with clotrimazole,  
15 in point of fact, it is still within achievable ranges. My  
16 suggestion is that it may be either. It is at the upper  
17 limits and, therefore, this is a marginally effective  
18 antifungal for this kind of organism, or the steroid  
19 component suppresses several of the five or six innate  
20 defense mechanisms that we use against fungal infection and  
21 that may impair the person's own contribution to clearing  
22 the infection or some as yet undetermined combination of  
23 both of those events leading to failure with *Microsporum*  
24 *canis* infections, which I have reported and have seen more  
25 than just the cases reported.

1 But it is of some concern to me and I think it is  
2 based on the pharmacokinetics and pharmacodynamics of the  
3 antifungal agent in this combination plus the steroid.

4 [Slide.]

5 We also know that steroid alone--you have  
6 mentioned using a steroid-alone arm and chosen or  
7 recommended that that should be used. Just keep in mind  
8 that if you have a patient who has a known fungal infection  
9 for which they are applying steroid alone, that that may be  
10 responsible for exacerbation of their disease.

11 [Slide.]

12 While that may not be a serious problem on the  
13 foot, it may, certainly, be in the face, in the groin or in  
14 the trunk--

15 [Slide.]

16 --as this patient who was diligently applying a  
17 steroid given her for the diagnosis of eczema and her fungal  
18 infection was ever increasing.

19 So we know that steroids, by themselves, while  
20 they may relieve some symptoms, ultimately may exacerbate  
21 the disorder. You will hear more about that from one of the  
22 other speakers.

23 We know that if you combine a high-potency steroid  
24 with what I would consider to be a lower-potency antifungal  
25 agent under certain conditions, like with zoophilic fungi,

1 there are some potential problems.

2 [Slide.]

3 Of course, everybody is concerned about cost. The  
4 highest cost of a drug is one that either doesn't work for  
5 what it is given for or given for the wrong diagnosis. I am  
6 particularly concerned about failure to achieve the goal of  
7 therapy with a steroid antifungal combination when the  
8 fungal infection is with an organism where there may be  
9 problems with that agent.

10 [Slide.]

11 So, in conclusion, I think that there are  
12 potential for adverse events. There is clearly use beyond  
13 the label, both for age and indication. Whether that is  
14 justifiable for indication is an entirely different  
15 discussion.

16 There are cases which have exacerbated. There are  
17 cases which have failed to achieve the goal for which the  
18 drug was given which have been summarized for you from the  
19 agency and for which I have shown you several examples and  
20 published additional ones.

21 I think that information should be considered when  
22 you take up the issue of labeling for this extension of the  
23 product line.

24 I apologize for the fact that my presentation is  
25 not before you. I tried six different ways to send it.

1 Maybe that is another federal hearing. It kept bouncing  
2 back from the FDA, "user unknown." I tried to send it to  
3 Mr. Henriquez.

4 But thank you very much for your kind attention.  
5 I hope those remarks will be helpful.

6 DR. DRAKE: Ted, those were very helpful.

7 It is past the lunch hour, significant so. So I  
8 think we will recess. We will reconvene promptly at  
9 1 o'clock. See you then.

10 [Whereupon, at 12:15 p.m., the proceedings were  
11 recessed to be resumed at 1 o'clock p.m.]

## 1 A F T E R N O O N P R O C E E D I N G S

2 [1:05 p.m.]

3 DR. DRAKE: I am going to reconvene our meeting.  
4 The same rules as outlined earlier are in place.5 I want to do one thing before we have our next two  
6 presentations. Posted in the agenda at 1 o'clock was the  
7 Open Public Hearing section of this meeting.8 **Open Public Hearing**9 DR. DRAKE: We had no requests in advance for time  
10 at the mike. But I do want to make that opportunity  
11 available if anyone has a comment that they would like to  
12 make at the mike from the audience.13 Seeing none, then I think we shall proceed, then,  
14 with our presentations. We have two; Dr. Elgart and Dr.  
15 Feldman. Dr. Elgart, would you please proceed?16 **Presentations - Invited Experts (Continued)**17 **Tinea Incognito and Strong Topical Steroids**

18 DR. ELGART: Hi. I am Dr. Elgart.

19 [Slide.]

20 I am going to review a little bit a paper which, I  
21 think, has now been placed in front of most of you that I  
22 wrote on tinea incognito. At the time I wrote it, I was at  
23 George Washington University. I am now in private practice.

24 [Slide.]

25 The paper talks a lot about Majocchi's granuloma.

1 Majocchi's granuloma is really an infection by a  
2 dermatophyte; in other words, a fungus that is a superficial  
3 type of fungus which loses its way and gets down deeper into  
4 the skin. Most of the dermatophyte infections are within  
5 the keratin portion of the epidermis, but, in these  
6 instances, the fungi get down, usually down a hair follicle,  
7 then rupture the hair follicle and produce an inflammatory  
8 response down in the deeper epidermis and, indeed, in the  
9 dermis.

10 It usually produces a granulomatous response. By  
11 this definition, I guess you would have to include not only  
12 the dermatophyte infections but, also, Pityrosporum  
13 folliculitis you might consider a form of this disease.

14 [Slide.]

15 Dominico Majocchi was the first great Italian  
16 dermatologist. In 1883, he described a strange kind of  
17 fungus infection in the groin. This was in the groin of  
18 fairly large Italian men built something like me who had a  
19 large panniculus and the panniculus folded over the groin,  
20 to some extent.

21 So, when they would get a dermatophyte in that  
22 area, there was no time at which that dermatophyte was  
23 exposed to the air because they were sitting and it was  
24 always macerated. Because of this, the fungus was able to  
25 grow down into the follicles and produce a more inflammatory



1 disease.

2 This was a curiosity until 1954. J. Walter  
3 Wilson, who wrote a wonderful textbook of mycology, gave a  
4 paper when he was initiated into the ADA on Majocchi's  
5 granuloma. It turned out that the Majocchi's granuloma that  
6 he was seeing was in young women who were shaving their  
7 legs. They would start with the razor at their ankles and  
8 work their way up.

9 Those who had fungus infections on their feet  
10 would pick up bits of fungus on the razor and then plant  
11 them along the way, producing a fungal folliculitis because  
12 of the trauma produced by the razor.

13 [Slide.]

14 Here are some photographs of that type of disease.  
15 You can see small papular lesions--

16 [Slide.]

17 --and, in one instance here, pustular lesions from  
18 what had been a fungus infection that was on the surface and  
19 showed only the scaly lesions and now is developing more  
20 pustular problems.

21 [Slide.]

22 Here is a biopsy of one of those to show a  
23 follicle in the center with this epidermis and dermis there--  
24 -a follicle in the center and then inflammatory reaction  
25 around it.

1 [Slide.]

2 When you get down a little deeper, you can find  
3 inflammatory responses, not a granuloma but there is a  
4 fungal element in the center and there are polys around the  
5 edge.

6 [Slide.]

7 The fungus, in this instance, was Trichophyton  
8 rubrum. There are a fluffy top--

9 [Slide.]

10 --and the red backing and the birds-on-a-wire  
11 microconidia that one sees in Trichophyton rubrum. But you  
12 can get this from any of the dermatophyte infections given  
13 the right sequence of events.

14 [Slide.]

15 Okay; we know that in Italy, in the late 19th  
16 Century, this could be caused by occlusion and in America,  
17 in the mid 20th Century, this could be caused by trauma and  
18 shaving. What causes Majocchi's granuloma in the Year 2000?

19 There are two things, immunocompromised patients,  
20 particularly, and in immunocompromised patients, they can  
21 have AIDs, they can have transplants, they can be  
22 immunocompromised because of medications, cancer  
23 chemotherapy, oral steroids, azathioprine. These are all  
24 patients who, before, say, 1980 probably would have died  
25 because we didn't have the ability to keep them alive.

1 But now we have people whose immune response to  
2 this organism is not the same and, therefore, the organism  
3 is able to penetrate down deeper and cause some problems.

4 But the other cause that I see of Majocchi's  
5 granuloma is topical steroids. Class 1 and Class 2 topical  
6 steroids place on dermatophyte infections can induce the  
7 penetration of the fungus down into the follicle to produce  
8 this disease.

9 [Slide.]

10 Here is just such a case. There was an annular  
11 lesion. You can just see the outlines of the pigmentation.  
12 The Lotrisone was used. The lesion disappeared and then it  
13 keeps coming back in this one place. They keep putting the  
14 Lotrisone on. The surface disappears. In other words, the  
15 scaling on the surface, or, really, the dermatophyte on the  
16 surface disappears, but there is still dermatophyte down  
17 deep where the clotrimazole, the Lotrisone, can't penetrate  
18 and so it keeps coming back because it can't be reached.

19 [Slide.]

20 Majocchi's granuloma, you also have to  
21 Pityrosporum folliculitis, is probably part of this same  
22 picture. Pityrosporum has gotten more complicated because  
23 there has been work to separate the Pityrosporum that we  
24 used to think of as two organisms into, now, seven  
25 organisms. It is uncertain whether one species is

1 responsible for more of this than the others.

2 [Slide.]

3 But Pityrosporum folliculitis is certainly seen,  
4 particular in AIDs patients, and it shows up as usually  
5 noninflammatory follicular prominence lesions that look like  
6 this.

7 [Slide.]

8 Microscopically, one can see the Pityrosporum  
9 yeast--these are yeast and not filamentous fungi--you can  
10 see the Gram-positive budding yeast within the follicle.  
11 Sometimes they rupture and sometimes they can cause  
12 inflammation.

13 [Slide.]

14 Ofuji's syndrome is called eosinophilic  
15 folliculitis and it is another kind of folliculitis  
16 associated with immune-compromised patients. In most  
17 instances, the etiology of Ofuji's syndrome is unknown, but  
18 occasionally in Ofuji's syndrome, a fungus has occasionally  
19 been demonstrated.

20 [Slide.]

21 Indeed, some--this is a Gomori methenamine silver  
22 stain showing fungal elements in one of those patients. Of  
23 course, these patients do respond to systemic antifungals.

24 [Slide.]

25 Getting back to the Majocchi's granuloma that I am