

1 inconclusive and conflicting. I don't think this is by
2 design.

3 Let me take this second point first, the lack
4 of rigorously collected data, and say when these drugs are
5 being developed there's very rarely, if ever, an a priori
6 suspicion that the drug might cause depression. So, it's
7 not rigorously looked for. Usually this comes up
8 postmarketing, and they're picked up as case reports by
9 clinicians, as we've heard Dr. Byrne, just previous to my
10 talk, noticed some cases in which he thought there might be
11 an association.

12 When trials are done, they tend to be
13 retrospective and observational rather than prospective,
14 randomized and controlled, which are the type that are
15 generally relied upon to make more definitive statements
16 about causal links. Case reports again might be considered
17 a signal-generating mechanism.

18 Studies that are done rarely employ established
19 observer-based rating scales. I'd like to contrast that
20 with the lack of any rating scale with spontaneous reports
21 might be used in some studies. As opposed to observer-
22 based, they're self-report scales. An example of that is
23 the Beck Depression Inventory, which was used in one of the
24 studies mentioned earlier this morning.

25 Self-report instruments are usually regarded as

1 sensitive and certainly easy to use and user-friendly, but
2 they're not regarded as being that specific and can give
3 some false positives which can be good and it can be bad.
4 The low specificity can give you a high rate of noise,
5 which can be confusing. The false negatives can just
6 obscure any possible signal-to-noise ratio.

7 Here's a list of drugs implicated in
8 depression. I want to emphasize the word "implicated." I
9 don't think any of these have been proven to cause
10 depression for the reasons that I mentioned before. These
11 were picked up postmarketing again and the lack of rigorous
12 studies. Several of these surface again in the literature,
13 but as you dig into the literature, you'll frequently find
14 that they ultimately rest upon case reports and you'll find
15 even different conclusions on that, I believe as Dr. Jacobs
16 mentioned, even for beta-blockers which are on the list
17 here. None of these are rock-solid. There are reports on
18 either side.

19 Corticosteroids, ACTH, sedative-hypnotics,
20 alcohol, L-dopa, anticancer drugs, oral contraceptives, the
21 antihypertensives mentioned before, and reserpine, which is
22 no longer on the market, but it's the observation of
23 association between reserpine and depression. Whether it
24 was real or not is another matter. But the observation of
25 a possible association led to the implication of

1 norepinephrine in depression and subsequently led to
2 further research into the possible role of norepinephrine
3 in depression. Now we know that even some of our older
4 antidepressants, such as desipramine, act on
5 norepinephrine, desipramine being a potent norepinephrine
6 reuptake inhibitor.

7 So, not is all bad when we see an association
8 between drug and some adverse event. In the case of
9 depression, it might lead to further research and might
10 clue us into the pathophysiology of it and how it might be
11 treated.

12 And interferon is last on the list.

13 This is my next-to-the-last slide, although it
14 says "summary." So, many drugs with various mechanisms,
15 such as those shown on the last slide, have been
16 implicated. In theory any drug that can cross the blood-
17 brain barrier and bind brain receptors can affect the
18 brain, can possibly in theory.

19 However, there are few rigorous studies of
20 drug-induced depression. So, anything is possible but it's
21 another matter to really rule something in or rule
22 something out.

23 There's a lack of consensus on causality and
24 the scope of the problem, the problem being drug-induced
25 depression again.

1 This is my last slide. So, depression is
2 common but under-recognized, making it difficult to make
3 definitive statements based upon any signals we might see.

4 Depression may be especially under-recognized
5 in adolescents.

6 Suicide is a leading cause of death, especially
7 among adolescents. Again, it was number three.

8 And the spontaneous reporting system or the
9 Adverse Event Reporting System, or AERS, referred to
10 earlier, underestimates the number of adolescents with
11 drug-induced depression for various reasons. We know so
12 little about drug-induced depression. Adolescents might be
13 especially under-reported and depression in general is
14 certainly under-reported as well.

15 And that's it. Thank you.

16 DR. BERGFELD: Thank you.

17 The next presentation is that of the case
18 review by Dr. Marilyn Pitts.

19 DR. PITTS: Thank you. Madam Chair, Dr.
20 Woodcock, committee members and guests, good morning. My
21 name is Marilyn Pitts. I'm a safety evaluator in the
22 Office of Postmarketing Drug Risk Assessment.

23 My objective today is to describe the positive
24 dechallenge/rechallenge cases of Accutane associated
25 depression, depressive symptoms, and mood disorders.

1 The top 10 adverse events for Accutane include
2 depression, ranked number 6. By contrast, we looked at
3 tetracycline, which is another agent used for less severe
4 acne. We have 8 cases of depression and 2 deaths, and we
5 looked at Claritin in the AERS database where we have 10
6 cases of depression and 2 deaths.

7 In evaluating spontaneous adverse events,
8 positive dechallenge/rechallenge cases provide the best
9 evidence to support a relationship between a drug and an
10 observed event.

11 Today I will discuss the FDA's principal data
12 source for detecting rare drug adverse events. I will
13 provide reasons for suspecting a drug/adverse event
14 relationship. I will describe the dechallenge/rechallenge
15 cases included in the 1998 case analysis, as well as cases
16 included in the year 2000 analysis. I will also present a
17 case summary for the 1988 and the year 2000 case series.

18 The FDA's Adverse Event Reporting System, or
19 AERS, is a computerized database of adverse events reports.
20 The database is searchable by drug names and key words.
21 The database was established in 1969 and updated in 1997.
22 Individual records within the database are based on
23 submitted MedWatch forms.

24 MedWatch forms are voluntarily submitted by
25 pharmacists, physicians, nurses, and other health care

1 professionals and consumers. Approximately 94 percent are
2 submitted directly to the manufacturer, and the remaining
3 amount are submitted directly to the FDA. The manufacturer
4 is required to submit all MedWatch forms to the FDA.
5 Serious unlabeled events are expedited and submitted to the
6 FDA within 15 days. All other events are submitted on a
7 periodic basis.

8 The strengths of the adverse event reporting
9 system is that the system is simple. The system is
10 sensitive. A small number of cases can lead to the
11 detection of a signal. The system is good for detecting
12 rare and unusual events, such as hepatic failure or
13 aplastic anemia. And the system is relatively inexpensive
14 when you compare it to alternative surveillance strategies.

15 However, there are limitations. One limitation
16 is that incomplete data is submitted. On the data form,
17 there are many fields missing. For example, race is not
18 encoded. When reported, race is usually reported in the
19 narrative. In addition, even coded information can be
20 missing. The narrative information can vary in quality and
21 in quantity of the documentation submitted.

22 Another limitation is under-reporting, which is
23 substantial. The FDA receives notification of only a
24 fraction of adverse events. The amount of under-reporting
25 cannot be quantified or predicted. However, under-

1 reporting estimates have been as low as 1 percent.

2 Some possible reasons for under-reporting may
3 include limitations in recognizing an adverse event,
4 limitations in associating the event with drug exposure,
5 and the burdens of reporting.

6 Additionally, there's variability in reporting.
7 Reporting may increase or decrease with serious or notable
8 events, with a publication of a Dear Doctor letter or with
9 scientific or lay press publications. The year of
10 reporting and also the length of time a product has been on
11 the market also influences reporting.

12 In summary, despite the limitations of
13 incomplete data, variability in data, and substantial
14 under-reporting in this voluntary system, we have a system
15 that is sensitive to rare events, that provides an
16 excellent source of case material, and is useful for
17 hypothesis generation.

18 Adverse drug event reports may be submitted
19 when a drug/adverse event relationship is suspected. Some
20 reasons to suspect a drug/adverse event relationship
21 include the temporal, or the time relationship between
22 administering the drug and the development of symptoms, a
23 dose response, or a mechanism of action or biological
24 plausibility, or a class effect, or the absence of
25 alternatives, and dechallenge, or the abatement of symptoms

1 when the drug is discontinued, and rechallenge, the
2 recurrence of symptoms when the drug is reintroduced.
3 Again, dechallenge and rechallenge provide additional
4 evidence of a relationship between a drug and an adverse
5 event.

6 In 1998, OPDRA analyzed spontaneous adverse
7 drug event reports of positive dechallenge/rechallenge
8 cases of depression, mania, psychosis, and suicide attempt.
9 The 1998 case series supported the Accutane labeling
10 change, which included a warning concerning psychiatric
11 disorders. The warning stated that Accutane may cause
12 depression, psychosis, and rarely, suicidal ideation,
13 suicide attempts, and suicide.

14 There were 20 cases in this report. 19 were
15 U.S. cases. There were 14 cases of depression, 5 cases of
16 psychosis, and 1 case of a mood disorder. The cases were
17 evenly distributed between male and female. The median age
18 was 20 years. 5 cases had a psychiatric history, and 5
19 patients required hospitalization.

20 I'm going to spend some time on this slide.
21 This table compares the first and second course of Accutane
22 with respect to total daily dose, time to onset of
23 symptoms, and time to recovery. This information was not
24 provided in every report. The n refers to the number of
25 reports that provided the requested information.

1 During the first course of Accutane, there were
2 13 of 20 patients reporting a median daily dose of 50
3 milligrams. The 50 milligrams corresponds to a dose of 0.7
4 milligram per kilogram per day in a 70 kilogram patient.

5 13 patients reported a median time to symptoms
6 of 31 days.

7 6 patients reported a median time of recovery
8 of 3 days. 19 patients reported recovery. 9 reported
9 recovering after discontinuation of Accutane, 4 recovered
10 after completing the Accutane course, 3 recovered after
11 discontinuation and medical intervention, and 2 recovered
12 on a lower dose. From the first course, all 20 patients
13 went on to receive a second course.

14 During the second course of Accutane, 13
15 patients reported a median daily dose of 40 milligrams.

16 12 patients reported a median time of onset of
17 symptoms of 36 days, and 4 patients reported a median time
18 to recovery of 3 days.

19 11 patients reported recovery from the second
20 course of Accutane, of which 3 recovered after
21 discontinuation of the medication, 3 recovered after
22 completing the course of therapy, and 4 recovered after
23 discontinuation and medical intervention. 4 patients
24 reported a persistence of symptoms after discontinuation
25 and/or medical intervention.

1 This is an example of a case report from the
2 1998 case series. This is a 19-year-old male described as
3 cheerful with an uneventful medical history and was
4 receiving no concomitant medications at the time of
5 receiving Accutane. The patient had three courses of
6 Accutane to treat cystic acne. During the first course of
7 therapy, the patient developed depression, mood swings, and
8 personality changes. The Accutane course was completed
9 with no intervention. At the completion of the course, the
10 patient's symptoms cleared.

11 The patient went on to receive a second course
12 of Accutane. Again, depression, mood swings, and
13 personality changes developed. The course was again
14 completed with no intervention, and again the patient's
15 symptoms cleared upon completion.

16 The patient went on to receive a third course
17 of Accutane. Depression, mood swings, and personality
18 changes again developed. The course was again completed.
19 However, the symptoms persisted after the course was
20 completed, and the patient was referred to counseling.

21 In support of this meeting, OPDRA analyzed
22 dechallenge/rechallenge cases of Accutane-associated
23 depression, mood changes, and suicide attempts.
24 Spontaneous adverse event reports were reviewed for
25 positive dechallenge/rechallenge cases. We found 41 cases.

1 20 cases were from the previously reviewed 1998 case series
2 and 21 new dechallenge/rechallenge cases focusing on
3 depression and suicide attempt were found.

4 There were again 21 cases in this case series.
5 17 of the 21 cases were from the U.S. There were 14 cases
6 of depression, 3 cases of depression with suicidal
7 ideation, and 4 cases of mood changes. There were 16
8 females and 5 males. The median age was 23 years. 5
9 patients reported a psychiatric history, and 1 patient
10 required hospitalization.

11 Again, this slide will compare the first course
12 and second course of Accutane. The table will compare the
13 dose, time to onset of symptoms, and time to recovery.
14 This information again was not provided in every report.
15 The n refers to the number of cases that provided the
16 information.

17 During the first course of Accutane, 16 of the
18 21 patients reported a median daily dose of 50 milligrams
19 of Accutane. 14 patients reported a median time to
20 symptoms of 30 days. 6 patients reported a median time to
21 recovery of 8 days. 21 patients reported recovery. 10
22 patients reported recovery after Accutane was discontinued.
23 3 recovered after the course was completed. 2 recovered
24 while receiving a lower dose of Accutane, and 2 recovered
25 with discontinuation and medical intervention. 4 patients

1 | did not report the method of recovery. All 21 of these
2 | patients went on to receive a second course of Accutane.

3 | During the second course of Accutane, 9
4 | patients reported a median daily dose of 30 milligrams of
5 | Accutane. This corresponds to 0.4 milligram per kilogram
6 | per day for a 70 kilogram patient. 8 patients reported a
7 | median time to symptoms of 9 days and 5 patients reported a
8 | median time to recovery of 7 days. 13 patients reported
9 | recovering. 3 reported recovery on discontinuation. 2
10 | recovered on discontinuation and intervention. 1 recovered
11 | after the course was completed, and 2 recovered with a
12 | lower dose. 5 patients reported a persistence of symptoms
13 | after discontinuation and/or medical intervention.

14 | An example of a case from the 2000 case series
15 | is this 18-year-old male who received Accutane for cystic
16 | acne. He was receiving no concomitant medications, and a
17 | relevant medical history was not stated.

18 | During the first course of Accutane, the
19 | patient received a total daily dose of 80 milligrams of
20 | Accutane. The time to onset of symptoms was 29 days. The
21 | patient had developed depression and poor school
22 | performance. Accutane was discontinued, and the patient
23 | reported a clearing of the symptoms in 8 days.

24 | The patient went on to receive a second course
25 | of Accutane. The total daily dose was lowered to 40

1 milligrams. The time to onset of symptoms was 5 days. The
2 patient had again developed depression and poor school
3 performance. Accutane was again discontinued, and the
4 symptoms cleared in 7 days.

5 Therapy was later restarted at 40 milligrams
6 every week without a recurrence of symptoms.

7 When you combine the 1998 and the year 2000
8 case series, we have 41 cases of positive
9 dechallenge/rechallenge. During the first course of
10 Accutane, 29 patients reported a median daily dose of 40
11 milligrams. 27 patients reported a median onset of
12 symptoms of 30 days, and 12 patients reported a median time
13 to recovery of 4.5 days. 40 patients reported recovery.
14 Of the patients reporting recovery, 19 recovered after
15 Accutane was discontinued. 7 recovered after the course
16 was completed. 5 recovered with discontinuation and
17 medical intervention, and 4 recovered on a lower dose of
18 Accutane. All 41 patients went on to receive a second
19 course of Accutane

20 During the second course, 22 patients reported
21 a median daily dose of 30 milligrams. 20 patients reported
22 a median time to symptoms of 10 days, and 9 patients
23 reported a median time of recovery of 7 days. 24 patients
24 reported recovery, and 10 patients reported a persistence
25 of symptoms after discontinuation or medical intervention.

1 | 2 patients recovered on a lower dose.

2 | In summary, we have 41 Accutane associated
3 | dechallenge/rechallenge cases. 76 percent were without a
4 | reported psychiatric history. The median time to onset of
5 | symptoms during the first course of Accutane was 30 days,
6 | and a median recovery time of 4.5 days. During the second
7 | course, or the rechallenge course, the time to onset of
8 | symptoms was shorter in the cases that provided the
9 | information. Also, after the second course of Accutane,
10 | depression persisted in some patients after discontinuation
11 | of Accutane and/or medical intervention. There was a
12 | possible dose-response to Accutane observed in 6 patients.

13 | In conclusion, dechallenge/rechallenge cases
14 | provide strong evidence to support a link between a drug
15 | and an observed adverse event. We have presented 41 cases
16 | of positive dechallenge/rechallenge which provide further
17 | evidence to support a relationship between Accutane and
18 | depressive symptoms.

19 | Thank you.

20 | DR. BERGFELD: Thank you.

21 | We're going to take the questions during the
22 | discussion time.

23 | Moving on to the next presentation by the FDA,
24 | Diane Wysowski, Postmarketing Experience Suicide and
25 | Depression.

1 DR. WYSOWSKI: Today I'll be summarizing
2 reports that FDA received of suicide and depression in
3 patients treated with Accutane. Reports are from the
4 United States only with Accutane as the suspect drug
5 entered in the FDA's database from marketing in 1982 to May
6 2000.

7 Over the 18-year period of marketing, the FDA
8 received reports of 37 U.S. patients who committed suicide,
9 24 on Accutane and 13 after stopping the drug.

10 Individuals who committed suicide were mostly
11 male. The median age was 17. The median time on Accutane
12 to suicide was about 3 months. For individuals who
13 committed suicide after stopping the drug, the median time
14 to suicide after stopping Accutane was 2-and-a-half months.

15 22 percent of suicide cases were reported to
16 have a psychiatric history. About 57 percent had other
17 possible contributing factors for depression. These
18 included personal relationship problems, stressful life
19 events, substance abuse, family history of psychiatric
20 disorders, and others. Together, 62 percent were reported
21 to have either a psychiatric history or possible
22 contributing factors. About half of the reports, 49
23 percent, were received in 1998 after suicide and depression
24 were added as a warning to the product labeling. The
25 median peak dose was 1 milligram per kilogram of body

1 weight per day, which is within the U.S. recommended dose
2 range for Accutane of .5 to 2 milligrams per kilogram per
3 day.

4 In addition to the suicides, the FDA received
5 reports of 110 U.S. Accutane users hospitalized for
6 depression, suicidal ideation, and suicide attempt, 85 on
7 Accutane and 25 after stopping the drug.

8 Individuals hospitalized for depression were
9 more likely to be female. The median age was 17. The
10 median time on Accutane to hospitalization was about 1
11 month. For those hospitalized after stopping the drug, the
12 median time to hospitalization after stopping Accutane was
13 3 months.

14 44 percent were reported to have a psychiatric
15 history. 52 percent had other possible contributing
16 factors for depression, and together 69 percent had either
17 a psychiatric history or possible contributing factors. 41
18 percent of reports were received in 1998, the year
19 depression and suicide were added to the labeling. And the
20 median peak dose was 1.1 milligram per kilogram of body
21 weight per day.

22 About a third of patients had positive
23 dechallenges with psychiatric treatment, and nearly a third
24 experienced persistent depression after drug
25 discontinuation. One person had a positive rechallenge,

1 while three others were rechallenged and were able to
2 continue on Accutane with alcohol abstinence, dose
3 lowering, and continued use of an antidepressant.

4 As of May 2000, the FDA received reports of 284
5 U.S. Accutane users with non-hospitalized depression. 45
6 percent were received in 1998 after depression and suicide
7 were added as a warning to the labeling. About half of the
8 non-hospitalized patients reported accompanying side
9 effects such as dry mucous membranes, headaches, hair loss,
10 and joint and muscle pain. About 50 percent of reports
11 were from consumers and relatives, a higher proportion
12 compared with most reports for most drugs.

13 The top 10 adverse events reported for Accutane
14 include depression that ranks number 6.

15 Of course, the degree of under-reporting is
16 unknown and may be quite substantial.

17 There are several pieces of evidence supportive
18 of a possible association between Accutane and depression
19 and suicide. These include the relatively large number of
20 reports of serious depression, more than for most drugs in
21 the FDA's database, the temporal association between use of
22 Accutane and onset of depression, positive dechallenges in
23 individuals who felt better once Accutane was discontinued
24 and psychiatric care was obtained, and positive
25 rechallenges in individuals who experienced symptoms again

1 after restarting the drug.

2 Also, some individuals had no reported
3 psychiatric history or possible contributing factors, and
4 there are similar case reports of depression and suicide in
5 Accutane users in the medical literature.

6 However, there are several complicating
7 factors. Some individuals had previous courses of Accutane
8 without depression. There are high rates of depression and
9 suicide in the teen years, making the independent
10 contribution of Accutane with depression difficult to
11 assess. And many cases had a psychiatric history and other
12 factors, including acne itself, that could have predisposed
13 them to depression.

14 Also, most serious cases did not improve with
15 Accutane discontinuation alone. Psychiatric intervention
16 was frequently required.

17 Lastly, a large retrospective cohort
18 epidemiological study, referred to as the Jick study for
19 the principal investigator, Susan Jick, that was funded by
20 Hoffmann-LaRoche, found no increased risk of depression in
21 individuals prescribed Accutane compared with those
22 prescribed antibiotics for acne. However, the study had
23 some important limitations.

24 Patients were not interviewed, so depression
25 was under-diagnosed and under-ascertained.

1 The study did find an increased relative risk
2 of 2 for suicide attempts and suicides in patients
3 prescribed Accutane. The relative risk had wide confidence
4 intervals of 0.4 to 10, and was not statistically
5 significant. It's possible that a larger sample size could
6 have resulted in a statistically significant relative risk
7 with Accutane.

8 That brings me to my next point, the lack of
9 data on acne severity or the patients' perceptions of acne
10 severity. This is an important potential confounding
11 variable for an association with Accutane.

12 There also were no data on dose collected. The
13 recommended dose of Accutane is higher in the United States
14 than in the United Kingdom and Canada. So, the results
15 from these two countries may not be applicable to the
16 United States.

17 Further, the U.S. data, that was included in
18 the study but was not mentioned earlier, did not include
19 information on outpatient diagnoses, data on deaths after
20 1990, and data on antibiotics used only for acne.

21 Finally, the investigators reported only the
22 combined number of suicide attempts and suicides, and it
23 might be informative to know the number and rates of
24 suicide by drug to determine if any exceeded the population
25 rates.

1 Because of these problems and limitations, we
2 believe the results of the Jick study are inclusive.

3 So, in summary, the FDA has received reports of
4 suicide and serious depression in U.S. Accutane-treated
5 patients. The case reports are suggestive of an
6 association with Accutane, but do not allow definitive
7 determination as to whether Accutane causes depression and
8 suicide in treated patients.

9 DR. BERGFELD: Thank you.

10 Our last presentation from the FDA is by Dr.
11 Kathryn O'Connell, Biological Plausibility and Risk
12 Management Options.

13 DR. O'CONNELL: Good morning or almost
14 afternoon, everyone. My name is Kathryn O'Connell. I'm
15 the medical reviewer in the Division of Dermatologic and
16 Dental Drug Products, the medical reviewer for Accutane.

17 As Dr. Bergfeld mentioned, I'm going to be
18 talking briefly about this, but mostly I want to
19 concentrate on the second talk, which is risk management,
20 because that's actually why we're here today.

21 I think that you've heard from the sponsor's
22 presentations and from ours that spontaneous reports can
23 generate a signal, but when the thing that you're studying
24 has a high background rate, you don't really expect them to
25 give you a definitive answer about causality. We're really

1 not here today to ask you as a committee to tell us if
2 there's a causal relationship here. The sponsor has tried
3 and we tried, and we already know that we can't do that.
4 The real question here today is what do we do with the
5 information we have to convey the information we have to
6 the people using the drug and how perhaps we can resolve
7 the uncertainty.

8 So, in the first talk I want to just talk about
9 a few things that we think about when we try to decide if
10 the inconclusive signal that's generated from spontaneous
11 reports warrants further investigation. On the next slide,
12 I'm only going to cover two topics that have been alluded
13 to already, so I don't think we have to spend a lot of time
14 on it.

15 The first thing is we asked ourselves are there
16 any published cases of psychiatric adverse events occurring
17 in association with Accutane, and the second thing we asked
18 ourselves, are there any elements of biologic plausibility?
19 I'm going to use this in a broad sense. Are there any
20 elements of biologic plausibility that would worry us, that
21 would cause us more concern?

22 So, the first topic, published case reports, is
23 easy. It's one slide. These are the references so that
24 you have them on the slide. You can read them. They range
25 from 1983 to the papers that Dr. Byrne referred to earlier

1 in 1998. These are only cases of depression. There are
2 some published things in the literature about other
3 psychiatric diagnoses, but the vast majority are
4 depression. We've already referred to some of these
5 papers, and I'll just talk a little bit about them as we go
6 on.

7 The second thing that I said I was going to
8 cover on the next slide was elements of biologic
9 plausibility. As I said, I'm going to use this in a broad
10 sense. It doesn't mean that I'm suggesting mechanisms
11 here. The question here is that are there things that we
12 know about retinoids that make it not implausible that
13 Accutane could have something to do with psychiatric
14 illness.

15 So, the four issues I'm going to talk about are
16 do we see similar adverse events with pharmacologically
17 related substances -- different drugs, but
18 pharmacologically related substances -- that bind to the
19 same physiologic receptor, which is obviously in this case
20 the retinoid acid receptor.

21 The second thing we want to ask ourselves, is
22 there any evidence for a dose effect? I'm not going to say
23 much about that because we've already heard something about
24 that.

25 The third thing is whether the temporal

1 association that we've all talked about here already -- you
2 ask yourself is it consistent with the pharmacokinetics of
3 the drug, and we do know a fair amount about the
4 pharmacokinetics of the drug.

5 Then the fourth thing we ask ourselves is, is
6 there any reason to believe that retinoids would have
7 anything to do with the central nervous system. We
8 obviously don't have time today. We could spend a whole
9 day on biologic plausibility in that sense, but we won't.
10 We'll spare you.

11 If we go to the next slide, the first item that
12 I mentioned was we ask ourselves, do we see psychiatric
13 adverse events? Have they been reported with distinct
14 substances that bind to the same physiologic receptor? Dr.
15 Byrne and several other people have already referred to the
16 fact that it is known that high dose vitamin A,
17 hypervitaminosis A, has been associated with psychiatric
18 adverse events. The first reference that I gave you there
19 is actually a case where the indication for using high dose
20 vitamin A was acne. It's a very complete case report by
21 Dr. Restak that was published in 1972.

22 The second substance is etretinate, which is a
23 systemic retinoid which is used in the treatment of
24 psoriasis. The first reference that I gave you there is a
25 very detailed reference. It describes an aggressive

1 personality change, profound depression, with a positive
2 dechallenge with dose reduction. And the second reference
3 there has three very brief little case summaries, all of
4 which I believe had a positive dechallenge.

5 The third one is the only one I'm going to
6 spend a little bit of time on because it came from a
7 published trial. It was a trial where these investigators
8 added a high dose of all-trans-retinoic acid to an
9 established regimen of interferon-alpha and low-dose ara C
10 to treat chronic myelogenous leukemia. The title of the
11 paper is The Unexpected High Incidence of Severe Toxicity.
12 It's important to note here, as Dr. Turner actually alluded
13 to, is that there is a fairly reasonable body of evidence
14 that interferon-alpha itself may be associated with
15 depression.

16 But if we look at the next slide, I think this
17 study is interesting because the column furthest over here
18 where it says "No ATRA" means that those were the patients
19 that got the ara C and the interferon. Then the other
20 column, the first column, is where they got the interferon
21 and the ara C, but they added the high dose all-trans-
22 retinoic acid. You can see that even with the imbalance
23 between the arms, the arm with the ATRA being less
24 subjects, there's a pretty impressive difference in the
25 occurrence of depression, psychosis, headache, pseudotumor,

1 and then overall CNS effects. There was a case of ataxia
2 and just a variety of other things. But again, interferon
3 itself has been implicated in depression. The authors
4 actually suggest that there was some sort of synergistic
5 process going on here.

6 So, on the next slide, we're going to move on
7 to the second topic I mentioned which is we ask ourselves,
8 is there any evidence for a dose effect. Again, I'm not
9 going to spend time on this. We don't have a lot of time.
10 The dose effect is clear for vitamin A. That's why it's
11 called hypervitaminosis A. Normal intake of vitamin A
12 doesn't cause these problems.

13 For isotretinoin and etretinate, as we've heard
14 today here, there are isolated case reports that suggest a
15 possible dose response. And I want to emphasize this
16 because I don't want people to go out here and say, oh, if
17 I just reduce the dose here, everything is going to be
18 okay. We don't know that. You cannot establish a dose
19 threshold. It cannot be ascertained from spontaneous
20 reports for the reasons that everybody has already pointed
21 out here today. The spontaneous reports are incomplete and
22 it just doesn't allow that kind of clinical judgment to be
23 made. All right. So, that's the dose effect.

24 On the next slide, the third element that I
25 wanted to consider was whether the pharmacokinetics of

1 Accutane is consistent with what Dr. Pitts and Dr. Nelson
2 presented about the offset of the symptoms when you stop
3 the drug. We know that the terminal elimination half-life
4 of Accutane is 10 to 20 hours. So, what I want to show you
5 is that the pharmacokinetics of Accutane are actually
6 consistent with the observed time to resolution of the
7 psychiatric adverse events that we've observed in many
8 patients upon drug discontinuation.

9 On the next slide, this is from Dr. Nelson's
10 report that he spoke about earlier. He noted that a
11 majority of the substantive mood disorder cases had offset
12 within 30 days and that most of those occurred within 15
13 days. I think he mentioned of the cases that he selected
14 to analyze, for 25 cases with both onset and offset within
15 15 days, 23 had resolution within 7 days and 17 of those
16 actually had resolution within 4 days, which is remarkably
17 consistent with what Dr. Pitts just presented where I think
18 it was 4.5 days.

19 If you look in the published cases about time
20 to offset, the most useful data -- actually the paper has
21 already been referred to I think by Dr. Byrne and perhaps
22 by the sponsor as well that was published by Scheinman, et
23 al. in 1990. I want to emphasize that this was not a trial
24 done to examine the psychiatric adverse events of Accutane.
25 This was just 700 patients -- I believe it was an NIH trial

1 -- that had received Accutane for various indications. It
2 wasn't even all acne. 7 patients in that group had enough
3 psychiatric problems to come to attention. Let's put it
4 that way. But of those 7 patients that they reported in
5 this paper, it's notable that the symptoms in all 7 of them
6 resolved within 1 week of stopping Accutane, and 1 of the
7 patients was rechallenged and did have a positive
8 rechallenge.

9 There's another paper published by Hazen, et
10 al. in 1983, earlier. Again, this was not a trial to study
11 this. This was just 6 patients who presented with enough
12 symptoms to come to attention out of 110 patients. This
13 paper doesn't actually state the exact time. It just notes
14 that of these 6 patients, 5 continued the drug despite the
15 depression, but that when the treatment course was over,
16 their symptoms rapidly resolved upon discontinuation.

17 The last thing I wanted to talk about was
18 biologic plausibility in the sense that I think Dr. McLane
19 was referring to it, which is the more classic sense. We
20 don't have time, like I said, to go into this today. He's
21 mentioned some of the data that already exist, so I won't
22 repeat it.

23 But the fact of the matter is we do know that
24 retinoids enter the central nervous system. We know that.
25 We know that retinoid receptors are present in adult brain.

1 As has been alluded to earlier, much more is known about
2 the role of retinoids in the development of the fetal brain
3 than is known about retinoids in the adult brain, but data
4 are emerging. It's a very active field of research, and we
5 know that the substance gets in. We know that the
6 receptors are there.

7 The other thing I want to point out is that the
8 psychiatric adverse events that are reported with Accutane
9 aren't occurring in isolation. There are other adverse
10 events that occur with Accutane, as with all drugs. If you
11 look at the organ system categories that adverse events are
12 categorized into, there are 28 of them I believe. For
13 Accutane, the central nervous system, interestingly, ranks
14 second only to psychiatric in the highest percentage of
15 serious adverse events -- serious adverse events -- in the
16 Hoffmann-LaRoche postmarketing database for Accutane. So,
17 I think it's clear that Accutane affects the central
18 nervous system.

19 But on the next slide, I want to make it very
20 clear that when we use biologic plausibility, we're using
21 it in the sense that it's not biologically implausible.
22 There's no evidence we know of that makes it biologically
23 implausible for Accutane to affect the central nervous
24 system. We don't know a mechanism for the psychiatric
25 adverse events observed with any of the retinoids, as I

1 think Dr. McLane already pointed out, but that an
2 association is not biologically implausible we're pointing
3 out.

4 The bottom line here is that none of these
5 elements that anyone has described this morning or that I'm
6 describing right now -- none of these elements of adverse
7 event assessment nor their totality proves -- proves -- in
8 a rigorous sense that Accutane causes psychiatric disease.
9 But we're very concerned about the data that's been
10 presented this morning.

11 This is another paper that Dr. Byrne already
12 referred to. I think this was three cases that actually
13 Dr. Bravard and his colleagues reported in 1993. In the
14 last sentence in his paper, he advised us to be vigilant.
15 So, that's really why we're here today. We want to explore
16 what we need to do to be vigilant. What's appropriate,
17 what's feasible given the information that we have and how
18 might we go about resolving the uncertainty.

19 The last part of this is risk management and
20 assessment, and I'm still Kathryn O'Connell.

21 (Laughter.)

22 DR. O'CONNELL: Same division. That hasn't
23 changed.

24 So, if we go on to the next slide, I just
25 wanted to tell you briefly what the regulations are

1 | regarding this type of situation. The thing to focus on
2 | here is the word serious. This is the regulations for when
3 | you do a labeled warning, when you put a warning in the
4 | label. The thing to focus on here is the word "serious."
5 | It should be something serious. And that as soon as
6 | there's reasonable evidence of an association and that a
7 | causal relationship need not have been proved. So, this is
8 | basically the situation that we're in.

9 | On the next slide, as you know, in 1998 the
10 | sponsor voluntarily dealt with the inconclusive evidence
11 | and put this warning into the labeling. "Accutane may
12 | cause" -- "may" used in the sense that we do not know --
13 | "cause depression, psychosis and rarely, suicidal ideation,
14 | suicide attempts and suicide. Discontinuation of Accutane
15 | therapy may be insufficient; further evaluation may be
16 | necessary. No mechanism of action has been established for
17 | these events."

18 | So, on the next slide, I'd just like to briefly
19 | outline sort of a paradigm that we might use to discuss
20 | this today, and I think the sponsor has already talked
21 | about this this morning. I want to point out that even
22 | though we're suggesting some ideas for how we might manage
23 | the uncertain risk, we're not limiting you to that. We're
24 | here to get your advice. These are just some things that
25 | we've been thinking about and obviously the sponsor has

1 | been thinking about.

2 | We see it as really two goals. The first goal
3 | is short-term. We've got this data. What do we do with
4 | it? How do we manage the uncertain risk to make the use of
5 | the drug as safe as we can for patients?

6 | And the long-term goal is really how to resolve
7 | the uncertainty. We think it's important to resolve its
8 | uncertainty, especially because it's been suggested, as
9 | you've heard over the last two days, that there's the idea
10 | out there that perhaps the psychiatric disease that we're
11 | witnessing is somehow due to the indication for the drug
12 | and not to the drug, due to the acne.

13 | I think Dr. Jacobs did a thorough job this
14 | morning of pointing out the very important difference
15 | between serious psychiatric disease diagnosis and what
16 | really is a normal situational response probably to a very
17 | stressful situation. I'm not going to stand here and
18 | trivialize the suffering that severe acne causes, but
19 | suffering is not synonymous with a diagnosis of severe
20 | psychiatric disease.

21 | We are aware of no adequate evidence that acne,
22 | even severe acne, causes the diagnosis of severe depression
23 | or serious depression. But if, in fact, acne contributes
24 | to it or can cause it or whatever, then the last thing you
25 | would want to do, if there's no causal association with

1 Accutane, is stop a drug that can, in many patients, cure
2 the acne. On the other hand, if Accutane does belong on
3 the list of many drugs that have been implicated in causing
4 psychiatric disease, then not stopping the drug could have
5 very morbid or even fatal consequences. So, we think it is
6 important, in this case especially important, to try to
7 resolve the uncertainty.

8 So, if we go on to the next slide, how do we
9 manage the uncertain risk? There are two main topics that
10 we'd like you to consider. What kind of information or
11 education for prescribers, patients, or parents might help
12 us out here? What would be appropriate given what we know?
13 The second main item would be intervention. What kind of
14 interventions might be appropriate, given what we know?

15 The types of information. We've already had an
16 outline this morning of some of these. CME programs for
17 health care professionals and further changes to the
18 professional labeling over what we already have.

19 For patients, we could think about a patient
20 package insert, which is optional, as you heard this
21 morning in the first talk. There already is a brochure.
22 The sponsor has a brochure for Accutane already, and the
23 brochure actually does mention the warning for psychiatric
24 disease. Are there things that we could do to that? And
25 then the medication guide concept that was discussed this

1 morning.

2 Then the third item under this menu here of
3 information and education would be perhaps some sort of
4 informed consent process.

5 On the next slide, the intervention category
6 would include things like monitoring of patients,
7 management, advice about how to manage the events, and drug
8 distribution. Again, we're putting everything up here that
9 would fall into these categories. This doesn't mean that
10 we think that everything that is up here is appropriate or
11 reasonable given the state of our knowledge. We just want
12 you to consider all the possibilities.

13 On the next slide, we go to the final topic
14 which is really how might we resolve the uncertainty. It's
15 clear from what we all heard this morning that we're not
16 going to resolve the uncertainty with spontaneous reports.
17 I'm not going to spend a lot of time on this because I
18 think the sponsor has already done a very good job of
19 outlining the problems that we face in trying to get a
20 handle on this with the gold standard, which is, of course,
21 what everybody would like to see, a prospective controlled
22 trial. But the issues that have already been mentioned are
23 very real. How do you mask that trial? How do you control
24 that trial. How do you avoid the bias of patients giving
25 informed consent to be in a trial for a drug they know

1 works for a very bad disease, but they're worried, oh, if I
2 tell them I'm depressed, I'll be discontinued? So, there
3 are a lot of problems here.

4 The other thing we'd like you to think about is
5 what kind of basic science research might help us, again
6 another open cohort study. This we would think of in terms
7 of collecting more data about what is actually occurring,
8 what are the characteristics of the cases to really form
9 hypotheses to inform more formal types of studies? And
10 then a well-powered, retrospective epidemiologic cohort
11 study are really the four categories that we've come up
12 with.

13 So, basically that's the end of my talk. Oh,
14 it isn't the end of my talk. I'm sorry. I'm telling you
15 stories. One more slide.

16 I just wanted to point out that there are some
17 clinically important questions, really clinically very
18 practical questions, that a formal study might answer.
19 Again, there's no guarantee it would, but if it did, I
20 think clinicians and patients would be very grateful. The
21 first obvious question is, is there a dose threshold? That
22 would be wonderful. If there is a causal relationship,
23 which we don't know, but if there was, if there as a dose
24 threshold that was still within the minimum effective dose
25 for acne, we would have solved our problem.

1 Another practical question. Is there an
2 identifiable subset of patients at increased risk? Then we
3 don't have to apply risk management to everybody. We just
4 apply it to the people who need it.

5 Another question that I think people out there
6 actually using this drug would very much like to know is,
7 if symptoms do occur in this setting, is a dose adjustment
8 or addition of treatment with antidepressants safe, or do
9 you really have to discontinue the Accutane, which
10 obviously has consequences for the patients who have severe
11 acne that can lead to scarring if they don't get effective
12 treatment?

13 So, now I really am finished with my talk. The
14 last slide up here is just all of these items on one slide,
15 if you'd like to use that during your deliberations.

16 DR. BERGFELD: Thank you very much.

17 We're going to move now to the open public
18 hearing. Again, to set the stage and the rules of order,
19 each of the presenters has been allowed a specific amount
20 of time. Three of the presenters have been allowed 5
21 minutes and one 20. We'll ask you to stick to these times.
22 We will run a timer.

23 After the presentations, we will adjourn for
24 lunch and then reassemble 30 minutes later.

25 Our first presenter is Margaret Hager. I would

1 | like you to introduce yourself, who you represent, and if
2 | you have a conflict of interest.

3 | DR. HAGER: Good afternoon, everyone. I think
4 | it's afternoon by now. My name is Margaret Hager, and
5 | although I am an M.D. family doctor, I'm speaking today as
6 | a mother.

7 | In 1991 in my son's junior year at Princeton,
8 | treatment was commenced with Accutane for cystic nodular
9 | acne by a local dermatologist. During the second course of
10 | treatment, Chris developed severe headaches and dizziness.
11 | He was seen by a neurologist who advised stopping Accutane.

12 | During this time and after cessation of
13 | treatment, although the headaches abated, Chris began with
14 | severe treatment-resistant depression, with two
15 | hospitalizations for suicide intent. Despite excellent
16 | psychotherapy and full therapeutic trials of numerous
17 | antidepressants, Chris' response, with the exception of a
18 | few brief periods of remission, has been only partial,
19 | resulting in his being homebound and on disability.

20 | Three years after the onset of his depression,
21 | Chris developed bouts of acute recurrent pancreatitis and
22 | was subsequently diagnosed with cystic fibrosis, pancreatic
23 | mutation. My educated hunch is that if this depression is
24 | Accutane-induced, it may be due to an interaction of the
25 | chloride ion channel defect of cystic fibrosis with the

1 drug, and this might point the way to a vulnerable subset
2 since the carrier state is very prevalent.

3 My question to the psychiatrists present is
4 this -- and I think Dr. Byrne answered one of these
5 questions -- have any specific characteristics been
6 identified in Accutane-induced depression? And have any
7 particular antidepressants or combinations thereof been
8 found to be more efficacious in this kind of depression?
9 Have you seen depression such as this one associated with
10 Accutane and persisting long after the drug has been
11 stopped, suggestive of permanent neurological damage?

12 Chris and I agree that if we had known that he
13 would exchange a cosmetic problem for mind-destroying
14 depression, we clearly would have chosen the former. Thank
15 you.

16 DR. BERGFELD: Thank you.

17 Our next presenter, Kimberly Smith.

18 MS. SMITH: Thank you and good afternoon. My
19 name is Kimberly Smith. I'm 36 years old, and I'm here
20 today as an Accutane survivor. Even though I'm grateful to
21 be here, I know full well that this will probably be the
22 most personally humiliating presentation I ever make.

23 I went on Accutane November 4th of 1998, and I
24 was on Accutane until April 26 of 1999. I went on 40
25 milligrams of Accutane a day. My dermatologist said to me

1 | that this was the most safe and most permanent drug used in
2 | treating adult onset cystic acne, which is what I was
3 | diagnosed with. He said that he had been involved with the
4 | pretrial studies and had been working with Roche Labs for
5 | many, many years and he had an excellent track record with
6 | respect to Accutane.

7 | Six months later, after the Accutane treatment
8 | was over, my acne was gone, completely clear complexion.
9 | But the problem was, in the meantime, it had completely
10 | devastated and almost destroyed my life.

11 | Before Accutane I was very driven, goal-
12 | oriented, high energy, extremely positive, passionate about
13 | life and people, lots of friends. I was in a serious
14 | relationship. We were talking about getting married. I
15 | was very motivated. I put myself through undergraduate at
16 | the University of Delaware. I also put myself through
17 | graduate school at Northwestern University.

18 | By the time that I had started my Accutane
19 | therapy, I had risen to the top of my profession. I was
20 | the Executive Director of Strategic Marketing and Client
21 | Development for a large law firm in the City of Chicago.
22 | This was also an industry that I had also helped pioneer 10
23 | years earlier. It's called legal services marketing. When
24 | I got in the profession, there were about 15 to 20 of us.
25 | Today the profession has grown to over 4,000. I was the

1 | only non-lawyer appointed to the executive committee of a
2 | management team of a law firm, which if you know anything
3 | about the law firm structure, this is a fairly unique
4 | position, as most of the executive members are attorneys
5 | and partners. I was making well into the six figures and
6 | very well compensated for my effort.

7 | I had a love of exercise. I was very
8 | physically fit. I ran between 25 and 30 miles a day. I
9 | even ran a marathon. Loved to dance. Had an adventurous
10 | spirit. I earned a dance scholarship to fund part of my
11 | undergraduate degree, and also when I moved to Chicago and
12 | thought it would be an interesting way to initiate myself
13 | and make a life in Chicago, I as a part-time endeavor was a
14 | cheerleader for the Chicago Bull's first season and then
15 | went to graduate school while I was working.

16 | When I was diagnosed with the adult onset acne,
17 | the dermatologist that I had been seeing did tell me about
18 | the pregnancy issues. He asked me if I was planning to
19 | have a child within the next year. I told him absolutely
20 | not, that that wasn't a problem. I read a pamphlet and I
21 | signed a consent form, and that was the end of our
22 | discussion.

23 | I did ask him if there were any other side
24 | effects that I should know about, and he told me that I may
25 | experience dry lips, chapped skin, dry skin, eczema, and he

1 | also said peeling feet, which in fact I did get. But he
2 | assured me -- and this was probably the biggest safeguard
3 | -- that whatever happened would end as soon as I stopped
4 | taking the drug. And given his considerable history with
5 | the drug, I felt very confident. I thought it was a
6 | miracle drug. Great, six months this is gone, and I was a
7 | very eager participant.

8 | One month later, my behavior changed
9 | dramatically. I went from this very determined, strong-
10 | willed person to this confrontational, edgy, stressed
11 | beyond imagination person. Indicative of this, I
12 | impulsively resigned my position I think it was December
13 | 8th. So, it was a little a month over after the Accutane.
14 | This happened to be eight days before my annual bonus,
15 | which was fairly sizeable. Trust me, in a rational moment
16 | I would have never walked away from an annual bonus that
17 | was part of my salary.

18 | Fortunately for me, the firm rejected my
19 | resignation, and life continued. But the problem was my
20 | behavior worsened. I went from angry at the world,
21 | withdrew from friends and family, relationships spiraling
22 | downward, no desire to talk to people. I was very short.
23 | But then it grew into a position where I started to feel a
24 | major lack of confidence, self-esteem issues, memory
25 | problems, inability to focus, difficult sleeping. And then

1 ultimately thoughts of suicide occurred. Mine were never
2 violent thoughts. It was basically thoughts of how good it
3 would be to not exist anymore, that I didn't offer value to
4 anyone. I was ineffective and just basically believed that
5 overall I was completely worthless.

6 I saw my internist on February 11th of 1999. I
7 told him about my problems with depression and anxiety. I
8 never thought anything about the Accutane and never did
9 throughout this entire time. Never linked it. So, I told
10 him about this. And fortunately, my internist had been my
11 internist for about 10 or 12 years at that point in time,
12 so we had a very long-established relationship.

13 I was blaming most of my problems on my job.
14 He suggested that I go on to Serzone and also suggested
15 that I take a short disability from work, which I did. I
16 felt like a total loser.

17 My boyfriend at the time took me to Mexico. He
18 thought some time in the sun would help. We went down for
19 a three-day trip. The trip was extended to seven days.
20 The trip was extended to 10 days. And I could not get out
21 of my seat. I laid there on the beach with about seven
22 blankets over top of me, miserable and crying the entire
23 time that we were there.

24 Physically speaking it was horrible. I have
25 severe abdominal pains which no one to this day can address

1 for me. I've been to see two internists, an
2 endocrinologist, a gynecologist just for the abdominal
3 pains alone, and they've not been able to tell me what the
4 problem is or recommend a treatment.

5 I have a loss of appetite, which I still have
6 to this day. I do make myself eat, but to be honest with
7 you, I have no craving to eat.

8 I had a bleeding rectum for which I endured two
9 rectal surgeries.

10 An overactive bladder. I'm with my second
11 neurologist trying to discern why this is the case.

12 Severely dry skin and eczema which exists to
13 this day.

14 Aching bones, which I still have, which prevent
15 me from running as I used to do.

16 Sensitivities with my teeth and gums.

17 Major headaches.

18 And I would vacillate between insomnia and then
19 overall just fatigue.

20 On March 15th, 1999, I got a call from Roche
21 Labs, and it was completely unexpected. But what I had
22 done is I had elected to participate in a post Accutane
23 study, during which I had identified the fact that I was
24 depressed, not necessarily knowing that this was
25 necessarily the reason, but I did disclose this.

1 Oh, you know something? Hold on. Let me step
2 back. I apologize.

3 On March 15th, 1999, I didn't get a call. The
4 call did not come from Roche. It was a year later.

5 On March 15th, 1999, I got a phone call from a
6 long-term friend who just called to check up on me to see
7 how I was doing. During the course of our conversation --
8 she had known that I was on the Accutane and she didn't
9 know the depths to my despair. At this point in time, I
10 was on disability from work. She told me that there's a
11 tremendous amount of people -- she lives in New York --
12 that she knows and that many of them had been depressed and
13 they had all been on Accutane. So, that was the call, her
14 phone call.

15 The next day I immediately called my internist
16 and went to see my internist. This was about 5 weeks
17 before I stopped my Accutane treatment. My internist told
18 me that based on his information and the relationship that
19 we had, he said it's a good thing that I knew you before
20 you were on the Accutane. He said this was definitely the
21 cause of the depression. And I said, well, there's good
22 news. My dermatologist has assured me that all the side
23 effects go away with the cessation of Accutane, and I was
24 very convinced that that would be my experience as well.

25 So, he put me on Serzone. He also suggested

1 | that I go see a therapist, which I did. And I believed all
2 | would be fine and great when everything was done.

3 | I went to my dermatologist. I told him of the
4 | depression that I had experienced, and he thanked me for
5 | ruining his perfect record. He said that he had heard of
6 | people that had been depressed and that, essentially, he
7 | felt that everything would change in a few weeks. So, I
8 | believed him.

9 | A few weeks later, I did stop the Accutane. 10
10 | days later, I impulsively quit my job, this time for good,
11 | thinking that it was the job stress, not necessarily the
12 | Accutane.

13 | As time went on, I struggled for the following
14 | year trying to get a job, thought that it wouldn't be that
15 | difficult. Of course, I'm this driven person. You just go
16 | out and get one, and the reality of the situation was, I
17 | had no confidence, I had no self-esteem and a complete
18 | inability to focus.

19 | DR. BERGFELD: I'll have to ask you to close.

20 | MS. SMITH: Okay.

21 | I did receive a phone call the following year
22 | from Roche Labs, and it was February 18th, 2000, following
23 | up on the survey. During that time, I had told them of my
24 | bouts of depression. She already knew that. I asked for
25 | additional assistance, if there was any programs that she

1 | could recommend, if they had any information. No
2 | information was available.

3 | I had been on the drug during the time at which
4 | the FDA talk papers and the Dear Doctor letters had gone
5 | out. I got on the drug November 1998 and was on until
6 | April 1999, never received any new warnings. This was
7 | completely devastating to learn that while I was talking to
8 | the Roche representative, I told her that my reason for
9 | participating in this was that I was hopeful that stronger
10 | warnings could be put out so that it not affect people in
11 | the future. She told me that I should be really happy,
12 | that she had a new warning label, and I asked her to read
13 | it to me.

14 | It was from that that I was absolutely shocked,
15 | did my own research, started talking to other people, and
16 | have subsequently found that this depression and these
17 | serious effects impact a lot of people. The unfortunate
18 | part is they, like myself, have no idea that it's at all
19 | related to Accutane. There is no information out there,
20 | and most of them go unreported. I know 11 people that I
21 | can talk about just right now, if that were the case, that
22 | I could tell you they have not reported their symptoms.

23 | I'm hopeful in the future. Like I said, this
24 | drug did cure my acne. Even if I had known about the side
25 | effects, I may have gone on the drug. Who knows? But at

1 | least I would have been able to assess and experience, as
2 | these side effects were persisting, what they were, and I
3 | could have received the proper help.

4 | Thank you.

5 | DR. BERGFELD: Thank you.

6 | Our next presenter, James Palazzolo.

7 | MR. PALAZZOLO: Good afternoon. My name is Jim
8 | Palazzolo. I'm here today to express my concern that more
9 | may need to be done to make drug companies report foreign
10 | adverse events.

11 | For example, how could it be that the French
12 | government warned about psychiatric adverse events 11
13 | months before Hoffmann-LaRoche enhanced its warnings here
14 | in the United States?

15 | My son Chris took his own life July 1st, 1997
16 | while on Accutane, 8 months before the warnings were
17 | enhanced in the United States and 4 months after the
18 | warnings were made public in France.

19 | Here in the United States, it is mandatory for
20 | a person who is prescribed Accutane to take a blood test
21 | for liver disorders, and women must take a pregnancy test
22 | because of birth defects. Why not make it mandatory for
23 | people on Accutane to be monitored on a psychiatric basis?
24 | It is my experience in the past that physicians have not
25 | taken psychiatric events associated with Accutane

1 seriously.

2 The present warnings in my opinion do not go
3 far enough because they leave the cause and effect issue in
4 question. There is no doubt in my heart that the
5 appropriate study will show an adverse psychiatric
6 connection with Accutane. I strongly urge the FDA to
7 consider performing an independent study to confirm what I
8 already believe, that Accutane can cause serious
9 psychiatric side effects in some people.

10 Thank you.

11 DR. BERGFELD: Thank you.

12 Liam Grant?

13 MR. GRANT: Good afternoon, ladies and
14 gentlemen. My name is Liam Grant. I'm chairperson of an
15 organization called the Roaccutane Action Group.

16 Our group was set up in 1997 to provide support
17 for Accutane victims, to investigate all aspects of
18 Accutane from the initial pretrial studies to review the
19 literature, ADR reports, physician guidelines and so on in
20 each country throughout the world where the drug is sold,
21 also to fund and coordinate a series of scientific studies
22 on Accutane to determine the mechanism by which Accutane
23 causes so many severe physical and psychiatric side
24 effects.

25 The principal side effects of Accutane based on

1 adverse reaction reports and published studies include
2 general side effects such as photophobia, muscle and joint
3 pain, insomnia, lethargy, central nervous system side
4 effects such as pseudotumor cerebri, which is described as
5 a serious condition involving swelling of the brain, visual
6 disturbances, hearing deficiencies, malaise, drowsiness,
7 amnesia, hallucinations, and psychiatric disorders, which
8 include behavioral disorders, seizures, psychosis,
9 schizophrenia, depression, suicide ideation, suicide
10 thoughts and actions, and also as we all know, it's a
11 teratogen.

12 What is Accutane? Accutane is an analog of
13 vitamin A. It's likened to an overdose of vitamin A.
14 There are many published studies showing that excess
15 vitamin A causes a condition known as hypervitaminosis A.
16 The study I mention here is a 1972 study, and it showed
17 that the ingestion of large amounts of vitamin A is known
18 to cause depression and psychiatric illness. In fact, we
19 have also reports in the 1800s and the early 1900s of
20 groups of people with high intake of vitamin A in their
21 diet which caused major depression and psychiatric illness.

22 Therefore, the manufacturers of Accutane,
23 Roche, would have been able to predict with reasonable
24 certainty the main side effects caused by Accutane,
25 including psychiatric side effects and teratogenicity. And

1 that prediction could have been made with certainty prior
2 to the drug ever being launched here in the United States
3 or in other countries.

4 What do scientific literature reports say about
5 Accutane? Well, there are a substantial number of
6 published studies linking the ingestion of Accutane to the
7 emergence of psychiatric disorders as far back as 1983,
8 less than one year after the drug was released onto the
9 market. I've only time to briefly refer to three of these
10 studies.

11 The American Academy of Dermatology published a
12 study in 1983 where the authors reported that 5.5 percent
13 of patients experienced depressive symptoms while on
14 Accutane. In the case of one 21-year-old man in that
15 study, the symptoms of depression and forgetfulness were
16 severe enough to cause withdrawal of the drug. So, within
17 a few months of Accutane being introduced, the first
18 independent study showed that 5.5 percent of patients
19 experienced symptoms within 2 to 3 weeks of starting on
20 Accutane.

21 Another published study in 1990 in the same
22 dermatological magazine set out details of serious
23 psychiatric disorders suffered by 7 patients where
24 treatment had to be discontinued because of the severity of
25 the side effects, and they were listed, including manic

1 | depression, suicidal thoughts, fear of going insane, et
2 | cetera. And remember, that was 1990.

3 | Another study showed that adverse drug reaction
4 | reports for Accutane in the United States in the period
5 | from October 1982 to June 1985 represented the highest
6 | number of adverse drug reaction reports received by any
7 | agency for any prescription drug. It also stated that 22
8 | percent of adverse drug reactions for Accutane relate to
9 | central nervous system disorders, such as headache,
10 | depression, dizziness, personality disorder, and
11 | pseudotumor cerebri. Now, that's 1985.

12 | Sales of Accutane from 1982 to 1985 were very
13 | small in the United States because of publicity on the
14 | number and serious nature of birth defects caused by
15 | Accutane at that time. Accutane at that time had been
16 | likened to thalidomide. So, Accutane with small sales at
17 | that time was attracting more adverse drug reactions than
18 | any other prescription medicine, despite the fact that some
19 | of these other prescription medicines were being sold to
20 | not just tens but hundreds of millions of people.

21 | In 1983, Dr. Bravard and two other French
22 | dermatologists published a paper where they set out details
23 | on three different people who attempted or committed
24 | suicide which they attributed to the taking of Accutane.
25 | The study resulted in a national inquiry in France between

1 1993 and 1994 in Montpellier, and the study was funded by
2 Roche. The results of that study have still not been made
3 available to us and have never been published. That's
4 obviously something we're pursuing at present.

5 In 1998, we commissioned a study in order to
6 determine whether five other prescription medications,
7 representing 90 percent of the acne prescription market,
8 were showing similar adverse drug reactions to those
9 applicable of Accutane. Dr. T. Middelkoop, who is a
10 research scientist, investigated these five prescription
11 medications: minocycline, doxycycline, tetracycline,
12 Dianette, oxytetracycline.

13 This table shows some of the data produced in
14 the study. Now, you first of all must remember the data is
15 May 1998, and therefore it's 2-and-a-half years out-of-
16 date.

17 The number of people prescribed Accutane
18 worldwide, according to Roche at that time, were 6 million.
19 The number of people prescribed the other five medications
20 was 300 million. In fact, it was more we subsequently
21 discovered, but 300 million. So, you can see here that
22 there were 170 cases of suicide, suicide attempt, and
23 suicide ideation recorded at that time in connection with
24 Accutane treatment, which we were told was 6 million
25 people. The other medications produced no reported case of

1 either suicide or suicide ideation, and 3 cases recorded
2 for suicide attempt. And those are the medications,
3 remember, that had been prescribed to 300 million people.

4 The study, which was published in 1999, also
5 featured data for the United Kingdom. You see here that
6 there were 23 case reports of suicide, suicide attempt, and
7 suicide ideation for Accutane in the United Kingdom, but
8 the number of prescriptions were shown at 12,400. The
9 number of cases of suicide, suicide attempt, and suicide
10 ideation for these other medications was nil. Not one case
11 of suicide, suicide attempt, or suicide ideation, despite
12 the fact that these other medications represent 90 percent
13 of the acne prescription market. And yet, in this case you
14 see 12,400 prescriptions, 23 cases of suicide, suicide
15 attempt, and suicide ideation reports; 200 million
16 prescriptions for the others, and no case.

17 The conclusion of the study was that Accutane
18 is 900 times more likely to cause depression than the five
19 other medications reviewed.

20 How many adverse drug reaction reports are
21 there for Accutane? Well, in May 1998 Roche issued a
22 letter to the Irish Medicines Board, which disclosed that
23 there were 40,000 adverse drug reactions on the Roche
24 database in respect of Roaccutane. A review of all ADR
25 data recorded since that time suggests that there may well

1 | now be 50,000 to 55,000 such ADR reports for Accutane on
2 | the Roche worldwide database.

3 | Studies show that only 1 in 10 serious ADRs are
4 | ever reported. In some countries, it may be only 1 in a
5 | 100. If we apply a factor of 10 to the number of adverse
6 | reactions recorded for Accutane, we get a figure of 500,000
7 | or more, more than half a million people, which I think
8 | gives some idea of the number of people and the scale of
9 | suffering caused by this drug.

10 | Roche have not provided a full list of all ADRs
11 | held in the Roche database for Accutane. The FDA and other
12 | national agencies have not received this full and detailed
13 | list of all ADRs, which I cannot understand.

14 | Dermatologists who prescribe this drug on a daily basis
15 | have not got the full list of adverse drug reactions
16 | worldwide in respect to this drug. Therefore, as I speak,
17 | I don't know and I doubt if anyone in this room, apart from
18 | the Roche people, knows the total number of suicides
19 | worldwide, suicide attempt, and suicide ideations recorded
20 | for the drug and also the number, up to tens of thousands,
21 | of psychiatric disorders recorded for the drug worldwide.

22 | I'm just going to briefly mention Norway in
23 | reference to a group of 32 very courageous people in Norway
24 | who, in 1988, set up an Accutane support group and went to
25 | the media to highlight the terrible side effects caused by

1 Accutane. We have the copy of the newspaper reports which
2 are now 12 years out-of-date. They sought from the medical
3 professional to devise proper medical treatment for people
4 who had suffered this severe physical and psychiatric side
5 effects which are listed in those publications in 1988.

6 As a result of this, the Norwegian Health
7 Authority commissioned a study in 1992. The study was
8 financed by Roche. The final report submitted to the
9 Norwegian Health Authority in 1993 made no reference
10 whatsoever to the scientific publications at that time
11 linking the ingestion of Accutane and the emergence of
12 psychiatric disorders and other items. They did not
13 disclose the number or the nature of adverse drug reactions
14 held on the Roche database at that time.

15 The French government health agency increased
16 the label warnings for Accutane on the 3rd of March 1997 in
17 order to feature suicide as a listed possible side effect.
18 Roche did not inform the FDA or any other health agency
19 that we've been in touch with of the increased label
20 warnings applied by France featuring suicide as a possible
21 side effect.

22 In the United Kingdom, Norway, Canada, Ireland,
23 and many other countries, the label warning up to May 1998
24 simply featured possible mood change. So, people right up
25 to May 1998 who took Accutane in Ireland, in the UK, and in

1 other countries, when they opened the packaging, they got a
2 label insert. The word "depression" didn't feature or
3 other psychiatric illness.

4 Most countries applied the increased explicit
5 label warning for Accutane in February to May 1998,
6 featuring various psychiatric disorders, which are now
7 pretty consistent, which say, on rare occasions, suicide,
8 suicide attempt, and suicide ideation, as well as various
9 psychiatric disorders.

10 This is the only prescription medication that
11 I'm aware of sold by a pharmacist which features on the
12 label insert suicidal thoughts and actions as a possible
13 side effect. And we've done extensive studies in many
14 countries just to see is there any other product with a
15 label warning which features suicide.

16 Up to the last time of checking earlier this
17 year, the Canadian label insert for Accutane contained no
18 reference to depression, psychiatric disorders, or suicide.
19 The Canadian label warning simply featured mood change as a
20 possible side effect. So, despite the existence of label
21 warnings in France for the past 3-and-a-half years, since
22 March 1997, featuring suicide and psychiatric side effects,
23 despite similar type increased label warnings in the United
24 States and other countries since the area of 1998, it seems
25 that Roche did not see the need to upgrade label warnings

1 | in Canada or to advise those patients in Canada who are
2 | taking this about current indications. It seems to me that
3 | since 1982, Roche applied the minimum label warnings it
4 | could get away with. Increased label warnings, of course,
5 | affect sales and they also affect profits.

6 | Following the increased label warnings
7 | introduced by the FDA in February 1998, Roche placed
8 | advertisements in the media indicating that Accutane could
9 | alleviate depression. That was their reaction. On the 8th
10 | of March 1998, the FDA sent warning letters to Roche
11 | ordering them to withdraw the promotional material stating
12 | that they were false and misleading and promote Accutane
13 | for an unapproved use.

14 | Roche used similar procedures or maybe tactics
15 | in the United Kingdom, but time does not permit me to just
16 | deal with those in detail at the moment.

17 | Also, Roche used similar advertising tactics in
18 | Ireland after the increased label warnings were applied and
19 | a feature on that is by Drs. Bickers and Jacobs. And when
20 | we looked who were Drs. Bickers and Jacobs, we found that
21 | they had been employed by Roche in 1997 in order to try and
22 | persuade the FDA not to bring in increased label warnings.

23 | It came to our attention that Accutane was for
24 | sale on the Internet. Now, as far as we can determine --
25 | and we've been monitoring the Internet for many years --

1 the drug was not sold on the Internet prior to the
2 increased label warnings, featuring psychiatric illness and
3 suicide. To investigate the ease with which Accutane could
4 be obtained online, we placed orders under the names of
5 boys and girls in their teens. Within 10 days, we were
6 supplied with the drug from South Africa with a
7 prescription from a doctor with a South African address.
8 Despite the restrictions for the prescribing of Accutane,
9 such as blood tests, pregnancy tests, it is possible to get
10 Accutane without a medical consultation. All you need is a
11 credit card. No medical consultation. No meeting between
12 patient and a doctor. No blood tests. No birth control
13 safeguards. No monitoring of patients.

14 Now, Roche profits from sale of Accutane via
15 the Internet could be in tens of millions and perhaps even
16 hundreds of millions of dollars.

17 I would like to briefly refer to Roche pretrial
18 studies for Accutane, which involved putting people on the
19 medication and reporting on the results as part of the
20 process for obtaining license in different countries, the
21 United Kingdom, the States, different countries.

22 In one such study, 3 out of 76 subjects who
23 developed adverse drug reactions to it were excluded from
24 the results allegedly because they were dose-related. So,
25 here you have a situation, 76 people put on the drug. 3 of

1 | them we are told developed side effects, but they were
2 | excluded. The side effects suffered by these 3 patients
3 | have never been disclosed to us, but we have investigated
4 | them and we are investigating them, as well as other
5 | pretrial studies in other countries.

6 | I find it extraordinary that apparently the
7 | Roche pretrial studies in so many different countries, as
8 | part of a procedure to obtain a license, did not show up
9 | depression and psychiatric side effects. Yet, the first
10 | independent study within actually months of the drug being
11 | introduced come up that 5.5 percent of people on the drug
12 | developed depression within 2 to 3 weeks. And this was
13 | followed by other studies, putting certain numbers of
14 | people on the drug and showing X percent and Y percent that
15 | they had suffered side effects, that the side effects are
16 | very real, that people who suffered the side effects were
17 | taken off the drug, psychiatric side effects taken off the
18 | drug, left for 8 to 10 weeks, put back on the drug, and
19 | then within a matter of weeks or months, those same serious
20 | side effects, including suicidal thoughts and actions, came
21 | back.

22 | Studies need to be undertaken by Roche or
23 | dermatologists to elucidate the mechanisms by which
24 | Accutane interacts with the central nervous system and
25 | other systems in the body. This will give us an insight

1 | into the causes of the specific side effects and hopefully
2 | leading to developing proper medical treatment for the tens
3 | of thousands, if not hundreds of thousands, of people who
4 | have suffered and continue to suffer severe side effects
5 | from this drug.

6 | Roche have stated publicly for the past 17
7 | years in every country -- because we followed the PR
8 | statements from Roche and they're all the same, and they
9 | haven't changed, by the way, since 1983 -- we do not know
10 | the mechanism by which this drug works. Therefore, there's
11 | no proof that Accutane causes depression or psychiatric
12 | disorders. And they have no shortage of medical people and
13 | others who will go up with this statement.

14 | So, here we have a product. We know it causes
15 | the side effects, but why do they cause them? Well, that's
16 | not our problem. We don't know how it works. Therefore,
17 | don't ask me about the psychiatric side effects and don't
18 | ask me about all the many, many, many physical side
19 | effects. We as an organization now have to go out and are
20 | now spending our money because we know, of course, that the
21 | mechanism can be determined.

22 | The final thing. The license for Accutane
23 | states that it should only be used for severe recalcitrant
24 | cystic acne as a treatment of last resort when all other
25 | treatments have failed. And that's the position in most of

1 | the countries, if not all of the countries. We believe
2 | that more than 80 percent of patients prescribed Accutane
3 | have mild or moderate acne, which is in violation of the
4 | license. Prescribing doctors should be required to certify
5 | that patient's acne is within the license guidelines.

6 | I'll just mention. There was a survey on
7 | several hundred dermatologists, conducted by a professor
8 | well-known to this side of the house, which showed that 74
9 | percent of patients were prescribed Accutane for mild or
10 | moderate acne. We have other studies in France, and if
11 | anybody wants to have a look at them, we would provide them
12 | -- showing that between 70 and 80 percent of people
13 | prescribed Accutane have mild or moderate acne. Of course,
14 | Roche know that. Everybody knows that. It's produced for
15 | severe nodular cystic acne. Unfortunately, the FDA and
16 | other national agencies say that they really can't do
17 | anything about it. It's the prerogative of the doctor.

18 | Patients should have all the proper tests,
19 | blood tests, pregnancy tests, and so on which should be
20 | properly monitored.

21 | Sale of Accutane on the Internet should be
22 | immediately prohibited.

23 | Patients should be psychiatrically assessed,
24 | using the Hamilton Scale of Depression or a similar
25 | questionnaire, before and during Accutane treatment.

1 Independent studies urgently need to be carried
2 out to establish exactly the mechanism by which this drug
3 causes so many side effects.

4 An appropriate medical treatment -- this is
5 probably the most important -- must be devised to
6 counteract the side effects and to provide treatment for
7 the many tens and hundreds of thousands of people who have
8 suffered severe side effects from this drug.

9 I want to thank the FDA very, very sincerely
10 for allowing me to speak here today. Thank you.

11 DR. BERGFELD: Thank you.

12 The last public speaker is Richard Josephson.

13 MR. JOSEPHSON: Members of the committee and
14 members of the FDA, my name is Richard Josephson. I am
15 with a firm called Baker and Botts. I'm a lawyer and I
16 have represented Roche on regulatory matters and other
17 matters.

18 My reason for speaking with you today is a
19 perspective that I may have that may be somewhat unique and
20 that you haven't heard from the public sector. That is, in
21 looking at what is before you today, I think it's first
22 important to understand that two of the speakers, including
23 the speaker you just heard, are involved in litigation with
24 the company. We understand that they've suffered some
25 personal tragedies, and I think it was important that you

1 be aware of that.

2 Second, I think that at this point in time the
3 committee should focus again on the question that will be
4 asked of you, in part, after the luncheon break, and that
5 is the considerations from a risk management perspective
6 regarding Accutane-associated psychiatric adverse events.
7 Is there sufficient concern to justify more risk
8 management, and what additional messages need to be
9 communicated.

10 If I might point out to you just briefly,
11 because it hasn't been brought out, but since 1986, as a
12 result in large part of those case reports that you saw
13 earlier and in some of the earlier papers, Roche has
14 actually had in its package insert a statement indicating
15 that of the patients reporting depression, some reported
16 that the depression subsided with discontinuation of
17 therapy and recurred with reinstatement of therapy. In
18 other words, there was an attempt prior to 1998, for almost
19 12 years, to capture in the adverse reaction section the
20 depression cases, as well as the dechallenge and
21 rechallenge cases.

22 After the FDA reviewed its database and ADRs in
23 1998, as you were told earlier, through meetings with the
24 manufacturer, a labeling change was instituted. That
25 labeling change has been put before you several times, but

1 it mentions virtually and captures almost all of the drug
2 experience reports that had been reported to the company
3 without regard to causation.

4 In addition, and I think most important, after
5 that labeling change was made, the company began a
6 verification process. That verification process involved a
7 look at the entire database of drug experience reports, a
8 pharmacoepidemiological safety assessment of adverse drug
9 reactions. It also looked at a retrospective cohort study
10 of databases. It also looked at a prospective analysis of
11 the new formulation compared to the existing Accutane
12 formulation, and there was no verification of the signal.
13 Virtually all of the arguments that you've heard today from
14 the FDA and from Roche indicate that at this time there has
15 been no verification of the signal.

16 Nevertheless, when you consider actions that
17 you may take or look at, you have a label currently in
18 effect which captures the essence of the drug experience
19 reports, even though we are sitting here today and everyone
20 is acknowledging that there has never been a verification
21 of the signal. This is, as you were told, unprecedented.
22 The FDA, acting in its public health capacity, believes
23 that that is necessary and physicians now have access to
24 that information even though there is no verification of
25 the signal.

1 If I might turn to the last slide, in law and
2 in science we have adopted your methodologies. After years
3 of not considering the scientific method in courts, we now
4 have adopted from science the scientific method. If you
5 look just briefly at the scientific method, they ask on the
6 question of the contention of whether Accutane causes
7 psychiatric reactions, the extent to which the theory has
8 been assessed based on scientific valid reasoning and
9 methodology, whether the theory has been subjected to peer
10 review, case reports versus peer-reviewed studies, whether
11 the theory is only based on subjective belief or
12 speculation, whether there is a potential rate of error in
13 this case in the adverse drug reports, and whether the
14 underlying theory or technique has been generally accepted
15 as valid by the scientific community.

16 I merely ask you to consider the fact that you
17 now have a label, which under the scientific method, no one
18 here can conclude that Accutane causes those effects. As
19 you consider what remedial action, if any, is needed or
20 additional action is needed, I only ask that you keep that
21 in mind.

22 Thank you very much.

23 DR. BERGFELD: Thank you.

24 As you know, we have run a little bit late. We
25 will take a 30-minute lunch break which will bring us back

1 here at 1:25. We will then proceed with the discussion and
2 the addressing of the questions posed to us by FDA. The
3 second part of the afternoon will then be Accutane's new
4 formulation. So, at this point, we are adjourned until
5 1:25.

6 (Whereupon, at 12:55 p.m., the committee was
7 recessed, to reconvene at 1:25 p.m., this same day.)
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AFTERNOON SESSION

(1:27 p.m.)

1
2
3 DR. BERGFELD: If I could have everyone take
4 their seat, please.

5 In an attempt to allow the committee to ask
6 questions before proceeding to the questions, which are the
7 events that we are going to do now, I would like to first
8 call on the psychiatrists who sit at the table as part of
9 the committee, since a lot of this has to do with their
10 field. Dr. Andrew Winokur has asked to speak first.

11 DR. WINOKUR: Thank you, Dr. Bergfeld. I have
12 three questions that I'll try to pose just in the interest
13 of focusing the discussion.

14 The first, Dr. Bull, from the FDA perspective,
15 we've heard a lot of very interesting discussion from the
16 FDA perspective and from the sponsor perspective about the
17 signal that has appeared that certainly pertains to
18 psychiatric issues, especially depression, and suicide is
19 most importantly coming out, and also from the sponsor some
20 excellent discussions about the complexity in interpreting
21 this in terms of the frequency of problems with depression
22 and suicide in the population at hand.

23 One additional perspective that I'd be
24 interested in, in terms of the AERS tracking system, which
25 is part of how this signal has come to light from the FDA

1 perspective, I'd be interested in hearing about other drugs
2 that have been associated with depression as a side effect.
3 Dr. Jacobs mentioned that there are perhaps 100 drugs. Dr.
4 Turner also referred to a number. But how other drugs that
5 have been linked to depression are showing up on a similar
6 tracking system so we have some sense of how the Accutane
7 signal from the database that you're looking at fits in
8 with other drugs that have known or suspected associations
9 to depression as the side effect.

10 DR. BULL: I think in part -- and I'll ask my
11 colleagues from the Postmarketing, Drug Risk Assessment to
12 also speak on this issue. When we looked at our database,
13 Accutane has a disproportionate representation for reports
14 of depression. I think in terms of things that are
15 alerting signals relative to what you would expect for a
16 drug, it was, in a sense, perhaps the level of association
17 that brought attention.

18 I think additionally when you look at benefit
19 and risk and for a drug approved for acne to have serious
20 psychiatric adverse events associated with its use in a
21 young population, you clearly want to make sure that you
22 are helping to do all that we can in terms of shaping the
23 safe use of the drug so that patients for whom it's
24 indicated that they are being sufficiently advised and
25 managed in terms of its usage.

1 DR. WYSOWSKI: We did look at the rank order
2 for depression of all drugs in the spontaneous reporting
3 system and the Adverse Event Reporting database. For
4 depression, Accutane ranks number 4. For serious
5 depression, it ranks number 5, and for suicide attempt, it
6 ranked number 10 of all drugs.

7 DR. WINOKUR: Thank you. That helps give a
8 little perspective to that.

9 My next question I think probably Dr. McLane
10 might best respond to this, but perhaps Dr. Jacobs would
11 have some response as well. I was taking note of data that
12 we were provided with about the clinical trial comparing
13 the new formulation to the standard Accutane and the
14 results with the BDI. I was interested and surprised to
15 see that the baseline scores for the subjects participating
16 in the study was 3.5, which struck me as being extremely
17 low. We're told, for example, that a normative value in a
18 group of college students was 12, and certainly in studies
19 that I've seen in a cross section of a comparable age
20 group, it's typically quite a bit higher.

21 This seems to go against something that we've
22 heard about a lot over the past couple days, that patients
23 suffering with this disorder tend to have a lot of
24 emotional symptomatology. I'm curious as to how you all
25 are making sense out of this particular finding.

1 DR. McLANE: On the Beck's Depression
2 Inventory, it was designed originally for psychiatric
3 patients. Many of the published materials on that include
4 patients that are both inpatients and outpatients for that
5 particular scale. However, there have been a number of
6 other studies that have looked at it in different
7 population groups. The one that you referred to we had
8 submitted as one of the pieces of evidence within our NDA,
9 and that was with college students.

10 When I had talked to actually Dr. Beck on this
11 particular score, he had said that when he had run that
12 study, he thought because of the anxieties and pressures of
13 the college students, that would be an expected score.
14 When he has looked at other normal populations, he gets
15 scores that 5 to 10 or so for normal scores.

16 I think also what I would like to do is mention
17 that on a clinical trial where you have people who are
18 motivated to come in, that is perhaps a bias introduced on
19 that aspect as well.

20 DR. JACOBS: Just to clarify that point, I also
21 looked at the Beck scores. I think there were 60 patients
22 who had respond yes to the assessment questionnaires. Of
23 course, that was an average. They had low average scores.
24 There were a few patients that had higher Beck's. What was
25 interesting, though, is that they didn't have the symptoms

1 we associate with depression. They had other depression-
2 like responses.

3 What was significant, though, is that there
4 were several patients who had preexisting illness, like I
5 mentioned before, and throughout the study, they did not
6 have an exacerbation of their underlying disorder. There
7 were a few patients on psychiatric meds. They had a
8 history of being on psychiatric meds in the past. They
9 didn't have a recurrence of it. One of the people who
10 dropped out of the study had an interpersonal crisis as the
11 basis for the increase in the Beck and then dropping out of
12 the study.

13 DR. WINOKUR: You may want to stay there. I'll
14 just make a comment, and then you may want to respond. I'm
15 struck by how low that score was in this population that
16 we've heard repeatedly is prone to experience a lot of
17 emotional symptomatology. I'm also mindful of your comment
18 in your presentation that especially younger people in this
19 group, the adolescents, may be unlikely to disclose
20 symptoms particularly related to emotional disorder.

21 As you well know and pointed out, this is not a
22 diagnostic tool. It's a symptom severity rating, and I
23 might point this out as a potential limitation of much of,
24 if not virtually all of, the data from such studies that
25 we've seen so far, that there's really a lack of

1 | psychiatrically recognizable diagnostic information that we
2 | can use as a basis. Certainly symptoms help us somewhat,
3 | but unless there are data from other studies that you might
4 | be familiar with, I find it difficult to extrapolate from
5 | the data that we had seen so far to having a firmer level
6 | of understanding of the presence or absence of psychiatric
7 | problems. I think to a fair extent you made this point
8 | yourselves. So, I don't think I'm saying something at odds
9 | with your presentation. But I wonder if you have any
10 | different perspectives on that.

11 | DR. JACOBS: First of all, as you know, the
12 | Beck is a self-rating scale and it's not diagnostic. I
13 | think certainly people who enter a study are different than
14 | people who have been either seeking treatment or not
15 | seeking treatment and may have been suffering for a
16 | different period of time.

17 | My understanding of the literature of acne and
18 | psychiatric disorders is really having to do with symptom
19 | severity, of increasing depression, increasing anxiety as
20 | opposed to specifically being correlated with a major
21 | depressive disorder. Anybody with a vulnerability I think
22 | will have a higher likelihood of developing a major
23 | depressive disorder, which is what I saw in a few of the
24 | cases having to do with an underlying vulnerability, as
25 | opposed to taking acne in and of itself.

1 DR. WINOKUR: My last question is for Dr.
2 McLane. This is related to the biological plausibility
3 section of your talk, which Dr. O'Connell also picked up on
4 a little bit. To be honest, I find myself a bit confused
5 with the slide that you presented on CNS relationships with
6 retinoids. I just wanted to pursue that a little bit
7 farther because I think that trying to understand what
8 biological and pharmacological sense we can make of this
9 issue is another important part of the puzzle that we're
10 being asked to consider.

11 Specifically you mentioned an association of
12 location of some retinoid receptors with populations of
13 dopamine systems. You mentioned some other things that
14 made it difficult to understand the physiological or
15 functional significance of that.

16 I'm wondering is there an identified endogenous
17 ligand, and are there known pharmacological agents that act
18 on that receptor system? And do we have any information
19 about functionally how that system may be related to
20 dopamine whether in terms of a synergistic or in terms of
21 an inhibitory type function or that there's no information
22 at all?

23 DR. McLANE: Let me break down your question
24 into several parts. I think one of the first things you
25 asked is about the receptor presence within the brain.

1 Specifically what they had looked at was use of messenger
2 RNA probes. There are five different major types of
3 retinoid receptors within the brain. The original studies
4 were looking at embryonic brains, and there's one study
5 that looked at the message level of these different types
6 of retinoid receptors within the adult brains of the
7 animals. These were the regions that they had specifically
8 detected that message level.

9 A subsequent study then went in and used an
10 antibody probe to those same proteins rather than just the
11 message level, and found that in the brain localization
12 many of these messengers, which would indicate a retinoid
13 receptor was present, is no longer present as a protein.
14 Thus, if it is not present as a protein, it would not be
15 functional.

16 Your second part of your question then refers
17 to the signaling pathways of retinoids. Isotretinoin is a
18 natural endogenous compound that is found normally in every
19 person. Isotretinoin is a natural ligand for some retinoid
20 receptors. Isotretinoin has another name called 13-cis-
21 retinoic acid. The all-trans-retinoic acid has much better
22 binding properties to these ligands than the 13-cis does.
23 Once the retinoid binds to the receptor, this type of
24 receptor is a receptor that binds directly to DNA on the
25 signaling mechanisms on genes, and it activates particular

1 genes.

2 Does that answer your question, or should I go
3 further?

4 DR. WINOKUR: Almost all the way. I finally
5 asked you if there's any insight about functional or
6 physiological effects on, for example, dopamine systems
7 since you did draw attention to the localization in that
8 area.

9 DR. McLANE: Right. No, actually that was the
10 point. The reason why the dopamine areas were recognized
11 was in animals in which the genes for the receptors were
12 specifically knocked out. In there what they saw was those
13 animals had a change in their gate. It was reflected to
14 their motor neuron function. On those animals, when they
15 then did any other type of behavioral changes is when they
16 saw the long-term potentiation changes within memory.

17 DR. WINOKUR: Thank you.

18 DR. BERGFELD: Do you have any further
19 comments?

20 DR. WINOKUR: Well, the sort of additional
21 comment from the line of questioning about the Beck is
22 that, again, we've heard the different perspectives on the
23 signal and the potential complications. We're clearly not
24 going to resolve all of this. But it strikes me that part
25 of the issue is a need for data that it sounds like are not

1 really available that would address some very fundamental
2 clinically relevant questions that perhaps at some point we
3 could get into a discussion of what kind of studies and
4 how. But I think there are sound, thoughtful arguments on
5 both sides of the issue of the signal. We'll hear from the
6 other psychiatrists, but I think the lack of some just
7 fundamental, critically important dimensions of what we
8 would need to hear about to think about how to take things
9 further is very striking to me.

10 DR. BERGFELD: Thank you.

11 I have on my list Dr. Malone and then Dr.
12 Greenhill and then Dr. Byrne.

13 DR. MALONE: After listening to the
14 presentations, I think it is hard to look at a disorder
15 that has a fairly high prevalence and then find perhaps a
16 side effect that could be clinically significant but not
17 all that common.

18 But I am still impressed by there being a
19 signal because these are things that are often under-
20 diagnosed in every population. The only reporting was
21 voluntary reporting. So, when you look at voluntary
22 reporting by a group of patients who often don't talk about
23 their symptoms, I'm impressed that there is a signal, that
24 it means something should be looked into. I just wanted to
25 make that statement.

1 Secondly, you had asked a question about other
2 drugs that the FDA knew about that had depression and
3 suicide. Was that data pre-1998 or post-1998 when the
4 labeling changed for the drug?

5 DR. WYSOWSKI: The rank order that I gave was
6 post-1998, but we did look pre-1998 and pre-1998 Accutane
7 was number 7 for serious depression. So, this ranking,
8 even if you look prior to 1998 when the labeling change
9 came in, it was within the top 10.

10 DR. MALONE: I still think that is impressive.

11 One of the presenters, Mr. Grant, had a slide
12 where he showed the occurrence of suicide and depression
13 with Accutane, then the occurrence in the antibiotics. Has
14 the FDA looked at their data regarding the rates of suicide
15 and depression for those antibiotics?

16 DR. PITTS: Frank, could we put the overhead
17 up? The one with tetracycline and Claritin.

18 This overhead represents the total number of
19 cases of depression, mood alterations, and depressive
20 symptoms with the tetracycline actually since we received
21 reports of tetracycline. So, it's a total database, and it
22 includes all of the tetracyclines, tetracycline,
23 oxytetracycline, minocycline, and doxytetracycline. There
24 were 8 cases of depression and 2 cases of suicide. One of
25 the suicides was in an 85-year-old female and the other was

1 | in an 18-year-old.

2 | DR. MALONE: What I thought was impressive
3 | about Mr. Grant's slide -- I don't know where the data came
4 | from -- was the large number of prescriptions for the
5 | antibiotics versus the relatively smaller number of
6 | prescriptions for Accutane. I guess that would be true in
7 | your database also. If you're looking at trying to figure
8 | out how many prescriptions had been given versus the number
9 | of serious adverse side effects reported to the FDA.

10 | DR. BERGFELD: Most likely that would be true
11 | because of the use in the dermatological community.

12 | DR. MILLS: May I make a comment on that? I'm
13 | Jim Mills. This is just an epidemiologist that couldn't
14 | keep his mouth shut here.

15 | DR. BERGFELD: That's all right.

16 | DR. MILLS: If I remember the slide correctly,
17 | 1,400,000 prescriptions for one of the antibiotics with no
18 | suicides, no suicidal ideation. Now, you tell me that
19 | there's a population of a million and a half people
20 | anywhere in this country where nobody has any of those
21 | problems. It's a classic case of poor reporting. I
22 | personally would make absolutely nothing out of the data
23 | there for that simple reason, that you're just not getting
24 | accurate reporting at all.

25 | DR. MALONE: Right, but if you're just trying

1 to look at a signal for voluntary reporting, it might point
2 towards there being a signal that there is a difference for
3 Accutane.

4 DR. WYSOWSKI: Well, if you look at the Jick
5 study that included antibiotics, patients prescribed
6 antibiotics for acne, they did have suicides and suicide
7 attempts in individuals on antibiotics treated for acne.
8 So, there was a possible twofold increase, non-
9 statistically significant relative risk for Accutane, but
10 again we were talking about sample size.

11 So, I would have to agree with Dr. Mills that
12 it's a classic under-reporting of individuals who commit
13 suicide or have depression with treatment with antibiotics.
14 I think there's selective under-reporting for the
15 antibiotic class as a whole.

16 DR. MALONE: Just one more comment. It's
17 really about the use of the BDI. When I read the report
18 that was given to us, it seemed to me that they were
19 looking for BDI scores that were only in the severe range.
20 I understand that this was not a clinical population coming
21 for a depression study. I don't do depression studies, but
22 my understanding is that they usually use the Hamilton,
23 which is a clinician report rather than the patient report
24 used in this study. In order to get into the studies for
25 the treatment of depression, it would require a much lower

1 score than they were using in this study.

2 DR. BERGFELD: Dr. O'Connell.

3 DR. O'CONNELL: I'd like to comment on that
4 only because we're going to discuss that trial this
5 afternoon because that data relates to the new formulation
6 NDA, and that's not going to help you now if we discuss it
7 this afternoon.

8 I know we're incredibly tight for time here,
9 but I just want to point out that that the objective of
10 that trial was to study the new formulation. It was just
11 to compare the safety and efficacy in general of the new
12 formulation of currently marketed Accutane. The FDA did
13 not help to design that trial to study specifically the
14 adverse events due to Accutane.

15 Basically the issue was the trial was designed
16 before the 1998 warnings but while we working up the
17 problem. Since the investigator's brochure was essentially
18 the labeling which didn't have the warning in it at the
19 time, we wanted to make sure that the patients' safety was
20 monitored.

21 So, I think it's very wonderful for the sponsor
22 to look at the data from that trial in an exploratory sense
23 to learn something about, if we do future studies, to
24 specifically address this issue, what we might learn about
25 design and things like that.

1 We can talk about it this afternoon, but there
2 are lots of reasons why I don't really think we can use
3 that data to really form any sort of --

4 DR. BERGFELD: Are you through?

5 DR. O'CONNELL: Yes.

6 DR. BERGFELD: Dr. Mills?

7 DR. MILLS: Even though I'm a birth defects
8 epidemiologist, I don't see too many other epidemiologists
9 here, so I'd like to make some general comments in the area
10 of epidemiology.

11 The first is it's very difficult, as people
12 have suggested this morning, to find an effect when there
13 are multiple competing causes and when the condition is
14 common. This is clearly the case here, particularly when
15 we add in that cystic acne itself may be a cause of
16 depression.

17 However, it's important, if we can, to rule out
18 a problem here because of the high exposure. For example,
19 the Roche people estimated that if there were no etiologic
20 effect at all of Accutane, that just given the number of
21 people who are exposed, that they would expect to see
22 150,000 cases in their population. So, in other words, if
23 the increase because of Accutane were as small as the
24 relative risk of 1.1, we would be talking about an
25 additional 15,000 cases.

1 So, it is important to try to answer this
2 question. A very large study would be needed to address
3 this. I think it's going to be extremely difficult to do
4 that. When I say "to address this," I mean either to
5 identify an effect or even probably larger to rule out an
6 effect.

7 A randomized cohort study where some people are
8 given Accutane and some people are given a placebo would be
9 highly unlikely to be done for several reasons. First,
10 because of the large number and the expense that would go
11 along with doing that kind of prospective study. Secondly,
12 because there would be possible ethical questions and
13 clearly difficulty recruiting people into a placebo study
14 if they had severe cystic acne. So, I don't think that's
15 likely to happen.

16 I realize I'm coming out being against
17 epidemiologic studies even though I'm an epidemiologist.
18 But I also think a case-control study would be very
19 unlikely to answer the question. As Dr. Wysowski pointed
20 out, the Jick study had some major problems and those are
21 not easily solved. For example, you want your cases and
22 controls to be virtually identical except for the exposure
23 of interest, that is Accutane. But that's unlikely to
24 happen because they're also going to have cystic acne which
25 is a risk factor that you can't control for. So, a case-

1 control study is never likely to have a proper balance and
2 be able to address the question.

3 Which leaves me with the suggestion that we may
4 not be able to get a direct answer to the question how
5 much, if at all, does Accutane increase your risk for
6 depression or other psychiatric illness.

7 But I would suggest that we might be able to
8 get some worthwhile indirect data by essentially looking at
9 a case series or just a cohort of people who were treated.
10 This would provide an opportunity under rigorous
11 observation to look for the type of cases that were
12 described this morning of people who develop the illness
13 after treatment, and we would have the opportunity in this
14 group to do a psychiatric evaluation prior to and then
15 during or after treatment in order to establish that this
16 was, in fact, incidence of new disease, not simply someone
17 who had psychiatric problems all along.

18 It would also enable us to test in a more
19 rigorous way people who have, as we heard previously, the
20 experience of developing psychiatric disease on Accutane
21 and then having resolution of psychiatric disease when the
22 Accutane is stopped because this could then be studied in a
23 very rigorous fashion while the people are treated, after
24 the people are treated, and then they could be
25 rechallenged.

1 In the unfortunate event where someone does
2 commit suicide, we would have a much better opportunity to
3 do the psychiatric autopsies that were discussed this
4 morning, but I hope more likely that we'd be able to do a
5 good psychiatric profile of the people who develop
6 psychiatric disease on treatment to account for other risk
7 factors, such as family history or drug abuse.

8 Then I think that Dr. Hager made a very
9 interesting suggestion this morning, and that is within the
10 cohort, if we looked at the people who on treatment
11 developed psychiatric problems versus the ones who did not,
12 would there be biochemical or genetic markers that we could
13 test to see if there is a population with a predisposition.
14 Just as an example of that, retinoic acid receptors or
15 something along those lines would be something I think
16 would be quite intriguing to examine as an internal nested
17 case-control study, if you will, within this population.

18 None of these will give us the most critical
19 data, which is is the risk higher of developing psychiatric
20 disease on Accutane than not on Accutane, but they will
21 give us some very useful ancillary information that may
22 indirectly answer that question.

23 DR. BERGFELD: Thank you.

24 Dr. Greenhill?

25 DR. GREENHILL: I found the discussion today

1 DR. BERGFELD: Dr. Jacobs.

2 DR. JACOBS: Just to respond to that, in
3 reviewing the cases of depression, psychosis, and suicide,
4 the clarity of diagnostic information to really state it
5 was a bipolar disorder was virtually nonexistent. I did
6 not see any clear-cut relationship between the
7 administration of Accutane and the production of symptoms,
8 and then it was confirmed that the person had, for example,
9 bipolar disorder.

10 DR. BERGFELD: Is there an FDA response?

11 DR. PITTS: Yes. I'm aware of one case where
12 we had a diagnosis of bipolar after Accutane and another
13 case where we had a psychosis and mania after Accutane.

14 DR. GREENHILL: I think that just makes me
15 stronger in support of the suggestion by, I think, Dr.
16 Mills for the possibility of a rigorous study with
17 diagnostic criteria and direct interview of all the
18 patients who might enter into such a study.

19 DR. BERGFELD: Thank you.

20 Dr. Byrne?

21 DR. BYRNE: I would only have about two
22 comments.

23 One would be that in relation to the earlier
24 presentation that suggested that retinoids were detectable
25 or isotretinoin was detectable in rat brain following

1 administration. I feel that's probably pretty significant
2 in relation to what I had said before.

3 And the other issue that I would mention is
4 that, A, I don't know what the effect of that would be
5 while it was there, and B, if isotretinoin can completely
6 reverse the process that causes acne, what is the
7 isotretinoin doing within the brain substance while it's
8 there? That would be my only comment in real terms.

9 DR. BERGFELD: Thank you.

10 I have several people on my list. Dr.
11 Rosenberg, Miller, King, Levin, and Branch in that order.

12 DR. ROSENBERG: Thank you. I have two things.

13 One, like a lot of many other physicians, we
14 don't just take care of diseases, we try to assess the
15 patient and modify the treatment depending on the special
16 needs of the patient. While we've heard and certainly I've
17 experienced sad, young people because of severe cystic
18 acne, I must say that the most upset patients that we see
19 with acne don't have the bad cystic kind. Frequently
20 they're young people who are terribly upset over what looks
21 to me like really not much to be that upset about. But
22 they say, well, it's really an important thing in their
23 lives. And you start rattling off the names of the
24 medicines that we use. Used that, done that. Is there
25 anything else? Then I will say, well, there's Accutane.

1 And I'll tell myself maybe for this patient it's worth it.

2 As I think about what we've heard today, are
3 these people already suffering from some personality
4 disorder or psychiatric disability that would place them at
5 higher risk of a real serious reaction than the youngster
6 with the bad cystic acne, in which case am I just asking
7 for extra trouble by doing that? And if I am, maybe we
8 should indicate that somehow for other people so they don't
9 fall into the same trap.

10 The other thing I was going to ask is it might
11 be doable in the laboratory with rats or something. I
12 wonder if there's any comorbidity incurred by Accutane
13 plus, say, either cocaine or methamphetamine or some of the
14 things that are around out there that young people bump
15 into. We can't ignore the incidence of that use.

16 Thank you.

17 DR. BERGFELD: I think there's a response from
18 FDA. Dr. Bull?

19 DR. BULL: It's more of a procedural question.
20 I wanted to just ask, because looking at our questions,
21 some of these are being addressed in the discussion, and
22 I'm sort of getting a sense that we are moving from
23 clarifying questions to actually some that address the
24 questions we have for the committee. A lot of this
25 discussion has taken that framework, and I don't know

1 | whether or not it might help us to sort of move the
2 | dialogue along if maybe we went ahead and addressed those.
3 | I don't want to in any way impede discussion, though, or
4 | any other further clarifying issues that need discussion.

5 | DR. BERGFELD: Thank you. I think that's a
6 | good attention getter.

7 | I think what I'll do, though, is call upon the
8 | people that I have on my list and ask them to be brief.
9 | Dr. Adams? Is that who is waving to me back there. If you
10 | don't mind responding next.

11 | DR. ADAMS: Well, my question was one for
12 | clarity, but then I have another one that is probably more
13 | appropriate for later.

14 | I still need clarity on the interaction between
15 | retinoids and the D2 receptor gene as to whether or not
16 | dose-response is understood in brain tissue and whether or
17 | not retinoids have the ability to up- or down-regulate the
18 | production of the D2 receptor from that gene.

19 | DR. McLANE: There have been two studies in
20 | embryonic neural cells.

21 | DR. ADAMS: I'm sorry. I wanted to focus my
22 | comment just on the adult.

23 | DR. McLANE: No, there have not been.

24 | DR. ADAMS: I would see that as an area of
25 | need.

1 DR. BERGFELD: Thank you.

2 Dr. Miller?

3 DR. MILLER: I'll wait.

4 DR. BERGFELD: You'll wait to the questions?

5 Dr. King? Wait till the questions?

6 Dr. Levin? Questions?

7 Dr. Branch? All right.

8 Dr. Lammer?

9 DR. LAMMER: Yes. Both Dr. Nelson from the
10 sponsor and the FDA representatives listed among the
11 criteria for trying to decide whether these reports might
12 be causally linked to Accutane, one of the criterion they
13 both emphasized was the possibility of a dose-response
14 relationship. Dr. Nelson didn't mention anything
15 methodologically about how he concluded that there was no
16 apparent dose relationship, and I'm pretty unconvinced by
17 the FDA presentation that in their conclusion isolated
18 cases suggest a dose-response effect.

19 I'm really unaware of any methodological
20 approach that allows you to take a data set composed
21 essentially only of adverse drug reactions and come up with
22 a dose-response relationship, one way or the other. So, I
23 think for the purposes of the committee deliberation in
24 trying to assess a possible causal relationship, dose-
25 response effect here I think is just not an appropriate

1 criterion to be looking at, considering that we're dealing
2 essentially only with adverse drug reaction reports.

3 DR. BERGFELD: Thank you for that
4 clarification.

5 Dr. Malone wanted to say something before Dr.
6 Bull presents the questions.

7 DR. MALONE: I was impressed with the
8 epidemiology of depression presentations on both sides. I
9 just had one point to ask the Roche people. In what you
10 said, did you say that one of your plans was to increase
11 CME about the presence of psychiatric disorders in patients
12 that might need Accutane?

13 DR. ELLISON: Yes, it was. Well, let me
14 clarify. We said that the high background incidence of the
15 disease in the cohort from which acne patients come,
16 depending on age, sex, and prior history, the 150,000 that
17 you would see across dermatology in this period, is an
18 opportunity to improve the impact of dermatology practice.
19 So, CME should include people who have those risk factors
20 in general, not just people who you might want to treat
21 with Accutane, so that we don't ignore them and just focus
22 on people who would have uncertain risk. That was the
23 point.

24 DR. MALONE: Because such a thing might do more
25 for the treatment of depression than many of the other

1 discussions we have if they did just a simple CME
2 presentation on depression.

3 DR. BERGFELD: Thank you.

4 Dr. O'Connell, quickly.

5 DR. O'CONNELL: I just wanted to clarify that
6 when we brought that up, we were thinking too not just CME
7 for dermatologists, not just for prescribers of Accutane,
8 but for health care providers who would be likely to see
9 patients who present with the problem, in other words,
10 psychiatrists, emergency room doctors, pediatricians, et
11 cetera.

12 DR. BERGFELD: Thank you.

13 Dr. Bull?

14 DR. BULL: Once again, our framework is that
15 all these considerations are taken from a risk management
16 perspective. We have heard today, I think, a significant
17 body of data, both from Roche, as well as from FDA, that I
18 think clearly lays out issues attendant to an association
19 of psychiatric adverse events and the use of Accutane.

20 Our goal is to do we all that we can, to the
21 very best of our abilities, to manage the use of Accutane
22 such that we maximize benefit and that we minimize the risk
23 associated with its use.

24 Causation is not a necessity in this instance.
25 I would reference you back to the regulation that was read

1 earlier to you by Dr. O'Connell where the standard in this
2 instance is one of reasonable evidence, and I think clearly
3 what we will need to frame our risk management discussion
4 on is whether or not there's reasonable evidence for us to
5 move forward in a more definitive way to address this
6 issue.

7 So, without further ado, our first question to
8 the committee: Is there sufficient concern to justify more
9 risk management? If yes, what additional messages need to
10 be communicated and in what form?

11 John, if we could put up the options. We're
12 going to put back up again the slide at the end of Dr.
13 O'Connell's presentation, and I'd like to bring to your
14 attention to the elements here which I think are options
15 specific to question 1 on education and information and
16 potentially intervention. So, if we can sort of shape our
17 discussion around those as possible options for
18 consideration.

19 DR. BERGFELD: Do you want to read your second
20 question as well so we know what we're about? And then
21 we'll go back to the first.

22 DR. BULL: Okay. Question 2: Would further
23 studies help to clarify the relationship between Accutane
24 use and psychiatric events? If so, what kind of studies?

25 DR. BERGFELD: Thank you.

1 I'll now open the discussion for question 1. I
2 have a list here. Should I go down it again? Dr. Miller,
3 you wished to present on question 1 or the discussion of
4 question 1?

5 DR. MILLER: Yes. We certainly need a lot more
6 data, and we've not gotten the data to this point. I think
7 one of the things that's apparent is that we have not been
8 reaching all the prescribers of Accutane. The educational
9 tools have been there, and they don't reach all the
10 physicians as they are. I think that the educational tools
11 have to support specific recommendations. Again, using our
12 situation as prescribers of Accutane and also teachers of
13 dermatology residents, it's a very varied approach with a
14 medication that has very serious implications.

15 What would help me and make my practice much
16 easier would be to have a specific form that would be dealt
17 with with each patient that would include the pregnancy
18 contraceptive issues, that would include the appropriate
19 questions that I would ask from a psychiatric standpoint
20 because I don't know what those questions are, but those
21 questions that the psychiatrists feel are appropriate. And
22 upon completion of that form, I would then be able to write
23 a prescription for a patient. But the fulfillment of the
24 recommendations would be the sine qua non for my writing
25 the prescription for Accutane. I think this would help to

1 eliminate those outliers who are really not going along
2 with the recommendations, who don't have a good knowledge
3 of Accutane, and who are not going to be reading the
4 literature.

5 This would help us immensely because I think
6 your data ultimately is going to come from the physician.
7 If I have those forms and they're on file and they're
8 available to you, you're going to be able to see what I
9 have psychiatrically, what's happened with the pregnancy
10 issues. I think it would eliminate the capriciousness of
11 the current approach that we're taking with a very serious
12 drug, and I really have concerns about that and it's a drug
13 that I use.

14 DR. BERGFELD: If I might intervene here, are
15 you suggesting that the psychiatric evaluation be in part
16 of the registry that was voted on yesterday, the pregnancy
17 registry, the female who was entered into the Accutane
18 therapy?

19 DR. MILLER: I think it should be, yes.

20 DR. BERGFELD: And you were then saying about
21 the educational materials, that have been so wonderfully
22 presented to us from Roche, that incorporated into that
23 massive group would be something on a psychiatric
24 evaluation.

25 DR. MILLER: Exactly, yes. I think we have the

1 specific recommendations and the educational materials
2 would support those recommendations.

3 DR. BERGFELD: Dr. King.

4 DR. KING: I'd actually like to expand on that
5 theme, in the sense of that all change represents both a
6 problem and an opportunity. As part of this meeting, the
7 three goals to address the issues of Accutane and adverse
8 outcomes in pregnancy, this committee selected design
9 number 3, which includes increased education of patients
10 and prescribers, more detailed or more effective informed
11 consent, and registration to include a pregnancy registry,
12 surveys, external data, and more increased or more accurate
13 or efficient pregnancy testing.

14 I'd like to expand on that in the sense of
15 registration must be uniform to be most practical and
16 useful. Exceptions and subsets will not be easily
17 captured. You have in a doctor's office oftentimes with a
18 dermatologist 60 patients being seen in a day, and if you
19 have to figure out, with the various people on vacation and
20 so forth, you really need to make it male and female all
21 ages. It moves the monitoring from a voluntary effort to a
22 mandatory effort.

23 So, the registration is more intrusive, but the
24 cohort studies and retrospective studies and other studies
25 mentioned do provide the opportunity to capture this, but

1 | by extension also by including what Dr. Miller suggested,
2 | measuring depression and other CNS effects becomes
3 | possible.

4 | Since the Accutane New Formulation studies
5 | already include a more complete evaluation of depression
6 | and other CNS adverse events, it may be that by starting
7 | the new formulation anew, we won't have black box fatigue.
8 | We will put both these new changes of the pregnancy effects
9 | and depression, the CNS effects all as an integrated
10 | package. So, oftentimes when I make change in my
11 | department, if I'm going to have a major change like a new
12 | boss, you also do some other things that may not be
13 | directly related because people are expecting change.

14 | So, if we're going to make change, let's not
15 | piecemeal this. Let's not make it hard on Roche who has
16 | done a great job of trying to identify the issues. I don't
17 | know what the receptor is on retinoids, but I do know that
18 | hypervitaminosis A is real. So, let's try to work out some
19 | solution where we're going to have an integrated package
20 | where we can modify and integrate what Roche is already
21 | trying to do both for pregnancy and depression and CNS and
22 | by having a mandatory registration, we'll have a database
23 | that we can monitor and go forward and retrospectively
24 | select out populations. I think we're going to make it
25 | more cost effective and more likely to be followed if we do

1 | it this way.

2 | DR. BERGFELD: I have Dr. Abel next and then
3 | Dr. Honig.

4 | DR. MURPHY: Can I just ask a clarification
5 | question?

6 | DR. BERGFELD: Yes.

7 | DR. MURPHY: From what I understood both of you
8 | to say is basically you do want an informed consent, that
9 | universal approach here would assist in the efficiency of
10 | this process, and that that would involve an informed
11 | consent for everybody.

12 | DR. KING: Absolutely. One ticket, one show.

13 | DR. BERGFELD: Dr. Abel?

14 | DR. ABEL: I just wanted to comment on a couple
15 | of issues. I agree with Dr. Miller in terms of patient
16 | evaluation and that dermatologists and dermatology
17 | residents in training need to be educated on how to
18 | evaluate patients from a psychological/psychiatric
19 | standpoint. Whether or not this needs to be an official
20 | registry, I'm not sure because I am concerned about the
21 | intrusive nature of this, making it part of a registry.

22 | The other thing is, what is the information
23 | that we need to transmit to patients about risk of adverse
24 | events? One particular issue that I'm concerned about is
25 | duration. I think we heard about the cases of depression

1 and suicide, and with depression there was dechallenge and
2 rechallenge. And then there were some cases that occurred
3 after discontinuation of the Accutane. What information do
4 we have regarding duration of side effects? This drug has
5 a short half-life, but is there some binding to receptors
6 that causes concern for more permanent effects?

7 DR. BERGFELD: I think some of that would be
8 answered under question 2, but certainly your thoughts can
9 be recorded and taken up again under question 2. I think
10 that some of it will be solved by the FDA in what they
11 finally decide to do with our answers, unless you have a
12 specific recommendation to make.

13 DR. ABEL: No, I don't, but one last question
14 is clarification or information gathering on worldwide case
15 reports and to what extent were these incorporated into the
16 case reports by Roche.

17 DR. BERGFELD: Is there a Roche response? Were
18 the worldwide cases incorporated in your database that you
19 presented to us?

20 DR. ELLISON: Yes, they were, and they were all
21 reported to FDA as well.

22 DR. BERGFELD: Thank you. And to the FDA as
23 well.

24 Dr. Honig?

25 DR. HONIG: I just wanted to follow up on Dr.

1 Miller's comment, basically a plea for a standardized
2 approach to prescribing Accutane. I was wondering if
3 you're interested in pursuing this a little further and you
4 stop short of perhaps recommending a certification program
5 for Accutane prescribers, there is precedent for that for
6 drugs approved by FDA. Tikosyn, dofetilide, the
7 antiarrhythmic I think you heard about, is approved for use
8 by certified prescribers of the product where they have to
9 pass a competency checklist. I'm wondering if you want to
10 entertain that.

11 I know Dr. King has already in his institution
12 put some standard operating practices in place for his
13 trainees that are learning to prescribe Accutane.

14 DR. MILLER: Yes. I think that's absolutely
15 necessary. And we've done that within the department.
16 We've set up standards. But I think with a medication as
17 serious as this, with all the implications -- and we want
18 to save pregnancies and save children -- we need something
19 official so that there's a uniformity to this, that it's
20 not my department and Lloyd's department individually doing
21 it. I think it has to become official so that we're asking
22 the right questions from a psychological standpoint so that
23 we're doing the right things as far as the pregnancy and
24 contraceptive issues are concerned. What I see now is
25 great diversity in this, some people not doing it and