

1 others doing it. It's imperative that we do it, and it's
2 the only way you're going to ultimately get data and have
3 some consistency and hit every pocket, if we restrict
4 prescriptions to the completion of this material.

5 DR. BERGFELD: Dr. Moore, then Dr. Greenhill.

6 DR. MOORE: I just want to voice my concern.
7 Although I certainly have sympathy with the ideas that have
8 been put forth of putting everything together in one
9 package, I think the body of evidence, so to speak, for the
10 psychiatric effects are in no way comparable for what we
11 have for the teratogenic effects. And to bundle those two
12 in the same package I think probably puts us at risk for
13 not having a successful program for the known birth defect
14 risk and maybe puts us at risk for getting the kinds of
15 information that Dr. Mills was talking about that we really
16 need to assess whether or not there even is the risk for
17 suicide or other psychiatric conditions.

18 DR. BERGFELD: Thank you.

19 Before you go, Dr. Greenhill, I promised Dr.
20 Winokur.

21 DR. WINOKUR: Actually to follow up on that
22 question, I think we really need the kind of systematic
23 prospective information that Dr. Mills was referring to
24 before to make further headway on understanding the
25 relationship. So, hopefully a program putting together

1 such information would be an important step in moving
2 forward.

3 Just to step back to the original question of
4 is there sufficient concern to justify more risk
5 management, I think even from the perspective of Roche's
6 presentation that this population is one that's fraught
7 with potential for significant psychiatric events, even
8 unrelated to what Accutane may convey, and that clinicians
9 dealing with this population are, by and large, not trained
10 psychiatrically extensively I assume in most cases. And
11 this would be a challenging population for those of us
12 trained in the area. I think having the more structured
13 systematic approach could be very helpful and could be
14 justified on those grounds alone, let alone the additional
15 concerns about the potential for problems associated with
16 Accutane.

17 The one other element that I would underscore
18 -- and I think it's been mentioned, but I just wanted to
19 highlight it -- is I think paying real attention to what
20 kind of information is provided to patients at the outset.
21 We've talked a lot about the information in the really
22 beautiful program put together in terms of the
23 contraception and avoiding becoming pregnant, and I agree
24 that it would not be appropriate to have a comparable
25 amount of attention at this point to the depression issue.

1 | But I think some clear information about depression, again
2 | not necessarily saying that this will be because of being
3 | on Accutane, but just because of the circumstances of this
4 | phase.

5 | But we've heard again from Dr. Jacobs that this
6 | population in particular is likely to conceal or be
7 | uncomfortable about revealing symptoms. Again, I'm alerted
8 | to the low, in my opinion, scores on the Beck Depression
9 | Inventory as perhaps another reflection of that. I think
10 | from up front, they need a kind of clear, candid discussion
11 | that during the course of treatment, there may be some
12 | symptoms that arise and some examples should be given and
13 | some specific instruction to communicate about that and
14 | what to do about that. And in addition, I assume that the
15 | clinicians might also need some help in terms of where to
16 | go once that comes up.

17 | As a bridging comment, it strikes me that
18 | putting some of this together could be an extraordinary
19 | opportunity down the road to think about some other
20 | coordinated studies that would address another question
21 | that we'll probably get to with question 2, which is what
22 | do we do when problems do arise in the context of Accutane
23 | treatment and how do we most effectively treat or manage
24 | that. It sounds like there's great need for better
25 | information to guide the field, and this could be a

1 wonderful opportunity to start to build towards that.

2 DR. BERGFELD: Thank you.

3 Dr. Greenhill?

4 DR. GREENHILL: Just a couple of small points
5 which I'm sure are obvious. One is that the current
6 consent form that's in the package insert has no
7 information on the psychiatric possible problems that could
8 arise, and in all my experience with consent forms, if
9 there is an associated condition that has been found in the
10 past, it's usually put into the consent process. That's an
11 opportunity to put in the warning signs of depression to
12 remind both the practitioner and the patient what they
13 might be looking for.

14 The second thing is some of the comments made
15 by Dr. King and Dr. Miller suggest to my mind that there
16 might be prescriber practice parameters. We have them in
17 child psychiatry for administering stimulant medication. I
18 wondered if there are any dermatological practice
19 parameters surrounding Accutane. I'm sure there are but I
20 just would like to know a little bit more about them
21 because they may figure into this whole process that we're
22 thinking about now.

23 DR. KING: Actually thank you for that
24 opportunity. When we approached the original issue of
25 Accutane monitoring, it had more to do with dermatology had

1 | no issues to monitor relative to the hospital quality
2 | assurance program except that we always report and follow
3 | up on skin cancer. So, that put us at major risk in terms
4 | of legal. So, we looked into Accutane and asked the issues
5 | of how many people, were we doing the pregnancy tests and
6 | following up.

7 | I'd like to suggest that from my experience
8 | with dealing with a lot of smart kids and doctors' sons and
9 | daughters at Vanderbilt, if you put something in the PDR
10 | that says something about depression or anything else and
11 | you don't mention it in the consent form, they come back to
12 | you like crazy in these days of the Internet and the Web
13 | and so forth. It's not like don't worry about that tiger
14 | back there, and oh, by the way, I'm not going to mention it
15 | anymore. You cannot not put that there.

16 | So, I agree we should not scare the hell out of
17 | folks for various kinds of "you may have this," but
18 | something in a consent that says there may be a risk and
19 | you should know about this and you should report this, not
20 | only can you have pregnancy, if you're a female, but you
21 | may have depression and you should report that because
22 | there are things we need to know about that and we may help
23 | you.

24 | So, that was my integrated approach, saying if
25 | you're going to make the change for a new formulary, you're

1 going to make the change for pregnancy, and you're going to
2 address that issue of depression and get a population from
3 which you can select out for better studies, I think you
4 ought to do it all as a package.

5 So, relative to the issues in dermatology, the
6 American Academy of Dermatology has a series where they
7 basically describe what are the effective treatments
8 recommended for acne, and it does suggest in many articles,
9 as you've seen quoted here, that there are some problems.
10 So, I think you're worried more about the population not
11 being reached, pediatricians, nurse practitioners, than you
12 are about dermatologists. No one, including me, wants to
13 be sued because we did not talk about the issues.

14 So, I think there is some misinformation, but
15 mostly lack of information outside dermatology. So, I'd
16 like to see an integrated consent form that addresses this
17 in a proper way, negotiated between Roche and the FDA and
18 perhaps even dermatology and general practitioners.

19 DR. BERGFELD: I have Dr. Epps and Dr. Levin.

20 DR. EPPS: Thank you.

21 In regard to the questions, I certainly agree
22 that we definitely need information on the CME program that
23 has already been suggested and discussed with Dr. King,
24 through our academy, as well as publications, press
25 release. I know the FDA often puts out publications and

1 suggestions. Professional labeling is certainly indicated.
2 In the brochures from the company, absolutely it should be
3 in everything that they discuss regarding the risk or that
4 there have been reports, whatever language that you'd like
5 to use.

6 I do have concerns also regarding
7 confidentiality. If you're going to document particular
8 risks or possible indications of depression or psychiatric
9 illness, maybe that shouldn't be on the consent itself or
10 whether there should be yes or no. There may be signs
11 rather than each specific question that certainly
12 psychiatrists could aid in the best screening type
13 questions. We can't get into great detail, but there are
14 certain screening questions that may indicate there may be
15 signs of depression or other problems.

16 As far as, of course, patients would want to be
17 closely monitored. Perhaps through managing of events, we
18 could emphasize that you need referrals not only for
19 psychology but also for reproductive or contraceptive
20 counseling. Certainly I do not personally manage birth
21 control pills or whatever. I leave that to the gyn and the
22 pediatricians and the family practitioners who do that on a
23 regular basis. There are contraindications for all of
24 those things, and certainly I would not manage someone's
25 psychiatric illness either.

1 As far as formal studies, yes, yes, yes, and
2 yes. We clearly need data, retrospective and prospective.
3 Perhaps those cases that Dr. Byrne referred to, whether
4 it's dose related, whether it's related to the body mass
5 index, who knows? But we could certainly get as much
6 information as possible, maybe the dosing and advancing of
7 the dosage, or whether it started at a large dose or
8 advanced too quickly. Who knows? But if you get that data
9 and look at it, perhaps we could go forward and make
10 suggestions regarding patients who should or should not be
11 treated that way, different ways the medication could be
12 given to avoid the kind of side effects that people have
13 referred to today.

14 DR. BERGFELD: Dr. Levin, then Dr. Branch.

15 MR. LEVIN: I'd just like to add to the mix my
16 belief that Accutane become the third drug for which the
17 FDA mandates a medication guide. It seems to me a very
18 appropriate drug for such a mandate not only in terms of
19 the psychiatric adverse events, but other serious side
20 effects and adverse events. Clearly there's a lot of
21 emphasis which is justified on the issue of preventing
22 pregnancy and birth defects. It's sort overwhelming I
23 think the usual presentation of other information about the
24 drug which is also very important.

25 A medication guide is a safety net. It

1 requires that if nothing else happens along the way, at
2 least at the time the drug is dispensed, that the patient
3 would get at the point of dispensing an information sheet
4 or guide similar to what you've heard described today. I
5 think this is, again, a perfect example of a drug which
6 meets the statutory criteria, and we would be well served
7 by moving this to a mandate.

8 DR. BERGFELD: Thank you.

9 Dr. Branch?

10 DR. BRANCH: I come in with a slight tone of
11 dissent. I'm a strong proponent of evidence based
12 medicine. I think that it's extremely difficult to see the
13 signal in the background of the psychiatric illness here.
14 I think the most convincing data is the
15 dechallenge/challenge data that the FDA presented. Having
16 sort of seen the quality or heard about the quality of the
17 various data sources, it seems to me it is likely that
18 there is a small but real temporally related side effect
19 profile in a minority of subjects.

20 I like the idea of doing future studies that
21 look at the potential for pharmacogenetics to identify
22 predisposed people, but we don't have the science to back
23 that yet.

24 I'm concerned that we're going to be taking
25 what is a therapeutic opportunity to link dermatologists

1 and psychiatrists to mandate something that may not be as
2 simple as that.

3 So, my concern is that the patients are given a
4 current state of knowledge in all its imprecise natures. I
5 am concerned that there is a rush to condemn when we don't
6 actually have the requisite information. I think the
7 future studies could be designed and could really throw
8 light onto both mechanisms, identifying potential people at
9 risk, and being able to come up with strategies of what to
10 do if you see something, but I think we need to be very
11 careful that we tell people what we know and not what we
12 feel.

13 DR. BERGFELD: Thank you.

14 Dr. Gloria Anderson.

15 DR. GLORIA ANDERSON: I wanted to come back to
16 question 1 and express my opinion. First of all, I think
17 I've heard enough to answer yes to this question. I
18 believe that there is, at least in my opinion, sufficient
19 concern to justify more risk management. I'm a physical
20 organic chemist, so I'm not going to try to get into any
21 details. I do teach the doctors.

22 In the area of education and information, I
23 think that there probably is the need for further effort in
24 that area. However, I would suggest that you might want
25 to, as you do that, look at the effectiveness of what's

1 | already out there. I have a great concern for how
2 | effective educational and informational materials in terms
3 | of prescription and nonprescription drugs can be.

4 | Intervention I believe certainly is an area in
5 | which we ought to be doing something. It seems to me that
6 | one of the things I've heard a lot is that we don't know a
7 | lot about what's happening with a large number of patients.
8 | Therefore, it seems to me like monitoring the patients and
9 | managing the events might be something that would give us
10 | some of the information that we've said we don't have.

11 | So, my answer to question number 1 is yes, I
12 | think we should do that, and I think we should move in
13 | these two areas that are listed here.

14 | DR. BERGFELD: Thank you.

15 | Have we heard everyone? Because I'd like to
16 | call the question. The first question is, is there
17 | sufficient concern to justify more risk management? Is
18 | that all right to do? All right.

19 | I'll call the question. All those in favor of
20 | yes, please indicate by raising your hand.

21 | (A show of hands.)

22 | DR. BERGFELD: Unanimous.

23 | I think that we've heard detailed discussion on
24 | what possible considerations could be made and could be
25 | expanded and reviewed, but are there any other comments

1 | regarding this area? Yes, Dr. Rosenberg.

2 | DR. ROSENBERG: I think we ought to separate
3 | the suggestions that forms be required for the patients to
4 | fill out which might or might not include the psychiatric
5 | depression index, as well as pregnancy. That was
6 | mentioned.

7 | Then there was also the question of certifying
8 | certain physicians to be able to handle this material.
9 | These are two very separate issues. I certainly would vote
10 | separately on the two of them, and I urge that we not mix
11 | them up when we vote.

12 | DR. BERGFELD: I'm not sure that we need to
13 | vote on them. You just needed to hear the discussion of
14 | what the experts think. Is that correct? Or would you
15 | like specific actions?

16 | DR. BULL: Going back to Dr. Levin's comment on
17 | the medication guide, you may want to in terms of the part
18 | of the question in terms of messages and what form that may
19 | deserve. You may want to consider taking a vote on how
20 | they're made because I think also it's been discussed about
21 | including this information in informed consent. The
22 | medication guide, as you heard in Dr. Ostrove's
23 | presentation earlier today, is a document that would be
24 | required to be dispensed with the drug. That would also be
25 | a mechanism of ensuring that the information is provided in

1 a manner that is clearly understandable.

2 DR. BERGFELD: If I could take this apart then,
3 it appears to me under "education and information," that
4 Roche has supplied some very good materials. There have
5 been some suggestions made that they should be relooked at,
6 perhaps they could be enhanced and some of the words taken
7 out so it would be more simple, so it would be easier read
8 and interpreted by all, both patients and physicians.

9 There needs to be continuous activity in
10 education of the provider, which is the physician.

11 Then we move to the question of professional
12 labeling. I think that you've already taken care of, and I
13 think all of us in our conversations agree that the
14 labeling appears to be appropriate at this time.

15 The information to the patients then, the
16 patient package insert you state here is optional. Is
17 there an opinion that that should be other than optional?

18 DR. ROSENBERG: But that's the question.
19 Should we move from optional to the medication guide which
20 is required?

21 DR. HONIG: Right. The point is that a PPI, a
22 patient package insert, is optional that the patient
23 receives it versus a medication guide where we know the
24 pharmacist is required --

25 DR. BERGFELD: So, they wouldn't receive both.

1 They would receive one or the other. Is that correct? Or
2 they could receive both? Dr. Levin?

3 MR. LEVIN: The medication guide is at the
4 point of dispensing. They could receive a PPI at any point
5 from a prescriber or at the point of dispensing or by going
6 to the PDR or going on the Internet. So, there are a
7 variety of ways.

8 DR. HONIG: From our perspective, a PPI is part
9 of approved product labeling, and it's virtually identical
10 in appearance to a medication guide. The only difference
11 is that with the medication guide it's required that the
12 pharmacist provide that to the patient filling the
13 prescription.

14 DR. BERGFELD: Dr. Greenhill?

15 DR. GREENHILL: I had one question. I
16 understood that the medication guide could be avoided if
17 the prescriber thought it was somehow not indicated. How
18 would the patient know that there was a medication guide
19 that he or she was not being offered?

20 DR. BERGFELD: Is there a response?

21 DR. BULL: What I recall of Dr. Ostrove's
22 presentation is that it's required to be distributed at the
23 time that the drug is dispensed by the pharmacist. It goes
24 with the package.

25 DR. BERGFELD: It could be overridden by the

1 physician we heard, but the patient could request it and
2 override the physician.

3 DR. GREENHILL: If the patient knew about it.

4 DR. BERGFELD: Right.

5 DR. HONIG: As Dr. Ostrove mentioned, there's a
6 label on the vial saying that a medication guide is
7 available for this product.

8 DR. MURPHY: I would suggest that you not mix
9 the patient package insert and the medication guide. The
10 patient package insert is part of our labeling that we do
11 things to. There are very specific regulations for the
12 medication guide, as were laid out this morning. It has to
13 be given, except in that circumstance just discussed.
14 There has to be a notification on the bottle that you're
15 supposed to get one, and it has very specific language as
16 to how we're supposed to address, as you heard this
17 morning.

18 So, I think that what Dr. Bull was trying to
19 get us to focus on was are you saying, when you voted we
20 need to do more, in addition to trying to coalesce some of
21 the activities here and information exchange and education
22 for professionals, when it comes to the patient, do you
23 want a medication guide to be utilized? I would say we're
24 asking you for the psych aspects of this right now.

25 DR. BERGFELD: I think that's quite clear then.

1 The rest of it the FDA already is engaged in doing with the
2 company.

3 So, let's go to the medication guide. May I
4 put the question on the table and see how it falls here?
5 All those that are in favor of a medication guide,
6 specifically as it relates to the issues?

7 Yes?

8 DR. MOORE: I'm sorry. What would the guide
9 say?

10 DR. MURPHY: Basically as Nancy Ostrove went
11 through today, it has to have certain categories. It has
12 to be in language that the lay public can understand, and
13 it has to answer questions like, what do I need to know and
14 what should I do if? It has very specific things that we
15 have to put in it.

16 DR. BERGFELD: Dr. Levin?

17 MR. LEVIN: Just one quick comment. The
18 medication guide format is the result of conversations that
19 have been going on since 1995 when the FDA first proposed
20 medication guides for all drugs. That was beaten back by
21 an act of Congress. I won't go through the long history of
22 decades of trying to do this. Although this particular
23 statute is new, the notion of a medication guide and the
24 format that's been developed has been around for a long
25 time.

1 DR. BERGFELD: Dr. Greenhill.

2 DR. GREENHILL: Just a point of clarification.
3 Would the medication guide that's being proposed also
4 include the information on pregnancy and danger to the
5 fetus?

6 DR. MURPHY: We can determine that that is
7 necessary after what we heard from you yesterday, but I
8 think what we're asking you to vote on today is the
9 medication guide as it is relevant to a discussion of
10 psychiatric concerns.

11 DR. BERGFELD: Dr. Levin?

12 MR. LEVIN: Just a clarification. Medication
13 guides I did not think were focused to specific risks. Are
14 they? They have to be consistent with the product label.
15 They're supposed to inform the patient. It's sort of a
16 risk-informing process, as well as other things. It says
17 these are the risks of the drugs you're going to take. It
18 may highlight the principal risk. But unless I'm
19 completely confused.

20 DR. MURPHY: You're correct. I'm trying to
21 focus, because we were mixing the two, that we can put in
22 -- and we had a long discussion yesterday about the risk
23 for pregnancy. Not that we would leave out things that
24 need to be in there, but would you please address whether
25 you think we need a medication guide as is relevant to the

1 psychiatric risk here.

2 DR. BERGFELD: Dr. Moore?

3 DR. MOORE: The question I had earlier wasn't
4 about what should be in there in the format, but how will
5 you describe this risk to the patient?

6 DR. MURPHY: Believe me, I couldn't do that in
7 one minute right now. I could not tell you that. It takes
8 the experts from the division, the technical experts. It
9 takes a group of people who look at risk communication.
10 There's a process that goes into place. It's not something
11 that we would do very quickly here.

12 What we're asking for is not what we would say,
13 but do you think we should utilize this mechanism for this
14 specific aspect? Dr. Levin, you're right. We would not
15 just include this. I'm just trying to focus the
16 conversation. Thank you.

17 DR. BERGFELD: Dr. King?

18 DR. KING: I'd actually like to come back to
19 what I proposed earlier, like load all the freight on one
20 boat. It seems to me that the purpose of this is actually
21 to inform the patient as a follow-up to the consent form.
22 I agree with Dr. Rosenberg. I don't think that it's
23 practical to list all these things that we'd like to do to
24 follow the potential etiology of psychiatry and all these
25 scales and tests. I just would like to know if my son gets

1 | this medication somewhere, that what he signed in the
2 | consent form is the same kind of information he's going to
3 | get when he gets the medication. It seems to me that's the
4 | follow-up. If you don't get educated at the point of
5 | prescribing, you should get educated at least where you
6 | pick up the medication. That's why I was saying symmetry
7 | relative to telling people you may get depression and you
8 | should report it. If you want that answer from me, the
9 | answer is yes, but I wouldn't want to say you can't get
10 | pregnant unless you're a male. That's okay.

11 | DR. BERGFELD: Dr. Rosenberg, did you have a
12 | comment?

13 | DR. ROSENBERG: No.

14 | DR. BERGFELD: We're coming back to the
15 | medication guide and specifically whether the importance of
16 | the psychiatric events that have been reported to us meet
17 | the guidelines to be included. Should we recommend that a
18 | medication guide be done with all the other information
19 | regarding making it one unit package for us physicians who
20 | have great difficulty with these separated pieces?

21 | Dr. Greene?

22 | DR. GREENE: I'd just ask one question. It
23 | seems to me that the data linking Accutane with birth
24 | defects is far stronger and more compelling than the data
25 | linking Accutane and any of the psychiatric symptoms or

1 diagnoses that we've discussed today. I'd just like to ask
2 why the issue of the medication guide wasn't raised with
3 respect to the congenital malformations as opposed to it
4 now being raised with respect to psychiatric issues.

5 DR. BULL: I think if you look back to our
6 discussion yesterday, you really have engaged with the
7 recommendation of the registry, the design that was chosen,
8 a much higher level of risk management. What we're talking
9 about now is more of an informational tool and something
10 that makes sure that this message on the possibility, the
11 potential, and that there's reasonable evidence based on
12 what you've heard from the postmarketing analyses to better
13 inform patients about the possibility of mood changes,
14 psychiatric adverse events, depression associated with the
15 use of Accutane and the sufficiency of what threshold do we
16 need to meet in order to sufficiently inform patients.

17 DR. BERGFELD: I'd like to ask Dr. Kodish his
18 opinion as the ethicist.

19 DR. KODISH: Good ethics starts with good
20 facts. I think Dr. Greene's assessment of the facts is
21 something that I would concur with. I think we're talking
22 about apples and oranges here in terms of the real risks of
23 the medication. It seems to me that if you've come down on
24 the side of a more forceful regulatory approach with regard
25 to the congenital malformations, the medication guide issue

1 is somewhat superfluous. I think adding it to the consent
2 form would probably be sufficient.

3 DR. BERGFELD: Dr. Malone and then Dr. Levin.

4 DR. MALONE: The evidence for it causing birth
5 defects is very high, but I mentioned I think there is some
6 signal that it may cause depression but it's certainly not
7 clear that it does. So, I think in that circumstance if
8 you want to require patient guides for particularly
9 important issues to avoid patient guide fatigue, I don't
10 know if you'd want to use it for something that was not
11 better demonstrated.

12 MR. LEVIN: I think Dr. Greene's point is
13 excellent. I would have raised it yesterday except I saw
14 it was on the agenda today, frankly.

15 I think whatever the issue is with this drug,
16 it is a drug deserving for a number of reasons of a
17 medication guide, and the reason a medication guide is
18 important, no matter what happens in a formal informed
19 consent document -- and we've had some sidebar discussions
20 about whether that's really informed consent or informed
21 decision making. Why it's important is because it's
22 another opportunity to make sure that somebody gets
23 information that they may not have gotten in the previous
24 process. We know from the literature that what goes on
25 between prescriber and patient falls far short of what is

1 | desirable. Therefore, this is a safety net mechanism.

2 | The medication guide needs to be thought of as
3 | a safety net. We hope a lot more goes on before that
4 | point, but if it doesn't at least the patient gets some
5 | information that they can use to protect themselves if
6 | they've gotten no other information from anybody else in
7 | the process.

8 | DR. BERGFELD: Is there anyone that disagrees
9 | with Dr. Levin's presentation? I don't think we have to
10 | vote on this. I think you've heard quite clearly where the
11 | issue is. I think we'll move on.

12 | I think we've heard quite clearly about the
13 | informed consent. It should be consistent with the
14 | information given out to the patient and the physician.
15 | The areas of interests and hazards should be mentioned.

16 | I think we'll move on to intervention, and I
17 | think we've heard about monitoring the patients. There is
18 | no one who has spoken who hasn't talked about monitoring
19 | the patients and the managing of the events, as best they
20 | can, unless there's someone that wants to add something to
21 | the discussion. Dr. Anderson?

22 | DR. JENNIFER ANDERSON: I'd just like to be
23 | sure that what we're talking about with monitoring of the
24 | patients is that what is meant there is a registry for all
25 | patients, not just for women.

1 DR. BERGFELD: Well, we can break that out and
2 discuss that specifically.

3 DR. JENNIFER ANDERSON: But yesterday we
4 thought we wanted to have a registry for the female
5 patients. Now it's a registry for all patients. It has
6 the big advantage that some of the questions that there's
7 lack of information about with respect to psychiatric
8 problems -- it may be possible to elucidate them given this
9 kind of information that's gathered on everybody.

10 DR. BERGFELD: So, you're supporting a
11 universal registry.

12 DR. JENNIFER ANDERSON: Yes.

13 DR. BERGFELD: Could I get a straw vote on who
14 would support a universal registry just to get a sense of
15 the committee, nonvoting and voting?

16 (A show of hands.)

17 DR. BERGFELD: Seven.

18 And those that would oppose it?

19 (A show of hands.)

20 DR. BERGFELD: About even.

21 DR. ADAMS: With a number of abstentions to
22 lack of understanding of what's being voted on
23 specifically.

24 DR. BERGFELD: I'm sorry. I didn't see you
25 over there, Dr. Adams. I was wondering about the straw

1 | vote on a universal registry versus just a female registry
2 | in the use of Accutane.

3 | DR. ADAMS: A universal registry for males and
4 | females that includes some level of psychiatric questioning
5 | as well as --

6 | DR. BERGFELD: Well, that's the inference, yes.

7 | DR. ABEL: That's my concern as to a breach of
8 | confidentiality if it includes psychiatric data. What is
9 | going to be in this registry? That's why I abstained.

10 | DR. BERGFELD: Dr. Mills?

11 | DR. MILLS: I voted against because I don't see
12 | exactly what we're getting out of this. Having a registry
13 | and knowing that there are so many people with psychiatric
14 | diagnosis by a mechanism that isn't clear and how well the
15 | diagnosis is made not being clear I don't think is going to
16 | be extremely useful information.

17 | DR. BERGFELD: Dr. Holmboe?

18 | DR. HOLMBOE: Yes, I would second that. I'm
19 | also concerned that we don't know enough about the
20 | relationship here, about what the right instrument would be
21 | that we should use, whether it be Beck Depression,
22 | Hamilton, how it's going to be collected, et cetera. I
23 | think with pregnancy it's much more straightforward, but
24 | this is an area that we don't even know what would be the
25 | appropriate tool to use at this point.

1 DR. BERGFELD: Dr. King?

2 DR. KING: Well, that was my original concept.
3 I think you're taking it beyond what's likely to happen in
4 a dermatologist's office. They're not going to do
5 psychiatric screening. They just want to know what patient
6 prescribed what, and your nurse practitioner, your nurse or
7 whatever is going to give them a universal packet. So, the
8 issue of whether you're pregnant or crazy is not actually
9 the issue. The issue is who got the medicine. So, I think
10 that then becomes a data pool from which you pull out this
11 other information.

12 So, I agree that you're not going to do all
13 that, but at least out of the population of the
14 prescriptions, then you can go do the studies that Dr.
15 Mills or anybody else would like to do. If you're going to
16 field a team, you've got to know the name of the players,
17 and so the best way to get the players is to have them
18 registered.

19 DR. BERGFELD: Dr. Murphy?

20 DR. MURPHY: I think we could define registry
21 here as that the patient would have a unique identifier
22 number. That is one way of identifying a registry
23 irrespective of the rest of those issues.

24 DR. BERGFELD: Dr. Abel?

25 DR. ABEL: If that is what the registry is,

1 | then I would agree with that because we talked earlier
2 | about further studies being done on a subset or a cohort of
3 | patients or those at high risk. And I think it's nice to
4 | have all these patient identification numbers, but I think
5 | we need to do further in-depth, rigorous research in
6 | patients with baseline psychiatric, psychological profiles
7 | and then follow it up in a cohort. So, we need more than
8 | just a registry.

9 | DR. BERGFELD: Dr. King?

10 | DR. KING: That was my concept. Roche and the
11 | FDA can put out a request through the web or whatever for
12 | volunteers. You have all the problems of epidemiology but
13 | at least you'd have unique identifiers. It's much like
14 | going on the web with your American Express. You trust
15 | that it's secure and so forth, but I think you have a
16 | bigger population to request volunteers from. You can't
17 | request volunteers unless you know who the players are, and
18 | they have unique, unidentifiable secure numbers or non-
19 | identified people. At least you've got a population to
20 | look for.

21 | DR. BERGFELD: Yes, Dr. Byrne.

22 | DR. BYRNE: It would actually offer the
23 | opportunity randomly choose people and follow them with
24 | their unique identifier number. So, you wouldn't
25 | necessarily be selecting, as some of the other processes

1 | have, people who voluntarily come forward. This would be a
2 | nice way to randomize things.

3 | DR. BERGFELD: Any other comments? Do we need
4 | to revisit the straw vote on the universal registry with
5 | identifying numbers just as a database for the numbers of
6 | patients? Dr. Branch?

7 | DR. BRANCH: I remain concerned from the
8 | perspective that the objectives of this really -- the only
9 | advantage I can see to a patient going through this is that
10 | you're ensuring that they're having to sign an informed
11 | consent. I don't see that there's any advantage to the
12 | patient. What we voted on yesterday, there was a whole set
13 | of safety factors that were being built in.

14 | What we're talking about today is now
15 | essentially a male-targeted program because women are
16 | already covered. So, we're talking about informing a group
17 | of men about a set of information in which there is a
18 | tremendous amount of ambiguity and uncertainty.

19 | I think that great care and consideration needs
20 | to be given to choosing examples where we're starting to
21 | increase the regulatory burden to make sure that the
22 | patients are actually being protected by that decision, and
23 | I'm unconvinced that we have enough information right now
24 | to really protect men going into this because I'm not sure
25 | what the issues are. Yes, I think that there is a signal.

1 Yes, I think there is more to be done, but I have concerns
2 about this.

3 DR. BERGFELD: Dr. King, did you want to
4 respond?

5 DR. KING: Let me just finish that. When you
6 buy Windows, you buy it and register it with the
7 expectation it's going to work, but as we all know, if you
8 don't have a product identification number when some glitch
9 shows up, which it always does, you have no recourse.

10 I'm not suggesting we do anything other than
11 have the possibility of having a recall or some further
12 information or randomized studies. I'm not saying that
13 Roche says or anybody says, FDA, that you're going to have
14 psychiatric illness. I just want to know that they know of
15 the possibility that there are problems, and they should
16 report them.

17 DR. BERGFELD: Did you want to respond, Dr.
18 Branch? And then Dr. Greene.

19 DR. BRANCH: The problem with that is what do
20 you do if somebody hasn't registered. Does that mean they
21 don't get the drug? Who is going to enforce this? Who is
22 going to see that the whole process takes place? When you
23 put something in place, it costs. It costs society in the
24 long run. I think there needs to be more than a research
25 outcome of being able to identify a stable of patients to

1 | make a venture like this worthwhile.

2 | DR. ROSENBERG: Could I reply to that?

3 | DR. BERGFELD: Dr. Greene wanted to reply.

4 | Then you can.

5 | DR. GREENE: Just one other quick thing. The
6 | other thing is I think you have to think of what your
7 | outcome measures are going to be. What are you going to
8 | look at? People who are depressed, people who are more
9 | depressed than before, people who ultimately commit
10 | suicide? What are your outcome measures? And even if you
11 | do that, how many events are you likely to record? Are you
12 | really going to shed any light on the problem? It's just
13 | not clear in my mind that you've met any of those criteria.

14 | DR. BERGFELD: Dr. Rosenberg.

15 | DR. ROSENBERG: I was just going to say not to
16 | the merits of whether we should do it or not, but in terms
17 | of the technical aspects of how it would go. As of now, at
18 | least in my experience, the pharmacist won't fill a
19 | prescription unless there is a consent thing that the
20 | patient has initialed and that I have signed. You can make
21 | a photocopy out of the PDR and she initials it and I
22 | initial it. Although last time we tried that, it didn't
23 | work. They wanted something else for the pharmacist that I
24 | told him I'd learn about when I got here.

25 | (Laughter.)

1 DR. ROSENBERG: But anyway, even now you can't
2 just walk into a drugstore and get this without doing the
3 other, which is I think a good idea. It all relates to
4 that. Am I wrong about that? Maybe it's just our druggist
5 then that wouldn't fill it.

6 DR. BERGFELD: FDA, do you want to hear
7 anything else about monitoring? I think that we had a
8 split vote.

9 Dr. Mills?

10 DR. MILLS: This is a very quick question. If
11 we came up with a registry for men, which just gave us the
12 names and addresses or whatever of everyone who is treated,
13 what can the FDA then legally do with that? Can you take a
14 random sample of those people and ask them to participate
15 in a study? In other words, what kind of power do you have
16 to use that list?

17 DR. BERGFELD: Someone? Dr. Murphy?

18 DR. MURPHY: We, first of all, would ask the
19 sponsor, if we've asked them to maintain this registry, to
20 report the registry to us, and we would ask them, if issues
21 came up, how can we look at this information.

22 So, would we go out and demand? No. Would we
23 go out and demand that they then take that population and
24 randomize them and study them? No. I don't think we can
25 then go out and tell people that they have to participate

1 in a study. That is not within our jurisdiction.

2 Again, I don't know why we think this is going
3 to be just for men. I know you're saying we already have
4 women, but I'm just saying that certainly we're going to be
5 looking at both men and women who would be registered.
6 What you want to know is, again, get a denominator and
7 then, depending on how we then wish to look at information
8 from this registry, we would hope that the registry would
9 be involved with the medication guide that would
10 information on it for the patient to call, be it an
11 external source, as we've done in other situations where
12 they would call if they have an exposure, they're pregnant,
13 or they would call if they have a risk factor, in addition
14 to calling their doctor, so that you always have on there
15 to call your doctor, but here's another source too that
16 people could call if they chose. It's up to them to choose
17 to call.

18 DR. BERGFELD: I'd like to resolve this a
19 little bit. We had a split vote on the last straw vote,
20 and I don't want to make this an official vote. I'd like
21 to call the question again as a straw vote. All those in
22 favor of a universal male and female Accutane registry, if
23 you'd raise your hand.

24 (A show of hands.)

25 DR. BERGFELD: Eight.

1 Those opposed?

2 (A show of hands.)

3 DR. BERGFELD: It looks like 12 opposed.

4 Those abstaining?

5 (A show of hands.)

6 DR. BERGFELD: So, abstaining, 1. Oh, you want
7 a question, Dr. Anderson?

8 DR. GLORIA ANDERSON: I guess I am. I voted
9 for it to begin with, but then after I heard all these
10 explanations, I'm not sure because that wasn't what I had
11 in mind when I read this document. So, now I'm not sure.

12 DR. BERGFELD: That's all right. You're
13 allowed to abstain.

14 In regards to intervention, the drug
15 distribution I think we already handled yesterday. I'm not
16 sure that anyone would disagree with how we handled the
17 pregnancy problem, that we would change our perspective.

18 A prospective controlled trial. Everyone has
19 said that's a need. Unless there's someone disagreeing
20 with that statement, I would say that we have closed the
21 discussion on question 1.

22 Then moving to question 2 --

23 DR. GREENE: Can I comment?

24 DR. BERGFELD: Yes, I'm sorry. Dr. Greene?

25 DR. GREENE: Personally I couldn't imagine how

1 a prospective controlled trial would be done to address the
2 psychiatric aspects of this medication. I can't imagine.
3 I'm sitting here trying to think how I would design the
4 trial were I to run it. I couldn't imagine how I'd do it.

5 DR. BERGFELD: Well, thank you. I think that
6 Dr. Mills proposed another format.

7 DR. MILLS: That's right. I'd like to clarify
8 that. What I was proposing was not a prospective
9 controlled trial because we couldn't get a control group.
10 So, I was proposing more of a case series or a cohort
11 prospectively evaluated, but not a controlled trial.

12 DR. BERGFELD: So, the correction there would
13 be a prospective case series trial? Is that correct?

14 DR. MILLS: Yes.

15 DR. BERGFELD: Is everyone agreeable to that?
16 Or something else? Dr. Branch?

17 DR. BRANCH: Can I put up another possibility?
18 It seems to me the strongest stimulus or the strongest
19 signal is the challenge/rechallenge. We've heard that
20 about a third of patients go on to a second course of
21 treatment. It would seem to me that there is a possibility
22 to refine a target group to look at a group of people who
23 have actually experienced some symptoms during the first
24 course of treatment, they still have severe acne, a second
25 course of treatment is being proposed, and to do this under

1 much, much closer surveillance and be able to measure a
2 much greater number of endpoint measures, and that you
3 would be able to get a comparative group of people who
4 didn't have psychiatric events in the first course of
5 treatment, now going out to the second treatment. It would
6 seem to me that if you really target the people who have
7 the problem, you could really get some insights into it.

8 DR. BERGFELD: Dr. Greene, did you want to
9 comment?

10 DR. GREENE: No.

11 DR. BERGFELD: I think you've heard what kind
12 of study that's needed.

13 Dr. Abel?

14 DR. ABEL: Regarding the drug distribution,
15 we've heard of sales on the Internet. Are there any
16 controls to prevent sales of Accutane on the Internet?

17 DR. BERGFELD: Dr. Bull, Dr. Murphy, Dr.
18 Wilkin?

19 DR. BULL: I think that that's an area that our
20 compliance group is definitely looking at and is
21 monitoring. So, yes, that is being watched closely and
22 where there are grounds that a case could be built, it
23 certainly is in an area of compliance and enforcement.

24 DR. BERGFELD: Dr. Ellison?

25 DR. ELLISON: With respect to the international

1 sources, over which, unfortunately, there's no jurisdiction
2 from here, we have ourselves tried to shut down the supply
3 of these places, and it has proven to be impossible. We
4 don't have any legal recourse to that either, and they're
5 very difficult to track. So, I think with respect to
6 inside the U.S., I think that's going to be under control
7 very quickly. I think the concern that everybody has is
8 ex-U.S. That's almost impossible to deal with currently.

9 DR. BERGFELD: Thank you.

10 Dr. Abel, does that answer your question?

11 DR. ABEL: Thank you.

12 DR. BERGFELD: We'll move on to question 2,
13 unless anyone has an objection or would like to discuss
14 something else in question 1. Seeing none, question 2.
15 Would further studies help to clarify the relationship
16 between Accutane use and psychiatric events?

17 I think that we have heard that answer to be
18 yes.

19 And if so, what kind of studies? We have
20 defined the study under "intervention" as one. We've also
21 heard comment on basic science studies. I suspect
22 retrospective epidemiological studies are still in order.
23 Are there others that should be added that are not on the
24 list or we have not discussed?

25 Question. Dr. Adams.

1 DR. ADAMS: Thank you.

2 I would like to add a comment regarding basic
3 science studies. I do think there needs to be further
4 clarification or a determination of the role of retinoids
5 in the adult brain, but of perhaps greater importance is
6 the role of retinoids in the adolescent brain. One thing
7 that is widely accepted now among neuroscientists and
8 certainly among neuroteratologists is that the adolescent
9 growth spurt that occurs in the brain represents an
10 additional time of vulnerability. The tissue affinities,
11 et cetera in the adolescent brain may look a little bit
12 different than they do in the adult, mature brain. I think
13 it would be important for these basic science studies to
14 look at those two ages.

15 Thank you.

16 DR. BERGFELD: Thank you.

17 Dr. Lammer, any additions?

18 DR. LAMMER: No. I agree with that comment
19 completely. It sounds like there's really a dearth of
20 information about the distribution of retinoic acid
21 receptors and related chemicals that might be involved in a
22 pathway with retinoic acid in the adolescent or the adults.

23 DR. BERGFELD: Thank you.

24 Dr. Greenhill?

25 DR. GREENHILL: Are there any suggestions about

1 | how these suggested studies might be implemented in the
2 | course of events? Is it something that comes from the
3 | agency? Would there be RFPs put out through other agencies
4 | to do the work? How is that done?

5 | DR. BULL: FDA, generally speaking, does not
6 | fund research. We certainly are currently actively looking
7 | at enhancing our science based mechanisms to address
8 | questions that are of high regulatory significance, but
9 | this is probably going to be an activity that may represent
10 | an opportunity to take the question either to the sponsor
11 | and their research capabilities or perhaps to the NIH.

12 | DR. MURPHY: I just want to confirm that we can
13 | only ask the sponsors to perform the studies. If you look
14 | at the history of pediatrics, it's up to them unless you
15 | have a specific regulation, which we do now for children.
16 | So, we'll ask.

17 | There also is a level which, if we cannot
18 | approve the drug because the information they have isn't
19 | adequate to ensure the efficacy or the safety, then of
20 | course it is prudent for them to go ahead and perform those
21 | trials.

22 | DR. BERGFELD: Dr. Tan, do you have any
23 | comments to make? We haven't heard from you today.

24 | DR. TAN: I think clearly there is a need for a
25 | prospective study. The specifics of the designs need to be

1 worked out. I think you can do a cohort study or a case-
2 control study, but it's impossible to do a randomized
3 controlled study.

4 Also, the basic science research is important.
5 I think there has been some research I think in the cancer
6 area where they have used 13-cis-retinoic acid for the
7 neuroblastoma patients. There might be some basic research
8 going on already.

9 DR. BERGFELD: Any other comments?

10 (No response.)

11 DR. BERGFELD: I think that we've completed
12 then questions 1 and 2 to the satisfaction of the FDA. I
13 seem them shaking their heads.

14 I think we will take a 10-minute break and
15 reassemble here at 3:25 to proceed with the afternoon,
16 which will take up Accutane New Formulation.

17 (Recess.)

18 DR. BERGFELD: It has been an intense two days.
19 I think the issues discussed have been exceedingly
20 worthwhile. I think that this very large panel has
21 participated at a very high level, and I thank all of them
22 for their participation.

23 I've also asked, because we still have a
24 formidable piece of material to review, that both the FDA
25 and Roche shrink their presentations and just get to the

1 | meat of the facts so we can discuss the issues. They have
2 | both agreed. So, I thank them.

3 | We're going to first lead off with the
4 | Executive Secretary's conflict of interest statement for
5 | the panel members.

6 | I will ask the panel members who have any
7 | conflict of interest to declare themselves if that is
8 | appropriate.

9 | MS. TOPPER: The following announcement
10 | addresses the issue of conflict of interest with regard to
11 | this meeting and is made a part of the record to preclude
12 | even the appearance of such at this meeting.

13 | Based on the submitted agenda and information
14 | provided by the participants, the agency has determined
15 | that all reported interests in firms regulated by the
16 | Center for Drug Evaluation and Research present no
17 | potential for a conflict of interest at this meeting when
18 | evaluated against the agenda.

19 | With respect to FDA's invited guests, Drs. Jane
20 | Adams, Alan Byrne, James Mills, and Edward Lammer have
21 | reported interests which we believe should be made public
22 | to allow the participants to objectively evaluate their
23 | comments.

24 | Dr. Adams would like to disclose that in the
25 | past she has participated in two research grants to study

1 Accutane. One was funded by Roche and the other was funded
2 by NIH/NICHD.

3 Dr. Byrne would like to disclose that he has
4 published articles on the subject of Roaccutane.

5 Dr. Mills would like to disclose that he is
6 currently collaborating with Roche on an unrelated research
7 project. He has also written an article and attended a
8 seminar which were unrelated to the particular matters at
9 issue, but sponsored by Roche.

10 Dr. Lammer would like to disclose that in the
11 past he has served as principal investigator on phase I and
12 phase II longitudinal studies of infants exposed to
13 isotretinoin in utero. The studies, sponsored by Hoffmann-
14 LaRoche, were designed to document the developmental
15 toxicities of isotretinoin following inadvertent human use
16 during pregnancies in North America.

17 In the event that the discussions involve any
18 other products or firms not already on the agenda for which
19 an FDA participant has a financial interest, the
20 participants are aware of the need to exclude themselves
21 from such involvement, and their exclusion will be noted
22 for the record.

23 With respect to all other participants, we ask
24 in the interest of fairness that they address any current
25 or previous financial involvement with any firm whose

1 products they may wish to comment upon.

2 Thank you.

3 DR. BERGFELD: You may breathe now.

4 (Laughter.)

5 DR. BERGFELD: We're going to go forward again
6 with the Accutane New Formulation, and Roche is first
7 presenting.

8 DR. McLANE: My name is Dr. John McLane. I'm
9 going to try to go through this fairly quickly. Since you
10 have a copy of the presentations, I'm just going to do a
11 couple of the first ones and then skip down to the hormonal
12 contraceptive which I believe is labeled slide 24 in your
13 package. After I do that, then I will introduce Dr. David
14 Young. Dr. David Young has been working with us on the
15 pharmacokinetic program, and then I will come back to
16 finish up on the last part. So, I will try to do this as
17 quickly as possible.

18 Now, as you've heard, Accutane has been on the
19 market successfully for the last 18 years. It has been
20 used at doses from .5 to 2 milligrams per kg. It is the
21 most effective therapy for severe recalcitrant nodular
22 acne.

23 However, there are drawbacks in our current
24 formulation because of some of the dosing variability
25 that's introduced in the way it is being used. These

1 drawbacks could lead to decreased efficacy or prolonged
2 therapy.

3 So, in 1995, we initiated a new formulation
4 based on micronization properties of the isotretinoin.
5 With this new formulation, we're able to get increased
6 bioavailability. The modification of the new formulation
7 results in a dose that could be taken once per day and it
8 could be given either with food or without food. That way
9 it can accommodate the lifestyles of the Accutane patients
10 that are using it. The new formulation addresses the
11 drawbacks of the dosing variability of the current
12 formulation.

13 There are a number of publications that
14 indicate the efficacy of the current marketed formulation
15 of isotretinoin, and these vary from the doses of .1 all
16 the way up to 2 milligrams. However, our label indicates
17 that it should be used at .5. The minimum dose is .5. The
18 reason for this is because that really is at the low end of
19 the therapeutic range.

20 Some physicians prescribe Accutane at higher
21 doses than 1. It's used rarely but it is used for patients
22 that have recalcitrant severe acne, for example, acne
23 that's on their backs with nodules that do not clear up.
24 Predominantly the use is of 1 milligram per kg. You'll see
25 this slide later on when we explain some of the dosing

1 | relationships with various doses of Accutane.

2 | Now, the variability that we see with Accutane
3 | is due to we know that dosing without food results in a
4 | significant reduction in exposure with Accutane. We have a
5 | survey in which we know that about one-third of the doctors
6 | do not recommend taking Accutane with food. We also know
7 | that 21 percent of the patients are instructed to take
8 | Accutane only once per day, when they're instructed to take
9 | a most common dose, which is around the 1 milligram per kg.
10 | We also know that prescribers report that only 33 percent
11 | of the patients do not take Accutane consistently with
12 | food. We also have reports that 22 percent do not
13 | consistently take the second dose when they have the b.i.d.
14 | dosing regime. The overall effect then is a significant
15 | patient variability in exposure.

16 | Between this once per day and twice per day,
17 | with or without food, this creates some of the variability.
18 | We also know that the variability that can be affected is
19 | due to a dropout of the patients in practice. We know that
20 | of the patients that drop out, we have some dose-related
21 | effects. Those dose-related effects are the mucocutaneous
22 | effects, which 19 percent of the patients that do drop out
23 | report that as the reason why. We also have triglycerides,
24 | which is also a dose-related effect, and we know that 17
25 | percent of the patients that do drop out, drop out because

1 of triglyceride elevations. These are the most common
2 single reasons for withdrawing from effective therapy.

3 This overall compliance or noncompliance would
4 result in some under- or overdosing which overall may
5 affect the efficacy and safety.

6 The new formulation of isotretinoin addresses
7 these concerns in the variability in this type of dosing.
8 The dosing can be given with or without food, and it is
9 given only once per day. It has fewer and less intense
10 mucocutaneous events, and it has fewer patients with
11 elevated triglycerides.

12 Overall then you have compliance with the
13 dosing regime and there's more predictable exposure because
14 the way that it is dosed allows a more predictable exposure
15 which can decrease the impact of the individual
16 noncompliance.

17 What I wanted to just quickly jump into was
18 this and the next slide.

19 The program that we have, which I will not go
20 into the results because you do have these slides, is that
21 we had a pivotal clinical program in which we had measured
22 efficacy and safety, and within that trial we had looked at
23 parameters which was 90 percent of the patients that
24 cleared, the clearance of the papules and pustules, more
25 particularly the primary criteria was the clearance of the

1 | nodules, how many nodules were clear. We saw that the new
2 | formulation was absolutely statistically clinically
3 | equivalent to the current marketed formulation.

4 | However, the program had a design where we gave
5 | the new formulation in a slightly different format. We
6 | gave it in a format in which the patients were given the
7 | new formulation only once per day without food, and it was
8 | compared directly with the marketed formulation which we
9 | know to be an effective dose which was twice per day given
10 | at 1 milligram per kg, and they were given with food.

11 | Consequently, we know that the exposure
12 | difference between the two formulations and part of the
13 | design of this clinical trial then was to identify the
14 | minimum effective therapy for isotretinoin. In this
15 | equivalency between the new formulation and the current
16 | marketed formulation, we were able to identify what was the
17 | lower limit, what was the minimum effective therapy for
18 | isotretinoin.

19 | From the table that you have in your package,
20 | we know that there were some differences between these two
21 | formulations. If we went down any further, we would have
22 | not reached statistical equivalency. So, consequently, we
23 | do know that the new formulation is at this minimum
24 | effective dose.

25 | On the safety profile, just to quickly

1 summarize, the safety profile was really quite comparable
2 with the current marketed formulation. As I pointed out,
3 we had slightly fewer patients and patients that had less
4 intense mucocutaneous events, and we also had patients that
5 had less triglycerides and fewer elevations in their
6 triglycerides.

7 I'm going to jump on to the other part of the
8 program. David Young will present the food effect on the
9 bioavailability, but I'm going to go ahead and present the
10 hormonal contraceptive interaction.

11 We had another program within this, and we did
12 this in collaboration with the FDA in order to evaluate the
13 hormonal contraceptives and the potential for any
14 interaction. We wanted to assure that isotretinoin, in
15 either the new formulation or the current marketed
16 formulation, does not alter the clinical pharmacology of
17 hormonal contraceptives.

18 So, we had two components of the program. We
19 had an in vitro study with hepatocytes and microsomes in
20 which we evaluated five different progesterone components
21 that are found in hormonal contraceptives, and we evaluated
22 them within live human liver cells, hepatocytes, and we
23 evaluated them to both inhibit as well as induce enzymatic
24 enzymes that would be involved with the breakdown of
25 hormonal contraceptives or with the breakdown of

1 isotretinoin. These studies are in progress. The last of
2 these studies will be presented to the FDA the third
3 quarter of 2001.

4 We also have a clinical program in which we
5 have two studies. I think it's important to understand the
6 program on this. Let me just step through this quickly.

7 What we had is that we had a measurement to
8 determine if isotretinoin affects oral contraceptives
9 within patients. We used the contraceptive Ortho-Novum
10 7/7/7. We looked at pharmacokinetics of ethinyl estradiol
11 and norethindrone. We also measured the pharmacodynamics
12 of markers, luteinizing hormone, follicle-stimulating
13 hormone, and progesterone within the patients. All of the
14 patients being treated were females with severe
15 recalcitrant nodular acne. They are receiving the full
16 course of therapy, the 20 weeks.

17 The two trials are divided up. One group of
18 patients were receiving Accutane at the 1 milligram per kg.
19 The other was receiving at .4 milligram per kg. The other
20 was the new formulation as a single dose and the current
21 marketed as two divided doses.

22 The design of the trial is that we have a oral
23 contraceptive stabilization period in which the patients
24 were taking oral contraceptives for at least 1 month in
25 order to reach steady state level. We then on the second

1 month at two different points during their menstrual cycle,
2 when we know that there are changes within the hormonal
3 levels, we looked at, at day 6 and at day 20, the
4 pharmacokinetics and the pharmacodynamic properties of the
5 ethinyl estradiol as well as the surrogate markers.

6 We then would take these measurements. These
7 are our baseline levels before we treat with isotretinoin.
8 We started the therapy on isotretinoin. The patients
9 continued and were allowed to reach a steady state level of
10 their isotretinoin in their metabolites.

11 We were then able to go ahead and -- in their
12 fourth month of oral contraceptives, their second month of
13 therapy on isotretinoin -- measure again the levels of
14 pharmacokinetics and the pharmacodynamics at both day 6 and
15 day 20. We could then do a comparison then between the day
16 6 and the day 20 for all of these particular markers.

17 These studies are in progress. So far, there
18 have been no serious or unexpected adverse events. The
19 last patients will be finished in these trials this month,
20 and the reports will be submitted to the FDA in the first
21 quarter of 2000.

22 I'm going to have Dr. David Young come up to
23 quickly explain the pharmacokinetics.

24 DR. YOUNG: Thank you.

25 I'm going to really be talking about the

1 | pharmacokinetics and the dose exposure-response
2 | relationship and possible confusion issues with the
3 | different formulations we might have.

4 | We did a four-way crossover study with Accutane
5 | and Accutane NF, and you have this report. What happens
6 | here is that we took 80 milligrams of Accutane, 30
7 | milligrams of Accutane NF under fed and fasted conditions.
8 | This really represents the different mean graphs. The top
9 | one represents the fed Accutane, and the blue one down here
10 | represents the fasted Accutane at 80 milligrams. You can
11 | see the very large difference. This is a crossover. So,
12 | within-subject variability is very large because of the
13 | food effect.

14 | The yellow represents the fed Accutane NF and
15 | the red represents the fasted. You can see there's very
16 | little variability compared to the large one that exists
17 | for Accutane.

18 | The results of that study were there's a 2.5 to
19 | 1 ratio between Accutane fed and fasted. There's really
20 | inconsistent pharmacokinetics occurring if you have
21 | inconsistent eating habits. And the between-subject
22 | variability is larger without food than with food.

23 | For Accutane NF, we have a 30 percent
24 | difference in exposure. The variability is about 30
25 | percent throughout, within-subject variability, between-

1 subject variability, as well as the fed/fasted issues, all
2 within approximately 30 percent variability.

3 What we wanted to next do is we wanted to take
4 this data and try to figure out what's happening in terms
5 of exposure within our patient populations within the
6 efficacy studies as well as with patients that we normally
7 treat. So, what we did is we took the data, using the
8 principles of superpositioning for linear pharmacokinetics,
9 which has been proven with this drug and these products, we
10 simulated the concentrations for different doses under
11 different conditions in order to compare the different
12 doses and conditions.

13 This is an example. This is the 1 milligram
14 per kilogram divided dose that's the most common. This is
15 what was actually used in the phase III study, for example.
16 This is a simulation of what would have happened. The red
17 one here represents the NF drug, .4 milligram per kilogram
18 single dose. And the blue represents .5 milligram per
19 kilogram divided dose. So, you can see that, in fact, the
20 NF has a very similar exposure to the .5 milligram per
21 kilogram Accutane. NF is red. Blue is Accutane at .5
22 milligram per kilogram.

23 If we go back to this table, which Dr. McLane
24 briefly showed, I want to kind of summarize this real quick
25 for you. First of all, what we've done is we've added the

1 NF study at the bottom, which has 600 subjects, which is
2 much more than any of the publications or even the total
3 publications put together. We looked at 1 milligram per
4 kilogram Accutane under fed conditions, and we looked at .4
5 milligram per kilogram of NF under fasted conditions. If
6 you look at the other publications, I put the conditions as
7 well as the doses investigated also.

8 Now, what we have in our NF study is we have a
9 situation where we showed therapeutic or clinical
10 equivalence between the 1 and .4. But we did show also
11 there's a rank order between 1 and .4. 1 seems to be a
12 little bit better than .4, though not statistically.

13 If you look at the same studies within the
14 publications here, you find that .1 and .2 milligram per
15 kilogram is always worse than everything else, and that's
16 why you see the greater than sign here.

17 .5 and 1, though, in different publications
18 sometimes it's better, sometimes it's not statistically
19 different. But the general trend of it all is that there's
20 a rank order again. 1 seems to be better than .5, sometimes
21 not statistically but it seems to be better.

22 In terms of 2, this study here, though it's
23 only 14 subjects, that was under fasted conditions, and
24 again 2 was about equal to 1, though in a small number of
25 subjects.

1 Let's now look at the overall exposure for
2 those doses under those conditions. So, here we have a
3 situation again where we have the .1, .2 under fed
4 conditions. That was some of the studies, and the area
5 under the curve is 1,842/921. But if you remember in that
6 previous slide, we had rank orders between .5 and 1,
7 sometimes statistically equivalent, sometimes just a rank
8 order. They didn't do statistics.

9 What you can see, though, is if you look at .4,
10 .5, and 1 -- .4 NF under fasted conditions, .5 Accutane
11 under fed, and 1 of Accutane under fed -- the areas under
12 the curves of .4 and .5 are about the same, which we saw
13 that picture before, the red and the blue curves, and you'd
14 expect them to be the same. And they had the same relative
15 relationship to 1 in our clinical studies. Over here .5
16 relative to 1 was sometimes equal, but generally rank
17 order, 1 was better than .5, sometimes statistically
18 different, sometimes not. The same thing happened with the
19 NF study.

20 So, we can see from that, well, that makes
21 sense because the area under the curve, the overall
22 exposure to this drug, was about the same for both
23 formulations.

24 Now let's look at the risk management for the
25 two formulations if we have both on the market. If we have

1 some confusion and we have both on the market, we have a
2 situation where, in fact, instead of taking Accutane
3 b.i.d., you may take it q.d. Or instead of taking it with
4 food, you take it without food. You could also have the
5 reverse for Accutane NF; instead of taking it once a day,
6 you have b.i.d.

7 I'm just going to go through a couple of
8 scenarios here so that you can see. We'll go to this one
9 here. This is a situation again where we dosed the normal
10 1 milligram per kilogram under fed conditions, b.i.d. You
11 get an overall exposure, a daily exposure, of 9,209. NF is
12 down at the bottom; its exposure, 4,161. Again, we saw
13 that these were equivalent therapeutically, but again we
14 saw a rank order in terms of the therapeutic response.

15 If you take .5 milligram per kilogram under fed
16 conditions of Accutane, though, you get something again
17 around the same area, 4,161 as Accutane NF, and we saw that
18 previous slide too.

19 But if you take Accutane .5 milligram per
20 kilogram under fasted conditions, your exposure is much
21 less. So, we've got exposure of 1,800 versus the 4,000
22 range which occurred with .5 milligram of Accutane and .4
23 of Accutane NF. You can see that variability, and if I had
24 an individual patient who at one time was taking Accutane
25 at .5, and then all of a sudden the next month changed

1 their eating habits and started taking it .5 under fasted
2 conditions, I could have a completely different exposure
3 one month versus another month, depending on their eating
4 habits.

5 This is a situation where we actually mix up
6 how we give the dose, q.d. versus b.i.d. The green line
7 here represents the 1 milligram per kilogram b.i.d., and
8 the blue line up here represents 1 milligram per kilogram
9 once a day. So, if it's, for example, a 70 kilogram
10 patient, it would be 70 milligrams. The overall exposure
11 is the same. You can see the curve is very similar. You
12 have little higher peaks than this, but if the patient
13 responds, that's fine.

14 Now, let's assume that the patient is
15 responding to this 70 milligram once a day dose. Again,
16 let's say they change their eating habits. They go back to
17 school. They go back to college and they start eating
18 differently, as we know they do. What happens then? That
19 exposure of 70 milligrams once a day or 1 milligram per
20 kilogram once a day would drop to this exposure down here.
21 So, we would wonder, in fact, is this exposure where we
22 have efficacy going to result in efficacy down here. Now,
23 if this is efficacious for this individual patient, it may
24 not be efficacious for that specific individual patient
25 down at the bottom here.

1 So, overall what we found both in terms of NF
2 and Accutane is that Accutane has wide variability in terms
3 of its pharmacokinetics. From day to day, it can change
4 because of the fed/fasted conditions. NF does not have
5 that variability. It always is pretty consistent, which
6 allows us to keep consistent dosing in patients who may not
7 be compliant from one day to another day in terms of their
8 eating habits.

9 I'll now pass this to Dr. McLane in a hurry
10 here.

11 DR. McLANE: Let me slow down for one second
12 because you have some questions that you'll be voting on
13 and I want to make sure that we can address those properly.

14 One of the questions is, are additional dosing
15 studies necessary? Well, we know right now with the new
16 formulation, we are at the minimum therapeutic dose.
17 However, the question is what happens if, for example, you
18 have a confusion on the market and you take the new
19 formulation twice per day rather than once per day? You
20 know from David's presentation that you'll actually have a
21 dose that is still below the therapeutic range that is for
22 the current marketed formulation. So, if you take the new
23 formulation twice per day, you know you're going to be in a
24 range that has already been studied with the marketed
25 formulation.

1 If you take the new formulation with food or
2 without, it doesn't make a very big difference, a 30
3 percent difference in the dosing regimen. We know that
4 it's going to be up above.

5 If you skip a dose on the current marketed
6 formulation, confuse it with the new formulation, for
7 example, you're going to be under-dosed if you take the
8 current marketed formulation without food.

9 So, the question is taking the new formulation
10 with or without food. We have no problem with that.
11 That's what we want to be able to do. To be able to give
12 it once per day. That's what we want to try to get for a
13 label on this. If you do take it twice per day, you're not
14 going to have an over-exposure with the new formulation.

15 What we're going to be doing is then how do we
16 differentiate between the products. We want to make sure
17 that we inform prescribers on the differences in dosing
18 between the new formulation versus the current marketed
19 formulation. We'll be able to manage that risk. With the
20 new formulation being available on once per day or, if by
21 mistake, could be taken twice per day. It could be taken
22 with or without food.

23 We're also going to be able to differentiate it
24 on the market by we're going to have very different
25 packaging. We're going to have individual pouches for

1 these that are going to contain 30 for their monthly
2 prescription. The packages undergo the child-proof
3 packaging. There will be the informed consent within the
4 box. There will be the survey enrollment form within the
5 box as well.

6 The capsules are different. We have
7 contrasting color schemes. There will be identification
8 marks and the capsule strengths are 7.5, 15, or 22.5 for
9 the new formulation. We have a distinct brand name for the
10 new formulation that we've submitted to the FDA already.
11 It has not been approved at this point.

12 The new formulation is as safe and efficacious
13 as Accutane. It can be given with or without food. It can
14 be given once per day. It has fewer and less intense
15 mucocutaneous events, and it has fewer patients with
16 elevated triglycerides.

17 That means more patients will remain on therapy
18 in order to have better efficacy. It will be much more
19 predictable therapy. This compliance, this predictable
20 exposure from the new formulation decreases the impact of
21 individual noncompliance.

22 So, are additional studies needed for dosing?

23 No.

24 Do we have a handle on doing additional studies
25 on this? We don't need to. We know the range of the

1 efficacy. We know the range of safety of the marketed
2 formulation.

3 Are we managing the risk for having the two
4 formulations on the market? Yes. We have distinct
5 packaging between the two formulations, and we will make
6 sure that our prescribers know the difference between the
7 two formulations on the dosing requirement.

8 Thank you.

9 DR. BERGFELD: Thank you very much. I think
10 we'll hold the questions and discussion to that period of
11 time after the FDA presents.

12 The FDA will now present, and Dr. Jonathan
13 Wilkin will be the first presenter.

14 DR. WILKIN: We'll be talking ever so briefly
15 about the new formulation of isotretinoin which is unnamed
16 at present.

17 I would like to make one clarifying statement
18 at the beginning, that everything we've talked about over
19 the past two days up until this time really are systemic
20 isotretinoin issues, and at some point in the future, the
21 patent will run out and there will be generic competition.
22 So, there will be ANDAs, possibly 505(b)(2)'s. What we are
23 talking about and what we are hearing recommendations from
24 the committee on the psychiatric issue and on the pregnancy
25 aspect, teratogenicity, we will be incorporating into

1 | letters of approval and these sorts of things so that it
2 | will apply to other systemic isotretinoin forms in the
3 | future.

4 | DR. BERGFELD: Thank you.

5 | DR. WILKIN: The Accutane New Formulation has
6 | all of the issues, of course, that we've discussed up until
7 | now, but it also has some specific issues that merit some
8 | consideration.

9 | The first is dose ranging. Dr. McLane has
10 | actually already prepared the way for much of this.

11 | The hormonal contraception and concurrent
12 | marketing. They've already introduced the topic.

13 | For those who are coming for the first time
14 | today, we are talking about isotretinoin, a drug substance
15 | that was approved in May of 1982, and the sponsor has just
16 | restated their goal of improving bioavailability and
17 | reducing the food effect.

18 | The new formulation does consist of three
19 | different size capsules, 7.5, 15, and 22.5 milligrams. The
20 | sponsor's recommended dosing, which they studied, was 0.4
21 | milligram per kilo per day for 16 to 20 weeks.

22 | The new formulation development program did not
23 | have all of the features of a new molecular entity type of
24 | NDA. We already know an awful lot about Accutane, and so
25 | the program really was designed to supplement the data that

1 | were already available for the currently marketed product.
2 | The application included five pharmacokinetic studies and
3 | one multi-center clinical trial.

4 | Isotretinoin background information. We do
5 | know something about dose ranging. The sponsor has just
6 | discussed this. And we have the Orme data from 1983-1984,
7 | which is the only currently published information on the
8 | hormonal contraceptive/isotretinoin interaction potential.

9 | The current recommended dosing for the marketed
10 | product Accutane is 0.5 to 2 milligrams per kilo given in
11 | two divided doses daily for 15 to 20 weeks with food.

12 | Now, this is a somewhat complicated slide, but
13 | it gives some of the information that the sponsor gave in
14 | tabular form. Basically on the y axis is rate of
15 | recurrence, and it goes from 0 to 80. So, if there is a
16 | high rate of recurrence, that's the need for retreatment,
17 | and subsequently longer exposure over time to isotretinoin.
18 | So, the goal is to try to find a dose where the rate of
19 | recurrence is going to fall to an acceptable level.

20 | These dots do not represent individual
21 | patients. In fact, they represent individual studies. The
22 | sponsor has mentioned some of these studies. The break
23 | point for the current formulation seems to be at 0.5
24 | milligram per kilo per day for 20 weeks. That would give
25 | 70 milligrams per kilo as a total dose. You can see that

1 | above that, the rate falls considerably compared to below
2 | that.

3 | Now, at doses below .5 milligram per kilo per
4 | day, one can get suppression of the nodulocystic acne
5 | during the trial. Unfortunately, there's a high rate of
6 | recurrence. I think that's the difficulty. In the present
7 | data set that we're thinking about today, recurrence rate
8 | really was not examined. It was looking at reduction in
9 | the number of lesions during the trial. So, one of our
10 | questions is going to be, where in the end is the new
11 | formulation going to play out in terms of rate of
12 | recurrence?

13 | Again, the goal in dose ranging is to obtain
14 | the optimal dose, and the optimal dose consists of two
15 | competing goals. The first is minimizing the need for
16 | retreatment. So, there needs to be a high enough dose to
17 | minimize retreatment. On the other hand, one wants to give
18 | only that amount and no more because of the risk of dose-
19 | dependent toxicities.

20 | The sponsor has addressed their program for the
21 | possible hormonal contraceptive interaction. Again, the
22 | only data set that we really have from the literature right
23 | now is the 1983 Orme study in which there were 10 women
24 | taking 6 different oral contraceptives. They were taking,
25 | again, the lowest recommended dose of isotretinoin. 2 of

1 the women had a decrease in levels of the estrogen and
2 progestational agent while they were on isotretinoin, and 1
3 of these 2 women had a progesterone spike, which was
4 measured sometime between day 12 and day 15 which might not
5 have captured the greatest spike.

6 So, the need for isotretinoin hormonal
7 contraceptive studies. The new formulation is projected to
8 be more bioavailable. There may be some concerns there.
9 The currently used hormonal contraceptives today are
10 qualitatively and quantitatively different from the
11 hormonal contraceptives in the Orme study. We now have low
12 estrogen preparations, progestational agent only type
13 preparations. We have the implantables, the injectables,
14 and certainly we have a lot of new progestational agents.
15 So, these are areas for concern and for thinking about.

16 Also we have the accumulating spontaneous
17 reports of pregnancies coded compliant, and our thought is
18 the original data really isn't sufficient to tell us that
19 there is no interaction.

20 Dr. Bashaw is the next presenter for the FDA.

21 DR. BASHAW: Yes. As Dr. Wilkin has given the
22 introduction already, the only study we had information on
23 was from the Orme study and that study was just found, when
24 you really started looking at it, to be a very inadequate
25 study. One of the issues that was raised yesterday, which

1 | Dr. Wilkin did not mention today, was in fact in those 10
2 | women in that trial, 6 different hormonal contraceptives
3 | were used. So, in fact, the fact that you had some
4 | conflicting results, you really had no certainty at all
5 | whether or not there was or was not an interaction.

6 | What we wanted to do is to bring it up to
7 | modern-day time and use techniques that were not available
8 | at the time of the original study back in the early 1980s.
9 | We started the program using both isoenzymes, also looking
10 | at hepatocytes to look for metabolic interactions not only
11 | with isotretinoin but with the oral contraceptives
12 | themselves.

13 | Again, Dr. Young has talked on this to some
14 | degree. We have studies going on with recombinant p450
15 | isoenzymes, pooled liver microsomes. We've received some
16 | data to date regarding studies with specific substrates,
17 | medroxyprogesterone, primarily looking at implantable
18 | hormonal contraceptions, and to date we've seen no
19 | interaction there. I just received last week, in
20 | preparation for this meeting, the draft report for the
21 | progesterone study. So, I haven't had time yet to go
22 | through that one, although the sponsor showed there was no
23 | interaction, but that part is still under review at this
24 | time.

25 | Again, what we try to do with our in vivo

1 studies today is try to make it today's quality. We are
2 looking at both isotretinoin and its metabolites, looking
3 at estrogen, progestin levels, dynamically looking at FSH,
4 LH, progesterone levels, trying to make sure that if
5 there's any kind of interaction, meaning it's an
6 interaction with isotretinoin or on hormonal contraceptives
7 or if it's an interaction with the endogenous hormones
8 themselves, that we get a chance to look at them.

9 One thing that is a little different, it is a
10 4-month study, a four-cycle study. Two trials are actually
11 being done: one with Accutane NF and one with current
12 Accutane both at the recommended doses of 1 milligram per
13 kilogram and .4 milligram per kilogram. Again, as shown
14 earlier, we are looking at levels of ethinyl estradiol,
15 norethindrone, FSH, LH, progesterone, looking at both
16 steady state levels. We're getting single-dose Accutane
17 levels and we're also getting steady state Accutane and
18 metabolite levels at the end of this trial, trying to make
19 it as strong of a trial as possible.

20 You notice there are some numbering differences
21 between this slide and the slide the sponsor presented.
22 That's primarily the way you count the days from menses or
23 after menses. That's why you'll see some differences, day
24 6 versus day 12. It's just a counting difference. The
25 trials are the same and how the sponsor had their slide

1 earlier. The idea was we wanted to try to get close to day
2 12 where you would see a spike of FSH/LH if ovulation was
3 taking place. That's why I've got that presented this way
4 on my slide. Again, though, we tried to make it as strong
5 as possible to look for these kinds of interactions if they
6 existed.

7 To date, we've only received two interim
8 reports. We received data from 9 subjects on the Accutane
9 NF report, and there is 1 subject in there that does have
10 aberrant data, does have a high progesterone level, higher
11 and into the low normal range. We've asked for some
12 follow-up on that. We've not yet received that data.

13 With regard to the Accutane study, this was
14 more complete. We have PD and PK data from 22 subjects and
15 we see no evidence of interaction either from a PK or PD
16 standpoint. But as the sponsor reported, they're going to
17 be finishing up those reports and we'll be getting those
18 final reports in the first quarter. So, we should be able
19 to come back with better information shortly.

20 Again, we're here this afternoon to discuss NF.
21 The sponsor has already told you NF is a micronization
22 process, and so we won't spend any time on this right now.

23 This is what we tried to show earlier. This is
24 what you see with Accutane fed, current formulation. You
25 get very high peaks.

1 Here's a comparison of what happens when you
2 get the current formulation with and without food. You can
3 see a dramatic food effect, which does cause, as they
4 mentioned, variability between response and in between
5 subjects when meals are taken, meals are skipped, or you
6 change your pattern of taking it.

7 This is a table of your Accutane data, looking
8 at the 80 milligram dose, fed and fasted. What you really
9 see here and the importance of this table is right here.
10 We're talking about apparent oral clearance. When you give
11 it with food, you get a clearance of about 8 liters per
12 hour. When you give it fasted, you go to 25. There's no
13 metabolic change. It's the fact that the bioavailability
14 is so much smaller for the fasted dosage form, that what
15 they really have done is decreased the variability in
16 absorption with the new formulation which makes it a more
17 consistent dosage form. This is what you really see with
18 the old one, this tripling of the clearance because of poor
19 bioavailability.

20 This is combination slide again. You can see
21 again we have the current formulation fed, the current
22 formulation fasted. This is the NF fed. So, you can see
23 it is about half again what you see.

24 Here you can barely see the red line here.
25 This is NF fasted. So, from a biopharmaceutics standpoint,

1 we would say there is some food effect with the NF
2 formulation with and without meals. Technically there is a
3 food effect, but relative to the effect that you see with
4 current Accutane, it's an insignificant change. We
5 wouldn't consider it one, but there is some degree of food
6 effect there.

7 But clearly, it is a more reproducible dosage
8 form. You have less variability, and those all cut back to
9 those issues which have been raised previously regarding
10 dosage form and dosing variability. The fact is that from
11 a biopharmaceutics standpoint, one would judge the
12 micronized formulation as a marked improvement in terms of
13 drug delivery and consistency in drug delivery.

14 The NF NDA consists of dose proportionality
15 studies. It consists of the food effect studies, and also
16 they have a formulation linkage study. The formulation
17 they used in their clinical safety/clinical efficacy trial
18 differed somewhat from what they're planning on marketing.
19 They did a study to look at the to-be-marketed and the
20 phase III study material.

21 What you basically saw is they were able to
22 demonstrate that at the 15, 30, and 45 milligram dose
23 levels, that the three capsules were bioequivalent, they
24 were dose proportional. The food effect is there.
25 However, it is much less and much more consistent than you

1 | see with current Accutane and there is no significant
2 | difference between the product that is proposed to be
3 | marketed and that which was done in their phase III
4 | clinical trials.

5 | That basically is a very hurried summary of
6 | what they did for the pharmacokinetics of this product.
7 | Thank you.

8 | DR. BERGFELD: Thank you.

9 | Dr. Kathryn O'Connell?

10 | DR. O'CONNELL: Good afternoon. I'm Kathryn
11 | O'Connell, medical reviewer for this NDA in the Division of
12 | Dermatologic and Dental Drug Products.

13 | I had planned today to actually focus on the
14 | design features and study results that specifically inform
15 | three issues rather than go over anything anyway. I'm
16 | going to skip over some of these a little more than I
17 | planned to for time.

18 | But the issues were dose ranging, adverse
19 | events, specifically the psychiatric adverse events
20 | reported in the trial, and then the problem of the switch-
21 | over risk that the sponsor has already addressed.

22 | The sponsor has already pointed out, as has Dr.
23 | Bashaw, that the isotretinoin exposure in the Accutane arm
24 | of this trial, the comparison trial, was significantly
25 | higher than in the new formulation arm. So, in my mind if

1 the trial showed equivalent efficacy, then it would suggest
2 that 1 milligram per kilogram per day of Accutane, which is
3 the mid-range of the currently labeled dose, if you give
4 that with food, which is what's labeled, then it's not the
5 minimum effective dose, otherwise they wouldn't be
6 equivalently efficacious.

7 Again, the trial was to compare these two
8 doses, which you've already heard about, so we can skip by
9 that.

10 Now, I don't want to get into a big debate
11 about number 1 because it's a little statistical thing and
12 our statistician is here if you want details in the
13 question period.

14 But the bottom line is the second bullet, and
15 the bottom line is the therapeutic equivalence in our view
16 was established. It's supported by the percent reduction
17 in nodules. As the sponsor pointed out, it's supported by
18 equivalent global assessments, and it's supported by
19 equivalent short-term need for retreatment in the overall
20 population. And I'm going to just talk a little bit about
21 that on the next slide.

22 This trial, as Dr. Wilkin just was saying,
23 wasn't really designed to look at relapse rates, which
24 would take a longer time. But in this trial, the sponsor
25 did look at week 36 to see if the patients needed to be

1 retreated with Accutane. It was done by phone interviews.
2 The phone interview is not the same as a physical exam.

3 The reason that we were interested in having
4 this looked at in the trial was that the need for
5 retreatment is of particular concern for pediatric patients
6 and for women. For pediatric patients, the reason is that
7 they're still growing, and isotretinoin does affect bone.
8 For women, obviously, if you have to have a second course
9 of therapy, it increases the risk of fetal exposure. In
10 the literature there's some evidence that pediatric aged
11 patients may actually have higher relapse rates after
12 treatment with this medication.

13 Now, these are the results in the pediatric
14 patients. As you can see, the proportion of patients that
15 had at least 90 percent reduction in nodules was a little
16 higher in the Accutane arm, and the requirement for
17 retreatment was higher in the new formulation arm. But
18 again, in a way we're comparing apples to oranges here
19 because, as the sponsor has already pointed out, the
20 exposure to isotretinoin in the Accutane arm was higher
21 than the dose given in the new formulation arm. So, I
22 don't think this means that there's any inherent efficacy
23 problem with the drug. It's a dose thing.

24 It's interesting when you look at the women who
25 were using Ortho Tri-Cyclen in the trial, these patients

1 | were not included by the sponsor in the per protocol
2 | efficacy analysis. And the numbers are way too small --
3 | you can see down at the bottom what the numbers are -- to
4 | draw any statistical analysis from this. But I only point
5 | this out because if future trials are done, this is a
6 | medication that has an approved indication for acne. So,
7 | if future trials are done, I think it's important to keep
8 | in mind for the design of those trials because there was a
9 | difference. Like I said, the numbers are too small, but
10 | the difference was between 84 percent and 57 percent in the
11 | proportion of patients who achieved at least a 90 percent
12 | reduction in nodules.

13 | Moving on and I basically already said this,
14 | that these subset results may suggest that Accutane may
15 | have been slightly more efficacious at the dosage tested,
16 | but it's at the dosage tested. And the overall trial
17 | results, in our opinion, do support therapeutic
18 | equivalence.

19 | So, this pretty much will sum up our efficacy
20 | conclusions. Since the exposure in the Accutane arm was
21 | higher than in the new formulation arm, we think that
22 | equivalence in efficacy would suggest that 1 milligram per
23 | kilogram per day of current Accutane may be an
24 | unnecessarily high dose for many patients.

25 | We think this is important for reasons that

1 have already been pointed out, that the minimum effective
2 dose would help possibly with managing serious adverse
3 events and even nonserious side effects can lead to
4 discontinuation of very effective treatment for severe
5 scarring acne. So, it's important to minimize, as much as
6 possible, the side effects of Accutane.

7 Now, Dr. McLane has stated that they believe
8 that the .4 milligram per kilogram per day tested in a
9 trial is close to the minimum effective dose. My feeling
10 from the efficacy data, looking at all of the trials, I
11 think that's probably pretty much right. It's hovering
12 right around there.

13 But the problem is that even if that's true, we
14 don't really know what range of dosing to recommend to
15 prescribers for patients who require dose escalation. As
16 you know, dermatologists in practice or anybody who
17 prescribes Accutane now, you try to start with the lowest
18 recommended dose and work your way up if patients don't
19 really respond. We're not really sure what to recommend.
20 We don't really know the safety profile for higher doses.
21 You might want to, when you're thinking about this, refer
22 to, I think it's, page 22 in the sponsor's briefing where
23 there are some of the simulations that refer to changes in
24 dosage of going from .4 to .5 or .66, the different peaks
25 that you would achieve. So, that's just something that we

1 want to think about.

2 Then on the next slide, I want to just quickly
3 go over adverse events that caught our attention. The only
4 thing that we need to look at here is that the total
5 exposure that we have for a safety profile for the new
6 formulation essentially is the 300 patients in the clinical
7 equivalence study because the short-term pharmacokinetic
8 studies were not very much exposure. We did not see and
9 the sponsor did not see any adverse events that have not
10 previously been observed in a safety database. That's
11 important for currently marketed Accutane.

12 We don't really need to go over this because it
13 was essentially the same between arms, early terminations.

14 Now, the reasons for withdrawal from the study
15 for safety reasons. The proportion was the same for both
16 arms. In other words, it was 16 from each arm. But the
17 reasons were not. In the new formulation arm, 4 patients
18 -- the doctors taking care of them made the decision to
19 discontinue them from the trial for psychiatric symptoms.
20 None of them were considered serious by the investigators.
21 There was also an additional patient who was discontinued
22 for a possible pseudotumor cerebri, and that patient also
23 answered yes to all four of the screening questions for the
24 psychiatric symptoms. In the Accutane arm, there were no
25 discontinuations for psychiatric symptoms.

1 If we go to the next slide, when I looked at
2 this trial, I really don't think that the number of
3 discontinuations is probably a very good comparative
4 measure of safety because it appears that there were some
5 problems with the investigators perhaps understanding what
6 the rules were. It was very variable. Some patients that
7 appeared to have mild psychiatric adverse events were
8 discontinued, whereas other patients who, by the scales,
9 appeared to have a greater adverse event were not. So, I
10 think there were some problems there.

11 But be that as it may, there's no readily
12 apparent reason for the imbalance between arms because
13 those probably should have been balanced. The trial was
14 very well masked by the sponsor.

15 So, that was discontinuations for psychiatric
16 adverse events.

17 Now, if we look at the reported psychiatric
18 adverse events. So, this isn't people that discontinued.
19 This is just how many patients had their doctor write down
20 on a case report form that an adverse event occurred.
21 Again, we have this disproportion. There were 11 such
22 cases in the new formulation arm and 1 in the current
23 Accutane arm. Now, this disproportion is statistically
24 significant and it would be cause for concern if it was
25 real.

1 On the next slide, it's important to note here
2 that the reported number refers only to patients who
3 verbally complained of symptoms. So, in other words, the
4 patients answered this four-question screening tool about
5 whether they had had any significant depression or insomnia
6 since the last visit that "affected their work or ability
7 to perform normal daily activities." And patients with two
8 or more positive responses went out and filled out the
9 Beck's Depression Inventory again.

10 So, on the next slide, if you add all those
11 patients up -- so, the patients who verbally reported their
12 symptoms, the patients who Dr. Jacobs and Dr. Nelson did in
13 analysis of these cases in retrospect, if you add those
14 cases that did not have a verbal report but were analyzed
15 by the sponsor's consultants, and then you add in some
16 extra patients that did not have a psychiatric adverse
17 event recorded but did answer yes to the self-injurious
18 behavior question or to two out of the four screening
19 questions or had Beck's Depression scores within a few
20 points indicative of severe depression, it comes out pretty
21 even, not exactly equal but the difference isn't anything
22 that would catch your attention.

23 Now, do I know that all those patients had
24 psychiatric adverse events? Absolutely not. All I've got
25 is what the investigator checked off on the case report

1 form, and I don't know if they did or not.

2 The bottom line is that if they did or they
3 didn't, there's still no readily apparent reason for the
4 disproportion in the reporting of psychiatric adverse
5 events because the bottom line is we could try to
6 retrospectively analyze this as much as we want, but the
7 fact is that in the doctor's office, the people that were
8 taking care of these patients, something happened that the
9 patient complained of the adverse event and they wrote it
10 down. If the other patients didn't complain and they had
11 these scores, I tried to add all that up to try to see if
12 perhaps this was just a chance finding and not anything to
13 worry about.

14 So, on the next slide, I just want to say what
15 I said this morning, which is that the trial was really not
16 intended or designed to specifically evaluate this question
17 at all. We wanted to monitor the safety of the patients in
18 the trial. And the design and conduct of the trial really
19 preclude I think reliable case ascertainment in retrospect
20 or any estimates of incidence. There was bias against
21 reporting I think because the patients wanted their acne
22 treated. They knew that they were getting a drug that
23 works, and if I was in that situation, I would be afraid
24 that I'd be discontinued if I said I was depressed. I'd
25 probably say I wasn't.

1 Then, like I said, the recording of events and
2 follow-up was variable.

3 If you go to the next slide, a chance finding I
4 think is also consistent with the fact that the reported
5 psychiatric adverse events in the new formulation arm --
6 really the worst case scenario is they weren't greater than
7 the range that we showed you this morning for those studies
8 where psychiatric adverse events were noted in trials.

9 Also, it's consistent with the fact that there
10 were lower serum levels of the drug in the new formulation
11 arm.

12 And it's also I think consistent with the fact
13 that the other adverse events that are thought to be dose-
14 related were not more common in the new formulation arm, in
15 fact, were probably less common.

16 Now, this does require some assumptions,
17 though, because even if the association with psychiatric
18 adverse events is causal -- if -- we don't know what the
19 dose threshold is. So, it could just be that its less than
20 these other events.

21 It also requires the assumption that there's no
22 pharmacokinetic basis for greater central nervous system
23 accumulation of the new formulation relative to currently
24 marketed Accutane. We have no pharmacokinetic reason to
25 believe that there would be more central nervous system

1 accumulation, but we don't have any direct levels or
2 anything in the central nervous system.

3 So, the bottom line here is that causality
4 between psychiatric disease and isotretinoin use has not
5 been established. If there is no causal relationship, then
6 the new formulation cannot be less safe than current
7 Accutane in that regard. If future studies did support a
8 causal relationship, then I think some uncertainty would
9 have to persist simply because we can't explain away the
10 disproportion in the reporting.

11 Psychiatric adverse events do not occur in
12 isolation. You want to ask yourself what's the big picture
13 here. How did the two formulations compare for other
14 important adverse events?

15 We can skip mucocutaneous adverse events.

16 Headache you would think we should look at that
17 because we're talking about the central nervous system. Is
18 there any signal here? I don't really think so. The
19 frequency of headache in the two arms was approximately
20 equal, but the duration was a little bit longer in the new
21 formulation arm. Both cases, characterized as migraine,
22 occurred in the new formulation arm, and there was one
23 possible case of pseudotumor in that arm. The Accutane arm
24 had three discontinuations for headache, and the new
25 formulation arm only had one. So, I'm not really concerned

1 about this. I think it's probably the same.

2 Pregnancy is another issue, as the sponsor
3 pointed out yesterday. One patient did become pregnant
4 while taking the new formulation. The stated facts of the
5 case that I've seen don't suggest to me that the patient
6 was noncompliant with her contraceptive measures, which
7 included oral contraceptives. But I don't know that.
8 There's no way to really know that. Everybody I think
9 would agree that 1 pregnancy among 244 female patients in
10 the controlled setting of a trial for a known teratogen is
11 of great concern.

12 The next slide. We can skip over this
13 essentially. Dr. McLane already covered that.

14 The risks associated with switch-over. There
15 are two issues here really. That's if one product replaces
16 the other or if both are on the market at the same time,
17 some of these issues would apply either way and some would
18 maybe be exacerbated by having both on the market. I think
19 Dr. McLane covered what would happen if people on the new
20 formulation took it twice a day or whatever. But I think
21 one thing that we need to think about is what happens if
22 the physician calculates the dosage.

23 In other words, if the patient is just given
24 the right amount for its formulation and they happen to
25 take it twice a day instead of once a day, I think that's

1 | what Dr. McLane was referring to. But what I'm thinking
2 | about is, what happens if the physician calculates the
3 | dosage based on the old formulation and it's really the new
4 | formulation?

5 | So, again, maybe in the discussion, if you look
6 | on page 24 of the sponsor's briefing document where there's
7 | some data about higher doses of the new formulation, I
8 | think up to .66 milligram per kilogram.

9 | The other issue with switch-over has kind of
10 | been alluded to. But our feeling is that whatever trade
11 | name we settle on, it should ideally retain the 18 years of
12 | name recognition that we have for this potent teratogen.
13 | It should at the same time clearly distinguish the two
14 | products if both are to be marketed. So, it's kind of a
15 | catch-22 there how to work that out. So, that's another
16 | consideration.

17 | So, we can get to the bottom line here and
18 | close. It's our view that there's no apparent
19 | pharmacokinetic basis that we can come up with to suspect
20 | that the new formulation would be any less safe than
21 | current Accutane, and that applies to the issue of a
22 | possible interaction with hormonal contraceptives and to
23 | the psychiatric adverse events.

24 | But the real question here is given the
25 | unknowns and the switch-over risks, basically you want to

1 ask what does the new formulation offer to patients.

2 The sponsor's stated goal is that enhanced
3 convenience improves patient compliance and that reduced
4 intra- and inter-patient variability, while retaining the
5 efficacy and safety profiles of currently marketed Accutane
6 is achievable because of the pharmacokinetics with the new
7 formulation.

8 Our view is that because the food effect with
9 Accutane is so large, the new formulation does reduce
10 variability in serum levels of isotretinoin, but the
11 benefit for patients would be dependent on equivalent or
12 better safety and efficacy since the impact of the
13 convenience factor is likely to be small. The reason I say
14 that is that in my experience in the clinic, it seems to me
15 that taking medicine on an empty stomach is more
16 challenging than taking it on a full stomach. I think that
17 in the case of this age group, which consists of a lot of
18 teenagers, it's probably hard to find one sometimes with an
19 empty stomach, as anybody knows who has one and tries to
20 feed them.

21 (Laughter.)

22 DR. O'CONNELL: So, basically this is our take
23 on it. Thank you.

24 DR. BERGFELD: Thank you very much.

25 It is now 4:30. We are due to retire at 5:30,

1 and what I'd like to do is to limit the discussion period
2 to about 20 minutes and then go the questions, which are
3 two. So, right now if there are any of the committee
4 members that would like to discuss any point of the
5 presentation or clarification.

6 Dr. Rosenberg.

7 DR. ROSENBERG: Yes. Of course, like most
8 people of my generation in dermatology who remember before
9 there was Accutane, if it weren't for Accutane, we'd still
10 be giving them x-ray. Accutane made all the difference in
11 the world for the practice of dermatology, and we've come
12 to love it, albeit with its problems.

13 The idea of changing brings up all the
14 anxieties of change, but whether we vote to approve it or
15 not, based on what I saw, I'm not about to start writing
16 the prescription. I don't think 36 weeks is any time to
17 talk about retreatment. And retreatment is not the same as
18 being perfect. I'm very suspicious of something that
19 causes less mucocutaneous dryness. When my patients tell
20 me they're too dry, I tell them that's what they're paying
21 for, that's what they're buying. They're buying this
22 reaming out of the follicles so that after they've put up
23 with it for 20 weeks, they're never going to have acne
24 again at a high percentage.

25 And if they tell me that they can make their

1 | acne go away at a half dose, I tell them Dr. Cunliffe has
2 | written that paper and others have written that paper. You
3 | can make acne go away with a half dose, but you don't have
4 | the same percentage of permanent cure. It's never going to
5 | be a 100 percent permanent cure.

6 | But if you go through the whole rigmarole for
7 | 20 weeks and put up with the symptoms, and so forth and so
8 | forth -- and, of course, don't get pregnant -- that there's
9 | a good likelihood you're never going to have acne again.
10 | And that's why we're doing it. That's why we're spending
11 | all this money and going through all this.

12 | Statistically it might not be worse, but it
13 | certainly wasn't any better. Actually it wasn't as good.
14 | As I say, the finding of less scaliness makes me
15 | suspicious. And I don't see any reason to change any of my
16 | patients to this until we've seen some papers come out that
17 | at the end of 2 years how many are going to not be perfect.

18 | DR. BERGFELD: Any other committee members wish
19 | to discuss? Dr. Branch?

20 | DR. BRANCH: I'm just curious as to why a trial
21 | that obviously took a huge amount of effort to launch was
22 | set up with non-dosage equivalence between the two arms
23 | when you have pharmacokinetic profiles that look -- you've
24 | stabilized your availability within an individual, but the
25 | rest of the profile looks pretty much the same to me. So,

1 I just find that it's hard to be asked to draw a conclusion
2 in terms of efficacy when you've got a dose-ranging study.

3 DR. BERGFELD: Dr. Abel, then Roche.

4 DR. ABEL: I think we need to know the minimum
5 effective dose of Accutane.

6 As far as questions of efficacy, I'm not
7 convinced how much the NF formulation has to offer. There
8 is a convenience factor and certainly there would be more
9 consistency in bioavailability of the drug. I think there
10 are a lot of questions that I have.

11 DR. BERGFELD: Dr. Miller, Dr. King, Dr. Epps?

12 DR. EPPS: From the presentations, I have
13 concerns I guess about the psychiatric findings, 11 I
14 believe and 1 in the other, in the Accutane that there are
15 more problems, we'll say, or symptoms in the NF group.
16 Certainly we would need more data in that regard.

17 The other issue is the convenience. Certainly
18 you can tell people to take it with food or put a sticker
19 on the bottle that says take it with food. There are other
20 medications that we take with food. And if someone isn't
21 compliant enough to take Accutane twice a day, I'm not
22 going to give them Accutane to take once a day.

23 DR. BERGFELD: Dr. McLane, did you want to
24 address any of the discussants?

25 DR. McLANE: Yes. Specifically on the

1 efficacy, I didn't present my table, but it is in the
2 brochure that the people at the table do have on there. On
3 efficacy, we did reach the statistical requirement.

4 On the retreatment issue, that was actually
5 agreed upon with the FDA on the time frame. It's the time
6 frame that is twice the time frame that we recommend for
7 the initiation of retreatment, and it was felt to be the
8 minimum time in order to have an assessment of the
9 retreatment.

10 I think those were the main points I wanted to
11 make.

12 DR. BERGFELD: Dr. Wilkin, did you want to in
13 any way respond?

14 DR. WILKIN: Yes. That's what Roche proposed.
15 I think somewhat after they proposed that, we noticed in a
16 Roche brochure, Systemic Isotretinoin, Active Ingredient of
17 Roaccutane. It's published by Roche, Basel, Switzerland,
18 1994. This was actually where I took the diagram that
19 showed the dots that represented the studies. I don't know
20 that we had actually seen this compelling information on
21 the difference between a dose that would reduce the number
22 of nodules and how that might be different from the dose
23 that ultimately would reduce the need for retreatment.

24 DR. BERGFELD: Dr. King?

25 DR. KING: I come back to the same issue.

1 | Again being somewhat of the older Windows generation, every
2 | time they say new and improved, '95, '98, 2000 window, it
3 | oftentimes is not improved. I'm not sure.

4 | Again, I know we've been voted down on the
5 | registry, but now there are going to be two different kinds
6 | of Accutane from Roche and particularly those competitors.
7 | So, how are we going to distinguish which one is there and
8 | which one is not?

9 | So, in the State of Tennessee, they had four
10 | people prescribing 80 percent of all the chloramphenicol
11 | causing all the adverse effects. So, the reverse side of
12 | registry is also identifying physicians who are
13 | inappropriately prescribing or monitoring it.

14 | So, given a new formulation, I'm not sure how
15 | you're going to figure out psychiatric side effects and all
16 | that when half the population is missing and you have two
17 | or three different combinations. So, I think we're going
18 | to be back here about three years from now debating this
19 | once again. So, I'd just like to think that the FDA and
20 | Roche have a real thing to deal with. I'm not sure. I'm
21 | with Dr. Rosenberg that unless you make them peel and all
22 | that, it's not been my experience that people stay cleared.

23 | DR. BERGFELD: Dr. Anderson?

24 | DR. JENNIFER ANDERSON: I'm just unsure. Are
25 | we going to be voting on approval of this new formulation?

1 DR. BERGFELD: No. We're going to answer the
2 questions which relate to dose-ranging studies, are they
3 needed.

4 DR. JENNIFER ANDERSON: But this drug hasn't
5 been approved yet.

6 DR. BERGFELD: Dr. Wilkin, do you want to
7 respond to that?

8 DR. WILKIN: Well, we're talking about two
9 pieces: the Accutane that's on the market and the new
10 formulation, which is currently under review and has not
11 yet been approved.

12 DR. BERGFELD: Dr. Malone?

13 DR. MALONE: I would just think that you would
14 need more studies because you have two different dosage
15 equivalents and you'd want to compare the same dosages in
16 one study I would think.

17 DR. BERGFELD: Any other discussants? Yes, Dr.
18 Rosenberg.

19 DR. ROSENBERG: Could we be told what happened
20 to the young woman who became pregnant?

21 DR. McLANE: This woman was given basically all
22 of the components of the pregnancy prevention program with
23 the exception of enrollment into the Slone Survey because
24 they do not accept enrollments of clinical trials. The
25 patient had two serum pregnancy tests 1 day before she

1 started the Accutane and one 10 days before she started the
2 first dose of Accutane.

3 There's personal information on this. Her
4 father was her gynecologist that referred her for the
5 medication for oral contraceptives.

6 DR. BERGFELD: Dr. Greene?

7 DR. GREENE: I'd just point out that from my
8 look at it, 1 in 244 is not statistically significantly
9 different from 3 in 1,000 which is the rate that we heard
10 about all day yesterday.

11 DR. ELLISON: That's exactly correct. That was
12 the table we put up with the Slone rate, the rate from the
13 pilot study of UHC, and the rate from this clinical trial.

14 DR. BERGFELD: Any other committee members that
15 wish to clarify something? Dr. Tan?

16 DR. TAN: Yes. I think it seems to me that the
17 rate for retreatment needs to be considered as one of the
18 endpoints for approving their equivalence.

19 DR. BERGFELD: Thank you.

20 Anyone else?

21 (No response.)

22 DR. BERGFELD: I'd like to ask Roche, because I
23 asked you to shorten your presentation, if you think that
24 there's something that you need to include at this point or
25 to expand upon, I'd give you the time to do that.

1 DR. YOUNG: We're talking about really the dose
2 of NF. You have been treating everybody with doses of
3 Accutane. You're comfortable with those doses of Accutane.
4 I think what we're talking about in NF is what is the
5 equivalent dose of NF to the Accutane.

6 So, if we take a patient and you're normally
7 dosing this patient -- I'll just pick a weird number -- 1.2
8 milligrams per kilogram b.i.d. in that patient, that would
9 be your normal therapy as a physician. We easily, based on
10 the pharmacokinetics and the linear pharmacokinetics and
11 everything we've seen, can tell you what would be the
12 equivalent exposure of NF for that 1.2. So, I want to make
13 sure everybody understands, given the relationship and all
14 the pharmacokinetics we've done with parents and
15 metabolite, we're able to kind of equate exposures between
16 NF and Accutane. That's just for information purposes.

17 DR. BERGFELD: Thank you.

18 DR. ELLISON: Yes. I'd like to add further to
19 that and one other point.

20 One of the major issues with respect to the
21 current trade formulation is how many people are not
22 getting the advice, at least from their physician, to take
23 it with food. I think you saw that if you were on .5
24 milligram per kilogram, what the result would be if you
25 didn't take it with food. This may be in not necessarily a

1 teenage person, but it may be more likely in someone who is
2 in their 20s. The consequence of that will, indeed, be --
3 I think nobody would deny it -- that there would certainly
4 be a much higher likelihood of relapse and the need for
5 retreatment. That was of concern to us, the survey we did
6 showing this very large group of patients that weren't
7 getting these instructions on the script.

8 The second is that if you're going to do this
9 kind of study and you're going to look for one dose versus
10 the other, we thought that we would take the minimum
11 effective dose because of the point that we can model up to
12 equivalent higher doses and to an exposure level where you
13 know you've got the same exposure as that other dose in the
14 trade formulation. So, at least you know you're in those
15 exposure bounds. The efficacy of that may not necessarily
16 translate or may not be entirely known. That was a
17 decision we made because the idea of going down would have
18 been much more problematic. We wouldn't know if your
19 safety would have improved. So, that's why we chose that
20 dose, knowing that you could go up on a modeling basis.

21 I guess our whole issue around this formulation
22 again, I think the idea of convenience is less important to
23 us than that concern that we have about variability with
24 food, particularly in populations that for them it may be
25 more difficult to take this with food.

1 Thank you.

2 DR. BERGFELD: Thank you.

3 Dr. Rosenberg, then Dr. Abel.

4 DR. ROSENBERG: My problem is I took from
5 reading the material that at comparable blood levels, there
6 was a suggestion of less mucocutaneous side effect. I just
7 don't think that mucocutaneous side effect is a side
8 effect. I think it's the effect of dedifferentiating
9 epidermal cells. I just wonder if we are really getting,
10 at comparable blood levels, the same efficacy that we're
11 used to.

12 DR. McLANE: Well, I had pointed out the
13 mucocutaneous side effects for one of the main reasons. It
14 is one of the criteria that has been shown to have a dose
15 relationship. In fact, what I have in the document that
16 you have -- but we had additional information within the
17 NDA -- 99 percent of the patients all had chapped lips on
18 both formulations. So, the mucocutaneous events that I was
19 referring to were the quality of life issues of a bleeding
20 nose or having to not wear their contact lenses in which we
21 had a difference between the patients.

22 DR. BERGFELD: Thank you.

23 I have Dr. Abel and then Dr. Malone.

24 DR. ABEL: The point about taking Accutane with
25 food seems to be a simple physician education issue that

1 | could be addressed with publication of studies showing
2 | effects of food and efficacy with and without taking it
3 | with food.

4 | Second, if in the Roche trials the lower level
5 | of efficacy dose was selected, well, then wouldn't there be
6 | greater concern if you go up from there regarding side
7 | effects, adverse effects, psychiatric, other?

8 | DR. BERGFELD: Dr. Ellison, are you going to
9 | respond?

10 | DR. ELLISON: Yes, just very briefly. I think
11 | the concerns would be what we don't know. What we do know
12 | is we can model this up so that you can anticipate the same
13 | exposures at a given dose calculation of trade, which is
14 | what David was talking about. So, the concern would be
15 | that drug given from the new formulation or some very
16 | subtle differences in the kinetic curve would cause more
17 | side effects than not, but overall your AUC, you can
18 | calculate what that exposure would be. This is
19 | particularly important with respect to the decreased inter-
20 | and intra-patient variability with this formulation. So,
21 | you can really model the boundary conditions, if you will,
22 | for adverse events.

23 | DR. BERGFELD: Thank you.

24 | Dr. Malone and then Dr. Winokur.

25 | DR. MALONE: Because there are so many safety

1 | concerns about the medicine, I would think you would want
2 | to compare the same dose of the two drugs rather than
3 | relying upon models if you're trying to look at a new
4 | formulation.

5 | DR. BERGFELD: Thank you.

6 | DR. WINOKUR: Just an observation or maybe a
7 | question. All of the compliance literature that I am
8 | familiar with always makes a big point of fewer numbers of
9 | doses is always associated with better compliance. Now,
10 | this population may be so motivated that it's a different
11 | story than any clinical population that I'm familiar with,
12 | but I just wanted to not lose sight of that aspect.

13 | DR. BERGFELD: Could I ask Roche if you have
14 | any compliance information?

15 | DR. McLANE: We did not measure compliance
16 | directly on this trial, but we did measure it by the number
17 | of packages of medications that we received back and the
18 | number of capsules in these packages. It was quite
19 | comparable between the two formulations. It was over 85
20 | percent compliance on the patients that completed the
21 | therapy.

22 | However, we do know from our prescriber survey
23 | that 22 percent of the patients that are prescribed to get
24 | it twice per day don't take it that way. They take it once
25 | per day. We know that there's that wide number of patients

1 that when they're prescribed to take it with food don't.
2 What happens then is that you really do get this under-
3 dosing. When you looked at some of the curves that David
4 had presented, you do see this under-dosing of the
5 patients. That means that their treatments might be
6 longer.

7 And what happens if you do that with the new
8 formulation, you wouldn't get that type of effect. You
9 would have much more predictable exposure and so your 20
10 weeks period of treatment would be efficacious.

11 One of the things that we had in your package
12 is that over 80 percent of our patients had an excellent
13 response when we looked at this criteria, an excellent or
14 cleared response. So, with this new formulation, we are
15 efficacious and we're getting a significant amount of
16 clearance. We're going down to two nodules or lesions in
17 these patients. So, it is efficacious. But with this more
18 predictability, you really get the benefit.

19 DR. BERGFELD: Thank you.

20 I think at this point we've moved past our 20
21 minutes, unless there's a question that must be asked or a
22 clarification that must happen.

23 Seeing none, I think we'll move ahead with the
24 questions. Dr. Bull?

25 DR. BULL: FDA questions to the committee.

1 Again, given the data presented, does the committee feel
2 that further dose-ranging studies are needed for
3 isotretinoin? If so, please discuss possible study
4 designs.

5 Question 2. Does the committee believe that
6 there may be possible consequences associated with the
7 simultaneous marketing of Accutane and the new formulation
8 for both prescribers and patients? If yes, please comment
9 on appropriate strategies to alleviate them.

10 DR. BERGFELD: Thank you.

11 I'm going to set the first question back on the
12 table and ask for comments and even a motion, if that's in
13 your mind. Given the data presented, does the committee
14 feel further dose-ranging studies are needed for Accutane?

15 Dr. Wilkin?

16 DR. WILKIN: Actually it's a clarification.
17 The question has been changed from Accutane to isotretinoin
18 so that it's inclusive.

19 DR. BERGFELD: Thank you.

20 Anyone?

21 (No response.)

22 DR. BERGFELD: Let me put a motion on the table
23 then. All those in -- Dr. Abel?

24 DR. ABEL: I just had a question. Wasn't it
25 stated that we don't know the minimum effective dose of

1 Accutane?

2 DR. BERGFELD: Dr. McLane?

3 DR. McLANE: Yes. What we observed in this
4 trial is that we do know the minimum effective therapeutic
5 range for isotretinoin. This is the range at the .5
6 milligram. It's also the range that was shown on the table
7 that Dr. Wilkin presented, and that is the range that the
8 new formulation falls in. In fact, we're slightly above
9 the .5 milligram per kg. So, we are at the minimum
10 therapeutic range for dosing of isotretinoin, and we know
11 it for the new formulation and we know it for the current
12 marketed formulation.

13 DR. BERGFELD: Does that clarify the position
14 on dose ranging for you?

15 DR. ABEL: So, it is known then.

16 DR. BERGFELD: Yes.

17 DR. ABEL: All right. Thank you.

18 DR. BERGFELD: Dr. Wilkin, then Dr. Greenhill.

19 DR. WILKIN: Yes. If I could just ask Dr.
20 McLane for a clarification. The table that I showed wasn't
21 clear in the booklet that I abstracted that from. Is that
22 all the same formulation, all of those studies? And is
23 that the current formulation?

24 DR. McLANE: To tell the truth, I'm not
25 familiar with that book. It was published in Basel. It

1 | was never circulated in the United States. I do know that
2 | it was based on some earlier studies that were conducted in
3 | France where they actually have different dosing regimes
4 | and some of the dosing that is available throughout the
5 | world.

6 | I believe it's based on some of the published
7 | material in which dosing has been looked at in
8 | retrospective studies where patients have been evaluated
9 | for how much accumulated dose they had received during
10 | therapy and, consequently then, what was their efficacious
11 | range and the need for retreatment based on the
12 | retrospective analysis of these patients or patient
13 | databases.

14 | DR. BERGFELD: So, the bottom line is it's not
15 | applicable to this discussion?

16 | DR. McLANE: No. Exactly.

17 | DR. BERGFELD: Dr. Greenhill.

18 | DR. GREENHILL: In the past, there had been
19 | some question about one of the proofs for the presence of a
20 | causal relationship between any of the isotretinoins and
21 | psychiatric symptoms. I have wondered if part of this
22 | question might address the possibility of looking for a
23 | study of the kind that had been suggested of following
24 | patients for a period of time, perhaps following them on
25 | different doses and extending the dose-ranging study so

1 that it would be possible to address maybe two questions.
2 One is the different dosage levels provide not only
3 successful, immediate treatment but prevent relapse, and
4 secondly, are the higher dosage levels associated, within a
5 period of follow-up, with any psychiatric symptoms at all
6 because prospectively you might have a better chance.

7 Adverse events are theoretically effects of
8 medication just as the disappearance of acne. And there
9 might be a dose relationship, there might not be. I think
10 it might be useful to try to address this. We're not going
11 to ever be able to rule out the possibility of a type 2
12 error, but at least any more information we can get would
13 be helpful in addressing it. So, I wondered if this
14 question is the place where such a suggestion might be
15 raised.

16 DR. BERGFELD: Well, I want to ask the FDA
17 specifically. The question deals with the efficacy or does
18 it deal with the efficacy and the adverse events, or all of
19 it?

20 DR. WILKIN: Again, the dose ranging is really
21 the minimum effective dose that gives you the least amount
22 of side effects.

23 DR. BERGFELD: So, the answer to you, Dr.
24 Greenhill, is that there needs to be another study that was
25 proposed to look at those specific adverse events.

1 Dr. Branch?

2 DR. BRANCH: It seems to me that there are two
3 new factors that are coming on the table. One is that the
4 endpoint is changing. It's going from early nodule
5 reduction, which is what this clinical trial did, and the
6 focus is now going on recurrence after a full course of
7 treatment.

8 The other is there has been no discussion on
9 the dose relationship of the adverse event profile. Yet,
10 there is a body of data from the past. Would it be
11 reasonable that before another study is proposed, there's
12 actually a look at the data that's available to look at
13 those two particular aspects? Because it may be that
14 you've already got your answer.

15 DR. BERGFELD: Dr. Wilkin, Dr. Bull, do you
16 want to respond to that? Dr. Murphy? Dr. O'Connell?

17 DR. O'CONNELL: Can I just ask for a
18 clarification? Which two aspects specifically?

19 DR. BRANCH: The need for recurrence or at
20 least the need for a further course of treatment due to
21 recurrence, which seems now to be a key endpoint measure,
22 and the second is there really has been very little
23 discussion on evidence for a dose-response relationship in
24 the adverse event profile, apart from chapped lips.

25 DR. BERGFELD: Any FDA response?

1 DR. WILKIN: I think we're always happy to look
2 at data that has already been collected that can answer a
3 question rather than setting out to just simply reproduce
4 that. If the sponsor has that, we certainly would look at
5 it.

6 DR. BERGFELD: Dr. Rosenberg?

7 DR. ROSENBERG: I really didn't hear what the
8 final outcome was of that young woman who became pregnant?
9 Did she have a baby at term or what?

10 DR. McLANE: She had a termination.

11 DR. ROSENBERG: She had a termination.

12 DR. McLANE: Yes.

13 Could I answer just quickly on the question on
14 the trial design and mucocutaneous events?

15 DR. BERGFELD: Sure.

16 DR. McLANE: There are some mucocutaneous
17 events and the triglycerides is one that you can measure.
18 The triglycerides is a measurement that, because of a
19 systemic effect and there's a mechanism that has been
20 proposed that is a dose relationship. So, the triglyceride
21 level is a very good marker for a dose response for the
22 adverse event profile. With that, we do see the
23 differences in elevations.

24 If I could have the slide on the triglycerides,
25 the one that's in my presentation. Within there, you do