

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND
RESEARCH

THE PEDIATRIC SUBCOMMITTEE
OF THE ANTI-INFECTIVE DRUGS ADVISORY
COMMITTEE (AIDAC)

IN JOINT SESSION WITH
THE PREGNANCY LABELING SUBCOMMITTEE
OF THE ADVISORY COMMISSION FOR REPRODUCTIVE HEALTH
DRUGS

Tuesday, September 12, 2000

1:00 p.m.

Hyatt Regency Bethesda One Metro Center
Bethesda, Maryland

PARTICIPANTS

P. Joan Chesney, M.D., Chairperson
 Jayne Peterson, R.Ph, J.D., Executive Secretary

THE ANTI-INFECTIVE DRUGS SUBCOMMITTEE

MEMBERS

Judith O'Fallon, Ph.D.
 Keith Rodvold, Pharm.D. (Consumer Representative)

SGE CONSULTANTS

David Danford, M.D.
 Robert Fink, M.D. Susan
 Fuchs, M.D. Barbara
 Geller, M.D.
 Richard Gorman, M.D., FAAP Mark
 Hudak, M.D.
 Naomi Luban, M.D.
 Robert Nelson, M.D., Ph.D.
 Victor Santana, M.D.

GUESTS AND GUEST SPEAKERS

Ralph Kauffman M.D.
 Steven Spielberg, M.D., Ph.D.
 Robert Ward, M.D., FAAP, FCP

THE PREGNANCY LABELING SUBCOMMITTEE

MEMBERS

Michael Greene, M.D. (Chair)
 Bonnie Dattel, M.D.

SGE CONSULTANTS

Elizabeth Andrews, Ph.D., MPH
 Elizabeth Ann Conover, M.S.
 Patrick Wier, Ph.D.
 Katherine L. Wisner, M.D.

GUESTS AND GUEST SPEAKERS

Philip O. Anderson,, Pharm.D., FASHP
 Cheston Berlin, M.D.
 Jan M. Friedman, M.D., Ph.D.
 Gideon Koren, M.D.
 Julia Scott, R.N. (Consumer Representative)

FDA

Holli Hamilton, M.D., MPH

Dianne Kennedy, R.Ph., MPH Sandra L. Kweder, M.D. Dianne
Murphy, M.D.

	3
C O N T E N T S	
Call to Order/Introductions, P. Joan Chesney, M.D. Michael Greene, M.D.	4
Conflict of Interest Statement, Jayne Peterson, R.Ph., J.D.	7
Background Information and Overview, Sandra Kweder, M.D.	9
American Academy of Pediatrics Perspective on Breastfeeding, Cheston Berlin, MD	14
Counseling Nursing Mothers, Philip O. Anderson, Pharm.D., FASHP, FCSHP	19
Drug Therapy during Lactation: A Maternal and Pediatric Issue Requiring Research, Robert M. Ward, M.D., FAAP, FCP	31
Subcommittee Questions for Speakers	41
Current Requirements for Providing Information in a Product's Labeling on Drug Use during Lactation, Holli Hamilton, M.D., MPH	58
Open Public Hearing: Dr. Sumner Yafee	81
Subcommittee Discussion of Questions	88

1 P R O C E E D I N G S

2 Call to Order

3 DR. CHESNEY: I just wanted to tell you that in
4 the "Science" section of The New York Times this morning
5 there was a full-page article about these meetings and the
6 pediatric initiative at the FDA, including a picture of Dr.
7 Murphy. So, if you haven't bought your New York Times yet,
8 you might want to get a copy of that.

9 DR. MURPHY: Joan, somebody pointed out to me that
10 they promoted me in this article. So, I wish to deny the
11 promotion -- it is not true.

12 DR. CHESNEY: I think we are ready for this
13 afternoon's sessions on infants as the recipient of drugs by
14 way of breast milk, another route of delivery, and we will
15 start by having introductions, and I think we will start on
16 the left hand side with Dr. Murphy.

17 For those of you who haven't been here the last
18 day and a half, the green button on the microphone is what
19 turns it on and off, and please be sure to give your name if
20 you are making a comment or asking a question. So, we will
21 start with the identification first.

22 DR. MURPHY: I am Dianne Murphy. I am an
23 Associate Director for Pediatric at CDER.

24 DR. HAMILTON: Holli Hamilton, Pregnancy Labeling
25 Team.

1 MS. KENNEDY: Dianne Kennedy, Pregnancy Labeling
2 Team.

3 DR. ANDERSON: Phil Anderson, University of
4 California, San Diego, Drug Information Service.

5 DR. BERLIN: Cheston Berlin, Pennsylvania State
6 University College of Medicine, at the Hershey Medical
7 Center.

8 DR. ANDREWS: Elizabeth Andrews, Director of
9 Epidemiology at Glaxo Wellcome.

10 DR. WIER: I am Patrick Wier. I am a preclinical
11 scientist with SmithKline Beecham Pharmaceuticals.

12 DR. GREENE: I am Mike Greene. I am the Director
13 of Maternal/Fetal Medicine, Massachusetts General Hospital,
14 Harvard Medical School.

15 DR. CHESNEY: Joan Chesney, Infectious Diseases at
16 the University of Tennessee Memphis, and also in academic
17 programs at St. Jude.

18 DR. PETERSON: I am Jayne Peterson. I am the
19 Executive Secretary of both the Pregnancy Labeling
20 Subcommittee and the Pediatric Subcommittee, with FDA.

21 DR. NELSON: Skip Nelson. I am a pediatric
22 critical care physician at the Children's Hospital of
23 Philadelphia.

24 DR. GORMAN: Richard Gorman, general pediatrician
25 in private practice in suburban Maryland.

1 DR. O'FALLON: Judith O'Fallon, Biostatistics,
2 Mayo Clinic.

3 DR. RODVOLD: Keith Rodvold, Professor of Pharmacy
4 Practice, Colleges of Pharmacy and Medicine, University of
5 Illinois at Chicago.

6 DR. GELLER: Barbara Geller, Professor of
7 Psychiatry, Washington University in St. Louis.

8 DR. DANFORD: I am Dave Danford, I am a pediatric
9 cardiologist at the University of Nebraska Medical Center
10 and Creighton University Omaha.

11 DR. FUCHS: Susan Fuchs, pediatric emergency
12 medicine physician, Children's Memorial Hospital, Chicago,
13 Illinois.

14 DR. HUDAK: Mark Hudak, Neonatology, University of
15 Florida, Jacksonville.

16 DR. FINK: Bob Fink, pediatric pulmonologist at
17 Children's Hospital, Washington, DC.

18 DR. LUBAN: Naomi Luban, pediatric hematologist-
19 oncologist, Children's Hospital, Washington, DC.

20 DR. SPIELBERG: Steven Spielberg, head of
21 pediatric drug development at Johnson & Johnson,
22 representing PhARMA.

23 DR. KAUFFMAN: Ralph Kauffman, Pediatrics and
24 Pharmacology, University of Missouri, Kansas City and
25 Children's Mercy Hospital.

1 DR. WARD: Bob Ward, neonatologist, University of
2 Utah and chair of the Academy of Pediatrics committee on
3 drugs.

4 DR. CHESNEY: Jayne Peterson, the executive
5 secretary, will now read the conflict of interest statement.

6 Conflict of Interest Statement

7 DR. PETERSON: The following announcement
8 addresses the issue of conflict of interest with regard to
9 this meeting, and is made a part of the record to preclude
10 even the appearance of such at this meeting.

11 Based on the submitted agenda for the meeting and
12 all financial interests reported by the committee
13 participants, it has been determined that since the issues
14 to be discussed by the subcommittees will not have a unique
15 impact on any particular firm or product but, rather, may
16 have widespread implications for all similar products, in
17 accordance with 18 USC 208(b), general matter waivers have
18 been granted to each special government employee
19 participating in today's meeting. A copy of this waiver
20 statement may be obtained by submitting a written request to
21 the agency's Freedom of Information Office, Room 12A-30 of
22 the Parklawn Building.

23 With respect to FDA's invited guests and guest
24 speakers, Dr. Philip Anderson, Dr. Cheston Berlin, Dr. Ralph
25 Kauffman, Dr. Gideon Koren, Dr. Steven Spielberg and Dr.

1 Robert Ward have reported interests which we believe should
2 be made public to allow the participants to objectively
3 evaluate their comments.

4 Dr. Anderson would like to disclose that he owns
5 stock in Amgen, Ivax, Cell Genesys and Medimmune; and
6 receives consulting fees from TAP Pharmaceuticals and Astra.

7 Dr. Berlin would like to disclose that he is a
8 researcher through a contract with Medeva; receives
9 consulting fees from Medeva, Pfizer, Merck and Ascent; and
10 receives speaker fees from 3M.

11 Dr. Kauffman would like to disclose that he has
12 grants with Bristol-Myers Squibb, is involved in research
13 for Bristol-Myers Squibb, Astra, Zeneca, Janssen, Merck,
14 R.W. Johnson and Adventis, and is a scientific adviser for
15 Bristol-Myers Squibb, Johnson & Johnson and Purdue Pharma.

16 Dr. Koren would like to disclose that he is a
17 researcher for Duchemay Ltd, and receives consulting fees
18 and speaker fees for Duchemay Ltd.

19 Dr. Spielberg would like to disclose that he is an
20 employee of Johnson & Johnson.

21 Dr. Ward would like to disclose that he owns stock
22 in Ascent Pediatrics and Viropharma; has grants with Wyeth-
23 Ayerst, Novartis, Ascent Pediatrics, Adventis Pharmaceutical
24 and Sepracor. He receives consulting fees from Janssen
25 Pharmaceutical and is a scientific adviser for McNeil

1 Consumer Products.

2 In the event that the discussions involve any
3 other products or firms that are already on the agenda for
4 which an FDA participant has a financial interest, the
5 participants are aware of the need to exclude themselves
6 from such involvement and their exclusion will be noted for
7 the record.

8 With respect to all other participants, we ask in
9 the interest of fairness that they address any current or
l0 previous financial involvement with any firm whose product
l1 they may wish to comment upon.

l2 DR. CHESNEY: Thank you. Does anyone have
l3 anything else to declare?

l4 [No response]

l5 We will move on to our first speaker, Dr. Sandra
l6 Kweder, who is the Acting Director of the Office of Drug
l7 Evaluation IV, FDA, and is going to provide us with some
l8 background information and an overview.

l9 Background Information and Overview

20 DR. KWEDER: Good afternoon to you all. I don't
21 have any slides. I am just going to try and put a little
22 bit of a framework of why we are here today, and then let us
23 move on to some of the speakers.

24 Let me start with telling you a little bit, for
25 those of you who don't know, about how we actually came to

1 organizing this meeting. We have two initiatives at FDA
2 that converge at this issue. One is our pediatrics
3 initiative, which those of you on this side of the room are
4 very familiar with. The purpose of that initiative is to
5 change the way we do business and try to facilitate and
6 encourage the study of safety and efficacy of drugs in
7 children.

8 We have another initiative, our pregnancy labeling
9 initiative, that the people on this side of the table are
10 familiar with. Through that initiative we have been
11 dedicated to improving the information available in product
12 labels on appropriate use of drugs in pregnancy.

13 Interestingly, for those of you who don't know
14 that, these two initiatives that have their own independent
15 staffing both happen to be housed in the Office of Drug
16 Evaluation IV, of which Dr. Murphy is the Office Director
17 and I am the Deputy Director. So, it has been very
18 convenient.

19 But, historically, lactation has always been an
20 issue at the FDA that has run sort of *pari passu* or in
21 keeping with the pregnancy section of labels. If you pick
22 up a package insert for any given product, the lactation
23 section follows the pregnancy use section. That makes some
24 sense. You know, the focus on the pregnant woman and her
25 use of a particular medicine and its effect on her

1 developing fetus could change at any moment to a lactating
2 woman taking the same medicine and possible risk to her now
3 newborn.

4 Well, as we have gotten involved in trying to
5 frame what the pregnancy section of labeling ought to look
6 like, we have rolled up our sleeves and tried to develop a
7 new framework for that. Holli Hamilton will give you a
8 little later, for those of you who aren't familiar with it,
9 an overview of what we are thinking in that area. So, I
10 won't dwell on it.

11 But, we held a meeting with the Pregnancy Labeling
12 Advisory Committee on this framework about a year ago to
13 just get some general concepts on the table. Following that
14 meeting, we had a very thoughtful letter sent to us by Dr.
15 Phil Anderson, who is here today, that said, "wait a minute,
16 folks. You know, you're talking about revising this section
17 of the label, well, this section of the label has always
18 included lactation and things are pretty much in a woeful
19 state and you're going to have to confront this. How can I
20 help?" So, that is why we are here today, to try to get
21 your help on this.

22 Before we can address what lactation sections of
23 product labels should look like, we need to examine what
24 exists now a little bit. We won't dwell on that, although
25 Holli is going to provide you with some examples that will

1 probably be familiar to most of you. More importantly, we
2 need a sense from you, as clinicians out there seeing
3 patients and teaching, what is important in this section of
4 the label; what is the science that is important; and, what
5 clinically is important. So, we are seeking your guidance
6 on the needs of practicing clinicians and, most importantly
7 for now, what are the scientific issues underlying that that
8 we need to begin to address.

9 I would like to emphasize one point. The purpose
10 of this meeting today is not to ask you whether FDA should
11 require studies of drugs in lactation under the Pediatric
12 Rule or as part of a requirement for marketing exclusivity.
13 That is a question that people have asked me and I just want
14 to put it right on the table -- that is not what we are here
15 to talk about today.

16 Those of you around the table are mostly
17 clinicians and scientists of other types. This is only the
18 beginning. Ultimately, we are going to need to hear from
19 other groups, particularly patient groups or women who often
20 feel very much alone in making decisions about medicines and
21 breastfeeding. So, as we move forward in thinking about
22 what that section of the label will look like, we are going
23 to have to seek input from those groups as well,
24 particularly in the areas of language.

25 So, unless there are any questions, I am going to

1 thank you again for being here. I really look forward to
2 your discussion. I know it is a big group which tends to
3 make things a little difficult but we have two very capable
4 chairs. I think we should be covered.

5 DR. CHESNEY: Thank you, Dr. Kweder. I wonder if
6 we could ask everybody on this side of the table that wasn't
7 here before to introduce themselves, and I think Dr. Berlin
8 was the last person to introduce himself and Dr. Andrews
9 started it up again. So, if everybody else, in order, could
10 tell us who you are and where you are from?

11 MS. SCOTT: Julia Scott, president of National
12 Black Women's Health Project, and I am the consumer
13 representative guest.

14 DR. FRIEDMAN: I am January Friedman. I am
15 Professor of Medical Genetics at the University of British
16 Columbia, currently on sabbatical at CDC.

17 DR. KOREN: I am Gideon Koren. I am Professor of
18 Pediatrics Pharmacology and Medicine at the University of
19 Toronto.

20 DR. DATTEL: Bonnie Dattel, Professor of
21 Obstetrics and Gynecology, Maternal-Fetal Medicine, Eastern
22 Virginia Medical School.

23 DR. WISNER: Kathy Wisner, from Cleveland. I am
24 Professor of Psychiatry and Reproductive Biology at Case
25 Western Reserve.

1 DR. CHESNEY: Thank you very much. Our second
2 speaker is Dr. Cheston Berlin, who is at the Hershey Medical
3 Center, the Penn State University College of Medicine, and
4 he is going to provide us with a perspective on
5 breastfeeding from the American Academy of Pediatrics.

6 American Academy of Pediatrics Perspective on Breastfeeding

7 DR. BERLIN: The interest of the American Academy
8 of Pediatrics in this whole topic arose in the early '80s,
9 and culminated in the preparation of the initial statement
10 in 1983 of the transfer of drugs and chemicals in human
11 milk. This statement was revised in 1989 and in 1994. The
12 current revision was submitted to the Committee on Drugs in
13 May of 1999, and Dr. Robert Ward, who is here, is currently
14 shepherding that through the rather increasingly complicated
15 processes of the Academy. These statements require a lot of
16 review effort by different organizations within the Academy,
17 and we are hopeful that this will see the light of day soon.

18 The reason for the statement encompassed two
19 issues and I want to be sure that I mention these both to
20 you. One is to inform the clinician of the possible risk to
21 the nursing infant but of equal importance, I think, is to
22 permit the necessary treatment of maternal illness without
23 necessarily the interruption of breastfeeding.

24 The initial statements and revisions, particularly
25 the revisions, were intended to be as comprehensive as

1 possible and to rely on published primary data. The first
2 statement, in 1983, if reviewed, will show a substantial
3 number of references to two things, one, the Physician's
4 Desk Reference and, secondly, "personal communication."
5 These references have been omitted in subsequent statements
6 because it was not felt by the committee that this
7 represents a primary data source.

8 We continue to recognize that most current reports
9 of the excretion of drugs in human milk rely exclusively on
10 either single case reports or an N of a very small number.
11 In addition, some of these reports lack drug measurement in
12 body fluids in either the infant of the mother and rely on
13 anecdotal evidence of clinical effect.

14 The statements in labeling are not helpful. Just
15 to give you an example, "the clinical should exercise
16 caution." I don't know what that means. The other one that
17 I see a lot is that "this drug should not be given to
18 nursing mothers, or mothers who take this drug should not
19 nurse." The alternative which we like to push is that this
20 drug is, we think, safe for nursing mothers and both
21 maternal therapy and nursing can continue together.

22 Some recommendations for acquiring data for more
23 precise labeling of drug therapy would include the
24 following, and this is not intended to be an inclusive list
25 but just some of the ideas that we have discussed in the

1 Committee on Drugs of the American Academy of Pediatrics:

2 The measurement of drug disposition in the mother
3 and, when possible, in the infant in both acute and chronic
4 therapy -- I want to emphasize the importance of chronic
5 therapy. It is one thing to be concerned about the transfer
6 of drugs for the treatment of a headache or an acute attack
7 or asthma as opposed to a mother who may be taking a drug
8 for months and, indeed, for years for a hormonal condition
9 or increasingly for a psychiatric indication.

10 It should be assumed that any drug that can be
11 used in women can and may be taken during lactation and
12 should have necessary labeling.

13 Consider all routes of administration, including
14 dermal, respiratory routes and transmucosal. Some of these
15 so-called non-traditional routes are becoming very popular
16 routes for drug administration, and we have yet to learn
17 completely whether or not these routes may transmit into
18 excretion into milk.

19 Consider the possible effects of excipients, that
20 is, the non-active drug ingredients in any formulation,
21 whether it be solid or liquid.

22 Single-study subjects should be avoided. A series
23 which would provide statistical significance with
24 longitudinal studies involving the same mother should be
25 used to acquire data of the possible changes in drug

1 excretion over the entire period of lactation.

2 Issues of the mode of collection are also
3 important. Do you take individual samples? Do you have to
4 pump an entire nursing supply, so to speak? Emptying the
5 breast with a mechanical device is extremely artificial, and
6 possibly the best physiological way to study particularly
7 quantitative aspects of drug administration is to use what
8 is called test weighings, that is, weigh the infant before
9 and after nursing and then take a sample of milk at multiple
10 times during the nursing period.

11 It is very possible that maternal drug metabolism
12 may change during the lactation period. We have very little
13 data on this -- whether or not a mother who nurses for 6
14 months or 12 months may have different aspects than the
15 mother who nurses just a short period of time. With the
16 recent emphasis of the American Academy of Pediatrics for
17 human milk being the sole milk for the first 12 months of
18 life, this is an increasingly important piece of information
19 to get.

20 Infant sampling should be very strongly considered
21 in the evaluation of any labeling issue. Very minimal
22 amount of blood is now necessary for multiple analyses. The
23 collection of urine does give some data concerning drug
24 exposure but may not provide pharmacodynamic data.

25 Special consideration needs to be given to the

1 issue of psychotropic drugs. These drugs characteristically
2 have a very long half-life and their metabolites may have an
3 even longer half-life. It may be necessary to observe
4 infants for a prolonged period of time, perhaps years, to
5 determine whether or not there has been any neurodevelopment
6 that will have an effect on the infant by the transfer of
7 psychotropic drugs during lactation and, indeed, also during
8 pregnancy.

9 Many drugs taken by the nursing mother are over-
10 the-counter drugs and/of off-patent drugs. A consideration
11 should be given to labeling this group of drugs, as well as
12 drugs under patent and currently being developed.

13 A special comment about environmental compounds,
14 these are frequently very lipophilic, with very small or
15 undetectable plasma concentrations in the mother. Pregnancy
16 and lactation may be the only two ways that these drugs are
17 mobilized from lipid tissue. This may not be a frequent
18 concern in drug labeling, but there are potentially some
19 compounds which could be transferred in minute quantities
20 and stored for a prolonged period of time in the infant's
21 lipid tissues. Some of these compounds have been studied
22 using pooled samples of maternal milk, and this should be
23 avoided. It is also important to recognize that these
24 environmental compounds may have regional differences in
25 distribution within the United States.

1 I think with the emphasis on the collection of
2 data on drug concentration in both mother and child,
3 observation of possible clinical effect, prolonged follow
4 up, it is possible to improve under current labeling status
5 of drugs during lactation. It is important to protect the
6 infant from the untoward effects of maternal ingestion of
7 drugs, but it also is important not to deprive lactating
8 mothers of necessary drug treatments.

9 Thank you for this opportunity to make this
10 presentation.

11 DR. CHESNEY: Thank you, Dr. Berlin. I think we
12 are going to have time for questions after we hear from the
13 next two speakers. Dr. Philip Anderson is speaking next.
14 He is Director of the Drug Information Service for the UCSD
15 Medical Center, and he is going to speak to us about
16 counseling nursing mothers.

17 Counseling Nursing Mothers

18 DR. ANDERSON: Well, part of my role as Director
19 of the Drug Information Service -- having been identified as
20 somewhat of an expert in this area, I get a lot of calls on
21 drugs and breastfeeding, about a thousand a year and 20
22 percent of those are from health professionals, about 80
23 percent are from nursing mothers.

24 [Slide]

25 After having done this for 20-plus years, I would

1 have to say that I would agree with Dr. Scialli that the
2 label at this point is one of the biggest impediments to
3 giving good information, and causing the most confusion
4 among both prescribers and nursing mothers. So, I would
5 like to talk a little bit about the impact of this poor
6 quality of information.

7 [Slide]

8 With the Health People Initiative, as probably
9 most of you know, sponsored by a number of federal agencies
l0 and non-governmental organizations, the goals for
l1 breastfeeding were first put forth in 1990, and you can see
l2 that by 1998 we still haven't reached the 1990 goals, and
l3 the 2010 goals are, you know, beyond this.

l4 [Slide]

l5 The use of drugs during lactation I think is one
l6 of the reasons why we are not meeting these goals. It is
l7 certainly not the only one, and maybe not the largest one
l8 but it does have an impact. You can see that several
l9 studies that have been done -- none in the United States so
20 far but in many other countries, almost every mother gets a
21 medication during the first month. In Norway, it was found
22 that at 4 months postpartum 17 percent of mothers had taken
23 a drug in the last 2 weeks, and in 2 very different
24 countries it was found that 5 percent of nursing mothers are
25 taking medications chronically.

1 [Slide]

2 I didn't know Dr. Koren would be here but I have a
3 number of slides from his group. They looked at two groups
4 of mothers. One group essentially was taking medications
5 and one group was not. They were nursing mothers. And, you
6 can see that by one year there was a tremendously different
7 rate of dropout from nursing among the mothers who were
8 taking medication and those who were not. The main reason
9 that was stated by the mothers was the lack of reassurance
l0 by prescribers of this being a safe practice.

l1 [Slide]

l2 Dr. Ruth Lawrence has done a review of 60 anti-
l3 hypertensive drugs, looking at various sources -- the
l4 American Academy of Pediatrics lists, Briggs' textbook,
l5 Hale's textbook, a local database at Rochester, and the
l6 Physician's Desk Reference.

l7 You can see that most of the specialty sources
l8 recommend that many of these are okay to use during
l9 breastfeeding, and the PDR is overwhelmingly to stop nursing
20 and the other ones are this vague cautious warning, which
21 almost leaves the impression that it is really not a safe
22 thing to do but if you want to do it, go ahead anyway. So,
23 there is really a big disconnect between the labeling
24 currently and more expert opinion.

25 [Slide]

1 I thought one way to kind of get a handle on this
2 is to look at all of the published literature on adverse
3 reactions during breastfeeding. We looked at published
4 studies, and this part is not quite done yet so there may be
5 a few more here, but there are only 14 controlled studies
6 that we could find.

7 Six of them were on oral contraceptives, and the
8 primary finding there was that estrogen-containing
9 contraceptives tend to suppress lactation and cause a
10 dropout rate from nursing.

11 Three are on narcotics, mostly comparing two
12 different narcotics, showing that meprobamate is a problem.

13 There are three on povidone iodine, as well as a
14 number of case reports showing that it can cause thyroid
15 suppression; one on fluoxetine that we did at UCSD, showing
16 that the infants of mothers who are nursing, while taking
17 fluoxetine, didn't gain weight at the same rate during the
18 first six months as a control group; and one on mesalamine
19 which found no difference between placebo in terms of side
20 effects. There were also three observational studies, and I
21 will talk about one of those in a moment.

22 [Slide]

23 The case reports were probably the most
24 interesting part of this. We only found 79 publications
25 worldwide in all languages. Most were published since 1950.

1 These 79 studies reported 88 infants, and there were only 2
2 very questionable deaths, a methadone which was a postmortem
3 analysis that found methadone in the serum but there was
4 really no cause and effect relationship reported, and an
5 overlying death, the mother was taking phenytoin and
6 phenobarbital and it was thought that perhaps the baby was
7 sedated enough that it didn't struggle when the parent
8 rolled over on it.

9 [Slide]

10 The most striking finding was the age of the
11 infants reported. You can see that the vast majority of
12 babies were under 2 months of age that had side effects
13 reported. In fact, after 6 months, it is very unlikely that
14 a baby will have a side effect from a drug and
15 breastfeeding.

16 [Slide]

17 Now, the classes of drugs that were most commonly
18 reported are here, and you can see that half of the drugs
19 are drugs that cause central nervous system depression. Of
20 course, this is primarily in the first month. So, that
21 seems to be a high risk time that needs to be looked at
22 closely.

23 The next 25 percent were drugs that primarily
24 caused gastrointestinal upset, nothing really very serious,
25 and then the rest were just one or two case reports here and

1 there.

2 [Slide]

3 This is fairly consistent with what was found by
4 Motherisk. In a telephone follow-up of 838 infants, they
5 found no major adverse reactions, defined as having to seek
6 medical advice. There were minor reactions in 11 percent,
7 but this probably represents some over-reporting because
8 they basically took what the mother said. If they reported
9 that there was diarrhea, they took it as such and as being
10 drug related. So, there was no control group. So, this is
11 probably higher than really happens.

12 With antimicrobials there were side effects in 19
13 percent of the infants, with diarrhea being the most
14 prominent, but it is about 12 percent. Similarly, down the
15 list, analgesics and narcotics, about 11 percent of the time
16 there were side effects reported; antihistamines, 9 percent;
17 and CNS depressants cause drowsiness.

18 [Slide]

19 One thing that people have been trying to do is
20 come up with some standard scheme for assessing the exposure
21 of the infant, looking at the pharmacologic side effects,
22 and there are two or three systems that have been reported.
23 The most commonly used one is the weight-adjusted percentage
24 of the maternal dosage. It is fairly simple, the dose of
25 the infant would get during 24 hours of breastfeeding on a

1 milligram per kilogram basis, divided by the mother's daily
2 dosage times 100.

3 This was prominently brought forth by the World
4 Health Organization group that was put together to come up
5 with a treatise on this topic. They felt that drugs that
6 are less than 10 percent of the maternal dosage that the
7 infant would get are acceptable, with a caution range of 10-
8 25 percent of the maternal dosage, and it was unacceptable
9 drug if it was 25 percent of the maternal dosage or greater;
10 if there was some inherent toxicity such as a cancer
11 chemotherapy agent, or that there were credible reports of
12 toxicity in nursing infants.

13 Well, Dr. Bennett went through this book after it
14 had been published, in the second edition, and looked at 205
15 drugs in this book and found that 87 percent of the drugs
16 were below 10 percent. Another 10 percent were in the
17 cautious range of 10-25 percent, and only 3 percent were
18 greater than 25 percent. Now, it is interesting that in the
19 book the reported side effects increased as the percentage
20 increased so that in this 3 percent of drugs, each of them
21 had at least one reported side effect. It doesn't mean that
22 every time you give it there is a side effect, but there was
23 a reported side effect. So, there seems to be a correlation
24 there.

25 [Slide]

1 Taking this one step further, people have tried to
2 make a correlation between infant plasma levels and maternal
3 plasma levels. That is a little bit more direct, and maybe
4 one step close to what you would like to know. Essentially,
5 the definition is weight-adjusted for percentage of maternal
6 dose divided by some measure of the clearance.

7 [Slide]

8 Begg et al., from Australia, have put together a
9 classification system that is quite similar. If it is less
10 than 10 percent of the maternal plasma level it is probably
11 considered safe. The risk here is a wider range, 10-50
12 percent, and it is not advisable if it is greater than 50
13 percent, and it is contraindicated if there is a potential
14 toxicity and, again, cancer chemotherapy is the best
15 example, on in G-6-PD-deficient infants for those drugs that
16 cause hemolysis.

17 [Slide]

18 Although I like this system for two reasons,
19 because it is a little bit more pharmacokinetically
20 appealing and it also breaks groups down by infant age, the
21 problem is that clearances haven't been measured on most
22 drugs for all these different age groups. So, they somewhat
23 arbitrarily just divided the adult clearance by a factor to
24 come up with the estimated infant clearance. So, it kind of
25 throws another variable into the whole thing. It kind of

1 adds pluses and minuses to the system.

2 [Slide]

3 Again, the Motherisk people have come up with a
4 system called the exposure index, and actually it is based
5 not on measurements of infants but just on the physical
6 chemical properties of the drug. That is one way to measure
7 the dose, and then just use the adult clearance. If you
8 look at their paper for 86 drugs, they again found, somewhat
9 coincidentally, 87 percent of drugs were less than 10
10 percent for the exposure index. It also provides basis for
11 a nice screening method because they found that no drug that
12 had a clearance faster than 5 ml/minute/kg ever fell in the
13 greater than 10 percent range -- so, high clearance; low
14 exposure for the infant.

15 [Slide]

16 This is the graph that shows how the 5 and the 10
17 clearance came out there.

18 [Slide]

19 Another feature that is rarely discussed is drugs
20 that actually interfere with lactation, and that is an
21 important part of the label that needs to be included.

22 [Slide]

23 Of course, all dopaminergic agents, such as
24 bromocriptine can inhibit prolactin release and have an
25 impact on lactation that is negative.

1 Estrogens and antigens used to be used in
2 combination for mothers who didn't want to breastfeeding.
3 That was injected immediately postpartum to dry up the milk
4 supply.

5 A group of drugs that is not well appreciated by
6 most people is the sympathomimetic vasoconstrictors, and
7 there is essentially no human data that has studied this,
8 but we see it clinically all the time. Mothers who are
9 taking Sudafed or other cold products really have a drop-off
l0 in their breast milk in a short period of time. It is not
l1 entirely clear whether this is due to decreased blood flow
l2 to the breast from the vasoconstriction or CNS effects that
l3 can inhibit prolactin and oxytocin secretion. Nevertheless,
l4 it does have that effect.

l5 Diuretics in high doses have been used in some
l6 studies to suppress lactation. Narcotics have been shown in
l7 animal studies to inhibit oxytocin release but it is not
l8 clear what the human impact of that is. It may not be
l9 great.

20 Again, central anticholinergics appear to decrease
21 prolactin secretion, and the problem there is that older
22 antihistamines and combination cold products are fairly
23 anticholinergic and when combined with the vasoconstrictors
24 the two really have quite an impact.

25 [Slide]

1 So, my idea of what we need for labeling follows:
2 First, I think we have to not discourage breastfeeding
3 unnecessarily. All too often, the statements in the package
4 insert, if they don't come right out and say not to
5 breastfeed, they are quite fear-inducing statements, kind of
6 vague statements that indicate that perhaps this is really
7 not a good thing but go ahead and do it if you think you
8 ought to.

9 I think, number one, we have to have the age of
10 the infant considered. As all of you know as pediatric
11 practitioners, a one-month old is not the same as a one-year
12 old, and I get calls from mothers who are nursing one time a
13 day with three-year olds who are just deadly afraid of
14 taking a medication because of what they read in the package
15 insert. Even the difference between a one-month old and a
16 six-month old is fairly evident.

17 I think there should be some element or some way
18 of expressing the infant exposure, and it should be based on
19 human data, perhaps even in vitro data with some of the
20 newer techniques that we have, but animal data are useless.
21 In fact, they are misleading because the differences in the
22 amount of protein and the amount of fat in milk varies
23 considerably from species to species and really skews the
24 amount of drug that will pass into breast milk. So, it
25 could be either the percentage of maternal dosage or some

1 dosage divided by clearance factor of some kind. There is a
2 recent paper that came out, but I don't have a slide of it,
3 that looked at a neural network and 26 different chemical
4 factors that could be placed into the network, and there was
5 a 0.96 r-square value compared to clinical study data. So,
6 there are some very good computer models now that can
7 predict this quite well.

8 We should mention, of course, if there are any
9 inherent and reported toxicities to the drugs, certainly any
l0 pharmacologic concerns, and then any allergic or
l1 idiosyncratic reactions, but these shouldn't be used as a
l2 way to discourage breastfeeding. It should be pointed out
l3 that these are quite rare and the pharmacologic, of course,
l4 should be taken in context of the age of the infant. Then,
l5 we should also report the effect on lactation.

l6 [Slide]

l7 So, in conclusion, at the current state I think
l8 you could almost say that it would be better to have nothing
l9 than to have what we have now because it causes so much
20 confusion and so much misinformation, but I am not
21 inherently a nihilist so I think I could also say that there
22 currently exists a sound scientific basis for providing
23 meaningful information in a structured way.

24 DR. CHESNEY: Thank you very much, Dr. Anderson.
25 Our third speaker, and last before we can ask questions, is

1 Dr. Robert Ward, who is a Professor of Pediatrics and
2 Director of the Pediatric Pharmacology Program at the
3 University of Utah, and he is going to be speaking about
4 drug therapy during lactation and maternal and pediatric
5 issue requiring research.

6 Drug Therapy During Lactation: A Maternal and
7 Pediatric Issue Requiring Research

8 DR. WARD: Good afternoon.

9 [Slide]

10 Some of what I am going to say has already been
11 covered but we will go over some of it. The obvious first
12 place to start is that there are two participant during
13 lactation, both the mother and the child. What I have
14 diagramed here is what I refer to as the therapeutic process
15 from drug ingestion, or intravenous or intramuscular
16 administration through absorption, distribution, metabolism
17 and elimination until it reaches the site of action. These
18 processes will occur in both the mother and in the child,
19 and will certainly influence how much drug reaches the
20 child.

21 [Slide]

22 Dramatic changes happen during the time of
23 lactation. As a neonatologist, I am very familiar with 23-
24 week gestation preemies and realize that those mothers often
25 donate their milk, and that child is radically different

1 from the older child, and nursing may occur through several
2 years of age. During this interval, organ maturation has a
3 tremendous influence on how we are going to evaluate the
4 effects of drug upon the child. Changes in brain, liver and
5 kidney will influence both the pharmacodynamic and
6 pharmacokinetic aspects of the drug. Maternal physiology
7 also changes dramatically after parturition.

8 [Slide]

9 Some of the changes in the mother during pregnancy
10 are pretty amazing and happen quite early, and they will
11 then reverse after delivery. During pregnancy, plasma
12 volume can expand 50 percent so that for polar drugs that
13 are contained largely in the circulation the concentrations
14 circulating in the maternal blood stream will decrease
15 during pregnancy. Fat stores will increase. So, the
16 distribution of drug during pregnancy will change, and
17 protein concentrations will decrease overall.

18 In addition, elimination increases fairly
19 dramatically for drugs eliminated particularly through renal
20 excretion. Both cardiac output and renal plasma flow
21 increase, leading to a 50 percent increase in glomerular
22 filtration rate.

23 [Slide]

24 So, these changes in maternal physiology during
25 pregnancy then will reverse after delivery. There are very

1 few studies that have looked at the time course, however, of
2 those reversals. Let me mention one study from Mark Rogers.
3 This is a very long time ago.

4 [Slide]

5 He followed 7 women treated with digoxin in doses
6 of 0.25 mg/day orally throughout pregnancy. These women had
7 normal renal function. Their dosages were not adjusted
8 during pregnancy for concentrations. He measured the
9 digoxin concentration at term delivery and one month
10 postpartum.

11 [Slide]

12 What he found was that the concentrations at term
13 delivery were really sub-therapeutic, at 0.6 ng/ml, but one
14 month postpartum the concentrations had almost doubled for
15 the group, indicating that digoxin clearance from the end of
16 pregnancy to one month postpartum had decreased almost 50
17 percent.

18 [Slide]

19 There are a variety of processes by which drugs
20 enter milk -- simple diffusion, carrier-mediated diffusion,
21 active transport, pinocytosis, and reverse pinocytosis, that
22 may allow protein-bound drug to cross into milk. But, for
23 most drugs, they enter milk by diffusion.

24 [Slide]

25 A number of factors influence how much drug will

1 pass into milk. The lipid solubility, degree of ionization,
2 whether they are protein bound and what the concentration is
3 in the maternal circulation are particularly important
4 determinants. Molecular weight will influence, and then the
5 pKa of the drug. Strong organic bases, if they are passing
6 solely by diffusion, will have a higher concentration in
7 milk.

8 [Slide]

9 The diffusion is controlled by the Henderson-
10 Hasselbach equation, as shown here.

11 [Slide]

12 I have translated it here into this equation that
13 shows that for erythromycin base, a relatively strong
14 organic base with a pKa of around 8, the difference in pH
15 between the maternal circulation and milk -- and, here I
16 have used a relatively high pH for milk of 7.2, leads to 60
17 percent higher concentration in milk than in the maternal
18 circulation.

19 [Slide]

20 Milk, itself, is a unique and vary variable
21 nutrient. Some of these changes I have mentioned here --
22 colostrum varies dramatically from that of mature milk.
23 During a single breastfeeding the concentration and the
24 composition of milk will change with respect to its fat
25 content and protein content.

1 As Dr. Berlin indicated, manually expressed milk
2 and pumped milk have different compositions as well, which
3 changes our analysis and study strategy for drug excretion
4 into breast milk.

5 The breast milk of moms who deliver prematurely
6 differs from that of moms who deliver at term. The milk
7 from moms who deliver prematurely will have higher protein
8 nitrogen contents, lower lactose, higher caloric density,
9 and slightly higher concentrations of calcium, phosphorus
10 and magnesium.

11 [Slide]

12 Milk concentrations of fat, nitrogen and lactose
13 will vary within a 24-hour period. So, again, this is going
14 to influence the distribution of fat soluble drugs and polar
15 drugs during the day. These concentrations vary during the
16 months of lactation, as Dr. Berlin alluded to, so that
17 looking at one point during pregnancy may not give us an
18 accurate picture of what the situation is later in
19 pregnancy.

20 They can also vary by maternal diet. Dr. Lawrence
21 reported data from 3-hour nursing periods during the day,
22 and the milk volume for that 3-hour period varied as much as
23 2-fold during the day. If the maternal concentration of
24 drug is perfectly constant, the amount of drug distributed
25 into milk may vary dramatically as well. These variations

1 in composition and volume can then influence the conclusions
2 that we are going to draw from various studies about breast
3 milk drug excretion.

4 [Slide]

5 Are animal models applicable to humans? Fat and
6 lactose concentrations vary widely. There are unique
7 proteins in human milk that differ from human serum
8 proteins, much less differing also from animal proteins.
9 Protein concentrations in milk vary widely. Some rabbits
10 have as much as 20 percent of their milk as protein, versus
11 0.9 percent in humans. Immunoglobulins in milk differ from
12 those in human serum as well.

13 [Slide]

14 This shows a series of animals looking at the fat
15 percent on the Y axis versus lactose percent on the X axis,
16 and you can see this enormous variations in fat percentages
17 and lactose percentages in various animals.

18 [Slide]

19 So, I would conclude that animal data, just as Dr.
20 Anderson said, is minimally helpful to us.

21 [Slide]

22 So, what are our research needs? What I have
23 tried to depict for you are some of the wide variations
24 physiologically in both the composition of milk and in
25 mother and infant during the nursing period. So, we need to

1 fit these variations into our studies. We need to look at
2 global effects on neonates and infants of the drugs
3 administered via milk, and do systematic prolonged
4 observations, not single kinetic curves after a single dose.

5 [Slide]

6 The changes in milk during lactation have been
7 documented extensively in many sources, but the factors that
8 control those changes are not as clear, nor have I found a
9 good elucidation of those. We also don't know the
10 interaction of the particular maternal disorders for which
11 that mother is taking the particular drug, and the effect of
12 that upon milk composition and volume. Those need study as
13 well.

14 [Slide]

15 What I would propose are, first, surveys. We
16 actually need to look at the longitudinal milk excretion of
17 drugs during lactation from colostrum through weaning. This
18 is what is reality and this is what the child may be exposed
19 to. I think the important place is to look in the neonate
20 and the infant for drug concentrations associated with
21 maternal drug therapy.

22 We need to pay attention to unusual occurrences.

23 These are what constitute a large bulk of the literature,
24 anecdotal case reports of a particular clinical disorder --
25 hyperactivity, somnolence, diarrhea, irritability that has

1 now been attributed to the drug. Those then warrant testing
2 in a controlled fashion. They should help guide us toward
3 the controlled studies that can better inform us about the
4 effects of drug intake during lactation.

5 We need to know more about the epidemiology of
6 drug use in the United States by region, by sociological
7 features. I have listed some here -- race, occupation,
8 education, age and diet. Those sociological features may
9 also influence how much drug is taken and what "food
10 supplements" are taken as well which may be excreted into
11 breast milk.

12 [Slide]

13 We need controlled, blinded comparisons of
14 infants. For the amounts of drug intake during pregnancy
15 and the number of infants being breastfed, the paucity of
16 literature is really almost inexcusable.

17 We need a comparison of infants exposed to drugs
18 that are age matched in moms taking the medication compared
19 to infants of mothers not taking the medication. The
20 appropriate control group is, I think, interesting. We need
21 to consider whether we should be looking at infants of
22 mothers with the same disorder for which the medication is
23 being administered, or whether we should look at infants of
24 mothers who are not affected with the same disorder and
25 obviously are not taking the medication.

1 And, as indicated earlier, we clearly need long-
2 term medical and developmental follow-up. We really don't
3 understand the potential consequences of intake of these
4 medications via breast milk upon the infant when they are
5 older.

6 [Slide]

7 We need to then study factors that will alter
8 breast milk drug excretion. Maternal kinetics,
9 pharmacogenetics, certainly influence this as well; maternal
10 diet; milk composition. We need to validate the appropriate
11 models for analyzing this. John Wilson, who I thought might
12 be here today, has proposed a three-compartment model for
13 this.

14 We should also evaluate the use of saliva samples,
15 a non-invasive means of monitoring. Certainly, it has been
16 validated for some drugs. We should do it much more
17 extensively to know whether this is an opportunity that we
18 are missing for non-invasive sampling of both mother and
19 infant.

20 Then, we need to look at the developmental changes
21 in pharmacokinetics. During this interval of breastfeeding
22 from 23 weeks to a child of one year of age, there are
23 tremendous changes in pharmacokinetics.

24 [Slide]

25 I have listed some of these here. We need to look

1 at the critical ages when clearance pathways mature, when
2 renal function and hepatic enzymes mature compared to the
3 clearance pathways for the specific drug, to guide our
4 studies. This is glomerular filtration, generally viewed as
5 mature by five months. Depending on how you measure renal
6 tubular secretion, some estimate it is mature at 30 weeks,
7 others at a year. Cytochrome P450 3A4 metabolize more drugs
8 than any other drugs in the liver. These generally reach
9 adult levels by 12 months or so after birth.

10 [Slide]

11 I have shown some of this transition here. This
12 looks at the cytochrome P450 3A system, and 3A7 is the
13 predominant fetal form, and you can see that in pre-term
14 gestation that is the predominant form of 3A compared to
15 3A4, which is the form that starts to mature shortly after
16 birth and reaches significant levels by 1-3 months after
17 birth, and then by a year of age is mature, and there is
18 this transition between they two. They do not metabolize
19 all the same drugs equally, but we have to deal with these
20 change in drug metabolism and how we assess the effects on
21 the newborn as well.

22 [Slide]

23 The scope of studies -- I would propose that every
24 effective drug therapy that may be used to treat women in
25 childbearing age which, unfortunately, starts as early 13 or

1 12 years of age and lasts until maybe 45, will be
2 administered to them, and some will be breastfeeding
3 infants. The data regarding human breast milk excretion
4 should be part of drug development and labeling. And, I
5 would propose, as others have -- we did this independently,
6 by the way, that we should avoid the rating systems for risk
7 such as the current pregnancy risk system, and maybe just
8 cite the data so that the clinician can decide. Thank you.

9 Subcommittee Questions for Speakers

10 DR. CHESNEY: Thank you, Dr. Ward. We now have
11 allotted a minimum of 15 minutes for questions or comments
12 for the speakers, for Dr. Berlin, Dr. Anderson or Dr. Ward,
13 and I am sure Dr. Kweder will be willing to answer questions
14 also. Yes?

15 DR. FRIEDMAN: I guess this is a question for Dr.
16 Berlin and also for Dr. Kweder. Dr. Ward has pointed out
17 the breathtaking lack of data in this area, and despite Dr.
18 Kweder's admonition that we weren't talking about gathering
19 data, I don't think it is possible to write a very useful
20 label in the absence of data. Currently, many of these
21 labels are written in the absence of data in a very
22 precautionary way. Some people have argued that we should
23 make them less precautionary, but we still don't have data
24 and we still don't really know what is safe and what is not.
25 I was struck in Dr. Berlin's presentation about

1 how similar his recommendations regarding gathering data
2 were to the 1987 statement by the American Academy of
3 Pediatrics, which was made in response to an apparently
4 stillborn effort by the FDA to encourage the development of
5 some of this information. I was also struck, in reviewing
6 the American Academy of Pediatrics recommendations, the 1994
7 ones which all of us use, as to how little information they
8 are actually based on. They are based on occasional case
9 reports, and very small series, and pharmacokinetics in some
10 cases.

11 This is a very serious issue, and it seems to me
12 that the FDA system, which is designed to look for adverse
13 reports after a drug is released on the basis of preclinical
14 studies which, we have heard, are of very little or no value
15 in this context -- we are not really looking for adverse
16 effects here. What we want is some reassurance that a
17 natural process is, in fact, safe. So, we have to have, as
18 part of the label-making, it seems to me, some way to gather
19 information on this normal process of breastfeeding.
20 Perhaps Dr. Kweder can comment on that.

21 DR. KWEDER: I am not sure I am qualified to
22 comment on that. I actually have a question for Dr. Ward in
23 that regard. Why do you think it is that there isn't more
24 research in this area?

25 DR. WARD: Where is Dr. Yafee? I think that there

1 is actually little funding for research in this area at this
2 point. This is pretty basic, almost mundane kind of
3 research, in a sense, looking at the extent of breast milk
4 drug excretion. It is not very spectacular. Okay? Is this
5 an area of priority for NICHD for funding? It is really
6 not. And, I think that is the predominant cause and, in
7 some respects, I think it relates to some of the discussions
8 this morning about the limited number of people interested
9 in clinical pharmacology and going into clinical
10 pharmacology at this point as well.

11 DR. CHESNEY: Dr. Koren?

12 DR. KOREN: I should say that in Toronto we are
13 now collecting -- we have about 30-40 counselings of women a
14 day about drugs in breastfeeding and we do a lot of these
15 mundane follow-ups. They are not difficult to do. Many
16 drugs are measurable. I must say, most industrial partners,
17 even if they don't have an active program on Prozac or
18 anything, they are very happy to measure it for you,
19 actually at no cost even, because they have a laboratory
20 doing it. So, I don't think there is a good excuse not to
21 do the research, and it is not very expensive research to
22 do.

23 I do want to add one or two points which are
24 important. Yes, there is a lot we do not know, but there is
25 some generalization about exposure limits and how much

1 really gets to the infant that we do know. But we do know
2 the risk of not breastfeeding. The risks of not allowing a
3 child to breastfeed are very well known and are measured in
4 both developing and developed countries. So, if the label
5 is supposed to give a risk-benefit ratio, this is known.

6 As some of the speakers alluded to, I am much more
7 concerned about the number of women who stop breastfeeding
8 because of misconceptions of risk. The real risks of drugs
9 in breastfeeding is not the chemical risk; it is the risk of
L0 women not breastfeeding. Dr. Anderson showed one of our
L1 studies. We now have studies on five or six different drugs
L2 used by many women who just either do not initiate
L3 breastfeeding or stop breastfeeding earlier. For me, this
L4 is by many-fold higher than the risk of a potential adverse
L5 event that may happen.

L6 So, this is kind of the context and somehow the
L7 labeling must address this. We know what the risk is of not
L8 breastfeeding.

L9 DR. CHESNEY: Dr. Wisner?

20 DR. WISNER: I wanted to address the issue about
21 the lack of information and what that may be due to. I have
22 done a series of papers on mother/infant serum levels for
23 drugs used in psychiatry since 1985, and some of the
24 impediments are that back then we didn't have very sensitive
25 assays for psychiatric drugs. So, Jim Perel developed much

1 more sensitive assays and, in addition, he had to develop
2 assays that could be done on small quantities of serum
3 because the IRBs were very picky about how much blood you
4 were drawing from an infant to get the serum level.

5 The other is ethical issues in that I think I have
6 the only study that is funded by NIMH in which breastfeeding
7 women are randomized to an antidepressant versus placebo,
8 and I could spend about half an hour telling you about the
9 various IRB and ethical objects to that study, the hoops I
l0 had to jump through before I could actually get approval.

l1 So, there are a number of systems issues that over
l2 the last decade, I think, have really inhibited the
l3 acquisition of this type of data.

l4 DR. BERLIN: I want to respond to Dr. Friedman's
l5 comments, if I could. I didn't mention the 1987 paper that
l6 we wrote on guidelines, but the similarity between that and
l7 some suggestions I made are not coincidental because both
l8 Dr. Kauffman, who is here for the Academy, and myself were
l9 authors of that particular publication, and we have
20 continued to discuss some of these difficulties through the
21 years. I had the pleasure to see, and I have the permission
22 of Dr. Koren to mention that his colleague, Dr. Ito, in
23 Toronto, has developed what possibly is a very exciting in
24 vitro system for studying the measurement of drugs in
25 mammalian mammary cells which may give us a lot of

1 information so that we may not need to worry about some of
2 these IRB studies that Dr Wisner mentioned.

3 DR. CHESNEY: Yes, Dr. Gorman?

4 DR. GORMAN: I would like Dr. Anderson or Dr.
5 Koren to elaborate a little bit on the statement that
6 information they received from healthcare providers was a
7 major discouraging factor for women to breastfeed. Was this
8 just a repetition of what was in the PDR, or was it
9 independent of that that these individuals were discouraged
10 -- the graph that you showed of the falloff of the mothers
11 taking medications in terms of breastfeeding?

12 DR. ANDERSON: I can let Dr. Koren speak for his
13 own study, but in my experience mothers get very
14 discouraging information from physicians, not especially
15 pediatricians, they are probably more up to date than most
16 people but if they go to their internist or their family
17 practitioner or, God forbid, they ask a pharmacist or an
18 emergency room physician, they are going to tell them to
19 stop right away and it is very often based on what is in the
20 PDR.

21 DR. KOREN: In July we published a paper in
22 Pediatrics showing that about half of endocrinologists, in
23 that particular example, that treat women with
24 hyperthyroidism told them not to breastfeed. All the
25 evidence-based knowledge does not suggest that PTU is an

1 issue. So, clearly, this is a group of highly specialized
2 people that know the literature; it is not just any
3 physician in any part of this continent. So, clearly they
4 had a major impact on these women to stop it earlier or not
5 to initiate.

6 But in another set of studies we looked at the
7 overall advice that women receive from their mother-in-law,
8 from the physician, and we marked it as positive or negative
9 without giving more judgment to this or that. Again,
10 physicians actually, when they told a woman not to
11 breastfeed or that there is no data, women tended not to
12 breastfeed, as you may imagine, because they were afraid or
13 they stopped the drug they need.

14 Just to show an example, this was very well done
15 by Dr. Anderson about the risk of women not treating
16 themselves. A month ago, a patient in Toronto, suffering
17 postpartum psychosis committed suicide by jumping into the
18 subway with her child. She was treated. She was on an
19 antidepressant but she didn't take it because she was told
20 it would poison the baby. This particular antidepressant
21 doesn't do anything in terms of adverse events. So, I just
22 want to throw into the equation the risk of misinformation
23 here.

24 So, it is not just that we need more information.
25 People don't utilize the information existing, and they try

1 to believe that the baby should not see any molecule of a
2 particular drug forgetting the risk, of course, of not
3 because or the risk of a mom not taking a drug and
4 psychiatry, of course, is a big area although it is not
5 exclusive for psychiatry.

6 DR. GORMAN: In the absence of the sort of
7 scientific data that we would like to have, would it be your
8 opinion that changing the labeling and the PDR would be of
9 benefit to breastfeeding mothers so that it doesn't follow a
10 risk scale?

11 DR. KOREN: Again, there is huge discrepancy
12 between the PDR, the label and the evidence-based knowledge
13 today. They lag many years. I don't want to go into it but
14 the pharmaceutical industry has a very different paradigm to
15 control the business that is medical-legal, and I am not
16 criticizing it; I understand where it is coming from. But,
17 I guess what I am saying -- Dr. Anderson said it too -- the
18 Academy is doing a terrific job in bringing the information
19 that exists, but it doesn't find its way to the PDR and,
20 even when it does, physicians still tend to be much more
21 conservative in how they use it, and the child and the
22 mother suffer and that is something that we have to take
23 into account very strongly.

24 DR. WIER: I just wanted to make a comment
25 regarding the utility of animal models. Both speakers

1 mentioned this in the context of animal models for
2 lactational transfer of drugs. Certainly, some
3 generalizations are made about diverse species such as
4 rodents and rabbits and now markedly they differ from human,
5 but I do think it is important to remember that for certain
6 biopharmaceutical agents those studies have been done in
7 non- human primates and those may be more applicable animal
8 models.

9 Much more importantly though, the use of animals
l0 to predict lactational transfer of drugs is probably the
l1 least important use in this context. The first speaker
l2 mentioned that the concerns primarily are going to be infant
l3 exposure, but also the potential pharmacologics and
l4 toxicological effects in the infant, and also the potential
l5 for drugs to impair lactation. So, I would like to mention
l6 that the applicability of animal models in those other
l7 instances can be much higher than was suggested today. The
l8 use of animal models then is to help predict those hazards
l9 so that one can design safer and more ethical clinical
20 trials, as well as to assist in the design of those clinical
21 trials. So, I don't think we should overbear on the
22 differences in lactational transfer because that is not the
23 primary purpose of those models. It is really to look for
24 the value in the animal models for the prediction of
25 impairment of lactation or prediction of potential

1 pharmacologic or toxicologic effects in infants.

2 DR. CHESNEY: Dr. Wisner?

3 DR. WISNER: I think one of the major issues that
4 I struggle with that was also raised by the speakers is how
5 do you assess effects on the infant so that if you give a
6 mom a medication, what we do, we kind of have her take a
7 baseline of the infant's behavior. But invariably questions
8 like, do you expect the same side effect profile in an
9 infant that you might expect in a mom may or may not be true
10 depending on the drug. I mean, in psychiatry we can see
11 agitation in infants where we might see sedation in moms,
12 and now much sedation is really abnormal in infants always
13 comes up in terms of how do you monitor the infant? Even
14 with long-term development, although we would all like to
15 see studies like that, they are going to involve large
16 numbers of infants because the further you get away from the
17 exposure, the more variables impact on development, the more
18 difficult it will be to assign any adverse outcome to the
19 breastfeeding itself.

20 So, I think there are a number of important
21 methodological issues that we need to clarify before we can
22 advance this issue of behavioral monitoring and development
23 along. Our own kind of interim solution has been to use the
24 Actograph which downloads a week of activity and sleep-wake
25 data on the baby. We apply it to the infant's ankle. But,

1 again, that is just one measure of infant behavior and there
2 are all kinds of other physiologic measures that we think
3 should be measuring but we are not entirely sure. So,
4 again, the methodological advances I think are going to be
5 important.

6 DR. CHESNEY: I know we have all thought at some
7 point in time that one reason there hasn't been more done in
8 this area is that we have gotten away with it; that there
9 haven't been any severe, immediately obvious adverse
l0 effects, but it does make one wonder if in the long-run
l1 there on growth and development and maturation that Dr. Ward
l2 mentioned.

l3 I think in terms of the labeling, that is
l4 something that is very much in mothers' minds. Even if you
l5 didn't put anything in the label, I wonder if, given no
l6 information, they wouldn't decide on their own not to take
l7 it because we don't know about long-term consequences. That
l8 is just my comment. Dr. Anderson?

l9 DR. ANDERSON: Yes, I think that if we are going
20 to do a lot of this research that is called for we need to
21 focus on areas like the psychotherapeutics where there could
22 be some subtle developmental problems but, you know, we have
23 mothers who are afraid to take amoxicillin at this point,
24 things that are just given to babies all the time and we
25 know exactly how they respond to them. So, I think that is

1 an issue.

2 Then, to kind of reemphasize what Dr. Koren said,
3 there was an interesting study looking at, I believe, Lapps,
4 people in the North, who ate a lot of whale blubber, and
5 they took mothers who ate lots of it and they had humongous
6 amounts of mercury in their breast milk, and they had a
7 control group with mothers with more normal mercury levels.
8 They looked at the breastfed infants with the high mercury
9 levels and the other babies with normal mercury levels or
10 who were not breastfed, and the breastfed babies
11 outperformed the other babies all the time. I mean, it
12 wasn't even close. So, even a known neurotoxin can be
13 overwhelmed by the beneficial effects of breastfeeding. So,
14 I think we have to keep everything in perspective before we
15 study things to death.

16 DR. CHESNEY: Are you recommending mercury for
17 infant formulas?

18 [Laughter]

19 DR. HUDAK: Well, I think as a neonatologist, this
20 issue comes up all the time, and for mothers who deliver
21 babies who are born prematurely two things are true. One is
22 that those mothers often are on a panoply of medications
23 that is more abnormal or more concentrated who delivered
24 normally at term. But, the second thing is that I think in
25 terms of a lot of the issues of breastfeeding, certainly

1 doing everything you can to have a mother who delivers
2 prematurely succeed in her efforts to express milk and get
3 on a good, long-lasting breastfeeding program is critical.

4 I can't remember the last time that I counseled a
5 mother not to pump, not to use the milk and breastfeed. I
6 guess I am struck by the data today, especially Dr.
7 Anderson's review of the literature. I didn't see one thing
8 in there that was a serious adverse event. I mean, this
9 strikes me as complete much ado about nothing, and I think
10 one has to remember that most of these medications are
11 things which have a very short course.

12 It is inconceivable to me that this is going to
13 have a tremendous impact on babies, even if you were able to
14 study it and I don't think you would be -- you can find out
15 information about serum concentrations and so forth, but in
16 terms of long-term effect on babies, I think that is
17 unknowable except in maybe some of the long-term
18 psycholeptic medications. But I really think that, from a
19 practitioner's standpoint, it is critical to do whatever we
20 can, through revision of the labeling or whatever, to really
21 make note of the fact of what we do know, and that is that
22 breastfeeding is an important advantage to babies. In some
23 studies there is up to a 10-point IQ differential between
24 breastfed and formula fed babies. There are tremendous
25 other aspects of health that are impacted. There is a

1 tremendous amount of mother-baby bonding and interaction
2 that goes on that is not easy to quantify and I think those
3 are real things, as opposed to these very, very potential
4 sort of diarrhea and a little sedation and so forth of some
5 of these medications and very few really significant
6 theoretical side effects.

7 I think whatever the FDA can do to maybe write
8 guidelines to revise some of the lactation labeling, and get
9 out general education through the Academy to pediatricians
l0 and family practitioners about this, and obstetricians too,
l1 it would be very valuable.

l2 DR. CHESNEY: Dr. Kauffman?

l3 DR. KAUFFMAN: I agree completely with the
l4 statements just made, but one of the things I wanted to
l5 address is that I think one of the pitfalls that we
l6 frequently fall into in thinking through this whole thing,
l7 and this has been true for at least the past 20 years that I
l8 have been involved in this, is that we don't think
l9 quantitatively about the exposure. If you just think about
20 it, the drugs are typically taken by the mother in milligram
21 doses. The concentrations in the breast milk of most of
22 these, particularly the psychoactive drugs which have very
23 large volumes of distribution -- that is why they have a
24 large clearance, because their volume of distribution is
25 very large -- the concentrations in breast milk are in

1 nanograms or picograms, orders of magnitude lower than are
2 taken for clinical exposure. So, the exposures in virtually
3 all of these instances is orders of magnitude lower than
4 what one would expect for any pharmacologic effect. So, if
5 you simply think through it, I agree with you, it is a lot
6 of ado about nothing in most cases, with rare exceptions.

7 DR. FINK: I am troubled by this. Although, I
8 must say, I favor breastfeeding I think you have to be
9 careful in interpreting those studies as to how much is due
10 to breastfeeding and how much is due to child rearing
11 differences. We have many mothers who go back to work when
12 the baby is as young as six weeks old, and are in a social
13 environment where maintenance of breastfeeding is nearly
14 impossible in terms of job demands. And, until the studies
15 are tightly controlled to look at the child rearing
16 differences versus those just attributable to breast milk I
17 think they have to be interpreted with a bit of caution.

18 And, I am a little concerned about this idea that
19 maybe a little bit isn't harmful. The allergy side of me
20 says, wait a minute, nobody has brought out on the table how
21 much does early exposure of infants to different antigens in
22 the drugs that they are exposed to predispose them to
23 development of asthma, to allergic disease, and how many of
24 us would feel comfortable if we said we are going to feed
25 children milk that is labeled, "may contain small amounts of

1 psychoactive substances?" We wouldn't find it acceptable.
2 So, I think we really have to look at this and be a little
3 conservative -- not to discourage breastfeeding but to
4 really demand that there be some good research on it, not
5 just whitewash it and say we are going to forget about the
6 issue of drugs in breast milk.

7 DR. CHESNEY: Dr. Wisner?

8 DR. WISNER: I have a very strong bias towards
9 breastfeeding as well. I think it has to be evidence based,
l0 and the issue that concerns me is that, at least for
l1 psychiatry, all of the mother-baby serum levels that have
l2 been published on antidepressants have been for full-term,
l3 healthy infants in which the mother was taking no other
l4 drugs, with the exception of one case where one of our
l5 babies was a 35-week preemie baby. So, for premature
l6 infants whose mothers take other drugs that could interfere
l7 with metabolism, increased serum levels for the prematures
l8 that are ill, taking other medications, I am very reluctant
l9 to generalize the findings from full-terms to premature and
}0 sick infants because I think it is very likely that the
}1 levels may be much higher in those infants and that they may
}2 exceed some of the guidelines that we have talked about this
}3 morning. So, I see that as more of an issue of being
}4 careful about how we generalize the data that we have.

}5 DR. CHESNEY: Yes, Dr. Koren?

1 DR. KOREN: Just to add to what Ralph Kauffman
2 said, the nice thing is that, indeed, for most drugs the
3 exposure index is very low. It is less than one percent or
4 so. But even more important, based on physicochemical
5 properties of the drug, there is a good Australian way to
6 predict drugs that will have milk to plasma ratio above
7 that, and that is probably the short list that should be
8 studied more carefully. They showed very good predictive
9 value of those drugs that come in the case reports, and I
10 think Dr. Anderson showed it -- phenobarb and so on. We
11 know these drugs, and when they come new on the market the
12 physicochemical characteristics are measure in the
13 laboratory. You don't even need tissue culture in humans
14 and not even necessarily animals. So, I agree that that
15 should probably be a subgroup that should be studied.

16 I do also agree that the studies about higher IQ
17 in breastfed infants have been very severely criticized.
18 They were never randomized trials. Even the mothers who
19 choose to pump milk to give to babies are different in
20 motivation from mothers who don't decide that, and we all
21 know that many of the manufacturers of the formulas now are
22 chasing this missing thing in the formula to find what will
23 improve IQ. I personally am critically looking at this data
24 because we have to answer about ten such questions every day
25 over the phone, and I do not think there is evidence-based

1 knowledge that breast milk improves IQ, and I think that is
2 very important not to include in any labeling --

3 [Laughter]

4 DR. CHESNEY: Well, I don't see any other hands
5 up. So, I think maybe we should take a break for 15 minutes
6 and reconvene at 2:45, if that timing is all right for Dr.
7 Hamilton. Please return back at 2:45. Thank you.

8 [Brief recess]

9 DR. CHESNEY: Our next speaker is Dr. Holli
10 Hamilton, who is with the Pregnancy Labeling Initiative at
11 the FDA, and she is going to speak to us about current
12 requirements for providing information in a product's
13 labeling on drug use during lactation.

14 Current Requirements for Providing Information
15 in a Product's Labeling on Drug Use During Lactation

16 DR. HAMILTON: I am here to explain why we are
17 where we are.

18 [Slide]

19 Three paragraphs control labeling, and we passed
20 out copies. It is really only one side of a page. I think
21 this will explain, although may not exonerate us --

22 [Laughter]

23 It was implemented with the pregnancy labeling
24 rule in 1979, and it was part of that rule. As I said, it
25 is only one page.

1 [Slide]

2 The first paragraph is a general requirement, and
3 all that says is that, if known, you are to describe the
4 excretion of the drug in human milk; the effects on the
5 nursing infant, and I think it might say serious effects but
6 that will follow through the next paragraphs; and pertinent
7 adverse effects in animal or offspring.

8 [Slide]

9 The second and third paragraphs are the more
10 specific requirements. The first part breaks into two
11 areas. A systemically absorbed drug is expressed in human
12 milk. Systemically absorbed drug unknown whether it is
13 expressed in human milk. So, paragraph two is the first
14 bullet; paragraph three is the next bullet.

15 [Slide]

16 With respect to two and three and clarification,
17 the concern was serious adverse event in infants or known
18 tumorigenic potential. That would be the first cut. That
19 would be risk. No serious adverse event in infant and no
20 known tumorigenic potential.

21 [Slide]

22 Then what we did was to tie this to standardized
23 language, and this is the standardized language and this is
24 why so many of the statements when you create charts of what
25 appears in the label appears in the label. Drug is in human

1 milk and it has a risk for serious adverse event in infant
2 or tumorigenic potential, and this is the standardized
3 language. Because of the serious adverse reactions in
4 nursing infants -- or potential, actually; it is not known.
5 As we have seen, there are not too many known -- a decision
6 should be made whether to discontinue nursing or to
7 discontinue taking the drug -- so, don't drink and drive --
8 taking into account the importance of the drug in the milk.
9 So, that is the mandatory statement that must follow.

10 [Slide]

11 If the drug is in the milk but there is no serious
12 adverse event or tumorigenic potential, it says "caution
13 should be exercised when the drug is administered to a
14 nursing woman." So, that is the caution statement and that
15 is why it is broken up into that.

16 [Slide]

17 Now, paragraph three, with respect to whether it
18 is unknown that it is expressed, says it is not known
19 whether this drug is excreted in human milk because many
20 drugs are excreted in human milk, and then similar language
21 will follow. That is how this is worked out and why you see
22 the labels reading the way they do.

23 [Slide]

24 The overview of this is basically if it is
25 unknown, you add unknown. And if it is SAE or tumorigenic,

1 don't breastfeed and take medicine. And, this is all you
2 can say. No SAE or known tumorigenicity, breastfeed with
3 caution. And, that is how it breaks down.

4 [Slide]

5 The results of this are that we have labels with
6 no relevant information. As you have noticed, there is no
7 safe situation. I mean, we don't balance benefit and risk,
8 I don't think, well. It recommends a risk/benefit decision
9 without providing information on risk or benefit, which I
10 think is very hard. There is no requirement for specific
11 information, i.e., stuff you might know that might be useful
12 -- how long after taking the drug is it excreted in breast
13 milk? I mean, is it rapidly eliminated? Things like that.

14 [Slide]

15 Current labels, type of information -- we have to
16 thank Terri Toigo and Kelly Clancy who did the onerous job
17 of reviewing the PDR for us. They went online. They did
18 not look at biologics or OTC, but they did look at
19 approximately 800 other labels and cut and pasted the
20 nursing language for us and from this I have extracted:
21 General statements rule of approximately 800 labels, 353 are
22 that general statement only, without any specific
23 information, and then general statement applied to the drug
24 class appears in 52 of them. Commonly, these were estrogens
25 and thiazides. That constitutes more than half of the 800.

1 [Slide]

2 To give you an idea of what standardized labels
3 without a lot of information can run into, here the
4 information for patients says -- and this is from a real
5 label, an actual label and there is more than one that looks
6 like this -- patients should be advised to notify their
7 physicians if they are breastfeeding an infant. Then, under
8 advice to physicians who are taking care of nursing mothers,
9 it is not real helpful. It says, it is not known whether X
10 is excreted in human milk. Because many products are
11 excreted in human milk caution should be exercised when X is
12 administered to nursing mothers. So, it becomes circular
13 and it is not real helpful.

14 [Slide]

15 Another label that appears, and it is very common
16 -- it is not known whether this drug is excreted in human
17 milk. As a general rule, nursing should not be undertaken
18 while the patient is on a drug since many drugs are excreted
19 in human milk.

20 [Slide]

21 It seems to me that this is the only statement you
22 can provide within this arena, right here, that provides a
23 margin of safety, and this does appear occasionally: X is
24 not excreted in human milk.

25 [Slide]

1 With respect to other labels, and we looked at
2 these 800 labels, some of them are what I call animal
3 labels. They would be great if you were counseling nursing
4 rats, I suppose --

5 [Laughter]

6 The drug is found in rat milk. There were 84.
7 Drug present in other animal milk, and there were 8 of those
8 roughly -- mice, cow, and there was one or two that said
9 present in animal milk without giving species. Level of
10 drug found in rat milk, approximately 46. It doesn't give
11 you a lot on how this was determined, you know, was it peak,
12 was it trough, was it steady state? I don't know, but it
13 says level of drug in rat milk is X.

14 Then, adverse events in rat pups, and we found
15 nine labels like that, and that is the information that
16 appears there. Where human information appeared alongside
17 animal information, I put it into the human category. Then,
18 there were some hybrid labels that have a bit of both. I
19 tried to use both. This is not all comprehensive but it
20 gives you a flavor of it.

21 [Slide]

22 This is an example of an animal label and this is
23 probably information that was submitted, and I am sure it is
24 clinically relevant. So, bear in mind that things like this
25 can happen with available data of this sort. You can see

1 this is from a real label: Drug X appears in breast milk
2 and the AE was transient growth depression in rat pups. The
3 mothers were treated with 600 times the usual human dose.
4 Then they say X is detectable in human milk. But I don't
5 think there is any way to make a risk/benefit out of this so
6 I don't think this is terribly useful.

7 [Slide]

8 Then, there are human labels, and of those 800
9 about 126 say it is found in human milk but 89 give you the
10 level of the drug. But, again, steady state, peak -- you
11 know, I don't know how they measured that. Then, 31 give
12 you adverse events in nursing babies and these are generally
13 drug class, not product specific, and you will see many of
14 them are older and it sounds like the label has just been
15 passed from product to product in expanding the class.

16 [Slide]

17 I tried to pull out some human labels that I
18 thought were somewhat useful. This is a real drug and this
19 is the label, and the N is as bad as it gets; it is an N of
20 1 but the information conveyed is somewhat helpful. It
21 gives you the amount of drug the patient received, her
22 levels, the infant levels, and then it said no trace of drug
23 could be detected in infant serum. As I said, the N is very
24 bad but I think that it could be somewhat helpful and it
25 would be nice if it were better.

1 [Slide]

2 This is another label that is, again, human
3 information that I don't think is bad. I think it has some
4 balance to it. X is excreted in breast milk and may cause
5 irritability or other signs of mild toxicity in nursing
6 infants. The concentration of X in breast milk is about
7 equivalent to the maternal serum concentration, and it gives
8 you the levels and what the infant would be exposed to over
9 the course of a day. Serious effects in the infants would
10 be unlikely unless the mother has toxic serum
11 concentrations. Now, I think if you were a nursing woman
12 and this were a drug that your physician thought you should
13 take, that would be very helpful information.

14 [Slide]

15 This is something else that could be useful for a
16 drug that you only have to take once, anesthetic drugs for a
17 procedure, something like that. Concentrations of X in milk
18 are probably of no clinical importance 24 hours after
19 anesthesia. Because of rapid washout, X concentrations in
20 milk are predicted to be those found with other volatile
21 anesthetics. If you elected not to breastfeed for 24 hours,
22 you could pump and then resume breastfeeding thereafter, or
23 something like that.

24 [Slide]

25 Future directions -- now, this is where we have

1 been, what we have got, and this is where we are hoping to
2 go. The proposed lactation labeling rule will follow the
3 pregnancy labeling rule in terms of its structure. There
4 will be clinical considerations, a summary risk assessment
5 and a data section.

6 [Slide]

7 With the clinical considerations, the goal would
8 be to provide specific and clinically relevant advice. I
9 mean, it is easy to talk about these things but when you are
10 actually trying to write information down and get relevant,
11 useful information inside a couple of sentences because, you
12 have to realize, this isn't going to be four pages, it can
13 be tough because there are going to be few easy cases that
14 never use versus never worry. I think in some instances
15 there is going to be uncertainty. I think we need more
16 information and better science and methodology. I am not
17 convinced that all the issues related to determining the
18 infant exposure have been worked out.

19 Challenges include tackling therapeutic
20 alternatives. How that is done in a label, that is not
21 something we have traditionally done but good, better, best.
22 Then providing risk/benefit for mother and infant. As you
23 can see, we make a risk/benefit statement but we don't
24 provide a risk or a benefit for the mother and the infant
25 and now suddenly we have four issues we have to address.

1 [Slide]

2 In terms of the summary risk assessment, I think
3 this is really one of the tough pieces. It is providing a
4 concise overview of risk information that bridges discussion
5 of data and clinical considerations. Difficulties are, of
6 course, quantifying risk and extent and applicability of
7 animal data. If that is all you have, that seems to be
8 reasonable. Reliable human data may be lacking in some
9 circumstances.

10 [Slide]

11 In terms of the data section, we would like a
12 comprehensive presentation of animal data. We would
13 certainly like a comprehensive presentation of reliable
14 human data; relationship of animal exposure to actual human
15 dose as it appeared; description of data sources and
16 conditions under which hazard occurred. Is it single dose,
17 multi-dose? Was it a toxic dose to the mother? I mean, we
18 have to know more, I think, sometimes than just providing a
19 summary event.

20 [Slide]

21 I am referring back to this, and this is basically
22 the heading of drugs. Again, I don't have a lot of
23 information on this but drug use among lactating women
24 probably resembles, in many ways, drug use among women aged
25 15-44. This is from the National Disease and Therapeutic

1 Index, and we have extracted by drug class. Antinfectives
2 lead the list. Analgesics are second. Psychotherapeutics
3 are third, and so on.

4 [Slide]

5 That leads us to where we want to bring y. I
6 think we can conclude by saying that we think labels need
7 more useful information. They should be addressed, we hope,
8 with science, a good basis for providing the information in
9 the labels. We would like to provide accurate and balanced
l0 information on the risks and benefit of taking the drug and
l1 nursing. And, all labels must present information that is
l2 balanced and scientifically based, I mean, i.e., the
l3 risk/benefit issue.

l4 [Slide]

l5 We are throwing the questions to you in summary
l6 now and you will discuss them in a minute: Is maternal drug
l7 therapy during lactation an important health issue for
l8 infants? If so, how should fundamental data be derived to
l9 determine if a drug is expressed in breast milk; whether a
}0 drug found in breast milk is available to the nursing
}1 infant; when drug is available, risk, or lack of risk, to
}2 the nursing infant?

}3 [Slide]

}4 What products or types of therapies are most
}5 important to study?

1 [Slide]

2 Finally, what kinds of information about such
3 products are needed for inclusion in labels to allow
4 informed decisions as to the safety of breastfeeding when
5 taking a medication? That is all.

6 Subcommittee Discussion of Questions

7 DR. CHESNEY: Thank you, Dr. Hamilton. Are there
8 any questions for this presentation? Dr. Nelson?

9 DR. NELSON: In making labeling changes or adding
10 things to labeling, can you use data from any source,
11 assuming it is quality data, or does it have to come only
12 through certain avenues, such as industry avenues?

13 DR. HAMILTON: Usually information is submitted by
14 the sponsor. There certainly have been instances where we
15 have presented information and moved into the label. Now,
16 in terms of third parties presenting information, I don't
17 know and I am going to defer to someone else.

18 DR. KWEDER: The labels actually are legal
19 documents that really do belong to the pharmaceutical
20 sponsors. So, for us to just take something and put it in
21 the label isn't really typically something that would be
22 done but there certainly have been cases, as Dr. Hamilton
23 mentioned, of us becoming aware of some data and then
24 working with the sponsor to say, you know, we think this is
25 important and it needs to be in the label. Usually, by

1 taking that approach and having a cooperative discussion,
2 that is the way progress is made.

3 DR. MURPHY: Before you go on, I wanted to say a
4 little bit more in that area because in the whole area of
5 pediatric drug development that has been one of the big
6 issues. Why can't FDA just take information and put it in
7 the label? And, as Dr. Kweder has clearly outlined here, we
8 have to work with the sponsor in developing the information
9 that goes into the label unless it is clearly a safety issue
10 -- particularly then, we work with the sponsor in trying to
11 create the message that we think it is appropriate. So,
12 have there been instances where the agency has gone out and
13 gathered information, published the process in the Federal
14 Register? Yes. Rare. It has been done but it is a very
15 unusual approach and must rise to the level really of a
16 safety issue for us to be able to do that.

17 DR. NELSON: Just as a follow-up though, if one of
18 the desires that I heard earlier is just to get information
19 into the labeling, given the difficulty in gathering
20 information even if it is positive information that reflects
21 the safety of a particular drug, not the lack of safety, and
22 if NICHD or other people are sponsoring the research, I
23 guess the question is, is there any way to get that research
24 information into the label so people have access to that
25 information independent of whether it is a safety issue for

1 the drug. It might be an improvement in safety information
2 that would lead people to feel that it is safe to use.

3 DR. MURPHY: Again, we would encourage them to
4 work with the sponsor. I know there are times when this is
5 additional effort for the sponsor, to do this, and there
6 have been some situations where a sponsor has preferred not
7 to do this. In that situation, as I said, to be able to get
8 the information in the label we need to have the data; we
9 need to have the evidence-based information; and then we
l0 have to have some public submission that this is a concern
l1 or an effort, and then we would address it. Would we then
l2 potentially go to the sponsor and ask them? That is another
l3 possible route but it would, you know, need to come to our
l4 attention and that it is a concern that we think needs to be
l5 put in the label and then we could approach the sponsor.
l6 So, that is another alternative route.

l7 DR. CHESNEY: Dr. Koren?

l8 DR. KOREN: Thanks. I think one has to separate
l9 two things. I am sure all sponsors will want to know
20 information which was published and to include it. But I
21 think the same way the agency expects standards from other
22 parts of pharmaceutical research, for example, if someone
23 claimed bioavailability you have very strict guidelines for
24 what you want to see, and if the sponsor would come without
25 it you probably will send them home to come back with it.

1 So, I think the issue here is the expectations that the
2 agency developed towards this field and not so much if
3 Koren, Atkinson or someone else did the research.

4 There are several things in the science of this
5 field that you have to recognize. First of all, many of the
6 statements that Holli showed us are not up to date, namely,
7 there is information out there that no one bothered to put
8 into the label. So, I don't know that this should be the
9 expectation.

10 But, more importantly, the science has moved too.
11 In Australia, Beggs and Atkinson, about 15 years ago, came
12 out with a formula which predicts very well maternal plasma
13 and milk ratios based on lipophilicity, pKa and protein
14 binding. This can be calculated for every drug because
15 these three parameters are known. So, I don't see a reason
16 why they should not be in the label. They are available for
17 every drug. So, it can end up based on Atkinson, and so on,
18 not more than one percent of maternal dose can be expected
19 to be consumed by an infant. That is very useful for the
20 health professional and it exists. But at the present time
21 there is no such expectation. So, I would want to see the
22 agency developing new expectations.

23 Last but not least, thousands of American women
24 take medications while breastfeeding every year or every
25 day. Why won't we expect the manufacturers to conduct these

1 studies the same way you ask for other things? These women,
2 unlike pregnancy itself, these women are within the labeled
3 indication. Women, when they are breastfeeding, are allowed
4 to take these drugs by the label but they are exposing
5 another human being. So why not have a set of expectations
6 similar to many other things you do in the industry?

7 So, my hope, and I am sure it is the hope of many
8 clinicians in this field, is that the FDA -- as the FDA was
9 the leading force in FDAMA and many other things -- will set
l0 up a new set of expectations from the industry.

l1 DR. CHESNEY: Dr. Fink, I think you were next.

l2 DR. FINK: I think that this is an area that
l3 becomes very complex because if I were an industry sponsor
l4 of one of these medications I would do everything possible
l5 to avoid any labeling of my drug as safe for breastfeeding
l6 without tort reform. We locally have a firm called Ashcroft
l7 and Gerel who has been widely advertising on the radio a
l8 registry of infants who were exposed to cisapride, where
l9 there is medically known toxicity and yet they are already
}0 publicizing a class action suit for infants exposed to
}1 cisapride. I think this is one area where there really may
}2 be, at least by sponsors, a real perceived liability and
}3 without some tort reform you would be somewhat crazy to want
}4 to label your drug as safe for use during breastfeeding
}5 because some lawyer group or some plaintiff's lawyer will

1 find some way to implicate it in whatever happens to a
2 child. I think this is one area where tort reform might
3 really be important.

4 DR. GORMAN: Does the FDA have some ability to
5 decide where information on the label gets repeated? Under
6 the lactation use, could you put that it is approved for
7 pediatric use, and then put the conditions in there? The
8 reason being that if mothers know that if pediatricians give
9 it to their children therapeutically, they may feel more
10 comfortable taking it themselves. So, if there is pediatric
11 labeling, could it be then included?

12 DR. KWEDER: That is certainly something we could
13 explore, how does the pediatric experience translate to this
14 use, for example, where you would have information on
15 neonatal use, at least to understand the safety profile, for
16 example.

17 DR. GORMAN: What I am saying is if you take a
18 drug like amoxicillin, you say amoxicillin is has a
19 pediatric indication. Maybe it is not labeled for it at the
20 moment, but if it is labeled that could then be included in
21 the lactation section and the mother would then feel more
22 comfortable if their doctor gave it to their baby.

23 DR. MURPHY: Actually, I think that is a very
24 interesting suggestion because we do that throughout the
25 label, we cross-reference from warnings to clinical studies

1 and if there is information that might be something that we
2 could do.

3 DR. CHESNEY: I have a question for Dr. Hamilton
4 which is not originally my question, but is there any reason
5 you can't put in the label that breastfeeding is well-known
6 to be an extremely positive experience, or an extremely
7 positive thing for the infant? I am not phrasing that very
8 well but are you precluded from putting that in?

9 DR. HAMILTON: I showed you the existing
10 regulations. I mean, I don't think we are precluded from
11 putting that in but, as I said, we are hoping to move
12 forward with the new regulation and that is why we are
13 soliciting your input.

14 DR. MURPHY: I think that is a very important
15 point. We have been working on this in the area of
16 antibiotics for a long time about antibiotic resistance.
17 For us to have a standardized statement in the label, we
18 have to have, you know, a rule-making process. So, that is
19 one of the reasons you are here today.

20 DR. KWEDER: But there are examples where we have
21 done things like that before. Sometimes with a rule-making
22 process, you know, all labels will now say X, You or Z, but
23 there have been examples -- the one that comes to my mind is
24 with drugs to treat with HIV. In the lactation section we
25 include a statement about the CDC recommendations against

1 breastfeeding because of the possibility of transmission.
2 That only applies to a very narrow range of products.

3 But I guess I would put the question back to the
4 committee, and one of the things we are dealing with here,
5 as I think Holli described nicely, where there is an absence
6 of information historically we have taken a very cautious or
7 conservative and almost restrictive posture, not being very
8 permissive about concomitant breastfeeding and use of a drug
9 for the mother. Do you think that some statement that tries
10 to characterize, in the absence of information, what the
11 benefits of breastfeeding are would be an appropriate thing
12 to include in a label?

13 DR. CHESNEY: Well, back to our questions to be
14 specifically addressed, Dr. Friedman?

15 DR. FRIEDMAN: I wonder if you could tell us a
16 little bit about what FDA does in an attempt to keep this
17 portion of the label up to date, and what you foresee in the
18 future, as the labels are changed, in terms of keeping it up
19 to date because as we are successful in encouraging people
20 to do more research in this area that will become an
21 increasingly important issue.

22 I also wonder if you can comment on the
23 possibility, although the FDA doesn't pick and choose drugs
24 in a particular class, is there any way you could let
25 physicians know that within a particular class of drugs

1 these ones have information on breastfeeding and lactation
2 effects and these ones just don't have information? Because
3 a physician might prefer to choose a drug from a group that
4 has information and that would encourage the drug companies
5 to gather that information.

6 DR. HAMILTON: With respect to updating this part
7 of the label, there are no requirements that I can find.
8 With the pregnancy piece, we are looking forward to ICHE2C
9 which requires periodic updating of pregnancy experience but
10 I don't think that applies to lactation.

11 DR. FRIEDMAN: And it couldn't be applied to
12 lactation?

13 DR. HAMILTON: Well, I don't believe that
14 currently it does.

15 DR. KWEDER: It could be.

16 DR. MURPHY: We do have a requirement for annual
17 submission of information. That is not a labeling
18 supplement. I know we are getting into details you probably
19 don't care about, but we have annual reports in which they
20 are required to update some of the information that is
21 available. Certainly, if there is relevant information in
22 that annual report which we think would be important to get
23 into the label, we would go back to the sponsor and ask for
24 a labeling supplement update.

25 I think this is an area that the FDA was looking

1 at very hard. Actually, we have had a number of discussions
2 that we are embarrassed by some of our labels, particularly
3 recently somebody was showing me some of the antibiotic
4 labels. They are in need of updating, and this is a huge
5 task and Dr. Woodcock has made it a priority along with a
6 number of other priorities that we have, for us to try to
7 begin to look at updating many of our labels which are in
8 need of such.

9 DR. FRIEDMAN: What about the possibility of
l0 letting people know which drugs in a class have adequate
l1 information on breastfeeding?

l2 DR. KWEDER: I think there are ways to do that
l3 creatively that wouldn't even necessarily have to be
l4 anything that the FDA did. I mean, the FDA could collect
l5 that data and other groups could certainly collect that data
l6 and publish it as they see fit.

l7 DR. WARD: But, Sandy, it would be such an
l8 advantage if that were in the back of the PDR as a list by
l9 generic drug name, rather than by the trade name even, since
}0 there might be multiple manufacturers of a given generic.

}1 DR. KWEDER: Just to make sure that is clear, the
}2 PDR is not owned by the FDA. That is a private enterprise.
}3 But, you know, something on the FDA web page, for example,
}4 if there were a component under pediatrics or drugs for
}5 special populations.

1 DR. MURPHY: You know, I think I will take that as
2 a request that Sandy's group should write a paper on this
3 area and submit it --

4 [Laughter]

5 -- secondly, as Sandy said, one of the things we
6 have done in pediatrics is to have a web site. Again, we
7 can only tell you what is public information. That is all
8 you really want to know. We can work on that. Right,
9 Sandy?

10 DR. CHESNEY: Dr. Koren?

11 DR. KOREN: I want to go back to the advantages of
12 breastfeeding. Without being a motherhood statement, I
13 think there must be a way to include it in the risk/benefit
14 assessment, something to the effect that one has to bear in
15 mind that stopping breastfeeding may have its own risk
16 because clinicians don't always think about it, and it is
17 not just happening to poor Americans or Canadians; even with
18 the well to-do people there are very well confirmed
19 advantages of breastfeeding. So, I think we should be using
20 it as a motherhood statement that needs special ruling but,
21 rather, as you do in any other things. I think it was Sandy
22 who said that with HIV drugs you have to mention that these
23 also transmit the virus. Indeed, in North America we tell
24 women not to breastfeed but in Africa the WHO tells them to
25 continue because the risk of not breastfeeding in Africa is

1 a risk of mortality. So, here is an example of why this
2 must be there. The advantages of breastfeeding must be put
3 in the statements because that is something that we have
4 relative risks on, on many morbidities, whereas we don't
5 have it on breastfeeding. So, I cannot see how a new system
6 of labeling breastfeeding without talking about the risk of
7 not breastfeeding makes any scientific sense.

8 DR. CHESNEY: Yes?

9 MS. CONOVER: One of the interesting things is in
10 the vacuum of lactation information. I run a Teratogen
11 Information Service, and we get people that use our favorite
12 FDA pregnancy codes to make recommendations about lactation
13 really much more frequently than you might guess. So, they
14 will call up and say, well, it is a category B and I would
15 like to use it in a breastfeeding woman -- sort of this
16 assumption that the risks are the same and, of course, they
17 aren't.

18 So, you know, very clearly we need to, first of
19 all, I mean it seriously, separate the pregnancy issue from
20 the lactation pretty clearly even just in the labeling
21 because I find it so frequently -- and it sounds humorous
22 but it is really a misconception.

23 The second thing is not only is the impact
24 different on the baby but you really have different
25 strategies you can use in a breastfeeding woman and, for us,

1 when we answer questions on this it isn't an all or nothing.
2 There are a few medications you would never use and some
3 that you don't worry about at all. But, for us, most of it
4 comes in between so you start dealing with issues like drugs
5 with a short half-life. We suggest that they nurse before
6 they take the medication. Or, for example, with certain
7 agents you can defer nursing for 24 hours. We have lots of
8 strategies that we don't have the option of using in
9 pregnancy women but we do in breastfeeding and we use them
l0 all the time for things like dental surgery -- I mean, lots
l1 of things. We used to have people tell people they just had
l2 to stop breastfeeding forever. Now what we do is, you know
l3 use a topical instead of an oral; as I say, defer for a
l4 certain period of time. It allows us to give women a lot of
l5 peace of mind while they continue nursing.

l6 What that kind of brings me back to is the place
l7 in the label when we start discussing clinical
l8 considerations of half-life -- that it is not just what is
l9 excreted in the breast milk at that minute in time but what
}0 might be other strategies that you could use to enhance the
}1 safety of the situation.

}2 Open Public Hearing

}3 DR. CHESNEY: Good suggestion. I think we
}4 probably need to move ahead to the open public hearing. I
}5 know one person did have something to add and I don't know

1 if there is anybody else who wanted to make comments. Dr.
2 Yafee?

3 DR. YAFEE: Thank you very much. Sumner Yafee,
4 from National Institute of Child Health and Human
5 Development. I want to respond to some of the comments
6 made, particularly those of Dr. Ward, about research
7 funding. First of all, I must say, I support the need for
8 more research regarding the excretion of drugs into breast
9 milk and, secondly, I certainly support breastfeeding as the
l0 best way to go for the health of the newborn infant and
l1 mother.

l2 From a perspective of research funding, I thought
l3 of a number of sources of funds. Let me start with the NIH.
l4 First of all, anyone in the room can apply for a research
l5 grant. There is no prohibition even for Canadians getting
l6 to apply for a research grant. The chances of success --
l7 you know, all research grants at NIH are hypothesis driven.
l8 So, you have to have a hypothesis.

l9 Secondly, about 90 percent of the grants at NIH
20 are awarded to Ph.D.'s. I have nothing against Ph.D.'s but
21 they are not interested in clinical research; they are
22 interested in molecular biology, using the techniques
23 available to ascertain processes of development or of
24 disease mechanisms -- molecular biology, molecular genetics.
25 So, of the 30,000 grants that are submitted a year, if you

1 submit one on lactation and you have a hypothesis and you
2 are looking at a mechanism, it will probably be assigned,
3 undoubtedly, to NICHD, National Institute of Child Health
4 and Human Development. It will be reviewed by a study
5 section that may or may not have the same feelings that the
6 group has expressed today, but they will be looking at the
7 science.

8 Now, from the perspective of NICHD, we have
9 certain priority initiatives that are developed within the
10 source of funding that we have and it is a small amount of
11 money that we get every year from the Congress. I hope this
12 year we get one billion dollars. We are approaching that.
13 We don't have an appropriation yet from Congress, but it
14 looks like we will get a 15 percent increase maybe.

15 Now, there is one study in which lactation could
16 be -- gathering the data that you are talking about, that is
17 on the drawing board. It depends a lot on politics, and
18 that is a recapitulation of the pregnancy study that was
19 done in the '60s, 50,000 mothers and babies. The plan is to
20 perhaps expand that to 100,000 provided we can find the 500-
21 plus million dollars that are required to do the study.

22 That would be a natural study in which drugs and
23 lactation effect on the infant, concentration -- everything
24 that we heard today from a variety of speakers could be
25 plugged in because it is going to study the natural,

1 longitudinal history of mothers during pregnancy. It has
2 been endorsed by the Secretary of Health and Human Services,
3 but her tenure is up for grabs because she is appointed by
4 the President and I can't tell you how that position is up
5 for grabs too, although according to the polls this morning,
6 Al Gore was leading by 42 percent, or something.

7 Anyway, getting back to the seriousness of
8 funding, within NICHD, which is the natural institute to
9 fund the study of drugs in breast milk, we have a number of
10 priorities that we have developed in pediatric pharmacology
11 which are already on the drawing boards. We are interested
12 in mechanisms of developmental pharmacology, basic
13 mechanisms. That is already out there in applications.

14 We are interested in working with the National
15 Institute of General Medical Sciences on pharmacogenetic
16 bases of drug action and pharmacogenomics, and that is
17 already on the drawing board.

18 So, these take precedence. Finally, within or
19 priority area at the Institute level, because we have a wide
20 variety of areas to cover and lactation is only one of many,
21 we do have, together with our sister agency, the FDA, a plan
22 to look at drugs and pregnancy, and we have separated drugs
23 and pregnancy from drugs and lactation, as one of the
24 speakers suggested that this be done.

25 This initiative, looking at drugs given to

1 pregnant women for therapeutic purposes will be taking
2 place. One conference is later on this month and another
3 one is in December, and we hope to develop sufficient
4 interest on the part of policy makers to alert them to the
5 problem -- I can count on one hand or certainly on two, the
6 drugs that have been studied in progress women, and there is
7 an absolute necessity to gather more information so that one
8 can prescribe drugs -- obstetricians and general
9 practitioners -- for diseases that occur in pregnancy women,
l0 and developing rational therapy for these diseases, which
l1 means more research. The same is true, obviously, for
l2 lactation. We need the information which everyone has
l3 suggested that we obtain and Dr. Hamilton suggested that the
l4 label change requires that information.

l5 And, where are we going to get it? I can tell you
l6 from my personal perspective, the pharmaceutical industry --
l7 and Dr. Spielberg can contradict this -- is not interested,
l8 just as they are not interested in studying drugs in
l9 progress women. They have a fear which is justifiable of
}0 medical-legal liability, and this will occur particularly in
}1 the psychotropic area where drugs' long-term effects are
}2 unknown.

}3 So, I would discount the pharmaceutical industry.
}4 I would also discount that the FDA would have funds to put
}5 into this area. But there are a number of places, including

1 NIH and NICHD, that would be interested in receiving
2 applications. Again, they have to be hypothesis driven and
3 fundamental, basic in terms of mechanisms that might be at
4 work. But the review of Maternal-Child Health which is part
5 of Health Services Administration, would be very interested
6 in receiving grant requests, and anyone in the room can chat
7 with them but this might be part of the public record.

8 Also, AHRQ, Agency for Healthcare Research and
9 Quality, would be very interested. They are interested in
10 effectiveness of services delivered, and they will be having
11 a meeting on Monday and Tuesday on improving the outcome of
12 pregnancy and I certainly will bring lactation to them.
13 Just as we have separated, maybe they will incorporate it
14 into their initiatives. They do have a significant amount
15 of money that will be appropriated to them over their
16 current budget.

17 Then, there is the March of Dimes. They are
18 interested in pregnancy outcome, obviously, not just
19 restricted to birth defects but they might be interested in
20 funding studies concerned with drugs and lactation.

21 Then, I have singled out ORWH, Office of Research
22 of Women's Health which is at NIH, and you might want to
23 contact them. Pregnancy is an important part of their
24 mission.

25 Finally, the Environmental Protection Agency is

1 interested in drugs and chemicals in the environment. Drug
2 exposure of infants -- breast milk is one method of
3 exposure.

4 I might mention that we, as an Institute, have a
5 global effort to look at developing countries. We have a
6 bequest from the Gates Foundation which enables us to
7 support studies of cooperation between researchers in this
8 country and in developing countries, improving pregnancy
9 outcome. Part of this would involve lactation, and
l0 lactation in HIV-positive women where we know that perhaps
l1 60 percent of their infants will develop HIV, and these
l2 women will be receiving drugs not only for HIV but for other
l3 concomitant infections which are common in this disease. It
l4 is possible to gather some information about drugs excreted
l5 in breast milk. Even though I know the CDC has put out an
l6 announcement against breastfeeding in HIV, that only
l7 pertains to this country. There is no question that in
l8 developing countries breastfeeding is the only method of
l9 survival of infants.

20 Finally, since Bob Ward posed the question, I
21 wanted to mention the American Academy of Pediatrics. The
22 Academy has a PROS network, Pediatric Research in an Office
23 Setting, in which there is now a new center for child health
24 research. This involves the 55,000 members of the Academy
25 of whom a significant number have agreed to do research with

1 little remuneration. Now, this is an area where a lot of
2 research could be done regarding concentrations of drugs in
3 breast milk. There is a small amount of money available
4 within the Academy to do this study.

5 The last suggestion about funding is just go to
6 Congress -- not the government people but go to Congress;
7 tell them what a problem you have about drugs and breast
8 milk and get congressmen or congresswomen interested in the
9 problem and then money will flow. Just as FDAMA originated
10 basically from the Elizabeth Glazer Foundation, having to do
11 with HIV and the basic concept of FDAMA and the pediatric
12 section of 111 came from people on the Hill that were paid
13 for by the Elizabeth Glazer -- not congressmen, but other
14 people.

15 Thank you very much. If there are any questions
16 about any of this, I would be pleased to answer them.

17 Subcommittee Discussion of Questions

18 DR. CHESNEY: Thank you very much for your
19 comments. I think the PROS network is an excellent
20 suggestion.

21 We have three questions that the FDA has asked us
22 to address. The first one, is maternal drug therapy during
23 lactation an important health issue for infants? Is there
24 anybody who would disagree with that statement? Is there
25 anybody that would say, no, it is not an important health

1 issue for infants?

2 [No response]

3 Maybe we can then go on to the specifics. Moving
4 on to the bullets of that question, how should fundamental
5 data be derived to determine if a drug is expressed in
6 breast milk; whether a drug found in breast milk is
7 available to the infant; and, when it is available, what is
8 the risk or lack of it to the nursing infant?

9 Comments for question one? Dr. Nelson?

10 DR. NELSON: I have been wearing my IRB hat here
11 for a little while, trying to think about how a design might
12 look to me if I received a protocol, and it strikes me from
13 Dr. Koren's remarks that the first question can be
14 calculated from known data. So, the real issue is not so
15 much whether it is or is not expressed, although perhaps you
16 might want to confirm that, but what its bioavailability is,
17 with breast milk being considered a formulation, and then
18 what is the impact on the infant.

19 So, I was thinking to myself, well, how could that
20 be studied. I mean, you recruit women who become pregnant
21 on a protocol -- the usual approach is to exclude that
22 individual and I can't imagine a pharmaceutical company that
23 is dealing with an unapproved agent, where there is an
24 approved agent on the market for the same indication, would
25 feel comfortable keeping that woman on the protocol just for

1 the sake of finding out what would happen when she decides
2 to start breastfeeding after birth. So, you are looking at,
3 if there is no approved agent dealing with that as a
4 naturalistic experiment, or you are directly going to
5 recruit women who are breastfeeding into your particular
6 trial.

7 So, then the question comes down to what
8 circumstances would you think that a woman who is
9 breastfeeding would imagine remaining on a drug, and that is
l0 going to be no different than the kind of clinical
l1 circumstances you get in the office, meaning where a woman
l2 believes that the importance of that medication to her own
l3 health outweighs the risk that might be presented to the
l4 infant, putting aside the question whether the data that
l5 they receive when they make that decision is accurate.
l6 Let's assume it is accurate data. So, they make that
l7 judgment.

l8 Thinking of it from a research perspective, I
l9 mean, if you believe that that medication is essential or
}0 important to the health of the woman you would consider the
}1 incidental risk to the infant as a justified risk. Then the
}2 research question would be on the effect on the infant and
}3 measuring drug levels in the infant, which could be seen as
}4 minimal risk research, giving a blood test and doing
}5 whatever psychological or outcome studies, regardless of how

1 hard that would be to do but doing some kind of study.

2 But it would be clear to me that this would have
3 to be a naturalistic -- I mean, the key assumption is that
4 the medication is essential to the health of the woman. So,
5 I think the focus ought to be on those kind of medications,
6 the medications where a woman would independently make a
7 decision to remain on that medication, understanding that
8 there is the potential for risk, with the potential for risk
9 being the unknown question.

10 Once you answer that for a particular drug class
11 the problem is going to be in the informed consent process.
12 We already have the information about this drug and you are
13 on this one. Do you stay on this one we don't know about so
14 you can enter into this incidental study to look at the
15 effect on nursing, or do you switch to this one where the
16 information is known? I suspect most women would probably
17 switch to the one where the information is known.

18 So, just from a practical matter, those are some
19 of the things I was trying to think through as I was sort of
20 designing a study that could answer that question.

21 DR. CHESNEY: Dr. Spielberg?

22 DR. SPIELBERG: Those are some of the same musings
23 I have had. Looking at the question, I think there really
24 are two levels and one I think can already be addressed by
25 data that Dr. Koren has already developed.

1 From all of our experience cumulatively, we know a
2 small number of drugs which do carry high risk which have
3 been established as causing risk, and a lot of compounds for
4 which we have no information but for which we don't even
5 have anecdotal reports of risk.

6 Just as Dr. Koren did a bunch of years ago, I put
7 together a list of ten compounds where a single dose or a
8 single pill can cause toxicity and bringing the child to the
9 poison center. Okay? That is terribly important
10 information because it eliminates an enormous portion of the
11 pharmacopeia for which a single pill basically is not a
12 cause for bringing a child into the poison center but if you
13 are on that list, you know immediately that is there.

14 That can't be put into something like the PDR
15 because that is not an appropriate place but, on the other
16 hand, the information on distribution, likely
17 concentrations, the kinds of things that you can calculate,
18 those compounds with known risk can be very well put
19 together in places like the USP and other sources where you
20 have a single source which is authoritatively reviewed, and
21 peer-reviewed internally by committees, etc., etc., with
22 knowledge in the area. With that key information, those few
23 compounds that really are contraindicated are there and can
24 be added to as new compounds come by.

25 The second issue is what would we design in terms

1 of a prospective study? And, I think Skip got it exactly
2 right, you are not going to design a double-blind, placebo-
3 controlled trial where you are going to put people on drug
4 and see what happens. By definition, this is serendipitous
5 exposure.

6 Then, what kinds of systems work for ascertaining
7 serendipitous exposures? We have heard a couple of ideas
8 around the table. We have heard about registries and things
9 like Motherisk and other places where people come in who
l0 are, indeed, breastfeeding where at least the opportunity
l1 might exist in the context of obtaining some information on
l2 serum concentrations. But even more than serum
l3 concentrations it is really outcomes that we are really
l4 concerned about. Long-term outcomes I think, indeed, are
l5 not going to be ascertainable. I mean, no matter how much
l6 we would like to know, if you are exposed to a
l7 benzodiazepine when you are three months of age, what are
l8 you going to be like at twenty? I don't know, and there are
l9 too many intervening variables anyway. So, I think we
20 should at least just erase that from issues. We just can't
21 do that. But we certainly can look at the short-term
22 outcomes.

23 The PROS network is an interesting kind of an
24 approach. I am looking basically for large, simple designs
25 where you can have a group of physicians who see

1 sufficiently large numbers of children whose moms are going
2 to be periodically exposed to drug, and a protocol where
3 that serendipitous exposure occurs in the context of
4 appropriate therapy for the mother because the mom needs the
5 drug, be it short term or long term, the people involved on
6 the pediatrics side will be monitoring those kids.
7 Sometimes it will involve a serum concentration. More often
8 it will be looking at outcomes and seeing if anything,
9 indeed, happens to the baby, and accumulate that information
10 in the form of registries in a prospective way. I think the
11 perinatal study was really a retrospective look. I think we
12 can, in fact, do this prospectively through a PROS network
13 type mechanism if you have enough pediatricians involved
14 around the country or, for that matter, in Canada as well,
15 and use whatever serendipitous information comes in and in
16 an iterative way, over time, accumulate information.

17 My guess is that other than the compounds that
18 really on basis of theory would accumulate in high
19 concentrations or would be likely to cause toxicity, or
20 those compounds which we already know cause toxicity -- my
21 guess is we probably will have relatively few problems.

22 The other thing that I think we really should do
23 because we are studying so many drugs now in children, is
24 get that information in there because if we know in
25 therapeutic use what the side effect profile looks like, and

1 if we know in ten-fold compared to what the breastfeeding
2 exposure would be, that will be fine. Having said that, the
3 number of compounds that will be studied in the perinatal
4 period is going to be relatively limited compared to the
5 pharmacopeia that moms might be exposed to, and the types of
6 drugs, other than the antibiotics and such, are likely to be
7 different. We are not likely to use lots of psychotropics
8 and such in one-month olds.

9 But, again, those are just some thoughts about how
10 to try to ascertain things, and it might well be worthwhile
11 discussing in the AAP context how to do some of these PROS
12 studies, and in the USP context how to pull together in a
13 systematic single source what we do know, and iteratively
14 update that.

15 DR. CHESNEY: Thank you. Dr. Friedman?

16 DR. FRIEDMAN: I think we also need to give some
17 emphasis to the large number of drugs for which there is no
18 information even on pharmacokinetics. One can do the
19 calculations but this is a 1986 recommendation that came
20 from the FDA, or a guideline that came from the FDA about
21 how to get information on how much drug is actually in the
22 milk, and in most cases it is going to be an extremely small
23 amount, much less than we worry about for pharmacologic
24 effect. Knowing that, having that information in the drug
25 labeling would be very valuable.

1 I would think that 14 years is probably enough
2 comment period for this, and I wonder if maybe we can get
3 these guidelines out and get information at least on the
4 pharmacokinetics and what is available with respect to the
5 amount of drug that the baby might ingest on every drug that
6 has been approved.

7 DR. CHESNEY: Dr. Wisner?

8 DR. WISNER: I actually have a double-blind,
9 placebo-controlled study which is being done in postpartum
10 women, and am beginning to appreciate that that is unusual.
11 The hypothesis, though, is rather different than what we are
12 considering here. My hypothesis is that because postpartum
13 depression can be predicted to some extent -- women who have
14 had previous episodes are at high risk, all this study seeks
15 to do is show whether starting an antidepressant immediately
16 post birth is more effective in preventing recurrences in
17 this high risk sample compared to placebo. The original
18 study was nortriptyline versus placebo. The babies have
19 serum levels done at week three, and we had to build in a
20 set of toxicity monitoring. So we have a pediatrician who
21 looks at the levels at that point and breaks the blind if
22 they are above a certain amount, and they are tracked for
23 the kinds of global pediatric type symptoms that one would
24 typically monitor in a study like this. This is a study in
25 which we are doing the Actograph data because we felt it was

1 very important to be able to look at that activity level
2 information in an antidepressant-exposed group compared to a
3 placebo-exposed group.

4 Now, the sad news is that, in fact, we built in a
5 planned interim analysis which showed that, in fact,
6 nortriptyline was absolutely no better than placebo, and we
7 pulled the trial because the justification for exposing the
8 babies, that is, prevention of depression, didn't occur. We
9 are now repeating the trial with cetraline. So, we will
10 have that same kind of data about cetraline.

11 I guess my point is that even though you are
12 saying this kind of data has to be collected
13 naturalistically, there are certain kinds of hypotheses in
14 other fields, like mine, where there is a chance to collect
15 data with a placebo control that can be justified.

16 DR. CHESNEY: Excellent. Dr. Nelson?

17 DR. NELSON: Just to clarify as I was thinking
18 about it, I think it would be more accurate to say that
19 whether or not a placebo group is justified is based on the
20 hypothesis driven towards the women and not towards the
21 babies. There are circumstances, and it sounds like that is
22 one of them, where a placebo group is appropriate for the
23 women's health and question, and then you collect the data
24 in terms of the impact on the infants, which is a more
25 accurate way in terms of what I was saying. So.

1 DR. CHESNEY: Yes?

2 DR. DATTEL: I am a little troubled by the thought
3 that we will be able to label products as completely safe
4 for breastfeeding, with the exception of a handful of
5 things. I think despite no matter what elegant studies one
6 designs, the variables are so overwhelming in terms of
7 environmental exposures, individual exposures to different
8 things based on their habits, vagaries of metabolism and
9 methodologies of individuals in terms of how they breastfeed
10 that we will never be able to completely say that. And, I
11 think that labels probably shouldn't say that unless there
12 is absolutely, basically 100 percent certainty that we
13 could. Rather, it might be better to provide information
14 that we do have and that is available in terms of allowing
15 people to make a relative risk/benefit decision for
16 themselves because we are not going to be able to say for
17 100 percent of all babies ampicillin is safe. You know,
18 that may not be the case for 100 percent; it might be for
19 99.9. But we can say it is used in children. These are the
20 pharmacokinetics of it, and it is expected that very little
21 is transmitted in breast milk -- whatever information
22 actually is tangible. And, I think the studies are great
23 and I think they should be done but I am not sure that in
24 terms of labeling that is going to give the information we
25 need right now.

1 DR. CHESNEY: Thank you. Dr. Koren?

2 DR. KOREN: I agree with Dr. Spielberg. I think
3 that we now have prospectively looked at thousands of women
4 on medications and the babies, for the most part, didn't
5 have any complaints that even led to going to a physician,
6 let alone going to a hospital -- it is naturalistic but it
7 has a lot of information in it.

8 How safe is safe is always an issue, and it has
9 its own merits and we talk in terms of powers and everything
l0 else we know, but do remember that many of these women go on
l1 misinformation and some of the way the labeling goes now is
l2 clearly scary -- stop breastfeeding or stop medications, and
l3 by itself each one has a much higher risk than that little
l4 something we haven't found yet.

l5 So, if the name of the game is to balance risk
l6 with benefit, the risk of the label now is much larger than
l7 the risk of the exposure. And, I don't think our job is to
l8 create risk by our labeling. I hope I don't sound too
l9 cynical, but we also do studies on how women perceive the
l0 risks when they read these labels and, I can tell you, these
l1 are very, very scary labels.

l2 For example, as Holli showed, you should be
l3 careful because many drugs go into breast milk. Actually,
l4 almost all drugs go if you have the right machine to measure
l5 it but it is meaningless -- garlic too.

1 [Laughter]

2 It is true. It is a small xenobiotic and unless
3 something is large enough or polar enough, it will go. So,
4 this is an example where a wrong concept is introduced -- if
5 something exists, it is dangerous. It ignores totally the
6 dose-response curve. And, I agree that some allergic
7 reactions and other idiosyncratic reactions don't need many
8 molecules but, still, show me one child that had anaphylaxis
9 from oral penicillin even when they are treated. I don't
10 think you will find it. So, I think the science of
11 pharmacology, as it is being practiced in 2000, has to find
12 a way into the label. It is now not there. First year
13 pharmacology students would not approve these labels.

14 DR. CHESNEY: Thank you, Dr. Koren. I think
15 probably we would all agree that this is a strong
16 recommendation we would like to make, which is that we don't
17 want to create more risk by discontinuing breastfeeding, as
18 you so aptly described in the young woman in Toronto who did
19 not choose to take the drug that might have saved her life
20 because she wanted to continue breastfeeding.

21 Dr. Kweder, have we answered question number one
22 to your satisfaction? Can we move on to number two?

23 DR. KWEDER: Yes.

24 DR. CHESNEY: Thank you. What products or types
25 of therapies are most important to study, those for

1 conditions common in young women: Those for chronic
2 conditions? Or, those for life-threatening conditions? Why
3 did we prioritize, if we prioritize, and are there
4 characteristics that are common across products or groups
5 that make them a high priority? Who would like to start
6 with that? Dr. Nelson?

7 DR. NELSON: Thinking about just the feasibility
8 of study design, if you are sitting down to talk with a
9 woman who is nursing about the relative risk and benefit of
10 being in the study, the drug involved would have to be
11 important enough to her health for her to consider taking
12 it, or to continue taking it with the question of risk to
13 the infant. Whether that is common condition, chronic
14 condition -- I mean, clearly, life-threatening would fit
15 that but it would be more towards something where a woman
16 would make a reasonable choice to continue, otherwise we
17 would end up with everybody deciding to go out of the study
18 or not remaining on it for that purpose. So, that would be
19 my guess.

20 MS. CONOVER: I mean, I think those are really
21 important in terms of the questions that we answer, I would
22 remind you there is suboptimal length of continuing
23 breastfeeding that really is based on how many barriers we
24 place to women in terms of that, and that means that if you
25 have really bad hay fever and you want to do something about

1 your allergies, and you can't breathe at night and your kid
2 doesn't sleep very well anyway, and we suggest that, you
3 know, it is hard to make a decision about antihistamines and
4 breast milk -- those may not be critical to her health but
5 they are involved in her comfort and if we say month, after
6 month, after month, after month -- you know, if we don't
7 know very much about antihistamines and breast milk; they
8 weren't in the group we chose to study because they aren't
9 crucial to your health -- I mean, we can always use that as
10 an argument and you will find that women will discontinue
11 breastfeeding earlier. So, there are a lot of these things
12 that are not absolutely critical to health but they do
13 involve comfort. I don't mean that women take that lightly
14 because they care deeply about their babies, but if you just
15 keep making things uncomfortable in that sense -- so, it is
16 not like an antihypertensive; it is not like an
17 anticonvulsant, but it makes a difference in her life, even
18 things like NSAIDs or things like that which she might take
19 for a headache -- it makes a difference in whether someone
20 breastfeeds for six months or a year.

21 DR. CHESNEY: I think that is a very important
22 point. Dr. Spielberg?

23 DR. SPIELBERG: I think one interesting aspect of
24 this that is very different from pregnancy is that an awful
25 lot of pregnancy exposures occur before anyone knows they

1 are pregnancy. Here you do, indeed, have choice of types of
2 medication because you know you have a baby and you know
3 that you want to breastfeed.

4 There are old statements about therapeutic
5 nihilism and don't adopt new drugs that are less well
6 studied in favor of drugs that you know well and have been
7 well studied. For many of the classes of things like
8 medications for headache or antihistamines and such, in
9 fact, data for just a few compounds would be tremendously
10 useful, just knowing that those few compounds are, indeed,
11 safe and have no problems have developed because there are
12 always going to be choices of drugs available out there.
13 So, for those kinds of things and for OTC products and such,
14 I think it would be worthwhile having some basic information
15 but we already do have an awful lot of information on a lot
16 of these products because these tend to be rather widely
17 used. Having that information systematically available to
18 moms and to physicians is obviously of real benefit.

19 For the other kinds of things, again, one is going
20 to make a choice. For symptomatic things you are going to
21 make a choice of whether I would rather have a slight
22 headache or whether I would rather take a pill, and if you
23 are going to take a pill, have advice on which one of those
24 to take.

25 For more serious illnesses, indeed, there are

1 going to be situations where, indeed, maternal health is
2 really an issue. I think of those compounds which, in
3 general, tend to be more, if you will, potent directed
4 towards specific receptors, really looking for significant
5 therapeutic effects, it is those groups of drugs where moms
6 really are going to be taking them either acutely for a
7 life-threatening infection, a life-threatening what-have-
8 you, versus those compounds which are going to be used
9 chronically for serious illnesses where, indeed, there isn't
10 going to be a choice of whether to be on a drug. You have
11 to be on a drug and, therefore, those drugs need to be
12 studied.

13 DR. CHESNEY: Dr. O'Fallon?

14 DR. O'FALLON: I have been very troubled by this
15 implicit attitude or information concept here that because
16 lots of women have been treated with these things and no
17 particular problems have been reported, therefore, therapy
18 are probably okay. This comes out of my 25 years in
19 clinical trials. I have seen loads -- I mean, just name it,
20 if you don't look, you don't find. And, stuff is constantly
21 under-reported because there weren't absolute rules in place
22 or procedures in place to make the observations. So, sort
23 of waiting for people to tell you about it I think is going
24 to lead to an under-reporting of the problems.

25 I wasn't real happy with the answer to that first

1 question because I don't think we have a very good idea
2 about what the risks really are and I think that they have
3 to be studied. Now, it is such an overwhelming problem with
4 all of the stuff out there that it would have to be done
5 very, very carefully, and I think the idea of a data bank,
6 with well-defined rules for observation, might very well
7 work if you picked certain types of medications. That sort
8 of thing could be done, but I don't think the fact that we
9 haven't heard anything bad about it really tells us
10 anything.

11 DR. CHESNEY: Dr. Koren?

12 DR. KOREN: I agree with this comment. We do
13 everything prospectively and we have a protocol that is
14 followed very accurately. The question whether diarrhea
15 reported by a mother is diarrhea that the pediatrician would
16 agree with, but then you have controls.

17 But I would say there are three groups I would
18 recommend to study. One is drugs that have theoretical
19 basis to assume that the exposure is high, based on known
20 methods to calculate it. And, it can be a drug that just
21 entered the market yesterday. We know the pKa; we know the
22 protein binding; and we know the lipophilicity. These are
23 measured by relatively simple physical ways. So, this is
24 one group that must be.

25 The second are common drugs for reasons that were

1 mentioned, and I don't think we should underplay the
2 importance of women treating their pains because women tend
3 to orphan themselves and to be martyrs, and lose their teeth
4 because of many reasons.

5 The third group are drugs for chronic illnesses
6 because the three studies we completed clearly show that
7 women exposed to 5-aminosalicylic acid, antileptics and PTU
8 -- these are three things we followed, stopped
9 breastfeeding. They stopped breastfeeding although these
10 drugs are safe based on evidence collected by different
11 people. So, I would be concerned about that too.

12 Now, this sounds like a large group of women and
13 compounds but, to reiterate what Steve Spielberg said, out
14 of all analgesics if one can come up with ones that look to
15 be at lower level in breast milk, this is very important
16 information for the physician to make a decision as to what
17 to give. Clearly, the high exposure ones should be higher.

18 Last but not least, there are drugs that you don't
19 want a baby to be exposed to such as anti-cancer drugs and
20 radionuclides, which almost goes without saying, and there
21 may be new, very potent molecules that just
22 pharmacologically don't make sense to expose a suckling bay
23 to at all.

24 DR. CHESNEY: Dr. Anderson?

25 DR. ANDERSON: Yes, I would like to just emphasize

1 a little bit opioid products, narcotics. I think that is
2 one instance where we see sometimes a little bit cavalier
3 prescribing and we do see babies getting very sleepy, to the
4 point where they are not nursing enough to gain weight
5 adequately. You know, it is dose related, but it is an area
6 that I think sort of goes the other way from everything we
7 have talked about today, and that might be something to put
8 some effort into.

9 DR. GORMAN: I am putting on my IRB hat, like Dr.
10 Nelson, now. The naturalistic studies where women choose to
11 continue to take medicines while they are breastfeeding seem
12 to me to have very few problems going through an IRB. The
13 woman has already made the decision for her risk/benefit.
14 But if you wanted to do a control group, getting back to "if
15 you don't look for it you don't find it" or are they really
16 different from other babies, what control group would you
17 suggest?

18 DR. KOREN: I can just tell you what we do. If we
19 have 30 calls a day, most of them with no problem, we follow
20 up that group too. So it is an observation that is
21 naturalistic, but if the drug in question is an opioid, say,
22 codeine or anyone you choose, you choose a group exposed to
23 a drug, say acetaminophen. You have control on the
24 reporting bias. They are using the same. And, still you
25 measure many characteristics of the two groups to be able to

1 covary on them, say, women who use narcotics are very
2 different from other women.

3 Observational studies, as we said this morning,
4 just recently compared to RCTs in several New England papers
5 back to back, we did the same in pregnancy now on anti-
6 hypertensives. There is a lot of value in well-designed
7 observational studies. Yes, if we could do RCTs, but I
8 totally agree with you that ethically, except for a
9 situation like described by Katherine, and then actually she
10 proved with a placebo trial that the tricyclics did not work
11 -- so, very rarely will you have that paradigm. Mostly, I
12 don't think an IRB in North America or anywhere would
13 approve an RTC, but that does not mean that you cannot
14 control. I mean, there are many observational ways to
15 control and we do it all the time. It is not ideal because
16 you may not know what you didn't control for. That is
17 always a problem, but if you have a good hypothesis and good
18 thinking, and you have a good collection of data, you can
19 address most of these things, including socioeconomic and
20 including the IQ of the parents. We do those too.

21 DR. CHESNEY: Thank you. I would like to make a
22 comment. I would like to add psychoactive or psychotropic
23 drugs to be sure they are included under chronic illnesses
24 because I think many of these drugs we haven't had around
25 for very long. So, although we may have gotten away with

1 other drugs, we may not get away with these, and I have
2 significant concern both for the health of the mother if she
3 discontinues those, as we have already had the example, and
4 concerns about the effect on the immature or developing
5 brain. So, I would just like to be sure that they are
6 included in the chronic illness category. Yes?

7 DR. DATTEL: In thinking about what types of
8 therapies or drugs are most important to study, a little bit
9 different than the discussion on pregnancy where you can
10 group women of reproductive age, you actually do have data
11 sources to tell you -- and they are going to be different --
12 one of the most common drugs, during labor and delivery and
13 the immediate newborn period are exposed to, and I would
14 make sure that I included those, and that takes into account
15 a lot of opioids. Most people have some type of analgesic.
16 They may have long-standing epidurals, they get a 30 percent
17 C-section rate and antibiotics of all sorts. So, you are
18 going to have a list of things that are going to be
19 concentrated in breast milk for the first couple of days.
20 So, if you are going to prioritize, rather than do a whole
21 global thing of the most commonly used, I would look at
22 labor, delivery and postpartum as well as chronic illness.

23 DR. CHESNEY: Yes, Dr. Luban?

24 DR. LUBAN: I think we also have to remember that
25 many postpartum women take naturopathic medications, and

1 those may do something to alter the transmission of the
2 drugs in breast milk. So, they should not be forgotten. As
3 a pediatric hematologist, I am diagnosing more and more
4 infants with easy bruisability because the mothers are
5 taking echinacea and they are breastfeeding.

6 DR. CHESNEY: Is that a thrombocytopenia?

7 DR. LUBAN: No, it probably is an inhibition of
8 thrombin.

9 DR. CHESNEY: Do we have to add alternative
10 medicine as a priority?

11 DR. LUBAN: Not necessarily as a priority because,
12 obviously, the FDA does not license those but clearly it
13 should be added into a variable in the studies.

14 DR. CHESNEY: Important point. Yes?

15 DR. BERLIN: I would like to mention one group of
16 women to answer Dr. Gorman's question about IRB concern.
17 That is, women who have decided to terminate breastfeeding
18 but are still lactating. This is how we were able to get
19 data concerning INH, hydrocortisone and Azulfidine because
20 the mother had decided that she would no longer nurse and
21 wanted to resume taking these medications, and we took
22 advantage of that rather natural situation by collecting
23 samples of saliva, milk and serum and being able to
24 demonstrate passage of the drug or lack thereof. So, there
25 was no infant put at risk. And, one of the many wonderful

1 characteristics of lactating women is that they are usually
2 pretty enthusiastic about participating in these kind of
3 experiments if you can assure them that the baby will not be
4 harmed, and since they are no longer breastfeeding the baby
5 has no exposure.

6 DR. GORMAN: Could I just ask one follow-up
7 question? Did you get IRB approval for the very special
8 Hershey-sponsored study?

9 DR. BERLIN: Yes, as a matter of fact, I did.
10 This was the administration of chocolate to nursing mothers.
11 I did get IRB approval. That was approval for the
12 administration of one Hershey bar to a nursing mother.

13 DR. NELSON: What type of toxicity monitoring did
14 you have --

15 [Laughter]

16 DR. BERLIN: Well, that particular compound has no
17 toxicity but there can be significant withdrawal features.

18 [Laughter]

19 DR. NELSON: No dose response.

20 DR. BERLIN: For those of you who may not know,
21 the herbal content of chocolate, we were interested in
22 theobromine which is a relative of caffeine. So, we did get
23 IRB approval for that. It was an industry-sponsored study.
24 Chocolate, by the way, is quite safe for breastfeeding and
25 may actually be one of the required food groups for

1 successful lactation.

2 [Laughter]

3 DR. CHESNEY: I have had a recurring fantasy
4 throughout this discussion about a whole bank of lactating
5 women who are wet mothers or whatever they were called --
6 wet nurses, who are available for all of these studies. It
7 is like the volunteers who volunteer to let medical students
8 examine them.

9 Dr. Kweder, have we given you enough information
10 for question two?

11 DR. KWEDER: Yes, you have. Thank you.

12 DR. CHESNEY: Number three, what kinds of
13 information about such products are needed for inclusion in
14 labels to allow informed decisions, by someone, as to the
15 safety of breastfeeding while taking a medication?

16 DR. KWEDER: I would say informed decisions among
17 clinicians.

18 DR. CHESNEY: Among clinicians, not mothers.

19 DR. KWEDER: Well, patients and doctors who may be
20 making these decisions together.

21 DR. CHESNEY: Informed decisions by patients and
22 physicians. Yes?

23 MS. CONOVER: Let's see, I know the things I find
24 the most important when I am trying to counsel somebody, so
25 I would sort of like to see those show up. They have all

1 been mentioned so far but the age of the infant, or if the
2 infant is medically fragile really makes a huge difference
3 in terms of whether agents are acceptable, for lots of
4 reasons -- you know, the permeability of the gut, or how
5 much milk makes up their diet, or how much of an effect it
6 will actually have on an older infant versus, say, a one-
7 week old. Similarly, preemies are medically fragile and
8 really almost fall into their own category as well. So, we
9 really handle newborns differently.

l0 Then, I would just remind you that although I am
l1 always kind of interested in how much is in the milk, what I
l2 really care about is the impact on the infant. So, I pay a
l3 lot more attention to studies that actually look -- and that
l4 doesn't involve drawing blood on the infant really or
l5 anything else, just things where you actually see jaundice
l6 or sedation. It is not that I don't care but it actually
l7 tells me that the infant got absorbed and had an adverse
l8 effect. So, I am always really interested in those.

l9 We use a rule of thumb, which has been mentioned
20 before, which is if you can prescribe it to a newborn or a
21 two- or three-month old you don't worry as much about it in
22 the breast milk. Although, again, we are not cavalier about
23 that, we don't want an exposure that you don't have to have
24 but it still gives me more of a sense of peace of mind and,
25 similarly, I think to patients. So, that information is

1 always very helpful, and side effects that you might see in
2 infants, things that you might observe, are interesting to
3 me as well.

4 Then, just to remind you again, I really like the
5 information on half-life, peak levels, things like that and,
6 you know, you can find those and you can't rely on them
7 completely but they do give you, again, some sense -- and
8 you might even put in suggestions -- I mean, there are
9 strategies and Dr. Anderson has a very elegant, you know,
10 ladder of ways you might make a decision about whether you
11 would use an agent, and ways to make the use of it safer.
12 So, I always find those things extremely helpful. Again, it
13 is never a black or white decision, and so to help people
14 with that I think those things come in handy.

15 DR. CHESNEY: Thank you.

16 DR. WARD: Can I ask for a clarification about the
17 preemie being medically fragile? We give them a multitude
18 of drugs. They are extremely tolerant of that. Could you
19 amplify on how you counsel with respect to that?

20 MS. CONOVER: not to pick on your children, of
21 course, but we do, in fact, consider them differently than
22 we would a 12-month old.

23 DR. WARD: In which ways? Seriously?

24 MS. CONOVER: Well, of course, for one thing, they
25 are newborns --

1 DR. WARD: Yes.

2 MS. CONOVER: So, they fit into that category, and
3 they may respond differently to an exposure. In fact, we
4 have had some situations where the infant was getting
5 something through breast milk and ended up with a sepsis
6 workup because it was kind of floppy and lethargic. It was
7 also actually a pregnancy exposure as well. So, it was a
8 combination of things present at delivery and the breast
9 milk, and maybe would have gotten a workup anyway but, in
10 fact, it turned out to be a psychotropic medication.

11 DR. CHESNEY: It is the kind of information though
12 that I think would be helpful to have in some of these
13 labels.

14 DR. WARD: Right, and we are giving them opioids;
15 we are giving them antibiotics; and we are giving them
16 benzodiazepines, etc. But it would be helpful for us to
17 know the degree of passage of those into the breast milk,
18 and to know that the mother was taking that medication.
19 That would be very helpful. But we do administer an awfully
20 large number of almost every therapeutic class, except
21 probably intentionally giving them the anti-tumor drugs.

22 DR. FINK: As these studies are done, I think one
23 thing that needs to be looked at prospectively and make sure
24 it is recorded is whether the mother is a smoker or not,
25 because that is going to affect both her liver metabolism of

1 the drug, possibly the infant's clearance of the drug, as
2 well as a lot of confounding factors that are going to show
3 up in breast milk from the cigarettes themselves.

4 DR. CHESNEY: Good point. Dr. Koren?

5 DR. KOREN: Just to give you my wish list of what
6 I hope to see in a new label, the first are two generic
7 statements that I believe have to be everywhere. One is the
8 one I made about the risk of discontinuing breastfeeding.
9 It should be done in the context of that particular drug.

10 The second is a statement that will reverse the
11 many years of statements here that says that most drugs can
12 be measurable in breast milk. Because many physicians say,
13 "oh, God, they measured it in milk; we have to stop it."
14 But when I give a lecture to medical students, when they
15 hear that most things are measurable -- the same with the
16 fetus -- it changes the whole gestalt. So, it is not a yes
17 or no anymore; it is a dose response. So, if the labeling
18 is supposed to educate, that is something that should be
19 there.

20 Then, of course, I think, as my colleague said,
21 you want to give as much information about the kinetics --
22 how much is there? What does it mean per dose for a baby?
23 And, last but not least, if we have data on levels in
24 babies, such as Katherine does in some studies, and follow-
25 ups, short or long, should be there. So, that is the best

1 label that should be there.

2 Animal studies I probably wouldn't even put in,
3 unless there is a reason which I can't think of now. It is
4 very confusing. It is a little bit like teratology, telling
5 physicians that the tail is shorter in rats and they call us
6 to ask what it means for a baby. So, I don't know that an
7 animal study, unless it has a very important mechanistic
8 reason, should be there.

9 DR. CHESNEY: Dr. Wisner?

10 DR. WISNER: I think the other piece of
11 information I would put in is whether the drug has active
12 metabolites and what the half-lives of the metabolites are.
13 So, for example, for a premature ill baby, a mom who might
14 be breastfeeding there would be less theoretical risk if the
15 mom took a drug, say, like certraline which has a metabolite
16 that has a relatively short half-life than, say, the
17 metabolite of fluoxetine, and there would be less potential,
18 particularly in a compromised infant, for accumulation. So,
19 I think those kinds of pieces of information would help in
20 the selection of drugs in situations where we don't have a
21 lot of data to inform the decision-making process.

22 DR. WARD: The other thing we really need is the
23 issue of displacement of bilirubin from protein binding, and
24 it is done for most drug classes but we need whether that
25 concentration can produce significant displacement.

1 DR. CHESNEY: Good point. Dr. Anderson?

2 DR. ANDERSON: I would also add for those groups
3 of drugs that do affect lactation, that information should
4 be in there also.

5 DR. CHESNEY: Excellent. Dr. Danford?

6 DR. DANFORD: I see a potential problem with the
7 issue of inclusion or non-inclusion of long-term outcome
8 data because I think I agree with Dr. Spielberg's comments
9 earlier that the long-term outcomes, particularly
10 neurodevelopmental issues, are probably not going to be
11 available to us. Either acknowledging that or not
12 addressing it in the label would seem to be a difficult
13 choice to make because if we acknowledge that lack of
14 information we may be half way back to the uncomfortable
15 situation that we are in now of making a statement that
16 bothers people a lot that may not be as important as it
17 sounds. If we don't include that information, or don't
18 acknowledge that we don't have it, then are we withholding
19 something that the public and the prescribing physicians
20 ought to know we don't know?

21 DR. KOREN: Except for several situations, say
22 antileptics for example, children receiving antileptics --
23 phenytoin is an example -- may affect their IQ and some
24 other cognitive, versus some of the others which do not.
25 So, if mom is on phenytoin, this may be irrelevant

1 information even to speculate what that level of exposure
2 would do. But to repeat, I mean, we have the data on
3 febrile seizures and the reversal of IQ after it was
4 stopped. Not many women are now breastfeeding on
5 barbiturates as they used to, but I agree with you, in most
6 cases we won't have that information but I can see instances
7 when it may be important. I can see a woman saying, you
8 know, I'm on phenytoin. What will happen to my baby's IQ?"
9 And, we ask these questions, so if you can make a statement
l0 based on what is available -- for example, if the level of
l1 exposure is one-tenth of what children are receiving in
l2 epileptic therapy you may make some inference, but I agree
l3 with you that we should be careful not to cause more damage
l4 than anything else in these statements.

l5 DR. GORMAN: I would like to reiterate my previous
l6 suggestion, realizing the difference between the non-
l7 therapeutic use of drugs and breast milk and the therapeutic
l8 use that we prescribe them for, that reference from the
l9 pregnancy label to the pediatric label be put in there so
}0 that parents will have that information of what the
}1 experience is in the pediatric population when given
}2 therapeutically.

}3 DR. CHESNEY: I think there are probably an awful
}4 lot of drugs that we don't know what the long-term effects
}5 are. Dr. Spielberg?

1 DR. SPIELBERG: The other quandary that we will
2 always face is what was alluded to before, which is how safe
3 is it. For the vast majority of drugs my guess is, even if
4 we had in place a good surveillance system and good
5 ascertainment either through an Academy network or other
6 ways, and even if we have relatively well-defined protocols
7 for looking at outcomes, and I think that obviously is key;
8 it is not just saying there is nothing there because, you
9 know, no one complained about it -- even assuming we did
10 that, for the vast majority of drugs we are going to get
11 relatively small numbers, and when we think about what we
12 are talking about an NDA for a new drug and, you know, 3000
13 human exposures, 5000 human exposures with postmarketing
14 commitments so that we are collecting data on relatively
15 large numbers and using either the rule of 3 or the rule of
16 5 in terms of what numbers that will rule out for a 1/100
17 event, a 1/10 event, a 1/10,000 event. I think we are never
18 going to be able to eliminate 1/10,000 events. We will
19 probably never be able to eliminate, for many drugs, 1/100
20 events. But, what we are going to be able to do is provide
21 information on the outcomes of the babies and, in thinking
22 about how to write those labels we are going to have to be
23 very careful about how we write those outcomes. It probably
24 is going to be something like in X numbers of infants,
25 examined for such-and-such, no adverse effects were noted.

1 We are not going to get to the standards of "safe and
2 effective." Effective is not an issue for the babe, but
3 certainly even from the point of view of safety, I think it
4 is probably going to be a relatively descriptive label but
5 that is helpful information.

6 The hard part with labels right now, frankly,
7 particularly in this area is that physicians might be able
8 to read them pretty well. The trouble is everybody reads
9 them now. You can pick up the PDR in any store; you can
l0 pick it up online. Looking at all of our labels, you know,
l1 as a lay person looking at a label of almost anything -- and
l2 I could write a label for water that would scare you from
l3 drinking ever again. You know, large quantities of this
l4 stuff can cause hyponatremia, death -- pretty rough stuff.
l5 So, we really want to write very honest labels based on what
l6 the data really show us, and not make generalized statements
l7 about safety or what-have-you. I think really provision of
l8 data is going to be our best way to go, recognizing, and we
l9 are going to have to be honest with ourselves, that for
20 many, many drugs the database is going to be very, very
21 small.

22 DR. CHESNEY: This issue of the long-term
23 outcomes, what I meant to say was I don't even know that we
24 know what the long-term outcome for children taking dilantin
25 for years is because we have never done a prospective,

1 controlled study for most of the drugs that we give to
2 children so that we can say after 30 years your IQ is the
3 same as it would have been, or something like that. So,
4 that is a tough one for me.

5 But, I think if we should maybe always emphasize
6 the risk/benefit ratio, taking in the many considerations
7 that Dr. Koren and others have mentioned. Other comments?
8 Dr. Wisner?

9 DR. WISNER: The other thing that I think we need
10 to be clear about is the quality of the data in the sense
11 that for some drugs the data is going to be for exposures
12 both during pregnancy and for breastfeeding, and the class
13 of agents that I come across most in that line is for
14 anticonvulsants where I will look for information just about
15 their use during breastfeeding, say, for a bipolar patient,
16 but the only information I can find is data through
17 pregnancy and breastfeeding so it is hard to know how to use
18 that for my particular patient. And, just making the point
19 clear that the exposure during breastfeeding is very
20 different than during pregnancy and breastfeeding, and
21 wanting to make sure that those lines are separate in our
22 recommendations.

23 DR. CHESNEY: Dr. Kauffman?

24 DR. KAUFFMAN: I wanted to step back to some
25 comments earlier this afternoon, and that has to do with

1 this is all very important and this information is very
2 important, and we have talked a lot about how to get the
3 information but that is somewhat moot if we don't have a
4 regulatory mechanism to get that information into the label.
5 There are some 400 primary references in the AAP guidelines
6 since 1986 or 1987, and it keeps expanding. Very little of
7 that information is in the current labeling for those drugs.
8 The USP monographs have this information in virtually every
9 monograph if it is available anywhere. And, I would like
l0 to, I guess, ask Dr. Kweder or Dr. Murphy, do you have some
l1 idea of what the regulatory mechanism can be as you rewrite
l2 these regulations to get this information into the label
l3 because right now, I gathered from what you said that there
l4 really wasn't a systematic, proactive way to get this
l5 information into the label reliably.

l6 DR. KWEDER: Yes, I can answer that question. We
l7 are in the positive of revising the regulatory framework for
l8 the pregnancy section of the label that includes the
l9 lactation section. So, when a new regulation is developed
20 to cover that section, lactation will be part of that.

21 DR. KAUFFMAN: Can you be proactive then? Let's
22 say Merck does not particularly have any incentive to put
23 that information in their drug that is 15 years old and
24 doesn't have it but the information is available, can you be
25 proactive to get that information into their label then?

1 DR. KWEDER: Yes, yes.

2 DR. KAUFFMAN: Minus a safety issue?

3 DR. KWEDER: For the most part, products will be
4 required to comply with this.

5 DR. KAUFFMAN: Okay. That is very reassuring.

6 DR. KWEDER: Like most labeling changes, it will
7 probably be phased in over time but ultimately, hopefully,
8 we will get there.

9 DR. KAUFFMAN: That would be a major step forward.
10 Excellent!

11 DR. ANDREWS: I would like to make a comment about
12 that. Similar to a discussion that we had in the morning
13 session, that is, in all likelihood the label will never
14 have all of the information that is publicly available in
15 the published literature because it is not only the sponsor
16 recommending certain articles and scientific evidence to be
17 included in the label, but it is also a process of
18 negotiating with the FDA what type of evidence is allowable.
19 Current standards for clinical type data usually revert to
20 the randomized clinical trials. It is very difficult to get
21 epidemiologic data included in the labels today, and I think
22 that is changing and I think that is very good. But we
23 might want to also think about referring the reader to other
24 sources of information that are available and compendia of
25 information that can be more comprehensive and that are

1 updated more frequently than the labels are.

2 DR. CHESNEY: Thank you. Dr. Kweder?

3 DR. KWEDER: I just wanted to clarify. I think
4 the people on this side of the table are probably more
5 familiar with the pregnancy labeling initiative than the
6 pediatric group. We are in the process of trying to develop
7 a proposed rule on pregnancy labeling. We don't have
8 anything published in The Federal Register. We have met
9 with our committee a few times to go over some general
10 concepts related to that. It is extremely difficult and we
11 are just beginning to tackle the lactation subset of that,
12 which is what brings us to this meeting, but you didn't miss
13 anything. There is nothing out there in The Federal
14 Register of published at this point in time. And, some of
15 the issues that Elizabeth raised are issues that we have to
16 grapple with in any section of the label. This will be a
17 very complicated process because we are not talking about,
18 for the most part, labeling that rests on randomized,
19 controlled trials, which is the gold standard of what has
20 the agency has always been the most comfortable with. We
21 are talking about how to bring other kinds of data to the
22 label so that it will provide useful information for people
23 who are making decisions about drugs in their own life
24 circumstances.

25 DR. CHESNEY: This situation reminds me of what we

1 went through when we discovered -- what was the mercury
2 compound as a preservative in vaccines? -- thimerosal, when
3 spent hours and hours and hours adding up the concentrations
4 of mercury that we were giving to premature infants and
5 older children, and many, many experts on many, many
6 conference calls, and really we knew absolutely nothing
7 about what we were doing, except that it seemed like an
8 excessive amount of mercury. But George Petaire, who has
9 been the editor of The Red Book for many, many years said,
10 very wisely, lack of information has never prevented us from
11 making definitive decisions. We need a lot more
12 information.

13 There were some questions over here. Yes?

14 MS. SCOTT: I have been listening to all the
15 discussion, both this morning and this afternoon, and I
16 think we have progressed but I am still feeling for women
17 the issues of safety -- somehow I am not comforted by much
18 of what has been talked about today, and I think women, with
19 access to information -- it is so confusing. While I can
20 appreciate, you know, burdens that have been mentioned
21 several times on FDA and industry for making these changes,
22 I kind of see myself probably in that role twenty years from
23 now dealing with some of these same issues because I don't
24 think we are quite ready to bite the bullet and insist that
25 there be a new standard for the regulation of drugs that

1 really looks at this whole issue of pregnant women and
2 lactating women.

3 I know we are trying to make incremental changes
4 here but I really get a sense that we are going to be moving
5 much too slowly to be helpful to women for the safety of
6 their own health and for their children. So, I think in
7 order to have options and choices, and to be able to weigh
8 risk and benefit, not only on life-threatening situations
9 but the quality of life that has been brought up several
10 times. You know, women also have to be concerned about
11 their quality of life in taking these drugs, and I don't
12 think most women would be satisfied to hear or be convinced
13 that a medication is safe because it has been given to a
14 premature infant. I mean, it hopefully was given for some
15 indication and we are really talking about how these drugs
16 are going to act on healthy children and what those
17 ramifications are.

18 So, I would like to urge the FDA, by whatever
19 means necessary, that you be stronger in requiring the data
20 gathering both in the application for a drug and
21 postmarketing or registries, whatever; that you really take
22 a strong stand in getting this information because women
23 need it because they need medication and for the safety of
24 their children.

25 DR. CHESNEY: Thank you. Yes?

1 DR. KOREN: Just to reiterate what Julia said, it
2 is not the information, the scientific information, but how
3 women perceive risk and what they do about it. Very few
4 groups deal with this. So, it will be very effective to
5 bring the knowledge base here. Then, how to write it will
6 take a different science. We are doing it now in Toronto,
7 and we have big surprises of what you show to women and what
8 they understand even if you are sure that it is safe. So, I
9 am just throwing it in; it is not to do with the questions
10 we were asked but more for the FDA. We will have to ensure
11 that what we write women will understand even if it is
12 written for physicians. We also have interesting results on
13 how physicians perceive risk. In other terms, what we write
14 is not what people understand and that has to be
15 acknowledged and included in this very valuable process,
16 otherwise it will be another thing on the shelf that doesn't
17 really go to public health effect.

18 DR. CHESNEY: Communication is everything. Dr.
19 Wisner?

20 DR. WISNER: Very much along the same lines as
21 what Dr. Koren was saying, for the American Psychiatric
22 Association, we had a work group and what we decided to do
23 was develop actually a process model that really defines
24 what the practitioner says to a woman, what kinds of things
25 should you include if you are talking to her about

1 treatments during pregnancy? So, there are all the
2 available treatments and the pluses and minuses, and then
3 what is the natural history of the disorder, and then a
4 whole section on medical-legal aspects because what we felt
5 was this same gap, that the information may be there -- and
6 then there are all the different aspects of how women
7 understand the information, but there is also this idea
8 about how do you structure the information in a way so that
9 it really becomes one that respects her values and her
10 making the choice but delivering a complete set of
11 information as well? So, there is another element to all
12 this.

13 DR. KWEDER: I would just like to respond to that.
14 We have recognized from the very beginning that this is
15 going to be one of the toughest rows to hoe for this. We
16 are well aware of the fact that when people read current
17 labels what might make perfect sense to a scientist comes
18 across very differently to someone who is a lay person
19 reading it or a clinician in an office who is worried about
20 her own medical-legal risk. We are trying to tend to that
21 and we will be bringing in to help us some experts in risk
22 communication. We think that this is something that we have
23 not done very well, and I want to acknowledge, Dr. Koren, we
24 are very appreciative of some of the research that the
25 Motherisk group has done in this area, where they have done

1 specific studies where they have attempted to be reassuring
2 in how they characterized risk, and found out that what was
3 reassuring to a clinician was not at all reassuring to a
4 patient. So, that has been very helpful.

5 DR. MURPHY: Let me start with the bad news,
6 again, the Food, Drug and Cosmetic Act is for us to look at
7 products that are proven to be safe and effective and our
8 professional labeling is the way that we are supposed to
9 spell it out in the patient insert.

10 As anybody who has listened to us for the last
11 year and a half knows, it has taken us two decades of
12 pleading with people to look at products in children, and
13 you have heard these numbers numerous times and they haven't
14 been studied. You are right, it is an arduous process. It
15 has to be based on a very carefully thought out -- and this
16 group is trying to do that and seeking external advice on
17 how we do it.

18 The good news is that just a decade ago we didn't
19 even have any gender differences. I mean, we all know men
20 and women are different but for some reason that was never
21 something we were going to look at until recently. So, we
22 are making progress in being able to look at the areas that
23 we think are important and where we might find differences
24 or areas that are not being addressed at this time.

25 I think one of the really exciting things is the

1 one that Dr. Kweder just brought up, and again I mention Dr.
2 Woodcock because I think this has been an initiative of
3 hers, and that is the whole concept of risk management and
4 how the agency communicates the information that we have.
5 Right now, we are not the research arm of NIH; we are not
6 the health professional societies. We seek input from all
7 of those so we can provide the best information, but we
look
8 to all of these entities to participate with us in moving
9 the field, be it risk communication for women, for
children,
10 for antibiotic resistance, whatever it is, all of that we
11 need to leverage each other in our collective knowledge in
12 moving this field forward.

13 So, I guess what I am saying to you is we will
14 keep working on this. You need to go back and talk to your
15 individual organizations and also work on this. So, thank
16 you.

17 DR. CHESNEY: Dr. Kweder, do you or Dr. Murphy
18 want to do summary comments or do you need more
information?

19 DR. KWEDER: I think you have done a great job of
20 outlining for us a lot of the concerns that you have, both
21 in the area of the science and also some of the really
22 practical considerations about how we might do this better.
23 I really just want to thank you all for taking the time to

24 really think about these issues. We truly appreciate it.
25 It is really refreshing.

1 DR. CHESNEY: Let me once again thank Dr. Murphy
2 and all of the people who have worked with her to make the
3 last two days so informative and so well organized, and four
4 totally different topics that we have all enjoyed
5 participating in. Thank you all.

6 [Whereupon, at 4:51 p.m., the proceedings were
7 adjourned]