

1 very small.

2 Not speaking now as the head of the panel
3 but just as somebody who's here this morning, I just
4 wanted to respond to Doctor Wolfe's comment that this
5 seems to be another example of a case control study
6 which has shown an association and which has found an
7 important relationship that likely is causal. He made
8 analogy to the association between aspirin and Reye's
9 syndrome, DES and vaginal adenocarcinoma, estrogens
10 and endometrial cancer. I'm familiar with the data on
11 all those studies and, at least in my personal
12 opinion, neither the quality of the evidence nor the
13 quantity of the evidence in this instance is anything
14 like those others and should be viewed quite very much
15 on its own.

16 Now, as I said, the other panelists will
17 speak in more detail about some of these issues, and
18 the first will be Lew Kuller.

19 DOCTOR KULLER: Thank you very much. My
20 name is Lew Kuller. I'm an epidemiologist at the
21 University of Pittsburgh, and I'm going to review
22 certain aspects of the study in relationship to its
23 interpretation.

24 First, I want to say that when you see up
25 here that this was a failed study, it has absolutely

1 nothing to do with the design, which was outstanding,
2 nor the investigators, who were equally outstanding,
3 but every one of us does failed studies and, if we
4 didn't, then we would basically not understand that we
5 have done failed studies, which would even be worse.

6 Why do we say that this is probably a
7 failed study design or failed study problem? And
8 there are two problems, as I see it. One of them is
9 that only 41 percent of the potential cases are in the
10 study, and you can't say anything about the other
11 cases because you're not really sure what they are.
12 But most important, there's a very substantial problem
13 in selecting the controls, as you'll note. A hundred
14 and fifty one telephone numbers had to be identified
15 to find one potential control and then three eligibles
16 when they did find the potential was basically into
17 the study.

18 To just show you what this could mean in
19 terms of selection bias -- the next one, please. If
20 you look here, they tried to basically match on social
21 class, which is important, or education because
22 education drives a tremendous amount of human
23 behavior, and you can see here that this is just a
24 major, major problem and it's not adjusting for
25 education in the analysis. It's the problem you

1 really don't know what the people are who didn't get
2 into the study, the controls, the ones who didn't
3 answer the telephone and, most important, the ones who
4 did answer the telephones and told you they didn't
5 want to participate and basically when you see this,
6 you get very, very nervous. Twenty percent of your
7 cases with less than high school and only nine percent
8 controls and reverse for college education. And that
9 probably accounts for some of the data which we'll
10 see.

11 Now, very interesting thing to do is to
12 presume that the prevalence of use was similar -- and
13 I just put four percent -- was similar to the use in
14 the cases, that is, 3.8 percent in three days, and
15 then say of the 4,200 controls that they didn't get in
16 the study, if their use was four percent, you'd get
17 168 users and it would turn out that the overall
18 prevalence of use in the controls would be 3.6
19 percent. We have absolutely no idea what the use rate
20 was in the 4,200 which basically didn't get in and
21 certainly have no idea, even in the larger number, of
22 those 101 telephone calls and there's no way of
23 answering that question. It's just a major question
24 mark, but when they see the small differences that
25 occurred in this study and the small numbers, that is

1 a very worrisome observation that you have this huge
2 number of people who didn't get into the study. Next
3 slide, please.

4 Now, there's also a problem, a rather
5 interesting one, and that is rather if you turn this
6 around, look at the data, why is there greater use in
7 the controls in two weeks to three days prior to the
8 event? If you look at the data here, you get
9 basically the overall use is 5.4 and 4.8, but it's 1.7
10 and 2.5. There's actually more use of controls from
11 three days to two weeks and it's just a little bit of
12 a problem in terms of defining the date of exposure
13 because it doesn't make any sense why you should see
14 something of this magnitude. It's almost as great as
15 the other magnitude. You should note also that the
16 first use, eight and five, is where most of the action
17 is in this whole study. A total of eight and five
18 cases. Next one.

19 Now, the argument was raised that men
20 weren't exposed, but this is not true. Actually, the
21 exposure rate in the controls in the men and women is
22 not significantly different and, if you leave out the
23 appetite suppressant group of women, it turns out
24 basically -- and look just at the nasal decongestant
25 controls, it turns out it's 2.5 percent and 2.1

1 percent. The only difference in this whole study is
2 the 5.5 percent in the women cases, the men cases.
3 The controls in the men and women are exactly the
4 same, and there should be enough power to test the
5 hypothesis in the men because the use in the controls
6 in the men is the same. The interesting thing.
7 There's no use in the men who are cases. Next.

8 Likewise, it's a rather peculiar
9 phenomenon if we look at cough and cold suppressants
10 that was noted, and this is not a power issue. It
11 turns out that the risk is 1.5 in the women, but it's
12 0.62 in the men and, again, it's hard to believe that
13 this is a protective in the men. It may be a
14 biological basis related to subarachnoid hemorrhage.
15 The only problem is then if you believe that, as it
16 turns out, there are only four subarachnoid hemorrhage
17 cases in the women who are not hypertensive or
18 cigarette smokers. Every other one of them women with
19 subarachnoid, while a large number of the women that
20 are cases with intracerebral hemorrhage, a larger
21 number, there are very few of them, were neither
22 hypertensive nor cigarette smokers. So this is a
23 subarachnoid hemorrhage phenomenon. Again, it's not
24 internally valid.

25 I just point this out. It's small

1 numbers. I get a little nervous. Six and one is an
2 odds ratio of 12 for appetite suppressant but prior
3 use in men is one case in eight controls. It goes
4 exactly the opposite way, and this would be a bonanza
5 in men because it would prevent cerebral hemorrhage
6 and, of course, that's totally unlikely.

7 Now, we talked a little bit. Somebody
8 mentioned about the use, and I just want to point out
9 that the nine cases basically in current users within
10 the first three days, and this is in the group in the
11 study that are reported in eight/five controls and
12 just to point this out. One of the women -- this is
13 everybody -- drank 10 cups of coffee a day, one eight
14 and a half cups, one had 10 glasses of soda, one had
15 eight glasses of soda a day, one had six glasses of
16 soda a week and a prior history of stroke, one with
17 one glass of soda and a history of stroke, and two of
18 the cases had just prior headache and nothing and, of
19 the five controls, six cups of coffee a day, six
20 glasses of soda, two cups of coffee and one had just
21 a cup of tea. But it's hard. If you look at this,
22 you have eight or nine cases to deal with in your
23 whole study and basically at least four of those
24 people were basically red hot consumers of either
25 coffee or soda in huge amounts per day and they're not

1 typical of the U.S. population by a long shot.

2 Well, thank you very much.

3 DOCTOR WALLACE: I always hate to follow
4 you, Lew. Good morning. I'm Bob Wallace from the
5 University of Iowa where I do epidemiology and
6 preventive medicine. Noel and Lew and Phil and I have
7 really had mostly a lot of unanimity with respect to
8 our concerns about this study, which is certainly a
9 good faith and logistically very daunting study to do,
10 so I'm beginning to worry that many of my own feelings
11 are going to be a little bit redundant, but I'm going
12 to go through this fairly quickly.

13 Some of the concerns. Again, I think
14 based on what the investigators have suggested and the
15 panelists and other comments, I think almost
16 everything has been suggested. I'm very concerned
17 about sample size with respect to dose and every
18 epidemiologist wants to see whether they could grade
19 the exposure, that is, the amount of exposure, and see
20 that there's a lesser effect than those with lesser
21 exposure, and so it would really be nice, for example,
22 if we could look at those separately who were exposed
23 three days prior to the event versus those who are
24 exposed in the 24 hours. And again, it's very, very
25 difficult to do because of the difficulty of capturing

1 that kind of exposure.

2 I'm also concerned about other events that
3 occur. I talked to my neurosurgical colleagues. Not
4 a systematic survey, I will quickly add, on my part.
5 The issue of cocaine came up. The issue of alcohol
6 came up which I was somewhat aware of and I just want
7 to say that a lot of the effects of alcohol,
8 particularly the acute effects of alcohol, are on
9 alcohol withdrawal and so yes, it is a risk factor to
10 drink more than two glasses a day, two drinks a day of
11 conventional alcoholic beverages. On the other hand,
12 I would hope that the same care with which the study
13 of PPA use in the period prior to the event, the same
14 care and the same rigor is taken for looking at
15 alcohol use and the cessation of alcohol use.

16 Everybody has made the case that more than
17 half of the cases couldn't be studied. I don't have
18 an easy solution for this myself, but it's not
19 different than an animal study in which half the mice
20 got away, and one is always worried about it.

21 Lew has covered control selection, and I
22 think I'll go on. We all face the problems with
23 control selection. As you know, everybody gets
24 telephone solicitations to the point where they screen
25 calls and do all sorts of other things, and it's very

1 hard for us epidemiologists to come along and try to
2 find a population that's referent to the general
3 community because everyone is out there doing it also.

4 I wanted to quickly say -- and it's a
5 point that's probably been made half a dozen times
6 today -- that these cases are different. I think this
7 is really a collection of different kinds of diseases.
8 Now, I'm not going to argue whether they're cousins or
9 distant relatives, but they are at least a little bit
10 different, and I thought Doctor Broderick gave a good
11 explanation talking about mechanisms that may be a
12 little bit different but I'm also worried about risk
13 factors that might be different. My own search of the
14 literature, for example, found very little in the way
15 of risk factor studies of arteriovenous malformations
16 which are part of the case load. Maybe somebody has
17 information, and I would like to see that. But I
18 believe this is a series of closely related diseases
19 that may not be the same, either in their etiology and
20 their mechanism and their genetics and family history
21 and so forth, and it would be really nice if we could
22 look at them separately.

23 Again, a lot of the risk factor questions
24 have been addressed and, in fact, I saw a little bit
25 of information that I wasn't aware of. I'm personally

1 concerned about alcohol use and withdrawal,
2 particularly in that period before the event. I'm
3 very much interested in caffeine use, in part because
4 caffeine in my view does raise blood pressure and Lew
5 pointed out that we're looking at a population, we may
6 be tapping into a population that's a little bit
7 different. I'm amazed. Maybe it's just being simple-
8 minded, but 10 glasses of soda a day or eight and a
9 half or six. That is just a lot and I'm wondering if
10 we're looking at behavioral patterns that we don't in
11 fact fully understand, and I'm also interested in
12 undiagnosed hypertension and we carry around the dogma
13 that half of people with hypertension don't know that
14 they have it and, since hypertension is such a
15 dominant factor in subarachnoid hemorrhage, I'm always
16 worried that in fact there's this reservoir out there
17 that we really don't know how to measure because once
18 they're in the hospital with their events, blood
19 pressure fluctuates a lot and it's very difficult to
20 tell, and I am interested in the cocaine history, as
21 has been mentioned several times. So these are the
22 data that you've already seen that, in fact, Doctor
23 Kernan presented and I hope it looks the same.

24 I'm very concurrent, as Lew was just
25 before me, that there is really an important class

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 difference, social class difference between cases and
2 controls. Some of that may be due to the nature of
3 the disease, but I want to know how much of these
4 differences that we're seeing in fact can be explained
5 by what I think are dramatic differences in social
6 class that are really not explained by ethnicity
7 although, like the one panelist, I did see that
8 Hispanics may have an increased risk, particularly in
9 some counties in the southwest. But I am interested
10 in why there are these differences. For example, a
11 17-fold difference in the history of cocaine use and
12 issues with respect to caffeine and body mass and so
13 forth.

14 So in summary, for me, this is a
15 logistically extremely difficult and daunting activity
16 and I think personally that there are enough issues
17 left open that it's very hard to make a judgment.

18 DOCTOR GORELICK: Good morning and thank
19 you. Next slide, please. I'm Phil Gorelick and I
20 hail from the great city of Chicago where I serve as
21 professor and Director of the Rush Center for Stroke
22 Research and the section of cerebral vascular disease
23 and neurologic critical care. I am a board certified
24 neurologist and, over the years, I've developed a busy
25 clinical in-patient and office consultative practice.

1 I do have familiarity with case control studies. I
2 have been the PI of four such studies and, as Noel
3 mentioned, I do have a master of public health degree
4 in epidemiology, though my daughter used to refer to
5 it as the miles per hour degree. Next slide, please.

6 I've had a long-standing interest in the
7 role of drugs in stroke. I've previously published as
8 a co-author a paper on the topic which included a
9 review on PPA, and I've spent a good portion of my
10 career studying alcohol and stroke in case control
11 form. Next slide, please.

12 What I'd like to do in the next several
13 minutes is give you an overview of a clinical
14 neurologist's view of the risk factors for hemorrhagic
15 stroke and key clinical points to consider when
16 evaluating the Hemorrhagic Stroke Project. We will
17 have an opportunity to look at some of the details of
18 these specific cases as I walk you through the ones
19 for appetite suppression. Next slide, please.

20 As you've heard, hemorrhagic stroke makes
21 up about 15 to 20 percent of all strokes. As you've
22 heard previously, there's two types: intracerebral
23 which we abbreviate here as ICH and subarachnoid as
24 SAH. Generally speaking, the intracerebral is more
25 common and usually but not exclusively it's caused by

1 a rupture of a deep artery in the brain and the blood
2 is within the brain tissue. The subarachnoid, as has
3 been previously mentioned, is usually due to a blister
4 on the blood vessel which ruptures and then blood
5 forms around the base of the brain and over the
6 coverings of the brain.

7 The other type of malformation is an AVM
8 or arteriovenous malformation which is an abnormality
9 or tangle of blood vessels that has an abnormal
10 connection directly between the arteries and veins.
11 This can also cause subarachnoid hemorrhage. So as
12 you can see, there are different causes and these may
13 produce different outcomes and we must consider the
14 underlying health status in evaluating the
15 contributors to risk. Next slide, please.

16 Well, here are the hemorrhagic stroke risk
17 factors by sub-type. Intracranial hemorrhage on your
18 left, subarachnoid on your right. And these are from
19 the American Heart Association Risk Factor Panel, of
20 which I was a member of the writing committee, and
21 from other sources. The factors that are highlighted
22 or bolded are the lead factors so, for intracranial
23 hemorrhage, hypertension, heavy alcohol use, anti-
24 coagulants. This problem increases with age so the
25 older are a little higher at risk. There tends to be

1 more men. African Americans and drug abuse has also
2 been implicated, specifically cocaine.

3 On the subarachnoid hemorrhage side for
4 these important risk factors, the one that seems to
5 stand out substantially is cigarette smoking though,
6 again, hypertension, alcohol, heavy alcohol use also
7 come in. This is a disease in which there tends to be
8 a disproportionate amount of subarachnoid hemorrhage
9 in younger person as compared to ischemic stroke and
10 specifically women seem to be a higher target and then
11 again, African Americans have a very high risk. So
12 these are the major risk factors for these two types.
13 You'll see there's some overlap. Next slide, please.

14 Let's look specifically at the Hemorrhagic
15 Stroke Project with some of the neurologic
16 considerations. As you've already heard, there's a
17 higher frequency of independent risk factors for
18 hemorrhagic stroke in the case group as compared to
19 the controls and specifically such things as cigarette
20 smoking, hypertension, alcohol use, cocaine use and so
21 on. So this is an established factor in these cases.
22 Interestingly, if you look at the individual cases
23 which we'll do shortly, history of AVM or aneurism was
24 in at least four of the six appetite suppressant
25 cases. Next slide, please.

1 Let me walk you through this table of the
2 appetite suppressant cases to show you some of my
3 concerns. I'm not showing the cough/cold information,
4 but they also had risk factors, but to simplify the
5 presentation we'll look at this. In the far left hand
6 column you notice that case three had an arteriovenous
7 malformation as the cause. The other five cases had
8 subarachnoid hemorrhage and, of those, an aneurism was
9 identified in one, two, three cases. These UNC cases
10 mean that there was a subarachnoid hemorrhage but no
11 aneurism or other vascular malformation was found.

12 Of interest now, let's look in the
13 cigarette smoking category, and you can see bolded in
14 yellow that one of the cases was a current smoker, a
15 pack per day. Another case was a current smoker, one
16 and a half packs a day. Another case was a currently
17 smoker, two packs per day. Another case was an ex-
18 smoker. Let's look in the hypertension column. One
19 of the cases that smoked also was hypertensive.
20 Another case had hypertension as well.

21 Let's look in the alcohol use column.
22 This patient was drinking three drinks per day. We
23 have a patient who had a history of abuse of alcohol
24 but denied use more recently. Here's one who was
25 drinking eight per week and here's one who is drinking

1 13 per week. So what I'm pointing out here is that
2 all of these cases, generally speaking, had risk or
3 most of them had traditional risk factors for
4 intracerebral hemorrhage or subarachnoid hemorrhage,
5 as you can see here. Next slide, please.

6 Another issue for me has to do with the
7 attributing PPA as a factor here. I've concluded,
8 based on my analysis, that even if the association is
9 real, the number of cases attributed to PPA has to be
10 extremely low and then we're left without a
11 biologically plausible mechanism. Next slide, please.

12 So here's my conclusion and, again, I've
13 shown you all of these risk factors in these cases and
14 simply the PPA exposed cases and the HSP had typical
15 risk factors for hemorrhagic stroke. We've shown you
16 hypertension, we've shown you smoking and alcohol
17 consumption. Aneurysms in AVM appeared to be
18 responsible for at least four of the six cases in the
19 appetite suppressant group and, finally, insufficient
20 control of these risk factors as confounders
21 contributes to uncertainty surrounding the
22 interpretation of the HSP results.

23 Thank you.

24 DOCTOR SOLLER: Thank you very much.

25 I'd like to now introduce Doctor Charles

1 Hennekens.

2 DOCTOR HENNEKENS: Thank you, Doctor
3 Soller. My name is Charles Hennekens. Since last
4 October, I've served as a consultant in epidemiology
5 to the CHPA when I first learned of the Hemorrhagic
6 Stroke Project. Ralph Horwitz and Larry Brass have
7 been colleagues and friends for decades. Since honest
8 scientists have honest differences of opinion, I trust
9 they'll remain so after today.

10 Let me begin by congratulating the
11 investigators and their staffs from Yale, Brown,
12 Cincinnati and Texas. They've done yeoman's work in
13 assembling over 2,100 participants. As an
14 epidemiologist who's conducted case control studies,
15 I applaud as well as sympathize and empathize with
16 their outstanding efforts.

17 My issues relate less to the design but
18 more to the analysis and interpretation of this study.
19 The Independent Expert Panel has presented their
20 cogent joint as well as individual perspectives about
21 the real likelihood that chance, bias and/or
22 uncontrolled confounding each could easily explain the
23 observed findings in the HSP. I'd like to highlight
24 several major issues that derive from the initial
25 epidemiology and biostatistical reviews conducted by

1 myself and Bob Hirsch, who's here in the audience
2 today and is professor of biostatistics and medical
3 statistics at G.W. and also a consultant to CHPA.

4 With respect to chance, this is a large
5 study of over 700 cases and 1,400 controls, but it's
6 crucial to recognize that even the most robust and
7 informative overall test of the hypothesis that PPA is
8 associated with hemorrhagic stroke is based on just 27
9 exposed cases and 33 exposed controls. This overall
10 finding does not achieve statistical significance,
11 even using what I believe to be an inappropriate one-
12 sided test that yields a p-value of 0.085 which is
13 about one-half of the more appropriate two-sided p-
14 value of 0.17.

15 The fact that a two-sided p-value is more
16 appropriate is in part because of convention but also
17 because this study was designed in the context of a
18 totality of evidence that included, on the one hand,
19 some concern from adverse event reports and, on the
20 other hand, some reassurance from prior epidemiologic
21 studies.

22 My own view is that regardless of whether
23 the investigators, sponsors, and FDA agree to using
24 one-sided p-values in the design, the most important
25 point in the analysis is that several of these major

1 analyses go from statistical significance to non-
2 significance when one goes from a one- to a two-sided
3 p-value. Further, while the overall finding is based
4 on a total of 60 participants, the sub-group of women
5 taking PPA as an appetite suppressant is based on a
6 total of only seven participants, six exposed cases,
7 and one exposed control.

8 Interestingly, one of these six cases had
9 also used PPA as a cough and cold remedy. In the
10 analyses, she is counted twice, once as a user of PPA
11 for cough and cold suppression, but also as a user of
12 PPA for appetite suppression. Interestingly, her BMI
13 was 19 which compares with the U.S. average of about
14 27. Had she been classified only as a user of PPA for
15 cough and cold suppression, the two-sided p-value
16 would no longer be statistically significant for the
17 test of the sub-group hypothesis that PPA used by
18 women as an appetite suppressant increases the risk of
19 hemorrhagic stroke.

20 Indeed, if the primary aim were to study
21 the association between PPA used as an appetite
22 suppressant and hemorrhagic stroke, I would have
23 studied 2,100 women, not 1,153. Perhaps most
24 importantly, chance would remain a plausible
25 alternative explanation, even if this were a

1 randomized double blind placebo-controlled clinical
2 trial of PPA versus placebo. But, in fact, this is a
3 retrospective case control study with additional
4 limitations of bias and uncontrolled and indeed
5 uncontrollable confounding.

6 With regard to bias, selection is an
7 inherent limitation of all case control studies and is
8 a major problem in the HSP because the response rates
9 are low in differential. Parenthetically, I would
10 accept the investigators' estimate of 75 percent for
11 cases because I think the failure to enroll the
12 fatalities limits the generalizability, not the
13 validity, of their estimates. However, as has been
14 pointed out, the participation rate and controls is
15 about 35 percent.

16 Observation bias is also likely because
17 cases were hospitalized with hemorrhagic stroke and 40
18 percent were aphasic at the time of the interview and
19 the controls were selected from random digit dialing.
20 Among patients with aphasia, I believe I would not
21 just have more difficulty verifying exposure but an
22 even greater problem with the timing of the use. So
23 the likelihood for noncomparability between cases and
24 controls due to selection and observation bias is
25 substantial and also impossible to assess.

1 With respect to confounding, uncontrolled
2 confounding is clearly present because cases reported
3 a significantly higher prevalence of numerous major
4 and independent risk factors for hemorrhagic stroke.
5 These include race, family history of hemorrhagic
6 stroke, history of hypertension, a major risk factor
7 for intracerebral hemorrhage, cigarette smoking, a
8 major risk factor for subarachnoid hemorrhage, alcohol
9 use, illicit drug use including cocaine, and lower
10 socioeconomic status.

11 Further, the interpretability of even the
12 state-of-the-art methods of statistical adjustment for
13 confounding used by the investigators are seriously
14 limited by the fact that the crude analysis for the
15 sub-group of women using PPA as an appetite
16 suppressant is based on six exposed cases versus one
17 exposed control. This problem of a very small sample
18 size for the sub-group analysis is compounded further
19 by the fact that all these major and independent risk
20 factors are statistically significantly higher in the
21 cases than in the controls. So the sophisticated
22 multi-variant model does give an estimate of a so-
23 called adjusted relative risk but one must question
24 what it means when the crude analysis is based on six
25 exposed cases and one exposed control.

1 Further evidence of problem with this sub-
2 group analysis derived from the fact that controls for
3 all these positive confounders in an analysis of a
4 robust sample size would reduce the size of the
5 adjusted relative risk but, in fact, this adjusted
6 estimate was higher than the crude. This, to me, is
7 an unfortunate but logical consequence of the analysis
8 of case control study having one exposed control
9 resulting in a misleading apparently adjusted estimate
10 due to a simple inability to control for confounding
11 in any analyses of data of this sort.

12 But my only concerns today are not about
13 the HSP or even its over-interpretation but relate to
14 making a recommendation for a policy statement based
15 on as yet insufficient totality of evidence. Any
16 judgment of where do we go from here should be
17 evidence-based given where we are today. I would
18 caution that any attributable risk estimates assume
19 causality. The absence of causality gives
20 attributable risk estimates of zero. So in my view,
21 attributable risk estimates or population-attributable
22 risk estimates are appealing but unwarranted at
23 present.

24 I certainly understand the intuitive
25 appeal of making a recommendation for a policy

1 statement for a drug use as an appetite suppressant or
2 for cough and cold suppression for which there appears
3 to be other alternatives. It also has some intuitive
4 appeal that a premature recommendation may appear
5 preferable to waiting for a sufficient totality of
6 evidence. Nonetheless, I remain hopeful that sound
7 scientific reasoning will prevail over emotion.

8 There are examples where a sufficient
9 totality of evidence turned out to be completely
10 contrary to possible early signals. These include
11 breast implants where FDA's early regulatory action
12 led to permanent and irreversible psychological
13 damages to those with the implants and legal damages
14 to defendants that remain largely unaffected by a
15 current totality of evidence that is far more
16 reassuring than alarming.

17 In conclusion, I urge more research, not
18 any recommendation for a policy statement that is
19 premature and unwarranted based on the current
20 totality of evidence. Mark Twain once said, you can
21 always tell when academics are in dispute because the
22 emotions are so high and the stakes are so low. This
23 may well be true for all of us as speakers here today,
24 but it's certainly not true for you, the Advisory
25 Committee.

1 Thank you very much for your attention.

2 DOCTOR SOLLER: Thank you. In conclusion,
3 I'd like to comment on FDA's OTC policy in this area
4 and provide industry's recommended next steps.

5 FDA's OTC policy is that product
6 availability and labeling should be scientifically
7 documented, clinically significant and important to
8 the safe and effective use of the product by the
9 consumer. The value of this three part policy can not
10 be under-estimated. The first hurdle scientific
11 documentation focused us to look very closely at the
12 quality and strength of the underlying data before
13 reaching clinical or end use conclusions.

14 Based on the expert epidemiologic review,
15 the first hurdle of FDA's policy is not met by the HSP
16 Study. Because of inherent limitations, its small
17 numbers of exposed cases and controls, inherent bias,
18 inadequate control for confounding, concerns about
19 chosen statistical methods, the HSP Study does not
20 provide the quality and the extent of scientific
21 documentation necessary to support a change in OTC
22 status of PPA.

23 However, prior to the HSP Study, industry
24 was committed to further research on PPA and this
25 commitment remains unchanged. While limited value in

1 terms of its questionable results, the HSP
2 nevertheless shows us that the exposure to PPA among
3 patients with hemorrhagic stroke is small, rare, and
4 it provides insights on possible optimum design for
5 future studies.

6 Hence, we recommend the next three steps
7 to be. Further epidemiologic research. This might be
8 undertaken either in conjunction with PHS or there may
9 be other models to do this and certainly with greater
10 peer input on the design, conduct issues, and
11 analyses, all of which we've been talking about this
12 morning. Second, we think it would be prudent for FDA
13 to finalize the labeling requirements that it has
14 proposed for PPA that include recommendations relating
15 to maximum dosage use, contraindications with specific
16 conditions that are listed, various end use
17 precautions and drug/drug interaction information.

18 And third, we think it would also be
19 prudent to step up surveillance through voluntary
20 submission of serious AERs from companies to FDA and
21 the companies would be interested in working with FDA
22 to identify a procedure to do that.

23 I thank you for your attention, and I
24 would now like to open this up for Q&A to the panel
25 and the committee.

1 CHAIRMAN BRASS: Thank you very much.
2 Perhaps I'll begin with a couple of clarifications.
3 Would you agree that the HSP can not be used to
4 exonerate PPA as associated with stroke?

5 DOCTOR SOLLER: Well, I think if we look
6 at the questions to the panel with getting ahead,
7 3(c), we think that the association is uncertain. We
8 don't think, 1) that it has been shown and we wouldn't
9 say that it would be C2 in that particular question
10 where you would walk away and say this has
11 demonstrated a negative.

12 CHAIRMAN BRASS: If I could ask for
13 clarification from Doctor Gorelick who used the phrase
14 "extremely low to estimate the absolute risk." Could
15 he clarify what "extremely low" means?

16 DOCTOR GORELICK: I would ask Doctor
17 Hennekens to address this issue. He's made a couple
18 of comments about this in our group. Charlie.

19 DOCTOR HENNEKENS: We're a little out of
20 synch because I thought I said that absolute estimates
21 are premature and unwarranted. However, I think
22 working with Doctor Hirsch we looked at the HSP data
23 and some outside data and came to some conclusion of
24 a population attributable risk percent estimates of
25 about -- it was between seven and nine percent or

1 something like that, I think it was. But I think
2 these are very treacherous on the base of the
3 available data.

4 CHAIRMAN BRASS: So we should ignore the
5 extremely low conclusion?

6 DOCTOR HENNEKENS: No, I'm not saying you
7 should ignore the extremely low conclusion. I'm
8 saying that if you have an uninterpretable study with
9 a really difficult study to interpret with regard to
10 making assessment of whether there's a valid
11 statistical association, to go further and say that on
12 the basis of even the extremely elevated risks that
13 are seen in some of these sub-groups that using those
14 to assess the impact on the population would be
15 premature and unwarranted.

16 CHAIRMAN BRASS: In terms of the
17 confounding variables, I just want to clarify. Was
18 there a hinting that there may be an interaction
19 between PPA and other risk factors or that no
20 conclusion can be drawn?

21 DOCTOR HENNEKENS: Well, I'll take a first
22 stab at this and ask Doctor Weiss perhaps to comment.
23 I think the issue is -- and I think one of the major
24 contributions of this study will enhance our
25 quantitative estimates of the risk factors for

1 hemorrhagic stroke, both intracerebral and
2 subarachnoid here, and they are so significantly
3 different. Seven of the major risk factors for
4 hemorrhagic stroke are significantly higher in the
5 cases than in the control, so it's difficult to assess
6 that with noncomparability of this sort that one can
7 begin to achieve control for the differences between
8 the cases and controls when you have only one control
9 to deal with in the analysis.

10 Noel, do you want to make a statement
11 about that?

12 DOCTOR WEISS: Clearly, to address the
13 question of interaction, the investigators are in a
14 better position than the reviewers, but I think it's
15 safe to say that the numbers are so small, it's hard
16 enough to even find the main effects, much less
17 whether there's a particularly stronger effect,
18 depending on the presence or absence of other risk
19 factors.

20 CHAIRMAN BRASS: Doctor Katz.

21 DOCTOR KATZ: I'll address this question
22 to Doctor Soller or really anybody who wants to answer
23 it. Is there any evidence that the magnitude of
24 weight also that has been documented in adequately
25 controlled trials has any consequences for the public

1 health concerns that we've heard about related to
2 obesity?

3 DOCTOR SOLLER: We're not aware of any
4 long term studies that have been done on weight
5 control agents, OTC weight control agents that would
6 look long term out over a period of 10 - 20 years is
7 what you're suggesting? No. Not aware of that.

8 CHAIRMAN BRASS: Doctor D'Agostino.

9 DOCTOR D'AGOSTINO: I want to ask a couple
10 of questions. One is in terms of the statistical --
11 or make a statement -- in terms of the statistical
12 analyses. You don't necessarily keep going back to
13 square one for your allocation of alpha. I mean I
14 understood from the way this was presented is that
15 there was a hypotheses being driven to set this study
16 up and it was first focused on women, appetite
17 suppressant, first use. There's these procedures
18 called closed procedures. There's the sequential
19 procedures where you do in fact run through a sequence
20 of hypotheses tests at the five percent level and you
21 keep hitting a five percent level until you stop, and
22 that is until you don't get the five percent level to
23 be significant.

24 The way this was set up, I'm not
25 completely convinced that one couldn't have said go

1 through the sequence of hypotheses that are set up at
2 the five percent level for women appetite suppressant,
3 for first use, five percent level, and then to full
4 males plus females and I don't necessarily want to
5 raise a debate here, but I think that the discussion
6 of taking the alpha and dividing it by the number of
7 potential hypotheses is not really where one has to
8 focus on the appropriate hypotheses allocation of
9 alpha. I think that there are many, many other ways
10 of addressing it which would have said that what was
11 done was in fact correct.

12 I have another question after that.

13 DOCTOR SOLLER: I think Doctor Strom was
14 addressing the point that you were addressing, and I
15 don't know whether he has additional comment that he
16 might want to make in that regard.

17 DOCTOR STROM: I think the key thing to
18 realize here is this was not a sequential type of
19 analysis of the kind you're describing. These were
20 three co-equal aims that were related to each other,
21 and that was the way it was originally planned from
22 the beginning. So if in fact one of the aims was
23 positive and the others were not positive, it was
24 still interpreted as a positive study, and that's in
25 fact what was done here. Of the three aims which are

1 really five aims, some are positive and some were not
2 positive.

3 DOCTOR D'AGOSTINO: The point I'm making
4 is that I gave as an example you could have done it
5 sequentially, you could have approached it
6 differently, and you're dealing with safety, not
7 efficacy here, and you might want to say that I don't
8 really necessarily want to have alpha divided by
9 number of tests when I'm dealing with safety. There
10 are real issues, I think, in the alpha allocation that
11 are not being really brought out correctly.

12 DOCTOR SOLLER: Yes, I certainly agree
13 with you that that could have been done. That's not
14 what was done, however.

15 DOCTOR D'AGOSTINO: They said they were
16 going to use alpha .05. Let me go to another
17 question. There have been some comments about using
18 hemorrhagic stroke and then the sub-types. Are the
19 experts telling us that because the end point was
20 hemorrhagic or the cases were defined as hemorrhagic
21 stroke without the differentiation of sub-type and
22 then later on the same sub-type becomes so fragmented
23 that that was a major mistake, that you can't use
24 hemorrhagic stroke as a case definition?

25 DOCTOR SOLLER: Brian.

1 DOCTOR D'AGOSTINO: I mean it took two
2 years to generate the protocol. Nobody thought of
3 hemorrhagic stroke --

4 DOCTOR SOLLER: I would like him to
5 address this, Doctor D'Agostino, if I could, since he
6 brought it up in his comments.

7 DOCTOR STROM: Again, I'm not a consultant
8 to CPHA. I should also be clear I am not a
9 neurologist. I'm a general internist as well as
10 epidemiologist. There are a lot of people here, I
11 think, who are better qualified to answer than I. But
12 my understanding from my neurology colleagues is these
13 are different diseases and should be treated
14 differently. They may be cousins. They may be
15 related. They may be separate, but when you combine
16 two different diseases into a separate case group,
17 it's problematic. Why that was originally decided and
18 the fact that there were five years and they could
19 change --

20 DOCTOR D'AGOSTINO: The statement has
21 tremendous ramification on a lot of cardiology trials
22 that are going on now.

23 DOCTOR STROM: True, but I think the
24 important thing to realize is these diseases may or
25 may not have different risk factors. PPA may be a

1 risk factor for one and it may be a risk factor for
2 the other, it may be a risk factor for both. If they
3 are different diseases, if it is a risk factor for
4 both, if they really are different diseases, then that
5 is further evidence that it's due to bias rather than
6 biology because you would expect the risk factors for
7 the two things to be potentially different.

8 DOCTOR GORELICK: I think what we found in
9 the case review, as you witnessed, is that in the
10 appetite suppressant group there were five
11 subarachnoids and one AVM and we were dealing with the
12 traditional intracerebral hemorrhage case that we
13 normally would, and so there is some suspicion here
14 that the two things may be different.

15 DOCTOR D'AGOSTINO: Thank you.

16 CHAIRMAN BRASS: Ms. Cohen.

17 MS. COHEN: As a consumer member with a
18 cold, a cough and overweight, I feel very comfortable
19 on these subjects, and I have some questions to ask,
20 and please, Doctor Brass, don't send me to the gift
21 shop or the National Library of Medicine.

22 If a consumer came to me and asked me why
23 PPA is necessary for appetite depressant or for cough,
24 what kind of answer can I give them? My next question
25 is why and how does PPA affect behavior modification?

1 Does it affect the brain cells? Why is it necessary?
2 And lastly, as the wife of a scientist who was at NIH
3 for 41 years, I really need to understand so I can
4 complain to consumers where there's such a strong
5 defense by the scientists of the use of PPA since it's
6 not in the category of an anti-biotic. I really need
7 to understand these things so I can go to a consumer
8 and say, this is what I learned at this meeting and
9 this is what I understand.

10 DOCTOR SOLLER: Let me answer the second
11 question first and then return to the first one. In
12 terms of behavior modification, it's thought that PPA
13 as an appetite suppressant takes the edge off the
14 appetite. It by itself without additional steps that
15 are taken in terms of diet as well as in terms of
16 exercise is very difficult to pull out a statistical
17 significant clinically meaningful effect in the clinic
18 unless you add those in, and the package insert does
19 talk about encompassing this into an overall program.
20 So it makes it easier for a person to engage in that
21 kind of weight loss. And as a nasal decongestant, it
22 causes constriction. It's not behavioral modification
23 because it's direct effect in the nares and clears the
24 nasal congestion.

25 Now, in terms of necessary, my comment

1 that I made earlier in terms of the policy and the
2 fact that we shouldn't under-estimate it speaks
3 directly to that. There's a susceptibility to move
4 into the second and third part of that policy, and the
5 policy is that the availability of the product, the
6 labeling should be scientifically documented,
7 clinically significant and important to the safe and
8 effective use of the product to the consumer, and
9 you're jumping to the third portion of that. In fact,
10 the importance of this policy in a deliberation like
11 this is to come to an assessment as to whether the
12 study rises to the level of scientific documentation
13 that would lead you into the second and third phase.

14 So in terms of our focus today and the way
15 we look at PPA and the way we consider where we have
16 been on this particular project as we look back over
17 the last number of years is that from the ambiguities
18 and the concerns that have been raised with the Yale
19 Study, in reality, we're back where we were prior to
20 starting the study, and that's why the industry
21 remains committed to additional research and the
22 trying to come to grips to get the appropriate
23 documentation.

24 MS. COHEN: Doctor Brass, may I? Would
25 you permit me? I still don't understand. Indirectly

1 I do understand, but I don't understand how I can
2 answer a consumer saying that PPA is necessary. I
3 don't understand how it's classified, what its
4 efficaciousness is, if you'll pardon the big word, but
5 I don't understand that. And the other thing, in your
6 studies, did you do a study with behavior modification
7 exercise and a low calorie intake versus with the PPA
8 and how long? And I think someone asked here, how
9 long did you follow it after? A year, two years? I
10 still don't think I can go intelligently -- maybe I'm
11 missing something -- and telling consumers what I need
12 to know to answer in an intelligent fashion.

13 DOCTOR SOLLER: Well, in a broader issue,
14 that type of questioning could be applied to many
15 self-care products.

16 MS. COHEN: Well, hair color products I
17 don't need. We're talking about PPA in blind --

18 DOCTOR SOLLER: No, but I'm talking about
19 an overall perspective in terms of how you look at the
20 self-care category and you could say, why do you need
21 many of these? You could just tough it out. The
22 point here is that once you look at the information
23 that is supporting or not supporting PPA, you look at
24 the level of scientific documentation and determine
25 whether it rises to the level to suggest a change in

1 availability or alterations in labeling because the
2 benefits that are available in terms of nasal
3 decongestion and appetite suppression are real, and we
4 heard comments earlier today from Doctor Schteingart
5 that related to the demonstration that PPA can reduce
6 weight in both the clinical setting.

7 CHAIRMAN BRASS: I think we'll hold off on
8 that further until this afternoon.

9 Doctor Gilman.

10 DOCTOR GILMAN: Sid Gilman. I'd like to
11 go to the issue of whether this group was looking at
12 an improper end point by looking at hemorrhagic
13 stroke, so-called. What they were looking at were
14 patients who had extravasation of blood into the
15 spinal fluid or around the brain or into brain tissue.
16 These result, in the case of subarachnoid hemorrhage,
17 from what is called a berry aneurism, a small
18 outpouching of a vessel that is thin and that
19 ruptures. There are risk factors for it, including
20 hypertension and high blood pressure.

21 They're also looking at stroke in the
22 brain. Again, hypertension is a risk factor for it.
23 Those hemorrhages occur from actually little small
24 outpouchings at the branch points of vessels often,
25 but they represent extravasation of blood in brain.

1 Arteriovenous malformations are hereditary
2 disturbances probably in which if a patient has,
3 quote, "stroke," hemorrhagic stroke, there's
4 extravasation of blood in the brain around these
5 malformations. So even though these are somewhat
6 different neuropathological entities we're dealing
7 with, they're all characterized by hemorrhage in the
8 brain and it strikes me that these are appropriately
9 grouped together if there's a question about a risk
10 factor.

11 So I guess I'm a little-- perhaps Doctor
12 Gorelick would clarify this. I don't see that there
13 is an improper rationale in grouping these cases
14 together personally.

15 DOCTOR GORELICK: I think the answer is we
16 don't know and the reason why I'm saying that is
17 because you see that there was a plethora of
18 subarachnoids and AVM in the appetite suppressant and
19 it was not intracerebral hemorrhage. The reason why
20 I say we don't know is because you see there's cross-
21 over of risk factors between the two groups. So I
22 don't think we know the answer for sure about what
23 this particular agent, if it does anything at all to
24 heighten risk, is doing in terms of these different
25 pathophysiologic sub-types. I don't think we know

1 that yet. So I think it's probably still debatable.

2 DOCTOR GILMAN: But if we don't know it,
3 then is there a reason not to group them together?

4 DOCTOR GORELICK: Well, the downside would
5 be if it affected one type and not the other because
6 of confounding chance or bias and then you ended up
7 with the wrong results in terms of making a
8 recommendation.

9 DOCTOR GILMAN: It seems like that would
10 bias you against finding an association.

11 DOCTOR GORELICK: Exactly.

12 DOCTOR GILMAN: Can I just go on for a
13 moment? So for example, if we were looking at the
14 risk of an anti-coagulant agent, for example, if we
15 were looking at Cumadin, a drug that people take to,
16 quote, "thin the blood" so that people who have stroke
17 or heart disease because of poor flow through the
18 brain and through the heart, the blood is less
19 inclined to clot. If we're looking at people on
20 Cumadin and we wanted to see how many of these people
21 had hemorrhagic stroke, we would include subarachnoid
22 hemorrhage and cerebral hemorrhage and arteriovenous
23 malformations. So the grouping would be fine. We
24 apparently do not know the biological basis of
25 whatever PPA does, but still I think there's a clear

1 rationale for grouping these cases together myself.

2 DOCTOR HENNEKENS: If I may make a
3 comment. I would agree completely with Doctor Gilliam
4 based on the current totality of evidence, and I think
5 one of the real contributions of this study will be to
6 look at the similarities and differences in the risk
7 factor data they have collected for intracerebral and
8 subarachnoid hemorrhage because I think we want to
9 focus back on where we are today. We're starting off
10 with a study that has lumped the two, looking at the
11 small numbers and trying to make heads or tails out of
12 them.

13 But I think a real important contribution
14 would be to look at the qualitative and quantitative
15 differences in a study of this size. It's an
16 important study with regard to that point, and I think
17 that, in the absence of those data, I personally think
18 it's certainly reasonable to have both in there.

19 CHAIRMAN BRASS: I think we need to go on.
20 Doctor Kittner.

21 DOCTOR KITTNER: Since the topic of the
22 end point has come up, I'd just like to make a
23 comment. One of the points we'll get to later on in
24 the meeting is that there were a number of a prior
25 reasons why, based on the case report literature, why

1 the study was commissioned. I'm just going to mention
2 one of them, and that is that the case report
3 literature was very heavily weighted towards
4 hemorrhagic stroke, and that kind of a priori
5 evidence, this is in the face of the fact that
6 ischemic stroke is more common than hemorrhagic
7 stroke. So there was a specificity of response which
8 led to the original study.

9 I think that as we're reviewing -- I hope
10 we'll come back to this -- as we're reviewing the
11 data, we can not view this study in isolation
12 independent of the preliminary evidence upon which the
13 study was based. The preliminary evidence suggested
14 diet pill use in women. I'll stop there.

15 CHAIRMAN BRASS: Yes, I'd ask you to
16 because that's going to be intensely discussed this
17 afternoon.

18 Doctor Daling.

19 DOCTOR DALING: This is for Doctor
20 Gorelick. In your table where you review the seven
21 cases, six cases and one control, would you comment on
22 the fact that only one of the six cases was what we
23 consider over-weight or even in the upper 25
24 percentile of body weight and two were actually quite
25 thin that would have fallen in the first 15

1 percentile. So why were they taking these drugs if
2 they were very thin?

3 DOCTOR GORELICK: Okay. I've reviewed the
4 case report forms and I didn't get a -- that type of
5 information was not available to me.

6 CHAIRMAN BRASS: Isn't it BMI?

7 DOCTOR GORELICK: No, no. The reason why
8 somebody who has a low BMI or relatively BMI, you've
9 got two cases here, 19 and 19, why they would be on
10 the agent, so I don't know. This study is a snapshot
11 in time, if you will, and we don't know.

12 DOCTOR DALING: But doesn't that affect
13 your interpretation of the results?

14 DOCTOR GORELICK: Oh, yes. I mean it
15 certainly could.

16 DOCTOR DALING: Whereas the control BMI
17 was 38 so that was clearly someone who was quite
18 obese. That makes you wonder why they were taking
19 these drugs.

20 DOCTOR GORELICK: The tendency, I think,
21 in the literature -- and this has not been
22 substantially proven -- is that people who are on the
23 lean side might be at higher risk for hemorrhage.

24 CHAIRMAN BRASS: Doctor Elashoff.

25 DOCTOR ELASHOFF: Yes. In terms of slide

1 17 which showed how much caffeine use there was, as I
2 recall from reading the stuff prior to initiation of
3 this study, there was a decision to take caffeine out
4 of the appetite suppressants because of its potential
5 to do harm, but it looks like it may not have done any
6 good to take it out if people are drinking that much
7 caffeine during the day.

8 DOCTOR SOLLER: Caffeine was taken out of
9 the products in 1983, in and around that time. There
10 was an abuse issue that was related to things called
11 "black beauties," street-like drugs, and that was all
12 embroiled in that particular issue. It was taken out
13 and now is marketed solely as PPA and I would ask you,
14 Doctor Blackburn or Doctor Hoffman, whether they have
15 any additional comments that they might want to make
16 in regards to caffeine and this issue.

17 DOCTOR HOFFMAN: Brian Hoffman. It's hard
18 for me to say very much. I think caffeine to someone
19 who's never been exposed to caffeine or hasn't been
20 exposed to it recently can have effects on blood
21 pressure, probably in part by stimulating release of
22 catecholamines from the adrenal medulla and possibly
23 the sympathetic nervous system. John Oates and his
24 colleagues at Vanderbilt a number of years ago did
25 some elegant studies on people who take caffeine

1 daily, and my recollection of their work is that after
2 seven to 14 days these effects of caffeine disappear,
3 that we become tolerant to those effects of caffeine.

4 So if these people suddenly went from no
5 coffee to 10 cups of coffee on the day of their event,
6 that might have been significant, but if this was a
7 long-term pattern, I'm not sure of any pharmacological
8 data to indicate that would be of pharmacological
9 significance.

10 CHAIRMAN BRASS: I think because of the
11 time we're going to move on to the FDA presentation
12 with a reminder that there'll be ample opportunity for
13 further discussion this afternoon.

14 DOCTOR LA GRENADE: Good morning. I am
15 Lois La Grenade from the Office of Postmarketing Drug
16 Risk Assessment and I represent the team of
17 epidemiologists and biostatisticians who reviewed not
18 only the Yale Study concerning phenylpropanolamine and
19 the risk of hemorrhagic stroke but the entire issue of
20 the safety of this drug and the risk of hemorrhagic
21 stroke.

22 First of all, I'll take you through the
23 format that my presentation will take this morning.
24 I'll give you a historical background of the safety
25 events that led up to this Advisory Committee today.

1 I'll go through two case reviews of reports received
2 by our spontaneous reporting system. I will not spend
3 a lot of time reviewing the Yale hemorrhagic stroke
4 study. Doctor Kernan has already done an excellent
5 job of this. I will, however, highlight certain
6 important aspects of the study. I will address some
7 of CHPA's concerns. I will summarize the results of
8 the Yale Study and attempt to assess the public health
9 impact of these results. And finally, we'll give our
10 overall conclusions.

11 Prior to 1984, the agency received several
12 case reports of PPA associated with hemorrhagic
13 stroke. In 1984, as a result of these reports, Doctor
14 Bob O'Neill, who was with the agency then and is still
15 with us today and I'm happy to say is present at this
16 meeting and sitting at the table, O'Neill and Van de
17 Carr did a case control study because of these reports
18 to try and examine this issue. They used Medicaid
19 data from Michigan and Minnesota.

20 In 1991, our office reviewed the
21 postmarketing experience of the spontaneous reports
22 received on hemorrhagic stroke associated with PPA
23 use. Between 1991 and now, we continue to receive
24 reports of hemorrhagic stroke associated with PPA use.
25 I'll spend a little more time discussing O'Neill and

1 Van de Carr's 1984 study.

2 That study showed an association between
3 PPA use and hemorrhagic stroke compared with other
4 adrenergic decongestants. This study, however, had
5 important limitations which I must point out are
6 inherent in all studies which are retrospective and
7 involve automated claims databases including some of
8 the studies referred to earlier by CHPA. For example,
9 the Jick Study.

10 The limitations were that in a
11 retrospective study it is very difficult to validate
12 the outcomes, to validate the diagnoses, to validate
13 the exposures. They were limited to using
14 prescription only PPA use since OTC use was not
15 captured in the databases that they used. Because of
16 the problems of ascertaining the exposure, they had to
17 use a 60 day exposure window. These problems lead to
18 important and substantial misclassification which
19 tends to bias the results towards the finding of no
20 association. It is, therefore, all the more important
21 that they did find an association between PPA use and
22 hemorrhagic stroke, although this association was not
23 found to be statistically significant.

24 To show you the strength of the signal
25 that we received in our spontaneous reports. The 1991

1 review showed that of all the adverse events reported
2 for PPA use, 14 percent were concerning hemorrhagic
3 stroke with the use of PPA compared to less than one
4 percent of hemorrhagic strokes found as an adverse
5 drug event for all other drugs in our database.

6 The 1991 series went back as far as 1969
7 which is the date on which our database begins and it
8 reviewed all adverse events reported with PPA use up
9 until the end of January 1991. We found that there
10 were 29 domestic cases of stroke associated with PPA
11 use, 22 of which were hemorrhagic stroke. And I must
12 point out, since there has been considerable
13 discussion on whether we should have used
14 intracerebral or subarachnoid hemorrhage that, in
15 fact, the cases represented both intracerebral
16 hemorrhage and subarachnoid hemorrhage. Seventy three
17 percent of the cases at that time were associated with
18 appetite suppressant use and 27 percent with cough and
19 cold preparation use. They were predominantly of
20 young age with a median of 27 for appetite
21 suppressants and 35 for cough and cold and
22 predominantly females. Fifty five percent of the
23 hemorrhagic strokes occurred with first use of PPA.

24 This led to the generation of the
25 hypothesis that PPA-containing products, both appetite

1 suppressants and cough and cold preparations,
2 particularly first use, are associated with an
3 increased risk of hemorrhagic stroke in young women.

4 As part of our preparation for this
5 Advisory Committee today, we updated the review of
6 cases in our adverse event reporting system. We
7 started on February 1, 1991, which was the date on
8 which the last review ended, and we went up to mid-
9 July of this year. We again found 22 cases of
10 hemorrhagic stroke. There were four well-documented
11 deaths, all of which were in females. Eighty six
12 percent this time were with cough and cold
13 preparations and 14 percent with appetite
14 suppressants. Females still predominated and the
15 median age remained 35.

16 The median time to onset after the last
17 dose was four hours. The median duration of use was
18 24 hours. Eighty two percent of the strokes occurred
19 within three days of PPA use. All cases occurred with
20 preparations containing 75 milligrams of the sustained
21 release of phenylpropanolamine. We note that in this
22 series there is a shift in the demographics with far
23 more cough and cold users than the previous review,
24 the 1991 review, but the median age remains the same.

25 Just to show you a sort of typical case

1 report. We would have a young person, otherwise
2 healthy, who develops a cough or cold. In some cases,
3 a runny nose is what was listed on the form. That
4 person takes a PPA-containing product and within a few
5 days, with absolutely no warning, develops a
6 catastrophic event, a hemorrhagic stroke, is
7 hospitalized and either dies or is permanently
8 disabled.

9 Twenty two cases in the first 20 years, 22
10 cases in the second nine year period, a total of 44,
11 might look like an unsubstantial number but I must
12 hasten to point out that there is substantial under-
13 reporting, even for prescription drugs in spontaneous
14 reporting databases such as ours. Perhaps as low as
15 one percent. Further, there is no legal requirement
16 for manufacturers to report non-monograph drug adverse
17 events and many PPA-containing products are in fact
18 non-monograph drugs.

19 In addition, there is less attribution of
20 these cases because there is no physician, no learned
21 intermediary, who is aware of the PPA exposure and, in
22 general, under-reporting for over-the-counter products
23 is far less than for prescription products. All these
24 features contribute to the under-reporting and it must
25 be borne in mind that the figure of 44 dis literally

1 the very tip of the iceberg.

2 Now we come to the Yale Hemorrhagic Stroke
3 Project which was a case-control study designed to
4 study phenylpropanolamine use and the risk of
5 hemorrhagic stroke. It was sponsored by CHPA and
6 designed and conducted by the HSP Yale group. Our
7 record show, as Doctor Sherman outlined to you this
8 morning, that the protocol was extensively reviewed on
9 many occasions by Yale, CHPA and the agency. It was
10 designed to test the specific hypotheses generated by
11 our data, and this is very important for us to
12 remember as we consider this. It was not data
13 dredging. It was a purpose-designed study.

14 The objectives of the study, as you have
15 heard before, were that among men and women age 18 to
16 49 to estimate the association between PPA use and
17 hemorrhagic stroke generally and by type of PPA use,
18 whether cough/cold or appetite suppressant.

19 The third hypothesis was among women age
20 18 to 49 years to estimate, A) the association between
21 first use of PPA and hemorrhagic stroke and, B) PPA
22 use and appetite suppressants and hemorrhagic stroke.
23 I must again point out from the agency's point of
24 view, this hypothesis #3, parts A and B, was the
25 single most important from our viewpoint as it was

1 generated by our data.

2 The study design was a case control method
3 which, as Doctor Kernan pointed out, is best suited to
4 rare events such as hemorrhagic stroke in young
5 people. It's best suited because it is most efficient
6 in terms of the number of cases required. It can
7 capture all the cases in a specified time period and
8 in a specified population. It's very efficient in
9 terms of timeliness of the results. The results are
10 available much more quickly than with a cohort study
11 and it is far less expensive generally.

12 The strengths of this design were that it
13 was targeted to test specific hypotheses. It was a
14 prospective study. That is to say cases were enrolled
15 into the study as they occurred making it much easier
16 to validate the diagnosis and to ascertain the
17 exposure. Controls were identified and enrolled into
18 the study as the cases occurred. All of this was
19 prospective. In general, the study was carefully
20 designed to minimize bias. It was conducted with
21 great attention to detail and it was carefully
22 analyzed. The internal consistency shown across the
23 various strata that were analyzed attest to the
24 carefulness of the analysis, and we must out that it
25 is to date the largest hemorrhagic stroke study ever

1 to be completed.

2 The limitations were in the relatively
3 small sample size and power. As you have heard this
4 morning, it was powered to detect an odds ratio of
5 five or greater. I must hasten to point out that this
6 was not for scientific nor public health reasons but
7 for practical considerations. As it was, the study
8 took longer than six years to complete. From the
9 design stage to the actual handing in of the report
10 was in fact almost eight years. Had it been powered
11 to detect a lower odds ratio, say an odds ratio of
12 two, it would have required a far larger sample size
13 and might have taken 10 or 15 years to complete. We
14 do not think that this was reasonable to wait so long
15 for an answer.

16 Now to address some of CHPA's concerns.
17 They were concerned about the relatively small sample
18 size, that it would give low statistical power to the
19 study, that it made the results subject to exposure
20 misclassification, that the low sample size could
21 introduce important biases and the results might not,
22 therefore, be robust.

23 We counter that by saying that this was
24 the largest study ever of hemorrhagic stroke. Low
25 power normally reduces the probability of detecting a

1 difference if one really exists. In spite of the low
2 power, this study was able to demonstrate a major
3 difference. Bias is usually a product of poor study
4 design and conduct. The Yale Study was well-designed
5 with internal safeguards to protect quality assurance,
6 and the internal consistency in the subset analyses
7 underscores the robustness of the data.

8 CHPA was concerned about potential
9 confounders: aphasia, smoking, hypertension, race,
10 education. Each of these was adjusted for in the
11 analysis. There are two ways of controlling for
12 analysis, by matching or by adjustment during the
13 analysis process. Generally speaking in epidemiologic
14 studies, you match on three or four major confounding
15 factors and you deal with the others in the analysis
16 stage. It's not necessary to match for every single
17 confounding factor. It would make a study
18 impractical, impossible to complete. It's far too
19 large and it's far too complex.

20 This slide will demonstrate two things.
21 It shows the internal consistency of the data and the
22 fact that aphasia and hypertension were not in fact
23 significant confounding factors. In the first column,
24 you see the odds ratios as they were presented for
25 appetite suppressants and first use of cough/cold. In

1 the second column, you see the analysis performed on
2 the subset of the subjects without hypertension. You
3 see, in fact, that the odds ratios remain practically
4 the same.

5 In the case of cough and cold, it
6 increases a little bit. In the third column, you see
7 the analysis conducted on subsets without aphasia, and
8 I must point out that the majority of subjects did not
9 have hypertension and were not aphasic. In the column
10 of subjects without aphasia, the odds ratios again
11 remain the same and, in fact, increases with cough and
12 cold suggesting that subjects with aphasia were, in
13 fact, under-reporting their PPA use rather than the
14 converse.

15 They were concerned about
16 misclassification, that it could skew the results and
17 that the areas that they had most concern with were
18 participant recall and product identification. We
19 respond, as Doctor Kernan pointed out, that the
20 subjects were blinded to the exposure of interest so
21 they had no way of knowing what the investigators were
22 after. The interviewers used a highly structured
23 questionnaire and an exposure verification process
24 which included the product identification booklet.
25 Record bias was minimized by the short interval

1 between the event and the interview for both cases and
2 controls, and this was conducted within 30 days.

3 There is no data to suggest that there was
4 differential misclassification that would generate a
5 spurious association and, in fact, misclassification
6 typically biases the odds ratio towards the finding of
7 no association.

8 On the issue of surrogate responders, CHPA
9 has been concerned that exclusion of fatal and
10 severely aphasic cases was inappropriate, that
11 excluded cases could be different in their exposure to
12 PPA and other risk factors, and that analysis based on
13 survivals only may introduce survival bias.

14 We respond that this was modeled in the
15 design stage of the study. Even modest use of
16 surrogate responders would have introduced
17 overwhelming misclassification error, and this was
18 verified in the design stage by the modeling. And
19 CHPA at the time agreed with this finding. The
20 misclassification error introduced by surrogate
21 responders would have been so large as to render the
22 study impossible of detecting an association and,
23 therefore, it would have made no point in doing the
24 study at all.

25 As we pointed out when we showed the

1 earlier slide, aphasic subjects may in fact be under-
2 reporting their PPA exposure. There is no data to
3 suggest that PPA exposure is related to the severity
4 of the stroke or to survival after a stroke, and
5 perhaps the most important point of all is that
6 several epidemiologic studies show that use of
7 surrogate interviews is a major source of bias in
8 epidemiology studies.

9 In addition, we conducted our own analyses
10 on the raw data submitted by Yale University, and we
11 confirmed the major findings. We were able to explore
12 the dose response relationship and found that, in
13 fact, there was dose ordering. That is to say that
14 the risk of hemorrhagic stroke increased with higher
15 doses of PPA. We were able to conduct sensitivity
16 analyses to examine the sparse data bias due to small
17 sample size, and we found that this was really not
18 operative in the study. We have a slide available of
19 this if anybody wants to see it afterwards. We will
20 have our statistician speak to the issue, if
21 necessary.

22 Now we come to the results. The Yale
23 Study supported an increased risk of hemorrhagic
24 stroke associated with PPA use. The findings were
25 statistically significant among appetite suppressants

1 users and first-day users of PPA as a cough/cold
2 remedy, and you will remember that this is what we
3 were interested in from the agency point of view.

4 Now another job of epidemiologists is not
5 just to assess the strength of the association and the
6 relative risk but to assess the public health impact
7 of such a risk, and that's called attributable risk,
8 and that is defined as how much of a disease can be
9 attributed to a certain exposure and, in turn, how
10 much of the risk -- and risk is defined by the number
11 of new cases per year, the incidence of disease -- how
12 much of the risk can we hope to prevent if we were
13 able to eliminate the exposure to the particular
14 agent.

15 Now, before we do that, we thought we'd
16 show you the extent of usage of PPA products in the
17 United States. Take the year 1999, for example. Six
18 billion dose units were sold. Seventy five percent of
19 it was sold in OTC products. In a population of
20 approximately 300 million, as the United States is,
21 six billion doses sold annually translates into 20
22 dose units for every man, woman, and child in the
23 population. That's extensive use by any standards.
24 We know that this is doses sold, but there must be a
25 correlation between doses sold and doses consumed.

1 Otherwise, they wouldn't keep selling it.

2 This slide shows the distribution of dose
3 units sold annually by indication, and we see here
4 that 98 percent, the lion's share of PPA use sold, is
5 for cough and cold. It's in the preparation for cough
6 and cold remedies, and only two percent for diet
7 preparations. This is important, these figures, when
8 we come to assess the public health impact. In order
9 to assess the public health impact, we extrapolated
10 from the study population to the general U.S.
11 population.

12 In order to do that, we had to assume that
13 the population was similar to the United States
14 population generally, and we tested these assumptions
15 by looking at the demographic data of the study
16 population, comparing it to the general population of
17 the United States, and we used Census Bureau data to
18 help us do that. The minor differences were that
19 whites were slightly over-represented in the study
20 population and blacks and Hispanics slightly under-
21 represented. Nevertheless, we thought that the
22 differences were sufficiently small that we could use
23 the population to generalize to the U.S. population.

24 The total number of hemorrhagic strokes in
25 the study that occurred in the study period was 1,714.

1 Various people have pointed out this morning that only
2 41 percent were actually used as cases. Of the cases,
3 eight cases had first use of PPA as a cough and cold
4 remedy and six cases had PPA use as an appetite
5 suppressant. We went again to the U.S. Census Bureau
6 data to find the exact figure for the population in
7 the 18 to 49 age group and, as of August this year,
8 the estimate was 130 million people in this age group.
9 We went to the published literature to find the
10 background incidence of hemorrhagic stroke, and we got
11 an estimate of eight per 100,000. We took our
12 estimate from population-based incidence stroke
13 studies. Had we used a higher incidence that was
14 quoted this morning of 20 per 100,000, our estimate
15 would have been even larger, but we used the more
16 conservative estimate.

17 Combining our incidence estimate and the
18 population estimate, we get 10,400 hemorrhagic strokes
19 per year in the 18 to 49 age group in the U.S. If
20 we'd used the larger figure, it would have been at
21 least twice that number. And this shows our
22 calculations. I must point out that always
23 attributable risk calculations are imprecise. They
24 give you a rough estimate, a ball park figure, and, by
25 our calculations, we found that between 120 and 290

1 strokes could be attributable to PPA use for cough and
2 cold as a first use and 90 to 220 for appetite
3 suppressants. The figures vary depending on whether
4 you correct for the number of cases that actually did
5 occur, the number of cases of hemorrhagic stroke, or
6 whether you just use the number of the cases that were
7 used as cases in the study. This gives you a total
8 number of cases possibly attributable to PPA use of
9 200 to 500 in the 18 to 49 age group.

10 We have data that shows that PPA use
11 continues in the over 50 age population. We have
12 every reason to believe that biological effects
13 continue in the over 50 population. The incidence of
14 strokes is increased in the over 50 population, and we
15 believe that there must be some strokes also in the
16 over 50 population. So if we look at the entire
17 attributable risk for the entire population of the
18 United States, it is going to be much greater than the
19 200 to 500 that we have estimated here, and this is
20 annually.

21 Another function of epidemiologists when
22 an association has been detected is to try to make a
23 causality assessment. The criteria for causal
24 associations include the following. Temporal
25 relationship and, in all our cases reported to the

1 agency, PPA use has preceded the event. It has come
2 before hemorrhagic stroke. So we have that. That's
3 temporal relationship. Strength of the association is
4 measured by the magnitude of the relative risk or, in
5 this case, the odds ratio. And clearly, 16 for an
6 odds ratio for appetite suppressant is a large
7 magnitude.

8 3.1 for cough and cold is a lower
9 magnitude but we think that this may result from the
10 wide variety of doses that was experienced in the
11 study. The doses of PPA exposure range from 6.5 to in
12 excess of 150 milligrams, and we do believe that the
13 risk of hemorrhagic stroke is related to the dose so
14 that this odds ratio would represent people taking the
15 low dose diluting the effect of people taking the
16 higher dose.

17 In the Yale Study, dose response is
18 another measure of causal association, another
19 criterion. The Yale Study showed an increased risk of
20 hemorrhagic stroke with doses of PPA above 75
21 milligrams per day. We conducted our own exploratory
22 analyses which did show dose ordering. That is to say
23 that there was an increased risk with doses of PPA
24 greater than 75 milligrams per day. In our current
25 case review, the 2000 case review, all 22 reports were

1 with 75 milligram preparations of PPA.

2 Now we come to biological plausibility.
3 PPA is a sympathomimetic amine and common to all
4 sympathomimetic amines is that they have a
5 demonstrated pressor effect. That is to say they
6 raise the blood pressure. They cause hypertension.
7 There is clear cut tachyphylaxis. That is to say that
8 the pressor effect is reduced with continued doses of
9 the drug. The pressor effect is also greater for the
10 sustained release preparations.

11 The studies alluded to earlier on this
12 morning were studies that were done in small sample
13 sizes, 12 and 25 patients, and the mean elevation in
14 blood pressure was found to be four millimeters of
15 mercury. In fact, this cartoon represents the
16 distribution of blood pressure spikes in response to
17 PPA challenge in a large population. The spike
18 represents the mean, but there are many, many people
19 who would have a much larger increase in their blood
20 pressure in response to PPA challenge. That would not
21 be reflected just in the mean. There are many, many
22 outliers, and we suspect, we postulate, that perhaps
23 people who develop hemorrhagic strokes with PPA are
24 those who have a much higher increase in their blood
25 pressure in response to PPA challenge.1

1 What we also don't know is whether people
2 remain static in their response to PPA challenge,
3 whether at one time they will have a larger increase
4 and at another time a smaller increase. We do not
5 have these data available to us. We can only go by
6 what we know.

7 Consistency with other knowledge. Again,
8 we believe this criterion is satisfied. We have had
9 numerous case reports in the literature. Just to
10 mention two. Kase in 1987. He reported 10 cases, two
11 of which were his own.

12 The Lake Study has already been referred
13 to this morning. Lake reported the largest series of
14 adverse events associated with PPA use, and he
15 reviewed all the cases that had been reported in the
16 literature up to that time. In his series, he found
17 24 cases of intracranial hemorrhage, 15 of
18 hypertensive encephalopathy or seizures, all with
19 onset within 24 hours and most at the 75 milligram per
20 day dose. Then we have O'Neill and Van de Carr's
21 study which, with all its flaws, did show an
22 association, and we have our own in-house case
23 reviews.

24 The only criterion for causality that has
25 not been met is replication of the study, and we have

1 pointed out before that it would take another 10 or 15
2 years to replicate the study. The question that we
3 must ask ourselves is is it in the public health's
4 interest to wait another 10 or 15 years so that this
5 could be replicated or do we have so many other
6 criteria fulfilled for causal association?

7 In summary then, we have a hypothesis of
8 an increased risk of hemorrhagic stroke with early PPA
9 use generated from our case reports. We have a well-
10 designed prospective case control study that strongly
11 supports our hypothesis, and the criteria for
12 causality have largely been fulfilled. We estimate
13 that, at a minimum, 200 to 500 strokes per year in
14 young people are potentially preventable.

15 We conclude that the use of PPA as
16 treatment for cough and cold symptoms and as an
17 appetite suppressant confers an increased risk of
18 hemorrhagic stroke in young people, that there is a
19 substantial burden to this risk. In excess of 200 to
20 500 hemorrhagic strokes per year are attributable to
21 PPA use, and there is evidence to suggest that the
22 risk of hemorrhagic stroke may be higher with PPA
23 doses at or above 75 milligrams per day.

24 Finally, I'd like to thank the members of
25 the team who all contributed substantially to my

1 presentation this morning. Thank you.

2 CHAIRMAN BRASS: Thank you.

3 Doctor Ganley, did you want to make
4 remarks now or did you want to -- Okay.

5 Yes, Doctor Daling.

6 DOCTOR DALING: I'd like to ask in your
7 attributable risks calculations, why did you use only
8 first day or first use for your cough and cold
9 remedies whereas you used the three days for the
10 appetite suppressant, and how did you get the data on
11 first use?

12 DOCTOR LA GRENADE: This was provided in
13 the study. We used, in fact, the odds ratios that
14 were statistically significant.

15 DOCTOR DALING: Well, then it would be
16 your odds ratio for first day use or three day use of
17 1.23 which is actually --

18 DOCTOR LA GRENADE: That was proposed use
19 as a cough/cold remedy.

20 DOCTOR DALING: I guess I'm wondering why
21 you use the -- why did you just use the significant
22 ones because certainly, if you were looking at any
23 three days use and it was not significant so it was
24 actually consistent with a protective effect.

25 DOCTOR LA GRENADE: We used the data that

1 we were testing for in our hypothesis generated by the
2 agency and which were also the ones that were found to
3 be statistically significant in the study.

4 DOCTOR DALING: So the attributable risk
5 for any three day use could be actually a protective
6 effect.

7 DOCTOR LA GRENADE: No.

8 DOCTOR DALING: Well, the confidence
9 interval goes below one.

10 DOCTOR LA GRENADE: The data do not
11 support, as Doctor Kernan pointed out. We can't use
12 that sort of thing. We have to use what was
13 statistically significant and what were the hypotheses
14 that were generated by our data.

15 CHAIRMAN BRASS: Doctor Cantilena.

16 DOCTOR CANTILENA: Yes. To follow up on
17 the information in your slide 41 with response to the
18 effect on blood pressure. Are you aware of any
19 information with regard to gender differences in terms
20 of the response from the drug?

21 DOCTOR LA GRENADE: I am not aware of
22 gender response in response to this particular drug.
23 I don't know whether anybody on my team has
24 information to that effect. There is one possible
25 contributory explanation in that women are generally

1 smaller than men and we have found in our agency
2 spontaneous reports that more of the adverse events
3 occur in women and it may be that the doses that are
4 prescribed, that are recommended, are the same for men
5 and women and women are a little smaller in body size.
6 That's just one possible explanation.

7 CHAIRMAN BRASS: Doctor Lam.

8 DOCTOR LAM: In one of your public health
9 impact slides on slide #34, the background incidence
10 of hemorrhagic stroke was over 100,000. Was that due
11 to drug alone or was there any other risk factor
12 associated with it?

13 DOCTOR LA GRENADE: That is all risk
14 factors.

15 DOCTOR LAM: So to estimate the 10,000
16 hemorrhagic stroke would be also either drug or PPA
17 risk factor.

18 DOCTOR LA GRENADE: All causes of
19 hemorrhagic stroke. Yes.

20 CHAIRMAN BRASS: Doctor Blewitt.

21 DOCTOR BLEWITT: Yes. In slide 17 and 20,
22 you had indicated that it wasn't reasonable to carry
23 the study out any longer, and I frankly wonder, since
24 we're here today, there seems to be a lot of
25 controversy about the results of the study, whether in

1 fact it wouldn't have been reasonable to carry this
2 study over a long enough time so that you could get
3 conclusive results.

4 DOCTOR LA GRENADE: It perhaps ought to
5 have been designed to test a smaller odds ratio, but
6 we have to live with the decisions that were made back
7 in 1991-92.

8 DOCTOR BLEWITT: In slide 19, reduces
9 probability of showing a difference -- major
10 difference observed despite low power and, in spite of
11 that low power, couldn't those differences be due to
12 chance?

13 DOCTOR LA GRENADE: Not for the two
14 statistically significant odds ratios. I mean the p-
15 value was, in fact, the conventional .05. That's one
16 thing. And while we're on the subject of p-values, I
17 must point out that a p-value of .05 means that the
18 results could have been obtained by chance alone five
19 percent of the time, and that's the conventional
20 statistical cut-off point when we're looking at
21 efficacy. For safety, we don't need to be as certain.
22 We could accept that we could be wrong 10 percent of
23 the time and right 90 percent of the time when we're
24 looking at safety issues or even lower. We could
25 accept, for example, being wrong 20 percent of the

1 time on a safety issue.

2 DOCTOR BLEWITT: I've seen that. In the
3 slide 11 on under-reporting of cases, I guess
4 intuitively that goes against my view of the natural
5 history of a serious side effect. You mention that
6 there's substantial under-reporting for Rx drugs,
7 possibly as low as one percent. Seems to me that a
8 condition as serious, you know, if someone is
9 concerned that there's a possible relationship with
10 PPA and stroke and that there's a literature on this,
11 usually the natural history is that this actually
12 provokes a lot of activity, that people then begin to
13 report these kinds of occurrences at greater
14 frequency.

15 In other words, if you get a stomach upset
16 from aspirin, you're not going to see much of that.
17 But if there's a serious side effect such as a stroke
18 involved, it would seem to me that reporting would be
19 a much higher percentage. I just wondered about your
20 comments on that.

21 DOCTOR LA GRENADE: Doctor Graham will
22 answer those comments.

23 DOCTOR GRAHAM: I'm David Graham. I'm
24 part of the study team.

25 With under-reporting, there are several

1 things to take into account. One, as surprising as it
2 seems, serious and catastrophic events commonly are
3 not reported. Even with resulin and liver failure, we
4 probably only got 10 or 15 percent of the cases that
5 occurred. And there everybody knew about the
6 exposure. With PPA taken in an over-the-counter
7 setting, it's like the only person who might know
8 about the exposure is the patient themselves. No one
9 else is out there necessarily thinking about it.

10 In response to the question does publicity
11 about events stimulate reporting to come in, it's been
12 show that you can get stimulation of reports very
13 close to in time to a very major publicity event but
14 that that stimulation wears off within a month and,
15 with PPA, I haven't seen anything in the newspapers
16 over the last seven or eight years that have been
17 beating the drug that PPA causes stroke, so I don't
18 think that one can point to a publicity effect as
19 being responsible for reporting.

20 CHAIRMAN BRASS: Doctor Johnson.

21 DOCTOR JOHNSON: I have a question. It's
22 really just a clarification. Back on slide six.
23 Doctor Lam was just asking about this. So the 14
24 percent versus the .8 percent, can you explain that
25 again? That means that 14 percent of all strokes that

1 were reported?

2 DOCTOR LA GRENADE: No, of all adverse
3 events that were reported for PPA, 14 percent of them
4 were strokes.

5 DOCTOR JOHNSON: Okay. Thanks.

6 CHAIRMAN BRASS: Doctor Elashoff.

7 DOCTOR ELASHOFF: Apropos of the under-
8 reporting issue, of the cases that took PPA in the
9 Yale Study, were any of them reported as adverse
10 events to the FDA?

11 DOCTOR LA GRENADE: We don't know the
12 answer to that question. We don't have the data on
13 the cases that were reported. We don't have the
14 identifying information.

15 DOCTOR GRAHAM: We do know that we don't
16 have any cases reported from the state of Connecticut
17 where most of the cases in the study occurred.

18 CHAIRMAN BRASS: Doctor Kittner.

19 DOCTOR KITTNER: It's with some chagrin
20 that, as a neurologist who specializes in young
21 strokes and have a very wide referral practice for
22 stroke in young adults over the past 10 years, I've
23 never personally reported any PPA exposure to the FDA.
24 That is my responsibility.

25 CHAIRMAN BRASS: Thank you for that

1 confession.

2 Lois, could you say something a little
3 more expanding on slide 21. Part of the critique of
4 the cases in controls and the imbalance in the risk
5 factors is described in your slide 20 and you
6 discussed in particular the lack of difference with
7 regard to hypertension or aphasia in terms of what the
8 observed risk factors were. That goes a long way
9 towards saying that there is an imbalance, it's not
10 responsible for what we're likely to be seeing. What
11 occurs for the other potential confounders that people
12 are concerned about and where might there be some
13 residual concern still left?

14 DOCTOR LA GRENADE: Perhaps one member of
15 the team might want to answer that question. Doctor
16 Yi Tsong.

17 DOCTOR YI TSONG: I didn't do the analysis
18 besides a few of the most important risk factors, and
19 I think probably Yale has that in their report. I
20 wonder if any person from Yale can address this issue.

21 CHAIRMAN BRASS: I think they presented
22 the hypertension one earlier today where the
23 stratification again showed that the odds ratio was
24 sustained in the stratification analysis for
25 hypertension and for smoking, as well.

1 I just want to observe with respect to the
2 spontaneous reports that there continues to be
3 approximately two per year which, if you took the one
4 percent reporting rate, would match pretty well the
5 200 cases that was projected from the HSP analysis.

6 Any other comments or questions?

7 DOCTOR BLEWITT: Just a comment. I just
8 wonder, Mr. Chairman, whether it's appropriate at all
9 at some point to find out whether CHPA has any
10 question or their consultants as to whether their
11 concerns have been addressed adequately here and
12 whether they would have an opportunity to ask
13 questions themselves or at least comment on the
14 analysis.

15 CHAIRMAN BRASS: Yes. I don't think it
16 would be appropriate for CHPA to question. I'm not
17 Jim Lehrer and so I don't want to moderate that
18 debate. So I think in the course of the afternoon
19 discussion, I think there'll be an opportunity for
20 CHPA to comment on various points that might arise.

21 Doctor Ganley.

22 One question while Doctor Ganley gets set
23 up.

24 DOCTOR NEILL: This is for FDA staff. I
25 thought I heard a comment that PPA is also used in

1 non-monograph OTC medications, and that's been my
2 experience when I walk down the street, and I'm
3 curious about the extent to which PPA exists in those
4 medicines, what kinds of places I might find those in,
5 and whether or not any of those kinds of uses are
6 represented in the data in NHSP or in FDA adverse
7 event reporting system. I'm talking about medicines
8 that are not specifically marketed for cough/cold or
9 for appetite suppressant but that sit on the shelf
10 and, because there's no specific claim made except in
11 very vague terms, aren't covered by monograph.

12 DOCTOR KATZ: Well actually, on the shelf
13 there are both monograph and non-monograph products
14 that do contain PPA. There are cough/cold products
15 that are not monograph products that are there. So I
16 don't know if that addresses your question because not
17 all of the cough/cold products that are out on the
18 shelf now are monograph. Some are NDA.

19 There are also PPA in some Rx products, so
20 that the database that we get into the FDA of reports
21 would include NDA products as well as monograph
22 products, if any are reported under the monograph. So
23 the monograph though is totally voluntary reporting.
24 The NDA is required reporting if there are serious
25 adverse events.

1 DOCTOR NEILL: I guess what I'm imagining
2 is a health food store where products that contain PPA
3 might be on the same shelf with products used to boost
4 energy, stimulate awareness, keep college students
5 awake at night. I don't have a good sense for the
6 extent to which those products exist or not compared
7 to other similar uses for caffeine-containing,
8 pseudoephedrine-containing other similar class type
9 medicines are there.

10 DOCTOR DELAP: I think there are clearly
11 other products out there available to consumers that
12 include PPA in them. I'm thinking of some of the
13 supplements that contain ephedra alkaloid type
14 constituents of which PPA can be grouped as one.
15 Obviously, that's a whole different situation as far
16 as how much we know about those products and how the
17 adverse experiences come in to us.

18 CHAIRMAN BRASS: Do you want to just
19 comment on that, Doctor Soller.

20 DOCTOR SOLLER: Bill Soller, CHPA. I'd
21 just like to comment. The products that you may be
22 thinking about are dietary supplements that contain
23 ephedra and PPA can be a component of ephedra but it
24 represents about 10 percent or so by weight of what
25 the ephedra is in that particular product and, in most

1 products, even less than that. That was discussed at
2 a meeting in August.

3 But in terms of the presence of PPA in a
4 product that would represent itself for weight control
5 and place on it under the active ingredients PPA,
6 we're not aware of any and I'm not saying that that
7 doesn't occur.

8 DOCTOR NEILL: No. I'm talking about
9 products that might contain PPA that specifically do
10 not make a claim for cough/cold or for appetite
11 suppressant but exist on a shelf by virtue of the
12 FDA's exclusion from considering those medicines.

13 DOCTOR SOLLER: It can't be. Wouldn't be
14 a dietary supplement. It would be a drug, and it
15 couldn't be labeled that way or it would be misbranded
16 and action could be taken on that particular product.
17 So there's a regularity --

18 DOCTOR NEILL: My understanding is that
19 misbranding occurs when there's a specific claim of
20 efficacy made, and I understand that those aren't
21 products that we're considering today. I'm just
22 wondering whether or not PPA exists in other
23 preparations for which no specific claims are made and
24 so aren't being considered here but still exist on the
25 shelf.

1 DOCTOR SOLLER: Well, I can tell you that
2 we're unaware of that, and we don't believe that
3 that's happening. I won't say that it doesn't happen
4 because somebody hasn't decided to do it in the
5 extreme but, at least as we understand the market
6 place, I don't believe that that is any kind of
7 reflection of what's going on.

8 CHAIRMAN BRASS: Thank you.

9 Doctor Ganley.

10 DOCTOR GANLEY: I just wanted to first
11 start off by thanking Sandy Titus, who's our Exec.
12 Sec., who has done an enormous amount of work in
13 preparing for this meeting and also for tomorrow's
14 meeting.

15 We've developed a group of questions and
16 we've tried to address them in the order that we think
17 is a logical sequence. The first group of questions
18 address the analysis and interpretation of data from
19 the Hemorrhagic Stroke Project. We're particularly
20 interested in looking at this data in totality but
21 also as a function of the condition of use. As Bob
22 Sherman had noted earlier, PPA is involved in two
23 rulemakings here, one for decongestants and one for
24 appetite suppressants.

25 The other is as a function of dose. As

1 Bob Sherman has also noted, there is some differences
2 in the recommendations for dosing for each of those
3 rulemakings, and obviously as a function of first dose
4 which would apply to both rulemakings.

5 I think the second portion of questions
6 takes into account the totality of data and then based
7 on the information, that is the adverse events
8 reports, the pharmacodynamic effect and the HSP Study,
9 is there an association between PPA use and the risk
10 for hemorrhagic stroke?

11 When we talk about generally recognized as
12 safe, I think reality tells us that drug products do
13 present some risk for consumers and that no product is
14 absolutely safe. To be generally recognized as safe,
15 an ingredient must have a well-characterized,
16 acceptable safety profile under the conditions of use.
17 In the OTC monograph world, when we talk about
18 conditions of use, we're referring to the clinical
19 indication, dosing and labeling. It's totality of the
20 package. I think it's also important to note whether
21 it's the prescription product or an OTC product. The
22 burden of proof and the burden of submitting data
23 falls on the industry to show us that it's safe. It
24 is not the burden of the agency to prove that it's
25 unsafe.

1 I think other considerations to take into
2 account, that adverse events resulting in serious
3 morbidity or mortality are especially concerning,
4 especially for products in the OTC world. We've
5 already heard from numerous individuals already that
6 the OTC adverse event reporting is limited. Companies
7 that market drugs under OTC monographs are not
8 required by regulation to provide safety reports to us
9 and, at a minimum, I think the consumers need to be
10 adequately informed. If there are adverse events
11 associated with the use of a product, they ought to
12 know about them.

13 On the other hand, generally we make risk
14 benefits assessments. There's been some discussion of
15 the benefit of these products and I think we would all
16 acknowledge that PPA treats relatively benign
17 conditions and, although they're very effective, for
18 example, in decongestants, we also have to keep in
19 mind that there is a great public health benefit by
20 providing easy access to medications for self-care.

21 Finally, I just want to point out. There
22 had been some concern about the recommendations in the
23 OPDRA review that that was the position of the agency,
24 and I think that is the position of the reviewers.
25 It's important to us to listen to the Advisory

1 Committee recommendations that will help us to bring
2 closure to the PPA rulemaking. This is the best data
3 that we're going to see pertaining to this issue, and
4 I think we have to realize at that point in time that
5 we do have to make some decisions.

6 The next step for the agency is to proceed
7 with rulemaking and designate PPA as either Category
8 I, Category II or Category III. Those conclude my
9 comments.

10 CHAIRMAN BRASS: Are there any questions
11 or clarifications for Doctor Ganley from the
12 committee? If not, we'll break for lunch and
13 reconvene promptly at 1:30. Thank you.

14 (Whereupon, off the record at 12:34 p.m.
15 to reconvene at 1:30 p.m.)
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:34 p.m.)

1
2
3 CHAIRMAN BRASS: I'd like to begin the
4 afternoon session with the discussion of the issues
5 raised by the presenters this morning. The discussion
6 will be focused obviously by members of the committee,
7 but I would like to encourage the committee members
8 during these deliberations to raise questions as
9 appropriate to any of the presenters from this morning
10 which will aid the committee in addressing some of
11 these issues.

12 The discussion this afternoon will be
13 focused around a series of questions, as always, but
14 I want to emphasize prospectively that the questions
15 are divided into two thematic areas. One is a group
16 of initial questions which are specific to the HSP and
17 try to reach some understanding of what the HSP is and
18 how it can be used. The second set of questions
19 recognize that in terms of the overall assessment of
20 safety for phenylpropanolamine, the HSP can not be
21 examined in isolation but is part of an accumulated
22 experience and database and attempts to integrate the
23 HSP into the other information to try to reach some
24 overall conclusions and recommendations.

25 So I will read the first question and may

1 or may not modify it as I read it along, as always.
2 Do the results from the HSP Study suggest that PPA is
3 safe from risk of hemorrhagic stroke in subjects 18 to
4 49 years of age or do the results suggest that there
5 is an association between PPA and hemorrhagic stroke
6 in subjects 18 to 49 years of age -- and I'm going to
7 add another clause -- or is it inconclusive with
8 respect to that association?

9 And the sub-questions have to do with
10 whether the conclusion can be drawn across the entire
11 study population, that is, gender and product non-
12 specifically, with respect to the first dose of PPA in
13 subjects using PPA as an appetite suppressant and
14 subjects using PPA as a decongestant, is there a dose
15 relationship?

16 In addressing these questions, please
17 discuss any strengths or limitations in the design
18 and/or conduct of the HSP that may affect the
19 interpretation of data. Is there consistency or lack
20 of consistency in these results? What member of the
21 committee would like to begin the discussion? Doctor
22 Gilman.

23 DOCTOR GILMAN: Well, first, I think it
24 might be helpful to address these questions by looking
25 at men because, as I read the data, heard the data

1 presented, I heard nothing to implicate PPA in
2 hemorrhagic stroke in men, probably because there was
3 no exposure to PPA as appetite suppressant and very
4 few people took PPA who were men for cough/cold
5 remedies. So we might be able to first clear the
6 decks, in a way, by just saying well, there's no
7 evidence or evidence is inconclusive that it has any
8 effect in men. Then we could go on to women. That
9 would make the discussion maybe simpler.

10 CHAIRMAN BRASS: Well, in thinking about
11 that, again just reacting to that proposal, I think
12 one has to differentiate that there was no study
13 hypothesis about men and that it was the overall
14 population that included men and the prospective sub-
15 group analysis was to look at women. To the degree a
16 sub-set related to men would have been done, the
17 numbers would have been small, and that also would
18 have been predictable, as I understand it, because the
19 study wasn't powered around use or vet rates in men,
20 so it's not surprising inclusive sub-group analysis
21 perhaps.

22 DOCTOR GILMAN: Right, and so we could
23 simply start off by saying the data are inconclusive
24 with respect to its effects in men period and then
25 deal with women.

1 CHAIRMAN BRASS: I'm sorry. To my
2 understanding -- well, in my mind, it's not the same
3 to conclude. One might conclude that there is a
4 significant effect in the general population, a
5 significant effect in a sub-group of women, no
6 significant effect in a sub-group of men. With those
7 three observations, it would be inappropriate to say
8 that there is no data in men because the general
9 population is positive.

10 DOCTOR GILMAN: I didn't want to say there
11 were no data. I just said that the data are
12 inconclusive for men period.

13 CHAIRMAN BRASS: Doctor D'Agostino.

14 DOCTOR D'AGOSTINO: Not to suggest a
15 different strategy and so forth, but in terms of
16 thinking of this first question, I really think that
17 we want to remember the hypotheses that drove the
18 study, and it was very much women. I'm not saying we
19 shouldn't look at the men first and so forth, but it
20 was really driven very much for the females, very much
21 for the appetite suppressant, very much for the first
22 use, and all the questions about alpha and so forth I
23 don't think -- really, I think it's quite really
24 appropriate. I think it's really appropriate to
25 analyze as they did. Now, how we sort of chip away at

1 that is up for discussion, but I think it's the meat
2 of the discussion in terms of where we want to think
3 about things as what's happened in those females.

4 DOCTOR GILMAN: Well, since you mentioned
5 that, to me, the data are more than suggestive that
6 there is significant risk in women, so I would say
7 yes, the results suggest that PPA is not safe for
8 women when used with other type of exposure. In other
9 words, the data are quite convincing to me that there
10 is a large risk with taking PPA for hemorrhagic stroke
11 in women.

12 CHAIRMAN BRASS: To put you on the spot a
13 little bit more then, would you like to summarize the
14 features of HSP which were most persuasive to you and
15 why the limitations identified did not dissuade you
16 from that conclusion.

17 DOCTOR GILMAN: I was impressed with the
18 quality of the case control study. I was impressed
19 with the quality of the interrogations that went on,
20 with the objectivity of the interrogations, the fact
21 that the interrogators who obtained the histories were
22 blinded to the main purpose of the study.

23 CHAIRMAN BRASS: No. The questioners did
24 know the main purpose of the study.

25 DOCTOR GILMAN: Did not. Correct.

1 CHAIRMAN BRASS: No, they did. The people
2 being questioned did not. The questioners were
3 aware--

4 DOCTOR GILMAN: Excuse me. You're right.
5 I mis-spoke. Yes, you're right. The subjects
6 answering the questions did not know the purpose. And
7 for a rare disorder such as this, I thought this was
8 a well-done study, extremely well-done study.

9 CHAIRMAN BRASS: Doctor D'Agostino.

10 DOCTOR D'AGOSTINO: Yes. Just to
11 reiterate what you said this morning in terms of the
12 end point. There was a lot of discussion about the
13 end point being inappropriate. I'm not sure I
14 followed, and I thought your comments were right on
15 target in terms of how I think of clinical trials and
16 being put together. Just to say again what was just
17 said now, I think the study was well-designed, well-
18 executed. There were lots of potential biases. It
19 took 10 years to put together, and no matter what we
20 do. If we say at this point, if we finish saying
21 let's run another study, this study can't be
22 dismissed. I mean we would only be in the position
23 where we may make confirmation of this or not but this
24 study can't be dismissed and so I think chipping away
25 -- and I'm not sure this is the sequence I'd want to

1 chip away at because I think the women who were alpha-
2 type suppressant to first use and then you sort of
3 build up and it isn't necessarily solely driven by
4 alpha of .05/.05 but how do the hypotheses that led to
5 the study lay out and how do the end points get
6 suggested.

7 I think all of those things were quite
8 appropriate, given the history of this drug and the
9 concerns of it.

10 CHAIRMAN BRASS: Germane to that, I'd like
11 to pose a question to any of the neurologists on the
12 panel or actually anybody else. The question of
13 biological plausibility came up many times earlier
14 today, and I heard two different common sense appeals.
15 One, why is this unique to women and why were there so
16 many subarachnoid hemorrhages?

17 But to me, those are actually conversely
18 strengthened, explained each other because it's my
19 understanding that gender is in fact an independent
20 risk factor for subarachnoid hemorrhage and so that if
21 there was an interaction exclusively, that kind of
22 enrichment might be what one might have anticipated in
23 a true association. Would any of the neurologists
24 comment on whether that is reasonable or not?

25 DOCTOR GILMAN: I think that's eminently

1 reasonable and, again, I think there's good rationale
2 for grouping together subarachnoid hemorrhage with
3 intracerebral hemorrhage with arteriovenous
4 malformations with hemorrhage. Presumably there's
5 some sort of hemorrhagic diathesis connected with use
6 of PPA. So I think there's very good justification
7 for the grouping. And, in addition, this was an
8 hypothesis-driven trial based upon what could be
9 called anecdotal evidence, at least frequent reports,
10 actually quite compelling frequent reports.

11 CHAIRMAN BRASS: Yes, Doctor Daling.

12 DOCTOR DALING: I guess I'd have to say
13 I'm not convinced at all from the study that there is
14 a problem. I find it very large concern to me the
15 response rates. We do RDD all the time. We certainly
16 get response rates higher than 70 percent. They only
17 got a response rate of 41 percent. And one thing we
18 found from doing these studies is that people with
19 high BMI are less likely to respond and participate in
20 studies, so I think that's a potential bias.

21 But I think my biggest concern is the
22 inability to control from confounding. It was clear
23 from their data that these women who used this drug
24 were likely to be smokers and drinkers, and I don't
25 see how you can control when you only had one exposed

1 control for these confounding factors.

2 CHAIRMAN BRASS: Doctor Elashoff.

3 DOCTOR ELASHOFF: No. No evidence has
4 been given as to what PPA users, how they differ from
5 other people. Only evidence has been given as to how
6 the cases differ from the controls and, in fact, it's
7 not at all surprising that the cases have all these
8 confounding effects because, if only a certain number
9 of the strokes are due to PPA, most of the rest have
10 to be due to the standard things that they're due to.
11 So the fact that the two groups differ markedly in all
12 those features is only to be expected.

13 DOCTOR DALING: If you look in this
14 report, they clearly show the characteristics on
15 smoking of the people who use PPA, and 50 percent of
16 them were smokers whereas the control population, only
17 30 percent were smokers.

18 DOCTOR ELASHOFF: That's cases, not people
19 who use PPA.

20 DOCTOR DALING: No. Controls.

21 DOCTOR ELASHOFF: Cases versus controls.

22 DOCTOR DALING: They have a table in here.

23 CHAIRMAN BRASS: Use the microphone.

24 DOCTOR DALING: There's only seven PPA
25 users in the whole study -- I mean appetite

1 suppressant one.

2 DOCTOR ELASHOFF: They showed all the --
3 they didn't do it by appetite suppressant. They only
4 had one, and that was a non-smoker. But if you look
5 at page 37, they give the PPA exposure and how many
6 are smokers and you can count how many are smokers.
7 Two, four, six, eight, nine out of 20 and nine out of
8 20 is more than 30 percent.

9 CHAIRMAN BRASS: Then with respect to the
10 confounders, you actually raise two separate points.
11 First, your concern, and this was raised also about
12 the response rate in the recruiting controls. Am I
13 correct that in order to effectively recruit a control
14 they had to agree to a personal interview? In other
15 words, it was more than just will you talk to me on
16 the phone. There had to be some physical contact
17 between the program and the -- if you go to the
18 microphone. They can't see you shaking your head.

19 DOCTOR KERNAN: Yes. That's correct.
20 When we identified controls, we had to enroll and
21 interview that control within 30 days of the case's
22 strike event, so we were under terrible pressure to
23 get people in and, once a control agreed to
24 participate, they had to participate in an in-person
25 interview.

1 CHAIRMAN BRASS: So Doctor Daling, so the
2 rates for RDD control recruitment that you cited in
3 terms of expectations, did they include a direct
4 personal interview?

5 DOCTOR DALING: When you do -- I'm just
6 quoting from what was presented this morning, but you
7 have to take into consideration, first, not only how
8 many that you get to that agree but the people who
9 hang up on you and so forth. That makes it very
10 different, and my understanding from what I've read
11 was that it was 41 percent.

12 CHAIRMAN BRASS: But again, you cited an
13 expectation of 70 percent. What I'm trying to
14 understand is your --

15 DOCTOR DALING: We get 70 percent or
16 better.

17 CHAIRMAN BRASS: -- to come to a personal
18 interview?

19 DOCTOR DALING: That's right. In their
20 home.

21 CHAIRMAN BRASS: Okay. And then in terms
22 of the confounders, so you were unconvinced by the
23 stratification analysis?

24 DOCTOR DALING: They only had one control
25 to stratify it by. I mean you only had one exposed

1 control, yet if you looked at the exposed controls for
2 weight control, you will see that people who use PPA
3 in general -- I assume these are general population --
4 that they're more likely to be smokers than are the
5 general population.

6 CHAIRMAN BRASS: I think Doctor --

7 DOCTOR DALING: Why is that wrong?

8 CHAIRMAN BRASS: Because these are in the
9 cases.

10 DOCTOR DALING: Okay. I'm talking about
11 the controls.

12 CHAIRMAN BRASS: Who are you comparing it
13 to? What are you comparing the controls to?

14 DOCTOR DALING: Controls in general.
15 Thirty percent were smoking.

16 CHAIRMAN BRASS: Yes.

17 DOCTOR DALING: Smokers. If you look on
18 page 37, nine out of 20 of the controls who used the
19 drug or close to 50 percent were smokers. That's
20 different than the 30 percent overall, indicating that
21 people who use this drug are more likely to be
22 smokers. The data is right here.

23 CHAIRMAN BRASS: Okay.

24 DOCTOR D'AGOSTINO: The stratification
25 analysis though talked about those who didn't smoke,

1 didn't it?

2 DOCTOR DALING: Well, there was nobody in
3 that strata for weight control. I mean for the
4 smokers, there was only one person in weight control
5 who used it in the controls. That was --

6 DOCTOR D'AGOSTINO: You're talking about
7 exposure but I'm talking the analysis is saying here
8 are the non-smokers. Now what happens with the
9 exposed and non-exposed and the non-smokers.

10 DOCTOR DALING: Well, the one control was
11 a non-smoker.

12 CHAIRMAN BRASS: Okay. I think the point
13 is that that's irrelevant in the stratification
14 analysis because that included cases that were non-
15 smokers only and compared the cases who were non-
16 smokers and cases that were not hypertensive and had
17 the same trend analyses.

18 DOCTOR DALING: The problem is you needed
19 more controls in this study so that you could adjust
20 for some of these confounders. One is not enough.

21 DOCTOR D'AGOSTINO: You're saying you need
22 more exposed individuals.

23 DOCTOR DALING: Yes.

24 DOCTOR D'AGOSTINO: Not more controls.

25 DOCTOR DALING: And they knew at the

1 outset that -- he said that this is exactly what we'd
2 expect, that we would only have one person who used
3 this for weight control who was in the control group,
4 one person. He said .5 of one percent were expected
5 to be using this for weight control.

6 DOCTOR D'AGOSTINO: This is an event with
7 very small probability attached to it.

8 DOCTOR DALING: Use of this drug.

9 DOCTOR D'AGOSTINO: No. It's the cases
10 and controls, then how many of the controls are
11 exposed to the drug is what you're --

12 DOCTOR DALING: Yes. How many of these
13 controls would you have expected to have used this
14 drug?

15 DOCTOR D'AGOSTINO: Very, very few.

16 DOCTOR DALING: Only one who used it for
17 weight control.

18 DOCTOR D'AGOSTINO: And that's what they
19 saw and they saw more exposed individuals in the
20 cases, and that's what was driving the analysis.

21 DOCTOR DALING: That's true, but it's
22 difficult to control for confounding in a study of
23 this size with that many controls with only one
24 exposed control.

25 CHAIRMAN BRASS: In terms of the one

1 control who was exposed, the issue of the sensitivity
2 analysis I think is extremely important and to the
3 degree to which having two or three instead of one
4 would have affected the outcome. I understand the FDA
5 did such an analysis. Could you just comment on that
6 sensitivity analysis very briefly just with respect to
7 if that one had been two or three.

8 DOCTOR YI TSONG: Is there any way we can
9 use the slide I have on the machine from the FDA's
10 presentation, slide #84? I think we need to use 74 to
11 start with. Regarding the one exposed control, let's
12 think about it this way. Suppose we have a study,
13 have 100 cases and 100 controls, and we try to do a
14 study and find out there is no exposed on the control
15 but all are exposed in the case. Does that mean
16 there's more association or more? Means there's no
17 association. We are hung up on so much about one
18 exposed control. If there's no exposed control, you
19 get even more significant results. So we have to
20 consider it that way rather than one control, there
21 must be some mistake. If we can prove there is
22 misclassification, then it's a problem. If there's no
23 misclassification, that's not a problem.

24 Okay. Let's go to slide 74.

25 CHAIRMAN BRASS: While it's coming up,

1 Doctor D'Agostino, do you want to --

2 DOCTOR D'AGOSTINO: Yes. Again, I think
3 the discussion is that if you made the study bigger
4 and bigger and bigger, you would have started seeing
5 some of the controls with the exposure and the
6 argument or the discussion is that the study wasn't
7 big enough in terms of number of controls, but I think
8 that you do have the sensitivity analysis and I think
9 the sensitivity analysis might bring some
10 clarification on that.

11 DOCTOR YI TSONG: The original slide I
12 prepared was to address the comments raised by CHPA
13 regarding if we have four additional exposed in the
14 control, the total result is totally different. I
15 mean the four additional exposed sounds like a small
16 number, but if we consider those exposed
17 misclassifications, that essentially means that's 80
18 percent misclassification which is supposed to be
19 exposed but classified non-exposed. This is extremely
20 impossible to have 80 percent misclassification.

21 So instead, what I tried to do is use a
22 mathematical formulation to correct assume the
23 percentage of misclassification and to correct the
24 odds ratio. So we can go to the next table. Next
25 slide, please.

1 In this one, I give a different scenario.
2 The first column is the probability of
3 misclassification of case exposed and the second
4 column is the probability of misclassification of
5 control exposed and then we have a corrected odds
6 ratio based on our -- data. As you see, if we go to
7 all the misclassification up to 40 percent in the
8 control arm but no misclassification in the case arm,
9 then we still have about the 7.1 correct odds ratio.
10 I think this is extreme misclassification assumption.

11 DOCTOR DALING: Can I say I'm not
12 quarreling with the misclassification. I'm quarreling
13 with the inability to control for confounding.

14 CHAIRMAN BRASS: I understand. Okay.

15 DOCTOR DALING: That's what I'm quarreling
16 with.

17 CHAIRMAN BRASS: Please wait until you're
18 recognized.

19 DOCTOR HENNEKENS: I wanted to make a
20 comment about my concern about the over-reliance on
21 statistical methods as a way to overcome an inadequate
22 sample and to expand on Doctor Daling's point, you
23 have a comparison of six exposed cases versus one
24 exposed control. That exposed control does not smoke
25 cigarettes and three of the six cases do not smoke

1 cigarettes. So a quote/unquote "stratification
2 analysis" on cigarette smoking leads you that once you
3 adjust for smoking in this analysis, you're comparing
4 three versus one. Not significant.

5 If you're controlling for hypertension,
6 the control did not have hypertension but two of the
7 six cases had hypertension. So you're left in a
8 stratification on hypertension for four versus one.
9 And I think the most extreme example of these data is
10 if you stratify by a BMI of greater than 35. You have
11 none in the cases and one in the controls. This is
12 what happens when you have such small numbers. There
13 is no amount of statistical analysis that can overcome
14 the inadequacy of the sample to control for
15 confounding.

16 I accept the crude analysis. I do not
17 accept any technique that tries to control for
18 confounding. It simply can not be done, and I think
19 to go ahead to make recommendations for policy, if
20 that's the sub-group you're interested in, would be
21 very premature and unwarranted.

22 MS. COHEN: I have a couple of concerns.
23 The end product of this are consumers, and I don't
24 know how one can make a total decision on the safety
25 or efficacy without seeing what the insert is, and I

1 happened to pick something up and it talked about
2 decongestants and they mention thyroid disease,
3 diabetes, prostrate. What about interactions with
4 other disease? I'd like to know about that, but I
5 also want to know if this board, whatever they decide
6 to vote, if they vote that this can continue on the
7 market, I want to see what information is given to
8 consumers. I want to make sure that consumers are
9 safe and understand what they're taking because so far
10 no one has really, to my satisfaction, described to me
11 what PPA does.

12 CHAIRMAN BRASS: Okay. You can look on
13 the screen. We'll have in a second a representative
14 package label for a PPA-containing product and so that
15 everybody will be able to see those things. I think
16 there'll be a couple of interesting points. Everybody
17 has commented about the percentage of users who were
18 hypertensive in the group, and there already exists a
19 warning with respect to hypertension on this label.
20 Do you have some specific questions about this label?

21 MS. COHEN: I can't read it and, if I
22 can't read it, consumers can't read it. I mean can
23 other people read it? Do I need to change my glasses?
24 I'm serious. Can you read it?

25 CHAIRMAN BRASS: Yes, I can.

1 MS. COHEN: Would you do it for me then?

2 CHAIRMAN BRASS: Would you like the whole
3 label read in?

4 MS. COHEN: Well, I think we need to know
5 if we're talking about safety, and I still want to
6 know about --

7 CHAIRMAN BRASS: I think we'll go on to
8 other questions and perhaps you can go up to the
9 screen and read the label.

10 MS. COHEN: No. I think everybody in this
11 room should know what that label says if we're talking
12 about safety.

13 CHAIRMAN BRASS: What is your concern
14 about the labeling with respect to safety?

15 MS. COHEN: I want to know what
16 precautions are given to consumers if they take over
17 75 milligrams, for instance, if they have thyroid, if
18 they have prostate, if they have heart disease. I
19 want to know what else this label will tell consumers
20 so they're going to know what they're taking and what
21 they're taking it for. I don't know if anybody else
22 agrees with me. I don't want to be the lone consumer
23 in the world.

24 CHAIRMAN BRASS: I will read you the
25 warnings. Do not use if you are now taking another

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525