

1 any it didn't contain any ephedrine. And this analysis  
2 was done by Food and Drug.

3 DR. PAGE: It sounds like we're talking about  
4 two different cases, but the one I'm thinking about  
5 there definitely was information in the records as to  
6 an existing cardiopathology of one to two weeks  
7 duration.

8 DR. KIMMEL: A lot of this, as well as the  
9 disagreements here points to the whole issue of the use  
10 of AERs for assessing causality and the lack of  
11 denominator data, and the lack of consideration of  
12 background risk you end up going in circles. And if  
13 you truly believe that something causes an outcome and  
14 you collect cases all of whom were exposed, you will  
15 believe there's an association, and you certainly could  
16 be right, but you also can be wrong and there are  
17 plenty of those examples where the AER system has not  
18 been accurate in terms of that.

19 So, I think part of the issue of the debate  
20 here is that you need other data.

21 DR. JONES: Thank you.

22 DR. KARCH: And the appearance of that  
23 association is somewhat flustered by selective  
24 literature citation. The person in the audience knew  
25 that I had responded to the case report pointing out

1 that description of the anatomic changes was  
2 inconsistent, but that never got included in the FDA  
3 literature summary.

4 DR. JONES: Dr. Salive, final comment or  
5 question.

6 DR. SALIVE: Well, I wanted to ask the  
7 cardiologists about given the natural history of  
8 disease and subclinical disease, you know, what is the  
9 role of the proposed, I guess, from your group labeling  
10 and how would it address people who are unaware of  
11 their own risk who have, you know, subclinical cardiac  
12 disease to prevent them from taking his product?

13 DR. KIMMEL: I assume that's me who is the  
14 cardiologist. A couple of things. First of all I  
15 think you have to determine whether there is in  
16 association and how strong that is and how many people  
17 it really affects? The concept of a susceptible  
18 population you can postulate for anything. You can  
19 postulate it for -- as we've talked about -- salt.

20 Number one, how big of a problem it is.  
21 Second, if you believe, as I can tell from the way that  
22 you're responding, that there it is a susceptible  
23 population who don't know, then the problem is what  
24 about all of the over-the-counter pseudoephedrine, what  
25 of the over-the-counter ephedrine, and why are they not

1 at equal risk from those products?

2 And I guess the issue is that we -- as you  
3 can see in our consensus statement we don't exclude the  
4 possibility that there is a susceptible population.

5 Again, "susceptible" means what happens when  
6 you take the ephedra, does your blood pressure go up,  
7 and by how much? In the small trials, now granted  
8 they're small, the heart rate goes up by 5 beats per  
9 minute. As a cardiologist I'll tell you, I wouldn't  
10 attribute anything to that even if you have significant  
11 coronary disease. The blood pressure goes up depending  
12 on the study or down.

13 It is possible that there's a susceptible  
14 person who has an exaggerated blood pressure response  
15 to these products and has underlying coronary disease  
16 and therefore or an exaggerated tachycardic response  
17 and therefore has an effect. I totally agree with  
18 that.

19 How many people there are, and who is at risk  
20 and, what is the risk, and is that risk different than  
21 the other products that are available, again, over-the-  
22 counter, that I can't answer. So I don't disagree with  
23 you, I'm just not sure, (a) how big the problem is, and  
24 how different it is between dietary supplements and  
25 other products.

1 DR. JONES: Thank you, Dr. Kimmel, and thank  
2 you to the panel put together by the Ephedra Education  
3 Council for bringing your conclusions, your analysis to  
4 us this afternoon.

5 We are ready for our break. We will  
6 reconvene at 3:30. If you are presenting in the  
7 abstract session or in the public comment session this  
8 afternoon, please be sure you are near the front so  
9 that you can come up as your time approaches and staff  
10 will assist you if need be.

11 Thank you very much. We will see you in  
12 about 10 minutes.

13 [Brief recess taken at 3:20 p.m.]

14 DR. JONES: Ms. Michal, if you would, as  
15 we've done with the others, identify yourself. For all  
16 the speakers today, identify yourself, and proceed now.  
17 Thank you.

18 MS. MICHAL: My name is Barbara Michal. I am  
19 the founder of the consumer organization Halt Ephedrine  
20 Abuse Today. I am grateful for this opportunity to  
21 come and address the panel and share information.

22 Just a little bit about myself. The source  
23 of funding for these efforts are my personal funds; the  
24 source of funding for my travel today was the Health  
25 and Human Services.

1 I founded Halt Ephedrine Abuse Today in 1997  
2 after ephedrine killed my 24-year-old son, Christopher.  
3 I want to make it very clear that it was not a dietary  
4 supplement product that killed my son, it was a  
5 synthetic ephedrine product. But when I started  
6 researching ephedrine the first time and I discovered  
7 how dangerous this drug is, whether in its botanical or  
8 its synthetic form, I started this work.

9 The main purpose of HEAT is for consumer  
10 education. We have a survey on the Internet and the  
11 survey results are posted up here for you to see on the  
12 overhead. And this is just the tip of the iceberg.  
13 The surveys that we are receiving are: (1) only those  
14 who have Internet access, and (2) those who are  
15 actively seeking information on the Internet about  
16 ephedrine.

17 Now we have had over 800 responses in the  
18 last year and a half. For the purposes of this public  
19 meeting I have separated out only those responses that  
20 we have had that specifically address dietary  
21 supplements; and we've had 355. There have been more  
22 than that, but I have taken out those that did not have  
23 sufficient information to quantify in the survey  
24 results.

25 So, as you can see, our total number of

1 contacts that we've used here is 355. We have 26  
2 percent male respondents, with 74 percent female  
3 respondents. You can see the age breakdown. The  
4 majority of our respondents are between 16 and 30 years  
5 old or 16 and 35.

6 Now, some of that may be due to technology,  
7 that the old respondents don't have access to computers  
8 or are not computer literate. And, again, also younger  
9 respondents don't have access to computers.

10 The total number of people reporting  
11 addiction or dependence is 26 percent. These are self-  
12 reported that they are dependent on these products,  
13 they experience withdrawal systems when they come off;  
14 82 percent of the females who report addiction or  
15 dependence, 18 percent are males.

16 The reported adverse reactions that we are  
17 asking for, you can see the percentages and they are in  
18 declining value; rapid heartbeat 64 percent of  
19 respondents. And we do also get respondents from  
20 people who have no adverse reactions to ephedrine. I  
21 want to make it clear that I do not only include those  
22 who have adverse reactions. We get surveys from people  
23 saying, I love the stuff, it's working great, and I'm  
24 not having any adverse event reports.

25 As you can see from the numbers there are

1 very significant numbers of consumers who are reporting  
2 potentially very, very serious adverse events.

3 Now, in addressing the questions that are the  
4 specific focus of this meeting, question one, the  
5 association between the use of ephedrine dietary  
6 supplements and adverse effects when used as directed.  
7 The majority of these reports report that they are  
8 using the products according to label directions.  
9 There is also a significant problem in the industry  
10 with even pill to pill variability in the same bottle  
11 of the active ingredients.

12 Along with being founder of HEAT and doing  
13 this work on a voluntary basis, I am a paralegal and I  
14 work with an attorney in California and we prosecute  
15 civil litigation cases on ephedra injury, and we are  
16 handling quite a few cases right now and are in contact  
17 with attorneys across the country.

18 So through my work in my career and through  
19 my work in my mission I'm getting significant  
20 information and information from experts and consumers.

21 Question 3, the risks with the seriousness  
22 and severity as determined by age or sex, we have found  
23 that through the survey there is no specific age  
24 bracket where adverse events are more likely to occur.  
25 We are getting reports of adverse events and serious

1 adverse events from young to old, male and female. The  
2 reporting could be a gender thing that men will not  
3 report as often as women will, it could also be because  
4 of technology and women are more often ready to share  
5 information. So this may be why we're getting the  
6 breakdown as far as gender.

7 We do not check for ethnicity. We have no  
8 questions on our survey relating to ethnicity, so I  
9 cannot speak to that specific issue.

10 As far as the amount consumed across the  
11 population, the industry has been talking how many  
12 billions of servings. Initially in their testimony  
13 they have been talking, not here, but in other  
14 testimony, they have been talking amount consumed, and  
15 they have no way to know how much is consumed. The  
16 amount of product that has been sold, does that net out  
17 the product that's been thrown away; the product that  
18 is sitting on someone's shelf; the product that has  
19 been returned to the manufacturer for refund? That's a  
20 major question that needs to be addressed. They have  
21 no way to know how much of the product has been  
22 consumed compared to with how much that has been sold.

23 In using those products in combination with  
24 other stimulants, the industry does not have a standard  
25 formula for a weight loss product, or a standard



1 formula for a fitness enhancement product. These  
2 products run the gamut. Some may be only caffeine  
3 ephedrine and aspirin. Some products containing a  
4 whole cocktail of stimulants with caffeine, yohimbine,  
5 citrus ceratium, which is synephrine, green tea extract  
6 and the effect of aspirin combined with these products.

7 One of the adverse reactions to ephedrine can  
8 be body aches and headaches. Some of these products  
9 add aspirin or the herbal white willow bark to their  
10 product, and it does two things. One, it masks some of  
11 the adverse effects of ephedrine, the body aches,  
12 headaches; two, it is a blood thinner and it speeds  
13 delivery of the stimulant to the system.

14 Now, they have shown that caffeine, aspirin,  
15 ECA stack, ephedrine, caffeine, aspirin may be  
16 effective in weight loss, but I think it's an extremely  
17 dangerous combination. Talking about use of these  
18 products with the stress of exercise, and one study was  
19 mentioned where the duration of exercise was longer,  
20 that it seemed to increase stamina; yes, but again  
21 because of these effects with the aspirin in most of  
22 these fitness products, athletes are more often prone  
23 to push past their normal level of endurance and can do  
24 structural damage, muscle damage, cardiac damage,  
25 they're pushing their systems way beyond by using these

1 artificial stimulants.

2 There is also the issue of individual  
3 sensitivity and people don't know, I don't know if I'm  
4 sensitive to ephedrine. I know I'll never take it, but  
5 you don't know until you do take it and you suffer the  
6 adverse event, and they what? There's no way to know.  
7 And there are so many different --

8 With question number four, the outcomes  
9 affected by dosage. We're not finding that. Again,  
10 these reports, many of them, almost the major majority  
11 of them are taking them according to label directions,  
12 they are not abusing the drugs, they are not taking  
13 more or more often than they should be.

14 The dosages, again, there is no industry  
15 standard. AHPA can recommend the dosage amount, but in  
16 the ephedrine, there could be the 8 milligrams per dose,  
17 there could be 12 milligrams per dose, there could be  
18 25 milligrams per dose, or, if the industry, that  
19 particular manufacturer is not a member of AHPA there  
20 could be 30 or 40 milligrams per dose. And, again I  
21 have mentioned pill-to-pill variability in the same  
22 bottle. When you are working with botanicals there is  
23 always the variability because of soil conditions,  
24 weather conditions, processing and harvesting methods,  
25 storage methods, whether noxious weeds are weeded out

1 and separated out before processing.

2           How much -- whether it is a powder or whether  
3 it's reduced to down to an extract, there are so many  
4 different variables when you are working with  
5 botanicals. Whether there are pre-disposing health  
6 conditions, so many of the consuming public have  
7 undiagnosed asymptomatic health conditions that would  
8 be contraindicated to the use of ephedrine, and they  
9 don't know until it's too late.

10           Many, many, even bipolar, we are finding that  
11 they are finding that there are latent bipolar  
12 tendencies in some people that are a asymptomatic, the  
13 people take ephedrine, go into the mania, the mania  
14 phase is then untreated and they go into full-blown  
15 psychosis.

16           Duration of exposure, we've had people who  
17 have reported serious adverse reactions taking one  
18 pill; we've had people reporting they've had no  
19 reactions after taking it for years. There is no  
20 duration of use that is safe, there is no dosage  
21 amounts that is safe.

22           My biggest question in all of this, in all  
23 the regulation, and all of the lobbying, and all of the  
24 arguments, and all of the scientific studies, how high  
25 does the body count have to go? The deaths are

1 continuing, the strokes are continuing, the cardiac  
2 arrests are continuing, the public has a perception, we  
3 have been well taken care of by the FDA for many years,  
4 and the public has the perception that if it's over-  
5 the-counter it's safe and FDA has approved it. This is  
6 not true with the dietary supplements. The burden is  
7 on the FDA to go to product-by-product and prove that  
8 these are unsafe.

9 The consuming public is also operating under  
10 the misconception in the myth that all natural equals  
11 safe because of the hype of the industry. Well,  
12 cocaine is all natural, marijuana is all natural,  
13 hemlock is all natural. All natural does not equal  
14 safe. And ephedrine is not a food, it is a drug; a  
15 very powerful cardiovascular and central nervous system  
16 stimulant that has no place in over-the-counter  
17 products and especially no place in unregulated herbal  
18 products.

19 There is one other issue that I have with the  
20 industry's advertising about increased energy. Energy  
21 comes from the efficient use of fuel which is food.  
22 What these people who are using ephedrine are  
23 experiencing this artificial stimulation which in fact  
24 depletes natural energy reserves. And when the  
25 ephedrine wears off, they have a down, the euphoria

1 comes off, they have a down, they experience this, they  
2 take more to bring themselves back up again. This is  
3 not energy. This is artificial stimulation, and it is  
4 the total fraud to call what comes the effects of  
5 ephedrine energy.

6 As far as the adverse events reports that the  
7 industry has been studying and the clinical experts  
8 here have been studying, I want to find out from the  
9 industry how many adverse event reports they've had and  
10 whether they have reported them to the FDA and whether  
11 they have subjected their own adverse event reports to  
12 the kind of scrutiny that these adverse event reports  
13 have been subjected to. And that's a major question  
14 that I think needs to be addressed.

15 We know that there are adverse events reports  
16 being reported to the industry, to the manufacturers.  
17 And with the 30 percent of the responding industry,  
18 people to the AHPA survey how many of them were the top  
19 three or four manufacturers and how many of them  
20 actually came across with adverse event reports?

21 We have some other comments that I just want  
22 to share with you that have come from the survey from  
23 consumers. These are just comments from ordinary Joe  
24 Q. Public and Jean Q. Public, people who find the web  
25 site, people who fill out the survey. I have been in

1 touch with these people some by phone, some by  
2 Internet, some I have not been in touch with. But  
3 these are comments that we receive: "It's a shame what  
4 we will try to lose a few pounds. I took someone's  
5 word that it was not dangerous. I'm very shocked." "A  
6 friend and colleague of mine died yesterday, he just  
7 turned 40. He was a weightlifter and he was extremely  
8 health-conscious and seemingly very healthy. I heard  
9 secondhand the doctors found ephedrine in his system  
10 following a massive stroke last week."

11 "I tried" and I have deleted product names  
12 but product names are in my survey. "I tried this  
13 product for two weeks. I've been off it for three  
14 weeks now and I'm still trembling and nervous. I can't  
15 concentrate at work and I'm very nervous when I drive.  
16 It has subsided considerably in the last week, but it  
17 is still affecting my work. I have talked to a couple  
18 of doctors who have no real remedy for getting this  
19 stuff out of my system and bringing me back to  
20 normalcy."

21 "I'm very interested in your findings of  
22 using this awful drug. I have been taking this product  
23 for over a year now and had suffered several mini  
24 strokes. Then I went to hospital for a test and  
25 suffered stroke. I think is all because of the herb,

1 since the test came out that nothing was wrong with me,  
2 and now I have no feeling in my right hand, weakness on  
3 my entire right side due to the CVA I suffered. I want  
4 to make sure this stuff comes off the shelves fast. I  
5 am mad that our government lets this drug/herb be sold  
6 in Wal-Mart as well as on the malls across the  
7 country."

8 Another one, "I've been searching the web for  
9 information on withdraw symptoms associated with ma  
10 huang. I quit taking a product -- like product, a  
11 product named Life Product two weeks ago, one that they  
12 compare it to. After taking it for three months as the  
13 label claimed was safe, I did lose weight but I haven't  
14 felt normal since I stopped taking the product --

15 DR. JONES: Please wrap up.

16 MS. MICHAL: I'm sorry.

17 DR. JONES: You're out of time, please wrap  
18 up.

19 MS. MICHAL: I wanted to let you know that I  
20 have surveys here, direct surveys, 39-year-old woman,  
21 acute kidney failure, 40-year-old woman, primary  
22 pulmonary hypertension. The only cure is lung  
23 transplant. A 28-year-old woman, tingling sensations  
24 that sound to me like a precursor of stroke, but, of  
25 course, I'm not a medical expert.

1 Here is a 29-year-old male, hospitalized for  
2 irregular heart beat and high blood pressure.

3 We are getting reports like this and the  
4 lawsuits that I have seen from other attorneys and the  
5 lawsuits that we're handling in our office show that  
6 there is a very significant public health issue here.

7 Thank you very much.

8 DR. JONES: Thank you, Ms. Michal.

9 [Applause.]

10 DR. JONES: We are open for questions from  
11 the panel, if any?

12 DR. SALIVE: Marcel Salive, NIH.

13 Do you encourage that people who respond to  
14 your Internet survey to report their adverse events and  
15 if so, to where?

16 MS. MICHAL: Absolutely to the FDA. I  
17 encourage every survey respondent to report to the FDA.  
18 Whether they do or not is another thing. But I do  
19 encourage them to absolutely.

20 My intention is with my survey we are going  
21 to revamp the web site and I will have a selection a  
22 button on the survey that they can select to have the  
23 surveys sent directly to the FDA.

24 DR. JONES: Other questions from the panel?

25 [No response.]



1 DR. JONES: Questions from the floor?

2 [No response.]

3 DR. JONES: We thank you very much, Ms.

4 Michal.

5 And turn now to Mr. James Turner of Swankin &  
6 Turner.

7 MR. TURNER: Good afternoon. My name is  
8 James Turner and I'm here in the capacity of a chairman  
9 of the board of Citizens for Health.

10 Citizens for Health is a consumer group that  
11 has supported the passage of Douche and has been  
12 critical of the FDA's use of its resources and  
13 activities in regulating dietary supplements since the  
14 passage of Douche.

15 We've been critical from two angles. First  
16 we feel that the agency has been excessively focused on  
17 raising what they consider to be serious questions  
18 about the safety and efficacy of dietary supplements.  
19 Which we think is an overstated position by agency and  
20 we feel that the reports such as this GAO report and  
21 others on the ephedra issue have supported our view of  
22 that excessive regulatory attitude.

23 On the other hand we feel that the FDA has  
24 underused authority it has; authority for example to  
25 create good manufacturing practice for dietary

1 supplement and we believe that the agency does have  
2 substantially more authority to create label  
3 information and other supporting information that it  
4 has used. And, again as one of the plaintiffs in the  
5 Pierson case we believe that that position has been  
6 supported by third-party forces that suggests that the  
7 FDA has not been as vigorous and effective in its use  
8 of authority that it actually does have.

9 Our basic point then is that the FDA has been  
10 overzealous in attempting to draw an analogy between  
11 dietary supplements and prescription drugs, in  
12 particular, and also over-the-counter drugs. It has  
13 been overzealous in that activity and underzealous in  
14 the activity of utilizing the resources and legal  
15 resources and the authority that it has to ensure that  
16 dietary supplement products are manufactured properly  
17 and carry the proper kind of information for their  
18 effective use by the consumer.

19 These arguments that I have made are  
20 underlined by the ephedra case history but the ephedra  
21 case history I believe is just the first of many of  
22 these issues that are going to develop over the next  
23 few years. The FDA has given an almost impossible task  
24 of drawing a line which says everything on the right  
25 side of the line is good for everyone, and everything

1 on the left side of the line is bad for everyone. In  
2 attempting to draw that line and poll products into  
3 that kind of a regulatory framework leads to serious  
4 failures on the part -- failures on behalf of the  
5 consumer, of both kinds.

6 Over 3 billion doses of ephedrine have been  
7 sold for example. Many consumers report a positive  
8 relationship with ephedrine, they like it. They think  
9 it's a good product. Their interest in having that  
10 product on the market is as important to be addressed  
11 as the interest of making sure that people who may be  
12 susceptible to it may not understand how to use it or  
13 may receive improperly manufactured forms of it are  
14 protected. Both sides of that equation need to be  
15 addressed.

16 And I believe that I and Citizens for Health  
17 believe that that is the direction in which the FDA  
18 needs to move. That is to take the consideration of  
19 all consumers in these areas into consideration. Our  
20 view about the way to do that would be to vigorously  
21 develop the good manufacturing aspects of Douche,  
22 vigorously pursue the Pierson court's guidelines, and  
23 how to create information for consumers that does not  
24 unduly burdened their rights, and does not unduly  
25 burdened the government and its ability to carry that



1. The first part of the document discusses the importance of maintaining accurate records of all transactions and activities. It emphasizes the need for transparency and accountability in financial reporting.

2. The second part of the document outlines the various methods and techniques used to collect and analyze data. It includes a detailed description of the experimental procedures and the instruments used.

3. The third part of the document presents the results of the experiments and discusses the implications of the findings. It compares the experimental results with theoretical predictions and previous studies.

4. The fourth part of the document concludes the study and provides a summary of the key findings. It also discusses the limitations of the study and suggests directions for future research.

5. The fifth part of the document contains the references and bibliography, listing the sources used in the study. It includes a comprehensive list of books, articles, and other publications related to the field.

6. The sixth part of the document contains the appendix, which includes additional data, tables, and figures that support the main text. It provides a detailed look at the raw data and the calculations used in the analysis.

7. The seventh part of the document contains the index, which provides a quick reference to the various sections and topics covered in the document. It is a useful tool for navigating the document and finding specific information.

8. The eighth part of the document contains the glossary, which defines the key terms and concepts used in the document. It is a helpful resource for readers who may be unfamiliar with some of the terminology.

9. The ninth part of the document contains the acknowledgments, where the author expresses gratitude to the individuals and organizations that provided support and assistance during the course of the study.

10. The tenth part of the document contains the author's biography, which provides a brief overview of the author's background and qualifications. It includes information about the author's education, professional experience, and other relevant details.

11. The eleventh part of the document contains the table of contents, which provides a detailed overview of the document's structure and page numbers. It is a useful tool for navigating the document and finding specific sections.

12. The twelfth part of the document contains the preface, where the author provides an overview of the document and explains the motivation for the study. It is a helpful introduction for readers who are new to the field.

13. The thirteenth part of the document contains the abstract, which provides a concise summary of the document's main findings and conclusions. It is a key component of the document and is often used to quickly assess the relevance of the study.

14. The fourteenth part of the document contains the introduction, which provides a detailed overview of the study's objectives, scope, and significance. It is a key component of the document and sets the stage for the rest of the study.

15. The fifteenth part of the document contains the literature review, which provides a comprehensive overview of the existing research in the field. It is a key component of the document and helps to establish the context of the study.

16. The sixteenth part of the document contains the methodology, which provides a detailed description of the experimental procedures and the instruments used. It is a key component of the document and is essential for understanding the study's design.

17. The seventeenth part of the document contains the results, which provide a detailed description of the experimental findings. It is a key component of the document and is essential for understanding the study's outcomes.

18. The eighteenth part of the document contains the discussion, which provides a detailed analysis of the results and discusses their implications. It is a key component of the document and is essential for understanding the study's significance.

19. The nineteenth part of the document contains the conclusion, which provides a summary of the study's findings and conclusions. It is a key component of the document and is essential for understanding the study's overall message.

20. The twentieth part of the document contains the references and bibliography, listing the sources used in the study. It is a key component of the document and is essential for understanding the study's context.

21. The twenty-first part of the document contains the appendix, which includes additional data, tables, and figures that support the main text. It is a key component of the document and is essential for understanding the study's details.

22. The twenty-second part of the document contains the index, which provides a quick reference to the various sections and topics covered in the document. It is a key component of the document and is essential for navigating the document.

23. The twenty-third part of the document contains the glossary, which defines the key terms and concepts used in the document. It is a key component of the document and is essential for understanding the study's terminology.

1 out. This is the issue of warnings contraindications,  
2 cautions, and so forth.

3 We also believe that it's very important for  
4 the Agency to institute vigorous postmarketing survey  
5 procedures so it can more easily trigger that portion  
6 of the Act which permits the FDA to act to remove  
7 products that are unsafe once they've been -- and this  
8 is in Douche, once they've marketed. The idea then is  
9 good manufacturing practices and all other things that  
10 tend to being sure that the products on the market are  
11 properly manufactured, effectively packaged, and meet  
12 the requirements that would be sound for a marketing  
13 activity.

14 Second point is to make sure that there is  
15 effective information and we have said in other  
16 settings with the FDA and we say continuously that the  
17 Internet offers another opportunity for the FDA to  
18 create a very robust information flow. But the second  
19 point is a robust information flow so that consumers  
20 can match their interests and their desires and their  
21 needs with the regulatory position that the FDA is  
22 taking on the ability of a product to meet those kinds  
23 of interests.

24 And third we are arguing that there should be  
25 a more vigorous postmarketing monitoring process by the

1 FDA to systematically look at products as they have  
2 reached the market so that they can have in early  
3 warning system that means that things can be dealt with  
4 effectively. One of the things that an early warning  
5 system created by postmarketing surveillance, I believe  
6 could be organized to do, is avoid the kind of problems  
7 that turned up in the ephedra adverse events reporting  
8 system.

9 If a system has been organized systematically  
10 and scientifically to review who is using the products  
11 and what their effects, what their experience is and  
12 what the effects of the products are, if a system like  
13 that is organized prior to the product reaching the  
14 market, when the information is collected and I think  
15 it should be proactive and I had been critical for  
16 years, and the Citizens for Health has been critical  
17 for years about the passive adverse reporting system.  
18 We need an active system where the FDA is actually  
19 soliciting information in a systematic scientific way.  
20 That kind of information can be a very effective tool  
21 in providing the kind of information that would allow  
22 the FDA to act in a regulatory way that could sort  
23 through the process protecting the rights and the  
24 safety and the well-being and the health of both those  
25 people for whom these products are proper and effective

1 and useful products, and those people for whom they  
2 pose a danger.

3 That is a very sparse presentation of what  
4 can be a very active regulatory approach, but it  
5 suggests what we believe, from our point of view, an  
6 approach that is more suited to the kind of world in  
7 which we have millions of people using products safety  
8 safely and effectively, which are helpful to them,  
9 which are not excessively expensive to them, and which  
10 they have good experiences with protecting them while  
11 at the same time protecting those people who have  
12 either because of their own individual framework an  
13 adverse reaction potential or, more importantly, making  
14 sure that the products that are manufactured are done  
15 so in the way that protects individuals without having  
16 to remove the ability of people to have the products  
17 that will be useful to them.

18 That's the sum of my statement, and I am  
19 happy take questions at this point.

20 DR. JONES: Thank you, Mr. Turner.

21 For the record, will you state whether  
22 Citizens for Health is a consultant to or has any  
23 potential or real --

24 MR. TURNER: No, we have not been involved  
25 with the ephedra industry. We have paid our own --



1 I've arrived here by cab. We have paid our own way on  
2 this issue on all of the work that we've done to put  
3 this together is by the people in our office.

4 DR. JONES: Thank you, Mr. Turner, for the  
5 record.

6 Questions from the panel? Dr. Coates.

7 DR. COATES: For the record, Mr. Turner, what  
8 questions of the four were you answering with this  
9 discussion? For me it sounded much more oriented  
10 toward the regulatory components of FDA, issues which  
11 as I understood it were not really on the table for  
12 discussion today.

13 MR. TURNER: Well, the framework first of  
14 all, we are concerned about the way that the adverse  
15 information has been collected, and I was addressing  
16 that point. The information that the FDA has about the  
17 seriousness of this problem is very skewed information.  
18 I did decide and believe it was important to suggest an  
19 alternative way of dealing with that problem. To that  
20 extent that may very well be a regulatory issue.

21 But each of the points that I argued about  
22 how we should proceed are addressed at a specific issue  
23 that is considered to be a problem in the ephedra case  
24 and I did want to add that I believe that that these  
25 are generic problems. They were not specific to

1 ephedra. That is the issue of adverse reaction  
2 reporting on others is very similar in its failure as  
3 in the ephedra situation.

4 In fact we have been very critical of that  
5 entire system. So I am saying that the adverse  
6 reaction reporting piece has suggested that there's a  
7 problem here I believe has failed to create that. Or  
8 to support that.

9 DR. JONES: Other questions from the panel?

10 [No response.]

11 DR. JONES: Questions from the floor?

12 [No response.]

13 DR. JONES: Very good. Thank you, Mr.  
14 Turner.

15 [Applause.]

16 DR. JONES: Linda Golodner of Brett Kay, from  
17 the National Consumers Leagues.

18 Is someone to do the overheads up here yet?  
19 Could come up and again. Sorry the old technology used  
20 to be more reliable it looks like it's the opposite in  
21 this meeting.

22 Hello staff in the back of the room. They're  
23 booting up the system.

24 MR. KAY: They're at the end anyway.

25 I could go through the first part which is pretty brief

1 any then go through them.

2 DR. JONES: Thank you. And if you would,  
3 state your name for the record and who supports your  
4 work, et cetera.

5 MR. KAY: My name is Brett Kay I am a health  
6 policy associate for the National Consumers League. We  
7 are a private nonprofit consumer advocacy organization.  
8 We're funded through various means mostly membership  
9 and through grants and from foundations, and unions,  
10 and various other methods. But we're not taking it --  
11 we don't have any money from the dietary supplement  
12 industry and no one has paid our way to do any of the  
13 speaking today or testimony.

14 As I said, the National Consumers League is  
15 one of America's oldest nonprofit consumer advocacy  
16 organization and we've represented consumers and  
17 workers in the marketplace for over 100 years in  
18 assuring that consumers can purchase safe and effective  
19 products is of primary concern to our organization.

20 We have a long history of working with the  
21 Food and Drug Administration and its predecessors to  
22 require that manufacturers produce safe and effective  
23 products with truthful and not misleading label claims.  
24 The league support the FDA's efforts to reduce the  
25 risks associated with dietary supplements containing

1 ephedrine alkaloids. This product has clearly  
2 demonstrated that it has safety concerns with numerous  
3 adverse events reported to FDA.

4 In 1997, NCL submitted comments to the FDA  
5 regarding products containing ephedrine alkaloids  
6 urging the FDA to move in a timely manner to adopt then  
7 proposed regulations which included limiting the amount  
8 of ephedrine in these products to 8 milligrams per  
9 serving and 24 milligrams per day and requiring warning  
10 labels regarding recommended length of use.

11 Unfortunately, the proposed regulations were  
12 never finalized and the dosage and duration  
13 recommendations were withdrawn.

14 Since that time in June 1997, hundreds of new  
15 complaints added to the already numerous documented  
16 complaints up to that point have been filed. It seems  
17 clear that ephedra products pose a serious health  
18 threat and consumers are at risk.

19 Dietary supplements containing ephedra as  
20 we've heard many times, are currently sold for a  
21 variety of purposes including weight loss, increased  
22 energy, and body building. And because they are  
23 marketed to such a diverse population many of whom are  
24 vulnerable to the often tantalizing claims these  
25 products are ripe for abuse. Dieters seeking quick

1 weight loss or young men looking to pump up quickly are  
2 often desperate for fast results and may take more than  
3 the recommended dose for longer periods than  
4 recommended.

5 Because these products are often portrayed  
6 as a natural, most consumers assume they are safe. As  
7 more consumers turn to dietary supplements to self-  
8 medicate and improve their health, the safety these  
9 products must be ensured. Further, as consumer take  
10 more responsibility for the health they need to proper  
11 tools to make safe and effective decisions.

12 Without adequate labeling consumers are  
13 deprived of important and necessary information to make  
14 the proper decisions. Currently dietary supplements  
15 containing ephedra do not contain adequate labeling.  
16 As a result reports of seizures, heart attack, stroke,  
17 an even death are too common.

18 NCL supports the FDA's previously proposed  
19 rule as a necessary step to ensure that consumers are  
20 provided appropriate instructions and warnings about  
21 these products. Most of the adverse events reported  
22 occurred in otherwise healthy young to middle aged  
23 adults who used to products for weight control, body  
24 building, or increased energy.

25 Again, without proper labeling instructions

1 and warnings consumers cannot be educated or how to use  
2 these products safely. We are also concerned about the  
3 abuse of these products by adolescents. Teenagers and  
4 young adults are particularly conscious of their  
5 physical appearance and products promoting weight loss  
6 or bodybuilding could be subject to misuse by this  
7 population.

8 Further, the inclusion of ephedrine in  
9 products marketed as natural alternatives to illicit  
10 drugs such as ecstasy contribute to possible misuse and  
11 abuse by a vulnerable and impressionable population,  
12 one that often sees itself as invincible, and is all  
13 too often not the case.

14 I would like to talk about the issue of  
15 labels and consumer behavior. NCL recently conducted a  
16 survey of consumers attitudes and behaviors with over-  
17 the-counter drugs.

18 While OTCs and dietary supplements are very  
19 different products with drastically different  
20 regulatory requirements to consumers they are not so  
21 different.

22 The line between dietary supplements and OTCs  
23 has been blurring in recent years and many consumers do  
24 not differentiate the two products. They are next to  
25 each other on the shelves at pharmacies, supermarkets,

1 and convenience stores and are often advertised in  
2 similar magazines in similar ways.

3 According to the confusion is the fact that  
4 many well-known pharmaceutical manufacturers offer  
5 dietary supplements with the same brand name as their  
6 OTC products. Because of the similarities I feel it is  
7 relevant to provide some data about OTC use to gain  
8 perspective on dietary supplements. And since labeling  
9 is the most direct method of communication to consumers  
10 about a product it is important to see how consumers  
11 use the labels.

12 Please keep in mind that this was a telephone  
13 survey and there is often a disconnect between what  
14 people say they do and what they actually do. So these  
15 results sort of represent a best-case scenario and may  
16 be conservative estimates specifically for the dietary  
17 supplements.

18 This is the first just sort of to give you  
19 who it was done by, random sampling phone survey, plus  
20 or minus 3 percent margin of error, respondents were at  
21 least 18 years old, and it was conducted May 15th  
22 through the 31st, 2000. So these are pretty new  
23 survey data.

24 We asked a series of questions about how  
25 consumers view OTC products and view the labels and

1 also their own health care, and how they're making  
2 decisions. And, as you can see, compared to five years  
3 ago, making decisions on their own, more consumers are  
4 making health care decisions on their own; 58 percent  
5 represent or claim that they're making more decisions  
6 now than they were five years ago with only 9 percent  
7 making less decisions.

8 We asked a question about what you do when  
9 facing my health ailments. We asked what resources to  
10 help decide which OTC take. The number one response,  
11 information on the label. So what you see here is  
12 that a label plays a vital role in consumer  
13 information.

14 Additionally word-of-mouth from friends and  
15 relatives and ads also have a great deal of influence.  
16 I think this is even more so for dietary supplements,  
17 particularly for teenagers and young adults concerning  
18 weight loss or bodybuilding. I think friends and  
19 relatives and the advertisements and promotions  
20 probably have a great deal of influence.

21 The Internet as you can see also is a growing  
22 influence on consumer choice and again, for younger  
23 populations probably even more so.

24 How often do you generally read the label?  
25 The good news is that 66 percent or two-thirds of the



1 population, is reading the label almost all nearly  
2 every time. The bad news of course is that one-third  
3 of them are not reading the label on a consistent  
4 basis. That can pose a serious problem especially for  
5 the products where there are potential help risks,  
6 contraindications, or other warnings if a third of the  
7 population is not even looking for those in the first  
8 place.

9 And if you're talking about dietary  
10 supplements where they assume they are safe and  
11 natural, they may not look at the label even less.

12 How easy are the labels to read and  
13 understand? I think this is a very important and  
14 telling. And this is for OTCs, first of all, so the  
15 labeling requirements are very distinct and clear; 25  
16 percent still feel that it is somewhat or very  
17 difficult. That's one quarter of the population.

18 For dietary supplements, I think, which have  
19 different labeling requirements than the OTCs may be  
20 even more confusing.

21 Now, do you take more than a recommended  
22 dose? And 32 percent are saying that almost always, or  
23 most, or some of the time they are taking more than the  
24 recommended dose. For product like ephedra, this can  
25 have serious health implications.

1           And then the other question which is of  
2           issue, do you take them for long than recommended?  
3           Again one-fifth, a larger than acceptable number of  
4           consumers, is saying that they take OTC products for  
5           longer than recommended.

6           We can extrapolate this out to them taking  
7           dietary supplements in similar ways and also  
8           misbehavior may lead to serious health problems for  
9           people using products containing ephedrine alkaloids.

10          So while the league supports the previously  
11          proposed rule, we also urge the FDA to continue to  
12          evaluate the safety of these products. Because many of  
13          the health conditions that increase the risk of adverse  
14          events are not self-evident such as hypertension,  
15          diabetes, and other cardiovascular conditions. People  
16          with such conditions who use these products are unaware  
17          of the health risks.

18          Further, more and more people are turning to  
19          dietary supplements and there is increased attention on  
20          body image by adolescents and young adults in the  
21          population that often leased inappropriate behaviors.

22          Thus, if the scientific data shows there is  
23          no safe level of ephedrine alkaloids that can be used,  
24          an adequate labeling regime cannot be implemented, then  
25          we feel that dietary supplements containing ephedrine

1 alkaloids should be removed marketplace.

2 Thank you for this opportunity. I will  
3 accept any questions.

4 DR. JONES: Thank you, Mr. Kay. This  
5 presentation is now open for questions. Panelists, any  
6 questions? Dr. Philen.

7 DR. PHILEN: Rossanne Philen from the Centers  
8 for Disease Control.

9 DR. JONES: We can't hear you.

10 DR. PHILEN: I'm Rossanne Philen from the  
11 Centers for Disease Control and Prevention. I believe  
12 I heard you say that you collect adverse event reports;  
13 could you tell us more?

14 MR. KAY: No.

15 DR. PHILEN: No?

16 MR. KAY: We do not collect adverse event  
17 reports. I was talking about the ones collected and  
18 documented from the FDA.

19 DR. PHILEN: From the FDA?

20 MR. KAY: Yes.

21 DR. PHILEN: Okay. All right. So you don't  
22 collect an of that information?

23 MR. KAY: No, unfortunately, we do not.

24 DR. PHILEN: Okay.

25 MR. KAY: We receive anecdotal things from

1 time-to-time on a series of consumer and health issues,  
2 but nothing systematic in any way.

3 DR. PHILEN: Do people ask you where or what  
4 they can report or to whom?

5 MR. KAY: Yes, we have promoted FDA's  
6 Medwatch program in the past and have I put it on  
7 brochures and other educational materials that we've  
8 used, and if people call today, if they have problems,  
9 I tell them to report to FDA Medwatch program.

10 DR. PHILEN: Thank you.

11 DR. JONES: Other questions from the panel?

12 One question I have, Mr. Kay, is do you have  
13 any information on what consumers -- you indicated for  
14 your OTC-related survey that they looked at the label,  
15 most of them do read the labels, a lot still don't, and  
16 that they're easy to understand and so forth, but do  
17 you have any indication where consumers go for other  
18 information, not just on use of the product, per se,  
19 like you suggested, that, you know, the literature,  
20 friends, or whatever --

21 MR. KAY: Right.

22 DR. JONES: But what they consider reliable  
23 sources. And it goes to reliability of information  
24 that consumers might --

25 MR. KAY: Yes, I do. Actually, one of the --

1 I didn't have it on these slides, but part of -- the  
2 most reliable that people usually use is the doctors  
3 from this survey and from others consistently for  
4 health information people are still relying --  
5 something around 65 percent or so talk to their doctor.  
6 Another 50 percent consider the pharmacist, especially  
7 for something for minor ailments, will talk to the  
8 pharmacist; nurses, probably about 30 percent or so.  
9 That's what they consider. It's health care  
10 professionals, generally.

11 DR. JONES: Okay. Questions, from the floor?

12 [No response.]

13 DR. JONES: No. Mr. Kay, there might have  
14 been a paper that dropped from your sheaf there.

15 MR. KAY: Thank you.

16 DR. JONES: Thank you very much, Mr. Kay.

17 [Applause.]

18 DR. JONES: Let me now call Col. Ester Myers  
19 from the U.S. Air Force for presentation.

20 COL MYERS: Good afternoon, Dr. Jones, panel  
21 members. I'd like to share a just a few comments with  
22 you this afternoon addressing the nutritional  
23 supplements and some unique military concerns as your  
24 deliberate the answers to your questions and ask you to  
25 particularly addressed concerns that we might have.

1 DR. JONES: And you will state your name for  
2 the record and any potential conflicts of interest.

3 COL. MYERS: I'm Col. Ester Myers, the  
4 Surgeon General's consultant for nutritional and  
5 dietetics for the Air Force. As far as I know I have  
6 no conflict of interest.

7 As you so mentioned the government owns us.

8 [Laughter.]

9 COL. MYERS: The unique military concerns  
10 will surround three different possible issues. One of  
11 them is what happens during deployment and assignments  
12 overseas? Another one has to do with our special  
13 flying population aviators and then the personnel  
14 reliability program. And let me explain a little bit  
15 about each of those.

16 Now, to address the issue of ephedra for us,  
17 we kind of put it in the whole issue of herbals and  
18 dietary supplements. It is one of those that need to  
19 be addressed in each of these issue. And I'll mention  
20 specifically who may talk about ephedra.

21 In terms of deployment and being located  
22 overseas there are many varying supplements available  
23 when a person deploys. You'll find that the product  
24 certainly that are available in Europe and Asia vary  
25 from what are available in the United States and they

1 may be thinking that they are taking the same type of  
2 product when it in fact may be very different. The  
3 labeling requirements differ. Right now the dietitians  
4 that are assigned in Usaf are having the challenge of  
5 coming out with a listing of products that are  
6 comparable to the American product so that our  
7 servicemen can make reliable decisions about what to  
8 take.

9 And the second one in terms of taking  
10 sometimes that they are limited by what they can take  
11 along with their baggage so that they may take issue a  
12 supply supplements when they leave. It may not be  
13 sufficient for the entire time that they are there.

14 Probably the major concern though is what  
15 happens in that environment during deployment. In many  
16 cases that heat is very high, especially if they go to  
17 the Middle East, that's coupled with a very high level  
18 of activity, and at the same time a very high level of  
19 stress.

20 Several of the presentation this morning have  
21 mentioned the fact that ephedra may be affected by all  
22 three of those issues.

23 In addition, to that, there may be unfamiliar  
24 fluid and electrolyte beverages and there may be  
25 limited availability and ephedra and dehydration is

1 also a major concern for us.

2 Of particular concern in the Air Force is  
3 what happens to our flying population and aviators.  
4 And we have addressed different types of products that  
5 they need to be very careful about, certainly anything  
6 that's a cardiovascular agent, one of which is ephedra,  
7 a sedative, hallucinogen, and anything that will  
8 promote dehydration since that's critical to our flying  
9 personnel.

10 The third type of issue confronted by the  
11 military is what we call the PRP, that's our personnel  
12 reliability program. There is a an entire regulation  
13 that deals with that. Personnel reliability, the goal  
14 of that particular program is to screen and select  
15 anyone who has the capability or will be handling  
16 nuclear weapons. And then to remove anyone that might  
17 have any reason why they could not -- or have  
18 questionable reliability.

19 In particular you are looking for anything  
20 that would have mood alterations that would cause  
21 changes in mood. We have submitted changes to the Air  
22 Force instruction which is pending release of the DOD  
23 instruction that we would like for the military members  
24 to consider that herbal and dietary supplements be  
25 treated the same as over-the-counter.



1           What that means is, that they need to talk  
2 with their physician about self-medication so the  
3 positions could then evaluate to be whether or not they  
4 could continue in their status as PRPs.

5           In 1998, we did form a working group in the  
6 Air Force that was dealing with nutritional supplements  
7 in particularly addressing aviators and personnel on  
8 PRP program. The people in the working group were  
9 consultants, dietitians, pharmacists flight surgeons.  
10 We also had members of external agencies, NIH from the  
11 Office of Dietary Supplements, ASMA, which is the  
12 association that sets and regulations for commercial  
13 airline pilots as well as representatives from USARF.

14           We were targeted to address the guidelines  
15 for the military members who were aviators and PRP. We  
16 wanted to look at an educational approach so that  
17 people could make reliable decisions instead of coming  
18 out with a magic list of those that could they could  
19 and could not take.

20           We were very concerned about labeling and the  
21 issue about how much active ingredient were in the  
22 various products so that the personnel again could make  
23 reliable decisions. And then we wanted to address the  
24 marketing of products on military installations.

25           There are three major venues of products of

1 ways that products can be sold on military  
2 installations. One of them is through AAFES, which is  
3 the Army Air Force exchange service they have a  
4 contract with a company that produces and sells dietary  
5 supplements. Another venue is in DECA, which is our  
6 commissary, our grocery store. And a third venue is in  
7 the fitness centers. And in the fitness centers often  
8 what they would come up with is the juice bars and so  
9 the items that are being made do not have labels that  
10 are readily available to for the consumer to look at.

11 Our major concern about this was that it was  
12 implied consent. Just as people had indicated that if  
13 a product is available on the market there is an  
14 implied assumption that it is completely safe.

15 The same thing is probably true about issues  
16 about products that are sold on military installations.  
17 You take the young, 18-year-old airman, soldier, or  
18 Marine Corps man, put them on the base and then sell a  
19 product, and they're going to assume that that's safe  
20 for them to use and also allowable.

21 And as I showed you earlier, there are some  
22 cases when it is not allowable for them to take  
23 different products. And then we come up with the legal  
24 dilemma of the commander says I don't want this sold on  
25 my base, but it's legally available on the market; then

1 there's a whole lot of legal issues about whether the  
2 commander wants to ban it on his particular base to  
3 protect his soldiers.

4 In terms of the policy guidance that came out  
5 of that working group one was the recommendation and  
6 PRPs that herbal and dietary supplements be treated as  
7 over-the-counter which means they must in fact report  
8 them to their physician and get official approval to  
9 use them.

10 There was an Air Force Surgeon General policy  
11 to that effect indicating that they should be treated  
12 as over-the-counter for the two categories of people  
13 were talking about, anyone who is on PRP or on flying  
14 safety.

15 Special tactics and Madge COMS issued several  
16 policy letters. The services agencies which is the  
17 agency over the fitness centers has also issued a  
18 policy letter indicating for those people who on flying  
19 status or PRP that the products needs to be tagged so  
20 that they can know what products they can safely take  
21 and still maintain their flying status or their PRPs  
22 status. And ephedra is one of the products that we  
23 recommend they do not take.

24 And then there is an ATHEs information paper  
25 that indicates they must have a precautionary label on

1 those items. And I know that you can't read this label  
2 but it is a five-by-seven precautionary notice that is  
3 supposed to be placed anywhere they sell the dietary  
4 supplements.

5 The content of that notice. First of all, it  
6 says the "follow instructions," you don't take more  
7 than is on the label. It says "more is not better  
8 specifically." It addresses that you must consult your  
9 health care provider, it identifies what are common  
10 symptoms and then it indicates to stop immediately if  
11 you feel those symptoms. It defines dietary  
12 supplements in the context of health care. In other  
13 words contact your provider before you take these  
14 items.

15 A special warning for pregnant mothers and  
16 children and then tells you again how to report the  
17 adverse side effects.

18 Other existing guidance. If you're on flying  
19 status, you are already limited to one multivitamin a  
20 day and any supplements that are approved by the flight  
21 surgeon. The drug testing program again outlaws  
22 certain dietary supplements and then for flying status  
23 if your own and anorectic drug that's medically  
24 disqualified for flying.

25 In terms of looking at implementing these

1 policies we said there was a lot of education that  
2 would required, both for the consumer as well as for  
3 the health-care providers. So we did create a trifold  
4 brochure, then again ephedra is one of the products  
5 that's listed on the page that says specific  
6 supplements to avoid that you need to contact your  
7 health care provider and it is listed under a  
8 cardiovascular effector agent.

9 There is a web site that has this brochure on  
10 it as well as a list of papers for military members  
11 that specifically addresses issues for us.

12 We've created a videotape for health-care  
13 providers to make sure that the flight surgeons when  
14 the fliers or people on PRP came in and asked questions  
15 they were familiar with all the different names the  
16 products might have and how to address those. And then  
17 a series of news articles.

18 The dietary supplement brochure includes  
19 definitions of policy summary, guidelines for use,  
20 types of supplements to avoid, and sources of  
21 information, and web sites.

22 This is the web site if you want to look on  
23 you can look it up at any point and log on to see what  
24 kind of information is available to military members.  
25 There is a reading room section that's for both the

1 provider or the physician.

2 The videotape is basically a primer on herbs  
3 and supplements with some common names that tells the  
4 provider how to address that in their conversations  
5 with the patients.

6 These are the types of news releases that are  
7 targeted for the military members.

8 Other initiatives, we are focusing on looking  
9 at ephedra. We have had two deaths in the military  
10 that are associated with ephedra, so it has got our  
11 attention. We are looking for examples about the  
12 reporting incidents we were talking about  
13 underreporting today and I think that that is  
14 absolutely true. We are looking at how to enhance  
15 that. The Army policy letter again is reinforcing for  
16 people to make sure that they're reporting those up  
17 through the system to make sure that those are  
18 adequately reported.

19 In one week at Travis alone we had three ER  
20 admissions due to dehydration secondary to taking  
21 products with ephedra.

22 In looking at the health behavior survey,  
23 Captain Gortney from the Navy was successful in adding  
24 three questions to the supplement to the questionnaire  
25 that will be administered in the year 2003 that

1 specifically asked basically your question about the  
2 denominator. How many people are taking them, and how  
3 often they're taking them in the military population.  
4 So when we look at those two pieces of data now we will  
5 hopefully have a good nominator and denominator for us.

6 And we are collaborating with the FDA  
7 discussing underreporting, what kinds of things we can  
8 do to reduce the underreporting and get an accurate  
9 member as well as a potential for data sharing so we  
10 can look to see what is the real issue and what do we  
11 need to do to maintain the safety of our military  
12 members.

13 We are exploring the possibility of a DOD  
14 policy on ephedra rather than service specific. The  
15 Navy is very concerned, had a working group about a  
16 month ago, or two months ago now, specifically  
17 addressing supplement use in the training environment;  
18 again, where they're under heavy exercise and lots of  
19 stress.

20 Looking at finding out whether or not we can  
21 get precautionary labels similar to what's in AAFES, in  
22 the Navy exchange, which is the NEX, or the DECA and  
23 the Commissary. Right now we only have them in the  
24 AAFES.

25 Bottom line, in the military we are very

1 concerned. We need to make sure that we safeguard the  
2 health of the military members. We do have some unique  
3 concerns particularly in cases of deployment what  
4 should happen in supplement use during deployment for  
5 our aviators and for people on the personnel  
6 reliability program. And we're looking for governing  
7 guidance and specific studies that will show us whether  
8 or not these products are safe for our military  
9 members.

10 Thank you very much.

11 [Applause.]

12 DR. JONES: Thank you Col. Myers. Questions  
13 from the panel? Dr. Schwetz.

14 DR. SCHWETZ: You mentioned a special warning  
15 for pregnant women and children, can you say more of  
16 what that warning says? If you would supply a copy for  
17 the record?

18 COL. MYERS: I can give you a copy for the  
19 record, I certainly can.

20 I think that is says specifically, consult  
21 your physician. But I can get that for you.

22 DR. JONES: Dr. Philen.

23 DR. PHILEN: Col. Myers, does the Air Force  
24 have any systematic recordkeeping regarding adverse  
25 events related to this ephedra or other supplements?



1 COL. MYERS: Not outside of the FDA system.  
2 Our reporting system is who -- we do have each hospital  
3 obviously has a committee that looks at the different  
4 effects and so forth. But our method right now in  
5 terms of collecting it throughout is through the FDA.

6 There has been some discussion of whether or  
7 not we need to set something similar. When we went  
8 back to look under flying safety, in particular, we  
9 wanted to go back to say, tell us the results of the  
10 flying safety; how many flying mishaps have been  
11 attributed to something like this? And the data isn't  
12 stored in the way that we can use it.

13 DR. JONES: Dr. Coates.

14 DR. COATES: Col. Myers, given the discussion  
15 that occurred earlier today about -- the word isn't  
16 "discrepancy" but differences of interpretation about  
17 autopsy findings. Would there be any possibility of  
18 submitting records of the deaths that you referred to  
19 that could be attributed to ephedra to some independent  
20 evaluation to determine as some of the ones this  
21 morning were submitted -- raise the question about how  
22 closely associated with ephedra use they were.

23 COL. MYERS: If you forward the request I can  
24 see how it goes through channels. One was a different  
25 service -- both were from different services than mine,

1 so I would have to -- if you wanted to submit the  
2 request. Or get with me afterwards and I can tell you  
3 how to find out the answer to that question.

4 DR. JONES: But those data could be obtained  
5 theoretically? They could be requested, let me put it  
6 that way?

7 COL. MYERS: The could be requested.

8 DR. JONES: We can ask for anything.

9 [Laughter.]

10 DR. JONES: Other questions from the panel?

11 [No response.]

12 DR. JONES: Questions from the floor?

13 [No response.]

14 DR. JONES: I will note Col. Myers, thank you  
15 very much, you offered to provide for the record the  
16 warning for women who are pregnant or may become  
17 pregnant.

18 COL. MYERS: The precautionary labeling, yes.

19 DR. JONES: Yes, the precautionary notice.

20 As well, you held up a trifold brochure, if you would  
21 provide that for the record as well we would appreciate  
22 that.

23 COL. MYERS: I certainly will.

24 DR. JONES: Thank you very much, Col. Myers.

25 Dr. Fugh-Berman.

1 DR. FUGH-BERMAN: Good afternoon, I am  
2 Adraine Fugh-Berman. I am a physician and vice chair  
3 of the National Women's Health Network which is a  
4 consumer advocacy group that's independent, member-  
5 supported, we take no money from pharmaceutical  
6 companies, medical device manufacturers, or dietary  
7 supplement companies. I do not personally take money  
8 from any of these entities and put this together on my  
9 own time and paid for my own farecard.

10 Ephedra is -- I want to go back to a point  
11 that Dr. Fong made this morning which is that ephedra  
12 has a long history of use in both western and eastern  
13 herbalism. But that it's traditionally used for  
14 respiratory ailments, asthma, usually, and there is no  
15 reported cases of adverse events that are associated  
16 with traditional use of this herb. And this might be  
17 because the side effects of increased metabolism are  
18 actually something that one tries to avoid in asthma  
19 treatment.

20 Asthmatics just what to breathe, we don't  
21 want to stay up all night. And we adjust dosages to  
22 avoid side effects like rapid heartbeat or insomnia  
23 which are actually common to a lot of asthma  
24 medications. But a speeded up metabolism is the goal  
25 if you're trying to lose weight, if you're a

1 bodybuilder or if you're trying to get high. And the  
2 use of ephedra for weight loss, bodybuilding, energy,  
3 or recreational use has no traditional precedent, and  
4 it can't be considered safe.

5 Any range in which weight loss occurs is an  
6 overdose. Ephedra has been associated not only with  
7 strokes, heart attacks, kidney stones, and chest pain  
8 in adolescence, but ephedra does not cause side  
9 effects in everyone. Clearly there is susceptible  
10 populations and populations that are less susceptible,  
11 but we don't know what the difference is between these  
12 two populations.

13 Our response to the first question that has  
14 been given the panel is that ephedra contains -- well  
15 I'm not going to go into what it is, we have already  
16 really heard that. We've heard about systemic  
17 affects, bronchodilation, increased peripheral  
18 resistance, increased heart rate, increased blood  
19 pressure.

20 Now, bronchodilation can be helpful in those  
21 with asthma and over-the-counter oral asthma  
22 medications including Primateen, and Bronchaid do  
23 include ephedrine and many unconventional and  
24 conventional treatments for asthma can increase heart  
25 rate and blood pressure and they can be dangerous in

1 people with underlying cardiovascular disease or  
2 cerebral vascular abnormalities, sympathomimetic agents  
3 are contraindicated in people MAOIs, in people with  
4 prosthetic hypertrophy, in people with thyroid  
5 disorders.

6 But it least -- and this is in response to  
7 Dr. Lieberman's comment this morning -- at least the  
8 labels on the ephedrine-containing asthma drugs they  
9 had decent warning labels; and I've included some  
10 Xerox's of them in my testimony which I will make  
11 available to the panel.

12 Primateen, for example states "intentional  
13 abuse of this product can be harmful or fatal." Still  
14 recommended dosages on these OTC drugs is up 150  
15 milligrams a day. And I would say that in an ideal  
16 world, I would want none of these sympathomimetic other  
17 than the methyzanthenes, don't mess with my coffee and  
18 chocolate, these other sympathomimetic should only be  
19 given to people under the supervision of a health-care  
20 practitioner who is knowledgeable in their use.

21 And the National Women's Health Network  
22 recommends that both warnings and dosage information on  
23 ephedrine-containing dietary supplements and ephedrine-  
24 containing from OTC drugs be standardized.

25 Consumers assume that products that are

1 available OTC safe, but there should be a higher  
2 standard of safety for over-the-counter products and  
3 for prescription drugs and there should be a higher  
4 standard of safety for products that are used for  
5 cosmetic concerns rather than medical conditions. Low  
6 energy and wanting well-defined muscles are not medical  
7 conditions. And while obesity is associated with  
8 several health problems, it's very difficult to  
9 separate the risk of obesity from the risk of dieting.  
10 And we would certainly want any products that are  
11 available for weight loss that are over-the-counter to  
12 be safe.

13 Ephedra is not safe. It was very interesting  
14 hearing Dr. Love's excellent report this morning that  
15 it was quite striking that 40 percent of adverse events  
16 related to dietary supplements are associated with  
17 ephedra-containing products. But it really struck me  
18 about 64 percent, she said 64 percent occurred in  
19 people under 39 and 16 percent of these adverse events  
20 in people under 19.

21 You know it's tough to hurt young people.  
22 You know, think back about the ways we used to abuse  
23 our bodies when we were teenagers. You know, it's  
24 pretty hard to cause an adverse event in a teenager.  
25 Ephedra has done so. So not only has it been

1 associated with many medical problems, but also  
2 psychiatric problems, ephedrine-induced psychosis and  
3 episodes of mania are quite well-documented.

4 And it's been associated with the chest pains  
5 in adolescence, this is the study that has not been  
6 mentioned today, but it was the case controlled study  
7 28 adolescents with chest pain versus 26 adolescence  
8 with other complaints that found ephedrine in 17.8  
9 percent of the cases of chest pains and 0 percent of  
10 the controls.

11 None of the kids in whom ephedrine was found  
12 admitted use of ephedrine-containing products. And  
13 routine urine screens won't pick it up, these were not  
14 picked up by tox lab or EMED, they were picked up on GC  
15 Maspec. Ephedra is also associated with kidney stones  
16 and a kidney stone database has analyzed over 200  
17 stones that contain ephedrine, norephedrine, and  
18 pseudoephedrine.

19 I have already mentioned the case of this 23-  
20 year-old who was taking an ephedra caffeine product, a  
21 fatality. There was another product that was used  
22 successfully for suicide, ephedrine/caffeine  
23 combination. There has been a case of a severe MAOI  
24 interaction that was reported a between phenelzine and  
25 ephedrine product that contained caffeine and also

1 theophylline.

2 Now, there's been a number of trials of  
3 ephedrine for weight loss and increased heart rate has  
4 been seen in most of these. The increases in blood  
5 pressure are less consistent and they're probably more  
6 likely to occur early on in use. In studies that have  
7 looked blood pressure within a few hours of taking  
8 ephedrine they found increased blood pressure in at  
9 least some percentage of the participants.

10 In most of the trials of weight loss, what  
11 they've done it to not take the first blood pressure  
12 reading after baseline until a week or usually four  
13 weeks after the trial has started and people get  
14 habituated by that.

15 So in those trials you do not see an increase  
16 in blood pressure over the long-term. And the reason  
17 that I'm pointing that out is that, okay, maybe people  
18 do habituate to the ephedrine's cardiovascular affects  
19 after while, but if you have an underlying  
20 cardiovascular or cerebral vascular problem you don't  
21 need to have your blood pressure high for more than a  
22 couple of days to have a problem. So that immediate  
23 effects of tachycardia or hypertension can still be  
24 important and that actually has been looked at very  
25 carefully. Additionally very few these trials have



1 actually included hypertensive patients.

2           There have been a number of statements made  
3 here today about the efficacy of this herb for weight  
4 loss. I've made a chart of weight loss trials that I  
5 could find. It omits four Danish studies that there  
6 are no English abstracts for and I don't have  
7 translation resources for. But I've identify eight  
8 weight loss trials not counting the one that Lori Love  
9 mentioned this morning. And only three out of these  
10 eight trials showed a beneficial effect on weight loss.

11           Five out of eight in these trials show no  
12 difference in weight loss between a placebo group and  
13 ephedrine or an ephedrine/caffeine combination, in one  
14 case caffeine ephedra aspirin.

15           A couple of trials have included  
16 hypertensive. There is one trial of the ephedrine  
17 caffeine combination that included treated hypertensive  
18 and did not find more hypertension in a group that was  
19 already on treatment.

20           In the answer to question two, we would say  
21 there's no well-established indications for the use of  
22 dietary supplements containing ephedrine alkaloids.  
23 Ephedra increases for thermogenesis as do many  
24 sympathomimetic agents, but these trials have not shown  
25 a consistent or a dramatic effect on weight loss and

1 they have shown significant adverse affects.

2 Not only are most of these weight loss trials  
3 quite small, but there's a strikingly high drop-out  
4 rate. And a number of those drop-out are due to  
5 adverse side effects. Sometimes the re not perhaps  
6 what we would consider serious side effects, but they  
7 aer insomnia, they are palpations, there's nausea and  
8 vomiting that sort of thing. Good reasons for somebody  
9 to drop out.

10 The use of ephedra for the treatment of  
11 respiratory conditions is probably not dangers and  
12 giving the bronchiodialating affects of ephedrine, it's  
13 probably effective, but there's been no  
14 methodologically acceptable clinical trials of efficacy  
15 of ephedra preparations for respiratory conditions that  
16 I they could identify.

17 There is some evidence that a  
18 caffeine/ephedrine combination can improve aerobic  
19 exercise performance, and you saw one of those studies  
20 mentioned today, the Bell study. Well, what wasn't  
21 mentioned was that not only does the treatment increase  
22 heart rate, but the incidence of nausea and vomiting in  
23 that study was 25 percent.

24 In answer to question three about whether  
25 there are differences in individual sensitivity;

1 individual sensitivity can only be established  
2 retrospectively, after an adverse event has occurred.  
3 Certainly some are more susceptible than others. This  
4 is the same with cocaine, the majority of cases of  
5 stroke that are associated with ephedrine have  
6 occurred in people with cerebral vascular  
7 abnormalities. However, cerebral vascular  
8 abnormalities are not very uncommon; they affect about  
9 1 percent of the population, and, you know, most of us  
10 don't have a prescreening MRI before we go to buy our  
11 dietary supplements.

12 Ephedra is certainly associated with more  
13 adverse reactions when combined with other  
14 sympathomimetic. Okay, we don't know what, for which  
15 subpopulations ephedra may be more dangerous, but we do  
16 know that virtually all of the adverse effects the  
17 associated with use ephedra alkaloids have had been  
18 when it was used for weight loss, recreational use, or  
19 bodybuilding.

20 Asthmatics are apparently the only population  
21 who are responsibly using this herb. And so I say  
22 there's no safe doses, but there are safe indications.

23 For number four, are the outcomes associated  
24 with use of these products affected by dosage or other  
25 factors? Maybe, but we don't have enough information

1 to date to take the answer these questions and there's  
2 high variability in products which has already been  
3 mentioned.

4 Ephedra should not be available in products  
5 that are labeled for weight loss, labeled for  
6 bodybuilding, fitness, energy enhancement, or  
7 recreational use. The only indications for which  
8 ephedra products should be labeled are respiratory  
9 conditions.

10 The National Women's Health Network would  
11 oppose taking ephedra off the market; for traditional  
12 use in traditional forms it is not hazardous. But it's  
13 use for weight loss, exercise enhancement, or as an  
14 energizer are not traditional uses and any dose at  
15 which these effects occurs in overdose. Thank you.

16 [Applause.]

17 DR. JONES: Thank you, Dr. Fugh-Berman.

18 Questions from the panel?

19 [No response.]

20 DR. JONES: Questions from the floor?

21 [No response.]

22 DR. JONES: Thank you very much, Dr. Fugh-  
23 Berman.

24 Next Michael MGuffin, President of the  
25 American Herbal Products association.

1 MR. MCGUFFIN: Good evening, Dr. Jones,  
2 esteemed panel, members of the audience. And thank you  
3 for the opportunity to present an industry perspective  
4 on the safety of dietary supplements containing  
5 ephedrine alkaloids.

6 My name is Michael McGuffin and I am  
7 President of the American Herbal Products Association  
8 or AHPA. AHPA is the national trade association and  
9 voice of the herbal products industry comprised of  
10 companies doing business as growers, importers,  
11 manufacturers, and marketers of herbs in herbal  
12 products. AHPA serves its members by promoting the  
13 responsible commerce of products that contain herbs.

14 As some AHPA members sell products that  
15 contain botanical ephedra and extracts of ephedra we  
16 have an interest in the regulation and safety of such  
17 products.

18 My presentation here will primarily summarize  
19 an ephedra survey undertaken by AHPA earlier this year.  
20 I will provide quantitative information tabulated in  
21 this survey on the total consumption or actually total  
22 sale of supplements containing ephedrine alkaloids and  
23 also on serious adverse events reported by consumers to  
24 manufacturers and marketers of these products.

25 I will also discuss some of the

1 manufacturing, marketing, and labeling practices that  
2 were observed in this survey. As other speakers have  
3 acknowledged, AHPA has taken a lead on establishing  
4 industry guidance on the responsible sale of products  
5 containing ephedra since 1994 when a trade  
6 recommendation for such products was first approved by  
7 our board.

8 This formal industry position has been  
9 revised from time-to-time and is currently supported by  
10 other trade associations including the National  
11 Nutritional Foods Association, the Utah Natural  
12 Products Alliance, and the Consumer Health Care  
13 Products Association. The policy which serves as a  
14 condition of membership for all AHPA members who sells  
15 supplements containing ephedra consist of several  
16 elements. These include serving limits of 25  
17 milligrams of total ephedrine alkaloids per serving and  
18 a 100 milligrams per day, a requirement to state the  
19 amount of ephedrine alkaloids on the product's label, a  
20 cautionary label statement that limits use to persons  
21 over the age of 18 that cautions against consumption of  
22 any amount in excess of the recommended serving size  
23 and against unsupervised used by persons with certain  
24 pre-existing conditions. It also recommends against  
25 use in pregnancy, and it also recommends

1 discontinuation in the event that specifically  
2 described side effects are experience.

3 The industry position also prohibits the use  
4 of synthetics of ephedrine alkaloids and prohibits the  
5 marketing of any ephedra product as an alternative to  
6 an illegal street drug.

7 AHPA and the others associations that I  
8 mentioned earlier recommended in May 1999 that FDA  
9 adopt these policies for all supplements that contain  
10 ephedrine alkaloids. Our organizations and others have  
11 also worked to establish these guidelines as  
12 regulations in several states including Ohio, Missouri,  
13 and Hawaii.

14 At the beginning of this year I AHPA, through  
15 it's ephedra committee, sponsored a survey of companies  
16 that sell supplements containing ephedra or extracts of  
17 ephedra. The survey was administered by the accounting  
18 firm of Arthur Andersen, LLP. The target companies  
19 were 42 manufacturers and/or marketers including both  
20 AHPA and non-AHPA members, whose products were listed  
21 in the FDA's initial adverse event report as reported  
22 in the proposed rule published by FDA, June 4th, 1997.

23 The survey consisted of a series of general  
24 questions regarding each company's overall business as  
25 well as specific questions related to current

1 manufacturing, labeling, and marketing of supplement  
2 products containing ephedrine alkaloids. The survey  
3 also requested quantitative sales information for each  
4 of the last five years.

5 Of the four to 42 companies solicited Arthur  
6 Andersen received responses from 14, eight of the 14  
7 respondents were AHPA members; all responses to the  
8 survey were confidential. So the report received by  
9 AHPA consisted of summarized aggregates of individual  
10 responses.

11 The compiled results of the survey included  
12 the following data: the survey asked for information  
13 regarding the principal purposes for which these  
14 products are marketed; 12 of the 14 respondents replied  
15 to these questions and reported such uses as we've  
16 heard here today; weight loss, energy, increased  
17 athletic performance, and for a few products, four  
18 products, for use for colds and asthma.

19 A significant portion of the survey was  
20 designed to measure the degree to which these products  
21 are in conformity with the industry's trade  
22 recommendations. The maximum amount of total ephedrine  
23 alkaloids recommended in each serving was reported to  
24 be less than 25 milligrams, the industry recommended  
25 maximum for all but one of the respondents' products.



1 And this was a total of about 120 products between the  
2 14 companies.

3 The maximum amount recommended to be consumed  
4 within a 24-hour period was less than 100 milligrams,  
5 the industry-recommended maximum for all of the  
6 respondents' products. All respondents reported that  
7 they use a cautionary statement substantially similar  
8 to the statement recommended by the industry standard,  
9 except that one of the 14 companies reported that it  
10 does not include the recommendation against use by  
11 persons under 18 years of age as is recommended by  
12 industry.

13 All of the respondents reported that they  
14 state on their product's label the amount of ephedrine  
15 alkaloids contained in the products as recommended in  
16 our standard. All but one reported to their  
17 manufacturing practice include testing of each lot of  
18 finished product to determine the labeled amount.

19 Twelve of the 12 respondents who sell  
20 products that all so include xanthene alkaloids  
21 caffeine reported the quantity of these alkaloids is  
22 also disclosed on the label.

23 All respondents reported that they do not use  
24 synthetic ephedrine in any of their products consistent  
25 with the industry's long-standing prohibition against

1 use of such ingredients. One of our primary interests  
2 in conducting the survey was to determine the breath of  
3 consumption of these products. We were only able to  
4 ask sales as we didn't have access to information about  
5 how many consumers that represents, or how many doses  
6 each of them took.

7 The total number of servings sold by the 14  
8 responding companies defined as the maximum amount to  
9 be consumed each use per the directions on the label  
10 was reported with each of the past five years. The  
11 total serving sod were reported to be 425 million in  
12 1995; 585 in 1996; 976 in 1997; 1.75 billion in '98 and  
13 over 3 billion servings last year.

14 This significant, ongoing, increase  
15 represents a more than sevenfold increase in sales in  
16 1999 compared to 1995, and the average annual growth in  
17 sales volume of 64 percent in each of the intervening  
18 five years, and total sales of 6.8 billion servings  
19 over the five-year period.

20 The final portion from the survey was  
21 designed to determine whether companies that sell these  
22 products are prepared to receive consumer complaints  
23 and to quantify any serious adverse events reported.  
24 For purposes of the survey a serious adverse event was  
25 defined as quote, "any report of a person suffering a

1 heart attack, stroke, seizure, death or other injury  
2 that resulted in hospitalization or treatment by a  
3 physician." The survey found that all 14 respondents  
4 reported that they do have systems in place for  
5 collecting reports of serious adverse events, a total  
6 of 25 serious adverse events were reported to the 14  
7 respondents in 1999 which can be calculated at just  
8 over 8 such reports with each billion serving sold

9 A total of 66 serious adverse events was  
10 reported to the 14 respondents of the five-year period  
11 from '95 to '99 compared to the more than 6.8 billion  
12 servings sold in the same five-year period. This total  
13 represents a reporting rate of less than 10 such  
14 reports per billion servings sold.

15 Because the responses to the survey were  
16 confidential no follow-up was done to assess the  
17 legitimacy of any of these reports of serious adverse  
18 events; nor did the survey attempt to evaluate  
19 individual companies' procedures for collecting reports  
20 or establish any degree of probability between any  
21 report and any actual adverse event.

22 All reports identified here should therefore  
23 be considered to be just that, reports filed by  
24 consumers. It is also valuable to note that although  
25 the recorded, reported sales of supplements containing

1 ephedrine alkaloids has increased more than sevenfold  
2 in the last five years. There has been no commensurate  
3 increase in reports of adverse events gathered by FDA.

4 In summary the AHPA ephedra survey estimated  
5 the total sales of products containing ephedrine  
6 alkaloids to have been over 3 billion servings in 1999  
7 with a combined total of 6.8 billion servings sold in  
8 the past five years and an average annual increase in  
9 sales of 64 percent.

10 The survey also found that in almost all of  
11 the ephedra products marketed by the survey's  
12 respondents are in full conformity with the industry-  
13 establish standards.

14 Finally, the survey recorded that consumers  
15 of these products are reporting very few serious  
16 adverse events to the companies that market these  
17 products. AHPA has taken to position since 1994 that  
18 acknowledges the use by persons over the age 18 of  
19 supplements that contain ephedrine alkaloids as a  
20 legitimate self-care option. Our association's trade  
21 recommendations regarding the formulation, labeling,  
22 and marketing of such products now adopted broadly  
23 through the industry and by some state governments also  
24 acknowledges that such products must be treated with  
25 respect both by the companies that market them and by

1 the consumers who use them.

2 The survey sponsored by AHPA's Ephedra  
3 Committee provides for the first time some information  
4 toward the creation of a denominator against which all  
5 reports of adverse events could be measured. The  
6 conclusion that should be drawn from this compiled data  
7 is the supplement products containing ephedrine  
8 alkaloids are safe when responsibly manufactured,  
9 labeled, and marketed in conformity with the industry-  
10 establish policies and responsibly used by consumers.

11 It is my sincere hope that the information  
12 provided here today from the AHPA ephedra survey will  
13 assist the process of determining a national regulatory  
14 policy that protects consumers health as well as their  
15 access to supplements containing ephedrine alkaloids.

16 Thank you.

17 [Applause.]

18 DR. JONES: Thank you, Mr. McGuffin.

19 Questions from the panel? Dr. Salive.

20 DR. SALIVE: Can you explain why you only had  
21 a 33 percent response rate to the survey?

22 MR. MCGUFFIN: According to our Arthur  
23 Andersen that's quite good. We've hired Arthur  
24 Andersen to do other surveys for us in the past  
25 primarily with regard to tonnage, how many pounds of

1 different herbs are we using. We're lucky to get 20  
2 percent in those.

3 So with Arthur Andersen tells us, and this is  
4 a business, that if they get one-third response from a  
5 targeted audience, they considered that a quite  
6 successful response rate.

7 DR. SALIVE: Out of those 42 companies what  
8 percentage are members of your association?

9 MR. MCGUFFIN: See, because the information  
10 is blinded I did not actually see the list, although  
11 actually our committee did.

12 DR. SALIVE: Who they sent it out to?

13 MR. MCGUFFIN: That's right, our committee  
14 saw that list. What we don't know is which responded.

15 Of those who -- I actually don't have that  
16 information, which portion were our members of the  
17 whole list. I do know that, as I said, eight of the 14  
18 respondents were AHPA members, but I don't know of the  
19 42 how many were.

20 Again, we selected it from that group that  
21 FDA had already identified as possibly products of  
22 concern through their adverse event system.

23 DR. JONES: Dr. Lieberman.

24 DR. LIEBERMAN: Thank you. The question has  
25 already come up today, how you established your daily

1 dose and your single-dose levels and the issue it was  
2 suggested that that might be a scientific question.  
3 But I'm asking purely from a scientific perspective,  
4 can you give us the scientific criteria that would be  
5 used that were used to establish those levels?

6 MR. MCGUFFIN: We borrowed both the dosages  
7 numbers and the cautionary language from the models of  
8 the OTC products. And also from the historical use and  
9 extrapolation, as Dr. Fong said, you expect 2 percent  
10 alkaloids; the standard dose is six to nine grams,  
11 that's 120 and 180 milligrams and then the OTC maximum  
12 dose is 150 and we just went conservative from that.  
13 But that's, as I recall, how it was established. It  
14 was some years ago.

15 And, again, the cautionary statement looks  
16 remarkably similar to that that is on the over-the-  
17 counter products.

18 DR. LIEBERMAN: Thank you.

19 DR. JONES: Dr. Philen.

20 DR. PHILEN: You mentioned that you do have  
21 some adverse events reported; can you tell me sort of  
22 the type of personnel at the companies that would be  
23 collecting this information and then what they do with  
24 this information?

25 MR. MCGUFFIN: We did not ask those

1 questions.

2 DR. PHILEN: So you don't even have a wild  
3 guess who is collecting this, if it's a clerk or a  
4 scientist?

5 MR. MCGUFFIN: We didn't and, you know, I'm  
6 not here to present that I have all of the perfect  
7 answers in these few sheets of paper or in our first  
8 survey, but we really worked hard to get this survey  
9 undertaken, and some of what we felt like we had to do  
10 is get people used to the fact that it's really  
11 valuable to gather this information. I think that's a  
12 fine idea to next time not only ask them, do you but  
13 ask for a description of it. Because we'd like to  
14 know. You know the responsible industry really does  
15 want good systems in place that captures this  
16 information and that does something with it if it is in  
17 fact indicative of concern. We really do want to have  
18 such systems and support such systems; so I think  
19 that's a good idea going forward.

20 DR. PHILEN: Well, you need to know how it's  
21 handled and if it's reported to the appropriate people  
22 afterwards.

23 MR. MCGUFFIN: Right. We did not ask that  
24 this time.

25 DR. PHILEN: Thank you.



1 DR. JONES: Questions from the floor? Ms.  
2 Culmo.

3 MS. CULMO: Cynthia Culmo, Association of  
4 Food and Drug Officials. Mr. McGuffin, do you know if  
5 those 25 adverse events were reported to the FDA?

6 MR. MCGUFFIN: We don't know.

7 MS. CULMO: And of your responders versus  
8 your nonresponders, do you know the percentages that  
9 were your small companies versus your billion dollar  
10 sales companies?

11 MR. MCGUFFIN: We asked mostly larger  
12 companies. We didn't really -- there was one idea that  
13 we should poll all AHPA companies which includes about  
14 two-thirds of our members or quite small companies. We  
15 did get some data on the sizes of the companies though,  
16 and they tended to be -- I've got the study here and I  
17 could give your copy -- they tended to be large  
18 companies who responded. Better than half of them were  
19 sales with sales above \$50 million total sales  
20 including ephedra products.

21 MS. CULMO: And was there a reason you didn't  
22 inquire as to whether or not the reports had been  
23 forwarded to the FDA?

24 MR. MCGUFFIN: I don't believe there was a  
25 decision not to as much as that we didn't consider that

1 at the time.

2 MS. CULMO: Thank you.

3 DR. JONES: Thank you.

4 Other questions from either the panel or the  
5 floor?

6 [No response.]

7 DR. JONES: Mr. McGuffin, thank you very  
8 much.

9 MR. MCGUFFIN: Thank you very much.

10 [Applause.]

11 DR. JONES: The last of the abstract session,  
12 Dr. Robert Stark, Yale University.

13 MR. RUBIN: Good afternoon my name is Paul  
14 Rubin, an attorney in the Washington, D.C. office of  
15 Patton Boggs was. I'm actually scheduled to speak  
16 tomorrow on behalf of Metabolife, when I will introduce  
17 our expert panel. One of our distinguished experts is  
18 speaking today, and I would now like to introduce him.

19 Dr. Robert Stark is a graduate of Harvard  
20 Medical School. He did his residency in internal  
21 medicine at the University of Pennsylvania and a  
22 fellowship in cardiology at the National Institutes of  
23 Health.

24 Dr. Stark currently practices internal  
25 medicine and cardiology in Greenwich, Connecticut and is

1 a clinical assistant professor of medicine at Yale  
2 University School of Medicine. Since 1997 he has  
3 researched the safety and efficacy of dietary  
4 supplements used for weight loss and has been involved  
5 in evaluating FDA's adverse event reports for  
6 ephedrine.

7 Dr. Stark will be discussing the safety  
8 profile of ephedra and ephedra caffeine combinations in  
9 dietary supplements including his assessment of the  
10 adverse event reports compiled by FDA. Dr. Stark.

11 DR. STARK: Thank you and thank you for  
12 having me here. My participation today was funded by  
13 Metabolife International. I'm here as a clinician.

14 I see internal medicine and cardiology  
15 patients in my office and in the hospital daily. And I  
16 mention that in part to explain why it is that I don't  
17 have attractive slides or a zipping PowerPoint  
18 presentation to back up my talk.

19 I also mention it because I want you to have  
20 some understanding of the mindset and the context in  
21 which a clinician considers the issue of dietary  
22 supplements. On the one hand in favor of dietary  
23 supplements are the centuries of experience in using  
24 them, are the scientific studies which I'll go into  
25 today, and also the satisfied experiences of current

1 users.

2 On the other hand weighing against the use of  
3 dietary supplements are the anecdotal adverse reports  
4 that randomly circulate. There are also the studies,  
5 many of which use primates which show some adverse  
6 effects. And finally the well-organized collections of  
7 adverse events reports that are collected and analyzed  
8 by professionals and then are disseminated. To a  
9 clinician it's these latter adverse event reports that  
10 carry an awful lot of weight. And I reviewed hundreds  
11 of reports three years ago and a little over 100 early  
12 this year when the new set of AERs came out.

13 And I'd like to share with you some of them  
14 that I analyzed that are exemplary of the majority, and  
15 then go on to talk about the research studies that were  
16 suggested by them.

17 The first report may speak to what Dr. Marcel  
18 Salive, from an NIH asked about earlier today, and that  
19 is the existence of any positive rechallenge studies  
20 for adverse effects. This is a 29-year-old woman who  
21 reported two separate adverse event occurring in 1996  
22 and 1998. She unexpectedly became pregnant twice while  
23 using depoprovera contraceptive and while taking  
24 Metabolife dietary supplement. She indicated that she  
25 had seen a television special about women who take

1 Metabolife and who get pregnant while they're on birth  
2 control. And she wrote to the FDA.

3 "I was on Metabolife when I became pregnant,  
4 both times. I thought maybe this would be an example  
5 of positive rechallenge but a subsequent FDA memo from  
6 an analyst, Dr. Morefski stated that this woman was  
7 found to have a uterine fibroid by her physician and so  
8 she was taken off of Depoprovera. Both pregnancies  
9 occurred while she was off oral contraceptive. The  
10 Depoprovera was stopped and the woman became pregnant.  
11 Nevertheless this case remains one of the FDA  
12 collections of adverse event reports related to the use  
13 of ephedra and it's counted as among the 800 or now  
14 1,100-some adverse events that have been collected.

15 A second involved a 24-year-old man who was  
16 undergoing chemotherapy for acute limpoitic leukemia.  
17 He developed signs of both liver failure and chromium  
18 toxicity.

19 In addition to receiving potentially  
20 hepatotoxic chemotherapy. He was also taking ephedra  
21 and a nutritional supplement that contain greater than  
22 1,400 micrograms of chromium. His serum chromium level  
23 was measured at over 300 times the toxic level and he  
24 eventually had to have had to have a liver transplant  
25 due to chromium toxicity. Nevertheless his case is

1 listed by the FDA as been one of their adverse events  
2 related to ephedra alkaloids. This is listed as ARMS  
3 No. 13464

4 A last report, a similar report, involves a  
5 suspicious pelvic mass in a young middle-aged woman.  
6 This was a 40-year-old who noticed tennis balls size  
7 mass in her left lower abdomen. She had an ultrasound  
8 and it showed a left ovarian cyst. This was removed  
9 and was found to be an ordinary benign adenoma.

10 Because she had been taking an ephedra  
11 supplement for the previous three weeks, she retained a  
12 lawyer to represent her. She actually had a history 15  
13 years before work of having severe gynecological  
14 problems. She had a coloscopy and conization biopsy and  
15 then in the ensuing 15 years never went back to the  
16 doctor, never sought gynecological care, never had a  
17 Pap smear, and now presents with this mass. There's no  
18 known cause and effect relationship between ovarian  
19 cyst and the ingredients in ephedra supplements. This  
20 ovarian cyst is probably just another incident in this  
21 patient's long history. Yet it is still listed as  
22 being indicative of an ephedra-related problem

23 Now, the accepted methodology for evaluating  
24 a suspected adverse drug reaction is usually to  
25 demonstrate actual exposure to drug or the agent and to

1 demonstrate proximity of exposure, not to four months  
2 ago, or two years ago, but a reasonable time before the  
3 event to demonstrate production of a reaction or a  
4 response that has been previously recognized to be a  
5 response for that substance and then to prove the  
6 absence of significant contributing or other underlying  
7 medical conditions.

8 In many of the cases that I reviewed that are  
9 now on permanent record with the FDA, there are major  
10 flaws in these specific areas. These reports as such  
11 really don't form a sufficient basis to suggest or  
12 confirm a danger to health from a ephedra.

13 In addition to these numerous AERs compiled  
14 by the FDA, I also reviewed relevant literature on  
15 ephedra use on the pharmacology and epidemiology of  
16 ephedra use, and looked at the reports, analysis  
17 reports authored by the expert reviewers who were  
18 retained by the FDA to evaluate the FDA's adverse event  
19 reports. I really didn't find sufficient evidence to  
20 support a significant risk from ephedra or  
21 ephedra/caffeine containing combinations when taken in  
22 accordance with product labeling.

23 And I based my conclusions on the following:  
24 the literature is in agreement that there is a  
25 legitimate role for single agent like ephedra or

1 combination agent like ephedra and caffeine regimens in  
2 appropriate patients for promoting weight loss. Several  
3 studies by Astrup and by others have shown that there  
4 is a synergistic effect between ephedrine and caffeine  
5 in causing weight loss.

6 Studies at the University of London and the  
7 Harvard Medical School also show that the combined  
8 regimen of ephedrine and caffeine was generally well  
9 tolerated, however, some patients did experience dry  
10 mouth, tremors, dizziness and insomnia and these were  
11 the drop-outs that were mentioned earlier.

12 Also some patients had a four or five beat  
13 per minute increase in their heart rate. Another study  
14 from the University of Copenhagen showed the most of  
15 these side effects declined over time back down to  
16 placebo levels usually by six or eight weeks of  
17 treatment.

18 The ephedrine and caffeine dose ranges that  
19 were looked at in all of these studies which generally  
20 72 milligrams per day of ephedrine and 240 milligrams  
21 per day of caffeine. These doses are identical to, or  
22 even exceed, the doses that are currently recommended  
23 by dietary supplement manufacturers. And there doesn't  
24 seem to be any causal link between these doses and  
25 serious adverse events.



1           In addition, these are the same or similar  
2 doses that are already contained in common asthma and  
3 decongestant medications. The ephedrine contained in  
4 Marax of the caffeine contained in extra-strength  
5 Excedrin, dwarf those levels that are found in the  
6 herbal supplements that we're discussing today.

7           Even one of the FDA experts who reviewed this  
8 issue concluded that quote, "the ephedra doses in  
9 herbal products is similar to or less than that in  
10 bronchodilators or decongestants."

11           For my review of the FDA adverse event  
12 reports and the literature and the reports of the  
13 experts reviewers, I don't find a sufficient evidence  
14 to support a significant health risk from  
15 ephedra/caffeine combinations when taken in accordance  
16 with recommendations and product labeling.

17           The risk of experiencing adverse effects  
18 events presented by ephedra dietary supplements is no  
19 greater in magnitude than the new risk presented by  
20 over-the-counter drugs that contains synthetic  
21 ephedrine and the potential adverse events are similar  
22 to those associated with caffeine.

23           The overall health risk associated with the  
24 ephedra/caffeine supplements is far less, for example,  
25 than that associated with the ingestion of peanut

1 products by the general population because a small  
2 proportion of our population have peanut allergies.  
3 The same is true for shellfish products or certain  
4 additives like MSG.

5 Ephedra supplements appear to be effective  
6 and safe in normal healthy populations, but they do  
7 present some risk when taken by certain people with  
8 pre-existing conditions which we've mentioned earlier.  
9 For people with no such predisposition, these  
10 supplements did not appear to increase the likelihood  
11 of cardiac events.

12 Ingestion of ephedra can increase the heart  
13 rate as I mentioned before by for or five beats per  
14 minute, but this does not appear to be associated with  
15 adverse events in the absence of underlying pathology.

16 I hope that when future AERs are collected by  
17 the FDA that they will be shared together with full  
18 accompanying clinical information with  
19 multidisciplinary panels of reviewers in order to  
20 accurately assess the risk posed by these dietary  
21 supplements.

22 I'd be happy to take questions.

23 [Applause.]

24 DR. JONES: Thank you, Dr. Stark.

25 Questions from the panel? Dr. Philen.

1 DR. PHILEN: Dr. Stark, I believe I heard you  
2 say right at the beginning of your comments that you  
3 had, had a lot of reports of favorable experiences from  
4 using ephedra in your patients, but that the adverse  
5 reports were simply anecdotal.

6 Now, if these favorable experience reports  
7 are to be, you know, accurately reported I guess I can  
8 say, then are you collecting these in a systematic  
9 fashion? Do you plan to report these out as any kind  
10 of a paper or technical thing?

11 DR. STARK: I don't want to in anyway suggest  
12 that I've collected favorable reports from patients. I  
13 merely said that in determining the benefits versus the  
14 drawbacks one has to consider the long history of use,  
15 the favorable reports by current users.

16 I'm not collecting adverse events or positive  
17 events.

18 DR. PHILEN: So then are favorable reports  
19 anecdotal?

20 DR. STARK: They are.

21 DR. JONES: Any other questions from the  
22 panel?

23 [No response.]

24 DR. JONES: Questions from the floor?

25 [No response.]

1 DR. JONES: Very good. Dr. Stark, thank you  
2 very much.

3 DR. STARK: Thank you.

4 [Applause.]

5 DR. JONES: This concludes our abstract  
6 session and we now move to Public Comment Session A.

7 I just want to say a word of thanks to all of  
8 our speakers today that I've not had to be the strict  
9 disciplinarian that I've got this terrible reputation  
10 for an have had for about 30 years for keeping things  
11 on track and on time. I'm very much appreciate  
12 everyone sticking to time limits and keeping their  
13 remarks to the point.

14 We would now invite -- we have four  
15 commenters registered for this particular public  
16 comments session Samieh Wood, Hanna Zechzer, and I'm  
17 sorry if I have abused the name, David Molony and Pablo  
18 Francisco Semiao. If you would be a prepared pleased  
19 to approach the podium, you will each have three  
20 minutes. And we will not be questioning you about your  
21 remarks.

22 We very much appreciate your coming. My  
23 personal opinion about science in the public interest  
24 is that science that we do in government is better  
25 served when the public has a role, so we very much

1 welcome your comments.

2 MS. WOOD: My name is Samieh Wood and I am  
3 here from California. I just want to say this, that  
4 what every one of the little me the grass-roots level  
5 that everyday citizen out there, for every one of me  
6 there's hundreds or thousands of people whose voices  
7 will not be heard today.

8 I also would like to make a comment that I  
9 sat there today and I heard the distinguish panel of  
10 the regulatory group who provided their research and I  
11 as a citizen, as a consumer, was very, very offended  
12 when one industry representative stood here and said  
13 "where is to beef?"

14 Even though I've been a vegetarian for 25  
15 years, I think I have some beef for the gentleman right  
16 now. In 1995, following a complete hysterectomy I was  
17 put on HRT. You know, we the ladies here the boomers  
18 this is our turns now. And I gained 25 to 30 pounds.  
19 I went from size four to size nine. That was very  
20 unacceptable for me.

21 I went to the nearest health food store. I  
22 said, "I need a diet pill." The lady who is there to  
23 this day gave me this ephedra drop and she said,  
24 "Samieh, this is a miracle pill. You're going to thank  
25 before this."

1 Well, it was the miracle pill. I took it. I  
2 lost the weight and also lost my mind. I suffered a  
3 para -- psychosis. I entered the horrible dark zone of  
4 my life that I've never ever want any woman to  
5 experience. I had to dropout of master's degree I was  
6 going for my second master's in family counseling. I  
7 would sit there and I was blank.

8 After three hours of lecture I couldn't  
9 comprehend, I couldn't retain anything that was said to  
10 me. I would lock myself in the bedroom and my two  
11 teenage kids lost their mother. It was a horrible,  
12 horrible experience for any human being to have to go  
13 through.

14 I also must say that I am the epitome of  
15 goods health. I've been a very vegetarian for 25 years  
16 I have never tasted a drop of alcohol in my life, I  
17 have never smoked a cigarette, I am antitobacco,  
18 antialcohol, antidrug. So when I took that pill it  
19 went into a pure brain, right here, and it hit me right  
20 there.

21 I would get in the car I would drive 80 miles  
22 in a 45 mile zone, and I would say, "what is happening  
23 to me, God? Why am I so abnormal?" but I couldn't stop  
24 myself. I didn't know what was happening to me. I  
25 became an abnormal dangerous citizen. I was dangerous

1 to myself, I was dangerous to my family, I was  
2 dangerous to the road when I got on the road.

3 That pill is a silent weapon, ladies and  
4 gentlemen. I cannot help but to remember 25 years ago  
5 when I told my dad, "when you smoke, I can't breath. I  
6 wheeze in my chest." And he told me to shut up.

7 Twenty-five years later my dad died from lung  
8 cancer and everybody in this room now agrees that  
9 secondhand smoke can kill.

10 And I think maybe it shouldn't take 25 years  
11 from now for the government to stop this silent  
12 dangerous pill called ephedra. Please let's that stop  
13 it before we have many people in the graveyard. Thank  
14 you.

15 DR. JONES: Thank you, Ms. Wood.

16 [Applause.]

17 DR. JONES: Ms. Zechzer.

18 And, oh, I'm sorry, Ms. Wood, for the record,  
19 would you please state the source of support for your  
20 travel here today? We do want our commenters -- please  
21 to the microphone so we have this on the record. And  
22 just remind all our commenters to please do so as well.

23 MS. WOOD: I found out about HEAT through the  
24 newspaper article that was sent to me by an 83-year-old  
25 Chinese friend of mine, my former professor from the

1 University of Hawaii. I contacted Ms. Barbara Michals  
2 on the Internet, and I said to her, "You have -- here  
3 is my credit card, I will pay for all my expenses, and  
4 I am devoted into fighting this ephedra pill." This is  
5 a crusade for me from now until whenever I can.

6 DR. JONES: The source of travel support to  
7 be here at this meeting today, please?

8 MS. WOOD: I provided my -- I don't know. I  
9 provided my credit card.

10 DR. JONES: Ms. Michal -- no, just for the  
11 record, my office supported your travel to come to the  
12 meeting today.

13 MS. WOOD: I'm very grateful for that. I  
14 didn't know that, but I'm very grateful for that.

15 DR. JONES: Thank you.

16 MS. WOOD: Thank you.

17 DR. JONES: Ms. Zechzer.

18 MS. ZECHZER: Dr. Jones and panel members, I  
19 am Hanna Zechzer, here as a consumer at my own expense.  
20 My case was one of those reported to the FDA in 1998,  
21 through a Medwatch report by my health care provider.

22 I am addressing question number one of an  
23 adverse reaction of heart and respiratory failure due  
24 to a dietary supplement containing ephedra with  
25 caffeine.



1           At the time of my reaction I was 42 years old  
2 with no predisposing health conditions. The only  
3 stimulant I was taking would've been an occasional cup  
4 of coffee or caffeinated soft drink.

5           Two years ago I was taking an herbal  
6 supplement for weight loss as prescribed on the bottle.  
7 I had received a pamphlet in the mail and ordered the  
8 product. A doctor's claim in the enclosed literature  
9 said this was not a drug, but all ingredients were  
10 organic and natural. The selling factor for me was  
11 that it was all natural, so I thought it was safe.

12           The label stated that the ingredients were ma  
13 huang and guarana. After taking the pills for seven  
14 days I began experiencing a severe headache and felt  
15 very jittery. I then develop the chills, chest  
16 pressure and a tingly feeling in my left arm. My blood  
17 pressure was elevated at 150 over 100. A two-hour  
18 grand mall seizure followed which was eventually  
19 controlled and I was then intubated. My problems  
20 worsened as doctors tried to figure out the reason for  
21 my decline, thinking it was neurologically related.

22           A drug screen tested positive amphetamines of  
23 which I was not taking. I was transferred to a larger  
24 hospital with greater capabilities. Upon admission  
25 there I had a diagnosis of one seizure, etiology

1 unknown; two, altered mental status; three, respiratory  
2 insufficiency despite ventilator treatment; four,  
3 hypotension requiring dopamine drip.

4 An echocardiogram revealed severe  
5 cardiomyopathy with an ejection fraction of less than  
6 15 percent. A cardiac catheterization revealed a  
7 dilated hyperkinetic ventricle with reduction in  
8 ejection fraction. Hemodynamics, coronary arteries,  
9 and aortic root were all normal.

10 Another echocardiogram three days later still  
11 revealed severe myopathic left ventricle with five  
12 ventricle dysfunction. A repeat echocardiogram seven  
13 days later revealed persistent severe diffuse  
14 hypokinesis with markedly depressed ejection fraction.  
15 The following day another echocardiogram revealed a  
16 normal left ventricle function with borderline  
17 concentric hypertrophy.

18 The discharge summary states the principal  
19 diagnoses as multiple system -- excuse me, multisystem  
20 failure secondary to obesity drugs. Secondary  
21 diagnoses are sepsis, respiratory failure, cardiogenic  
22 shock, congestive heart failure, rhabdomyolysis,  
23 tonic-clonic seizure, obesity, urinary tract infection,  
24 pneumonia. I was in the hospital for a total of 14,  
25 ten of which was on life support.

1 My children were faced with the possibility  
2 of losing their mother, my parents their daughter, my  
3 sisters their little sister.

4 DR. JONES: Please wrap up. Your three  
5 minutes are --

6 MS. ZECHZER: All because of my reaction to  
7 the dietary supplement ephedra. I was an unsuspecting  
8 victim because I did not know of the dangers of this  
9 ingredient. By the grace of God, I physically  
10 recovered from this reaction with no permanent heart  
11 damage. I am now being treated for post traumatic  
12 stress disorder to deal with the emotional side to  
13 this. Thank you.

14 DR. JONES: Thank you, Ms. Zechzer.

15 [Applause.]

16 DR. JONES: Mr. Molony, the American  
17 Association of Oriental Medicine.

18 MR. MOLONY: I'm the Executive Director of  
19 the American Association of Oriental Medicine.

20 The American Association of Oriental Medicine  
21 is the oldest and largest association of acupuncture  
22 and Oriental medicine practitioners and the United  
23 States with herbal medicine falling within our practice  
24 in many states and predominantly in our training  
25 nationally.

1 Our profession is the only rigorous national  
2 certification examination for herbal medicine and there  
3 are diplomants of that exam in every state with over  
4 5,000 licensed practitioners using herbal medicine in  
5 California alone.

6 As licensed health care professionals we  
7 applaud the FDA efforts to protect the public from  
8 mislabeled products. Yet due to the potential negative  
9 impact in of overregulation or outright removal of the  
10 availability of the herb on our privilege to provide  
11 such herbs to our patients we would like to see a  
12 clarification to proposed regulations of ephedrine-  
13 containing products that recognize a difference between  
14 the effects of ephedrine and ephedra.

15 Many of the reports state first that the  
16 illness or death was related to ephedrine-containing  
17 diet and stimulant product then state that the most  
18 common source of ephedrine is the herb ephedra.

19 We would like to point out that ma huang,  
20 ephedra sinensis is the first herb to be taught in the  
21 traditional Chinese system of herbology. Herbs are  
22 classified in more than two dozen categories and always  
23 starts with ma huang as the first herb in the first  
24 category.

25 To take away access to this herb would show

1       disrespect to the well-educated practitioners of  
2       historical, traditional, and validated system of  
3       medicine that has licensed practitioners in most every  
4       state and would be similar to restricting use of the  
5       scalpel by surgeons.

6                   Interestingly enough, there are no  
7       traditional indications for the use of ephedra for  
8       either weight loss or stimulant use although there is  
9       recognition of its stimulant effect. I want to point  
10      that out.

11                   For more information on the use of ephedra we  
12      would like for you to refer to any of the many Chinese  
13      herbal textbooks on the subject. There is in each book  
14      information on dosage, indications, and  
15      contraindications that have been in existence for  
16      hundreds if not thousands of years.

17                   I also want to note that almost every herb is  
18      historical used in formulation which accentuates the  
19      effectiveness of the herb while minimizing any untoward  
20      effects even with long-term usage, if that formulation  
21      is indicated for long-term usage.

22                   To summarize the regulation of ephedra needs  
23      further discussion from all parties. Use of ephedrine  
24      for its thermogenic dietary stimulant stimulant  
25      properties is not a traditional indication in herbology

1 and thus the herb needs for observation and  
2 understanding by both traditional herbal providers and  
3 conventional regulatory agencies working together.

4 To severely restrict ephedra the herb from  
5 the public and especially from the armamentarium of  
6 qualified herbal practitioners because of the abuse of  
7 its alkaloid cousin ephedrine, or even for ephedrine's  
8 abuse by unscrupulous manufacturing should be avoided  
9 and its regulation dealt with in a rational manner.

10 The development of dosage schedules should  
11 take into consideration age, weight, and even  
12 constitution should be developed with the input of  
13 classically trained herbalist as well as conventional  
14 scientists and regulatory staff.

15 The American Association of Oriental Medicine  
16 would like to see continued availability and use of  
17 ephedra for its members and its profession and its  
18 profession and hopes that his forum is a stepping stone  
19 to continue discussion on the issue. As a result we  
20 offer expertise of our educated and experienced  
21 herbalist to confer with issues around the usage of  
22 safety and control of all herbal products. Thank you.

23 DR. JONES: Thank you, Mr. Molony.

24 [Applause]

25 MR. MOLONY: And I paid my own way.

1 DR. JONES: Thank you. Thank you.

2 Mr. Semiao.

3 MR. SEMIAO: My name is Pablo Francisco  
4 Semiao, I also paid for my own farecard to get down  
5 here and I represent a portion of the fitness industry.

6 I'm a public health graduate student and I  
7 also or have been a certified personal trainer since  
8 1994 by the American Council on Exercise.

9 My concern over the sales of these dietary  
10 supplements comes from what I've heard and seen. In  
11 the gym I hear: take these first thing in the morning  
12 with some coffee and you'll be ready for workout. At a  
13 fitness seminar I hear: carbs are bad for you if  
14 you're trying to slim down; so how do you get the  
15 energy to workout, fat burners.

16 It's not really ephedrine it's only Ma Huang,  
17 instead in the bottle. For some time now I've noticed  
18 personal trainers at fitness center and private studios  
19 prescribe and sell supplements containing ephedrine  
20 alkaloids without any regard for the safety of the  
21 consumer. Yet these trainers are not registered  
22 dietitians or have credentials that will allow them to  
23 determine if the supplements would have any adverse  
24 side effects.

25 They also do not pay attention is to warnings

1 by the FDA or any other reputable journal studies.  
2 Their sources of information are usually off-the-rack  
3 muscle magazines.

4 After supplying gym and studio managers and  
5 owners information from the FDA studies and articles  
6 about ailments, deaths, and side effects attributed to  
7 ingestion of these pills, they dismissed them with the  
8 belief that there is still need for long-term studies  
9 or for those who are actually educated say, they are  
10 within statistical variance

11 Meanwhile, the risks to the consumer of  
12 dangerous side effects and even death will continue to  
13 exist until the marketers of these supplements choose  
14 to heed the warnings and stop their just to any person  
15 or until this product becomes controlled.

16 The definition of the drug from Webster's  
17 dictionary is a substance other than food intended to  
18 affect the structure or function of the body. The  
19 reality is that these supplements are drugs even though  
20 they are sold with warning labels and estimated safe  
21 dosages.

22 Yet there should be some control of their  
23 distribution due to those unqualified marketers who  
24 have access to them and for the sake of marketing and  
25 for the sake of making a profit off the consumer



1 without any concern for their health, especially to  
2 those women who are eager to lose weight to meet  
3 today's in vogue, slim physiques, or those who have  
4 coronary heart disease dangers.

5 I would also like to emphasize that American  
6 Council on Exercise in its journal reminds its  
7 certified professionals to be mindful of professional  
8 limitations when working with clients. And their chief  
9 exercise physiologist Richard Carlton also reminds that  
10 recommending supplements is beyond the scope of  
11 practice off all certified professionals. Most  
12 dietitians will not even recommend them especially the  
13 stimulants.

14 In 1994, Congress passed and President  
15 Clinton signed the Dietary Supplement Health and  
16 Education Act. This legislation eliminated FDA's  
17 authority to regulate the safety of nutritional  
18 supplements before they are on the market. Now, the  
19 FDA can intervene only after an illness or injury  
20 occurs.

21 The FDA can still restrict the sale of unsafe  
22 dietary supplements when there is evidence of the  
23 product which presents a significant or unreasonable  
24 safety concern. But now the agency must wait for  
25 complaints about a product before acting.

1 As a responsible certified trainer and health  
2 professional, I submit my complaint and recommend that  
3 the FDA make an effort to control or regulate the  
4 marketing of dietary supplements containing ephedrine  
5 alkaloids for the protection of the consumer. This is  
6 not as much as for the product itself but for its  
7 availability to just anyone. Thank you.

8 [Applause]

9 DR. JONES: Thank you, Mr. Semiao.

10 That concludes our scheduled day.

11 In terms of tomorrow, we will open at 9 a.m.  
12 with just a brief recap of logistics and introductions.  
13 And then we will start a public common session. We  
14 have had one change in the agenda. Scheduled a 10:01  
15 a.m., Dr. Jerry McLaughlin will speak. We apparently  
16 lost an abstract somewhere in the submission there and  
17 we are plugging him into the end of the public comment  
18 session, and apologize to Dr. McLaughlin.

19 Otherwise we will see you back here at nine  
20 o'clock.

21 Please turn in your badges, they will be here  
22 in the morning you can check-in.

23 If you are scheduled for public comment,  
24 please see your number in the agenda, if you choose to  
25 sit in the chair with a number on it our staff will

1  
2  
3  
4  
5  
6

facilitate your getting up here and be ready for all that.


Thank you very much. Have a nice evening.

[Whereupon, at 5:25 p.m. the meeting adjourned to reconvened on August 9, 2000, at 9:00 a.m.]

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13

C E R T I F I C A T E

This is to certify that the foregoing public meeting on The Safety of Dietary Supplements Containing Ephedrine Alkaloids, held on Tuesday, August 8, 2000, was transcribed as herein appears, and this is the original transcript thereof.

  
Gerald Brooks  
Court Reporter