

1 I believe an accommodation with industry Ohio
2 regulatory officials agreed to a 12-week maximum dosage
3 for the duration of use of these products. I do not
4 believe that the 12-week period was based on any solid
5 scientific footing, but I think it was just basically a
6 political or regulatory accommodation with the industry
7 so far as I do.

8 DR. JONES: Ms. Culmo.

9 MS. CULMO: Cynthia Culmo, Association of
10 Food and Drug officials. Mark, what did the
11 translation of the Commission E monograph say about
12 addiction in short-term use?

13 MR. BLUMENTHAL: The commission E monographs
14 -- it's Commission E -- did mention that the herb
15 ephedra might be addictive. We qualify that and I can
16 give you a quote on that, it did say ephedrine-
17 containing preparations are listed as addictive by the
18 international Olympic Committee of the German sports
19 Association. They noted that in the monograph ephedra
20 preparation should be used only on a short-term
21 duration because all of tactical axis and danger of
22 addiction. We qualified that and I believe that I
23 would differ to Dr. Adams' testimony as an expert in
24 addiction as to whether or not that is bona fide.

25 DR. JONES: Other questions from the floor?

1 [No response.]

2 DR. JONES: Seeing none, thank you very much,
3 Mr. Blumenthal.

4 And now Paul Rubin is going to provide an
5 introduction to a series of speakers that will start
6 before lunch and continue after lunch.

7 MR. RUBIN: Good morning. My name is Paul
8 Rubin and I am an attorney in the Washington, D.C.
9 office of Patton Boggs and I am here today on behalf of
10 Metabolife.

11 I would like to thank the Food and Drug
12 Administration, the Office on Women's Health, the
13 distinguished panel and Dr. Jones for the opportunity
14 to speak today.

15 I understand that the focus of today's
16 meeting is on the science surrounding ephedra rather
17 than legal and regulatory issues and accordingly I am
18 going to keep my comments brief and focus on the first
19 and third questions posed by the Office on Women's
20 Health.

21 I would like to first mention that the Ohio
22 law does mandate the 12-weeks duration of use in
23 response to the prior conversation.

24 I would like to focus on the review of the
25 AERs. FDA's review regarding the safety of ephedra is

1 based primarily on adverse events reports. This is the
2 case even though the concept of relying upon AERs to
3 draw scientific conclusions and make product or
4 ingredient specific risk assessments has been widely
5 criticized.

6 The United States General Accounting Office
7 is the investigative arm of Congress and it is both
8 independent and bipartisan. In July 1999, the GAO
9 issued a report condemning FDA's reliance upon AERs to
10 conduct rulemaking and/or make scientific assessments
11 regarding dietary supplements containing ephedrine
12 alkaloids.

13 I am going to quote the GAO report and I am
14 just choosing three quotations and there are many
15 others that could have been chosen. On page 8 of the
16 GAO report, heading: FDA Analyses Relied on Poorly
17 Documented Reports of Adverse Events. "The agency used
18 AERs as the sole source of support for a specific
19 dosing levels relied on weak information to set limits
20 on duration of use, and did not perform a causal
21 analysis to determine whether ingestion of a dietary
22 supplement containing ephedrine alkaloids caused or
23 contributed to the adverse events."

24 Page 11 of the GAO report, heading: Adverse
25 Events Reports Were Incomplete and Inconsistent. "The

1 AERs that we examined often lacked important
2 information and the information that they did contain
3 were sometimes inconsistent. These problems suggest
4 that AERs should be used with caution and their use can
5 contribute to uncertainty in FDA's conclusions."

6 Page 24 of the GAO report, heading
7 Recommendations. "FDA needs to provide stronger
8 evidence on the relationship between the intake of
9 dietary supplements containing ephedrine alkaloids and
10 the occurrence of adverse events that support the
11 proposed dosing levels and duration of use limits."

12 I would also like to mention that the GAO
13 focused its comments on the dosing levels and duration
14 of use limits, but I do not believe that should be
15 viewed as an endorsement of other aspects of FDA's
16 proposed rule. If you look at the letter that Congress
17 sent to the General Accounting Office, GAO was only
18 asked to look at those two issues.

19 Nevertheless, despite the strong comments
20 from the GAO, we are here today addressing the
21 scientific validity of AERs. FDA has added that
22 numerous AERs to the docket and appears to be operating
23 under the assumption that the scientific flaws
24 associated with AERs might somehow be rectified merely
25 by counting more of them. This is not the case. Good

1 science cannot be based upon faulty underlying data
2 regardless of the amount to faulty data collected.

3 A large house of cards is no more secure a
4 building block to formulate regulatory and scientific
5 decisions than a small house of cards. We believe
6 rather than focusing on AERs the scientific focus
7 should be on clinical trials and clinical research and
8 that is what our panel will be discussing this morning
9 as well as this afternoon. I should also mention that
10 we applaud and encourage NIH funding of additional
11 research regarding the safety of ephedra.

12 I would also like to note that Metabolife and
13 regulated industry are proposing and recommending the
14 adoption and enforcement by FDA of all encompassing
15 standards for dietary supplements that contain
16 ephedrine alkaloids, including warning statements, dose
17 restrictions, and claim restrictions that are deemed
18 reasonable by industry and we urge the adoption of the
19 standards.

20 I would like to thank you for your time and I
21 will now introduce the first of our very distinguished
22 panel of speakers who incidentally cover a wide range
23 of disciplines including, but not limited to,
24 cardiology, pharmacology, toxicology, endocrinology,
25 and clinical nutrition.

1 Our first speakers are Dr. Carol Boozer and
2 Dr. Patricia Daly. Dr. Boozer has received a master's
3 in nutritional biochemistry from Cornell University, a
4 master's in nutrition from Harvard University and a
5 doctor of science and nutrition from Harvard
6 University. She is currently an assistant professor in
7 the department of medicine at the College of Physicians
8 and Surgeons at Columbia University in New York and
9 director of the energy metabolism core laboratory at
10 the Obesity Research Center in New York.

11 She has published numerous articles on
12 obesity and weight loss and has recently submitted an
13 article for publication on the efficacy of ephedra and
14 guarana for weight loss.

15 Dr. Daly is a graduate of the University of
16 Washington Medical School and she completed her
17 residency in internal medicine at the New England
18 Deaconess Hospital in Boston Massachusetts and a
19 fellowship in endocrinology at Beth Israel Hospital in
20 Boston Massachusetts.

21 Dr. Daly was an instructor in medicine at
22 Harvard University until she relocated to York Hospital
23 in York, Pennsylvania where she is a clinical
24 endocrinologist. Dr. Daly's major research efforts
25 focus on the contribution of insulin resistance and

1 sympathetic nervous system activity to the pathogenesis
2 of obesity-related hypertension and the role of
3 thermogenic agents in the treatment of obesity.

4 If it would be acceptable, Dr. Jones, I know
5 that Dr. Daly and Dr. Boozer would prefer to speak
6 consecutively and then take questions from the panel
7 after both of them have had the opportunity to speak.

8 DR. JONES: We did discuss that end we will
9 allow the two 15-minute presentations to follow one
10 after the other and then we will do ten minutes of
11 questions for simplicity.

12 MR. RUBIN: Great. Thank you.

13 DR. JONES: Thank you.

14 MR. RUBIN: I would also just like to mention
15 that although I am here on behalf of Metabolife, Dr.
16 Boozer and Dr. Daly are not and they will, I am sure,
17 address that in their presentations. Thank you.

18 DR. JONES: I would ask them indeed to please
19 address that. Thank you.

20 DR. BOOZER: Good morning. Thank you Dr.
21 Jones and panel members for the opportunity to speak at
22 this meeting today. I have been asked to speak by the
23 Ephedra Research Foundation about several studies that
24 I have conducted for them and they are providing
25 funding for my time and expenses to do so today. They

1 have also provided funding for the studies that I will
2 discuss. But I have personally no financial interest
3 in any aspect of the dietary supplement industry.

4 As was mentioned in the introduction, my
5 graduate training was in nutrition. My research
6 interest is in obesity. My research includes a variety
7 of aspects of studies of obesity including etiology,
8 differential susceptibility, and treatments. I think
9 it is important to underline here the importance of the
10 current epidemic of obesity that we have in this
11 country. We estimate approximately 40 million adults
12 in this country are suffering from obesity. This is
13 not a benign condition. The number is increasing and
14 we know that obesity contributes to numerous increased
15 health risks contributing to increased mortality and
16 morbidity.

17 We also know that conventional weight loss
18 treatments have limited effectiveness. Increasing
19 numbers of individuals are therefore turning to
20 alternative methods for weight loss. Preparations that
21 include ma huang, ephedrine alkaloids are among the
22 most popular.

23 Ma huang in combination with guarana or koala
24 nut is the herbal equivalent to of the well researched
25 weight loss treatments of ephedrine and caffeine.

1 Although ephedrine and caffeine combinations clearly
2 produce weight loss in animals and in humans efficacy
3 for weight loss of the herbal combinations have not
4 been previously evaluated in clinical trials. The
5 Ephedra Research Foundation, therefore, decided to fund
6 a six-month, multi-site, randomized, placebo-controlled
7 trial to assess the safety and efficacy of a dietary
8 supplement containing ma huang and koala nut.

9 I was asked to conduct a separate eight-week
10 clinical trial of Metabolite's product, Metabolife 356.
11 I agreed to conduct these studies with the
12 understanding that we would conduct an impartial study
13 that would be published regardless of outcome. I felt
14 that results from clinical trials would contribute
15 much-needed data to this highly publicized concerns
16 currently based only on anecdotal information.

17 Both of these studies are now completed. The
18 results from the six-month study are currently under
19 analysis. We will proceed with the usual procedures
20 for peer reviewed publication of those results.

21 Dr. Daly, who directed the study at the
22 Boston site, will talk more about the protocol.

23 Results from the eight-week Metabolife study
24 have been presented at the Federation of American
25 Society's for Experimental Biology meeting that was

1 held here in Washington last year. The manuscript is
2 now in final review by Scientific Journal and we fully
3 expect it will be published this year.

4 The review process for scientific papers is
5 slow and sometimes frustrating. We ask your
6 indulgence, however, as we go through this process.
7 Because to bypass it is to risk scientific credibility
8 that is so important to bring to this emotionally
9 charged area. While we cannot discuss data from a six
10 month study because an abstract has been already
11 published for the Metabolife study I can provide those
12 results.

13 This was a standard protocol for clinical
14 trials, double-blind, placebo-controlled randomized
15 two-arm design. The subjects were weight stable men
16 and women, aged 25 to 55 with BMI between 29 and 35.
17 Sixty-seven subjects were randomized, 32 to placebo, 35
18 to Metabolife 356.

19 Metabolife 356 is labeled to contain 12
20 milligrams of total ephedrine alkaloids and 40
21 milligrams of caffeine as ma huang guarana per ma huang
22 guarana tablet. Although in its package insert,
23 Metabolife recommends a more gradual usage of its
24 product. For this study we decided to start subjects
25 with the full amount, six tablets per day for a total

1 of 72 milligrams ephedrine alkaloids per day and 240
2 milligrams caffeine. We did this to provide maximum
3 opportunity to detect side effects.

4 Forty-eight subjects completed the study, 24
5 per group. Of these those taking Metabolife had
6 significant -- statistically significant greater loss
7 of body weight which amounted to 8.7 pounds versus a
8 weight loss of 1.8 pounds in the placebo group. There
9 was a greater change of body fat in the actively
10 treated group -2.1 percent versus a gain of .2 percent
11 in the placebo group.

12 There was a significant difference in
13 triglycerides with a loss of 15.7 versus a gain of 8.5
14 milligrams per deciliter in the placebo group.

15 Heart rate was significantly increased over
16 baseline in the actively treated group with an increase
17 of 6.9 versus a decrease of 1.7 seven beats per minute.
18 Mean blood pressure systolic and diastolic did not
19 differ between groups at anytime point nor were they
20 different from baseline in either group at study end.
21 When the rise over baseline for all subjects was
22 compared at each time point, mean systolic blood
23 pressure was significant only at week 6 for active
24 versus controlled. The difference of 4.1 versus -2.6
25 millimeters of Mercury.

1 Repeated measures analysis of variance of
2 completers, however, showed that the variability of the
3 change in blood pressure was constant within subjects
4 over groups. And the between group effect was not
5 significant unless weight loss was used as a covariant.

6 To avoid any possible bias due to subjects
7 who were lost to follow up intent to treat analysis was
8 performed with all missing data imputed by carrying
9 forward the last previous measurement to final
10 observation. This very conservative treatment of the
11 data resulted in changes of the magnitude of
12 differences between groups but did not change the
13 statistical significance of the treatment outcomes.

14 Eleven of the he 35 subjects in the actively
15 treated group withdrew from the study. Eight of the 32
16 placebo subjects withdrew. Of the eight placebo
17 subjects who withdrew to had recurring medical
18 conditions that they had previously concealed from us.
19 Six left for what they reported as personal reasons.

20 Of the 11 subjects withdrawing from the
21 actively treated group, three withdrew for personal
22 reasons, one withdrew for increased irritability, four
23 for self-reported heart palpitations, two for
24 self-reported palpitations and chest pain, and two for
25 measured increases in blood pressure, that is, 140/90.

1 Of those subjects who withdrew all who
2 withdrew for self-reported palpitations had follow-up
3 EKGs and none of the showed any abnormalities.

4 Among the subjects who completed the study,
5 there were no statistically significant differences in
6 self-reported symptoms. There were however differences
7 with increased reporting of dry mouth and insomnia. No
8 subjects had any serious or long-lasting adverse event
9 in this eight-week trial.

10 The measured heart rate, systolic blood
11 pressure and self-reported palpitations, insomnia, and
12 dry mouth side effects observed in the study could be
13 anticipated based on earlier ephedrine caffeine studies
14 and are consistent with a sympathomimetic action of ma
15 huang. It would be expected that these could be
16 minimized by more gradual introduction of the
17 treatment.

18 In conclusion this trial clearly showed
19 efficacy for loss of body weight and body fat of one
20 herbal product containing ephedrine alkaloids. It
21 could not answer the questions of long-term safety.
22 These questions are better addressed by our six-month
23 clinical trial which Dr. Daly will now address. Thank
24 you.

25 DR. JONES: Thank you, Dr. Boozer.

1 Dr. Daly.

2 DR. DALY: Thank you. Dr. Jones, members of
3 the panel, ladies in gentlemen, I am a board certified
4 endocrinologist and physician. My training after
5 medical school is in the field of internal medicine
6 with subspecialty training in endocrinology and
7 metabolism. During my subspecialty training and in the
8 subsequent 10 years I spent on the faculty at Harvard
9 Medical School and at Beth Israel Deaconess Hospital in
10 Boston, I spent my time doing clinical research, seeing
11 patients, teaching medical students and residents and
12 fellows.

13 My area of research interest has included the
14 use of thermogenic compounds for the treatment of
15 obesity.

16 As a physician now in full-time clinical
17 practice I see all of the morbidity associated with
18 obesity. You'll hear later today from Dr. Bray who is
19 an authority in the field of obesity research about the
20 increasing numbers of obese adults in this country and
21 the serious health problems associated with obesity.

22 In the early 1990s, I and colleagues at Beth
23 Israel Deaconess Medical Center published results of a
24 small randomized, double-blind, placebo-controlled
25 trial of ephedrine and caffeine for treatment of

1 obesity. This was a pilot study with a small number of
2 subjects participating. But we found no increase in
3 adverse events and found that the combination was
4 effective with greater weight loss in the active group
5 than in the placebo group. This was over eight weeks.

6 Unfortunately, funding to carry out the
7 planned larger study did not materialize. In 1996, I
8 was approached by Science Toxicology and Technology and
9 asked to design a protocol which will evaluate the
10 safety of herbal mixture of ephedrine and caffeine. I
11 agreed to do so because I felt that this information
12 was important both the field of obesity and from the
13 public health perspective.

14 I worked with a statistician and two clinical
15 toxicologist to design a study which will evaluate the
16 cardiovascular, neuropsychiatric, liver, kidney and
17 gastrointestinal effects of this combination. This
18 study was funded entirely by the Ephedra Research
19 Foundation.

20 We designed and agreed to carry out the study
21 was no monetary interest in the study outcome and under
22 the strict understanding that the results will be
23 published regardless of whether they are favorable or
24 unfavorable.

25 The study was designed statistically to

1 include enough subjects to be able to detect very small
2 differences in study parameters between the two groups.
3 The statistician determined that to detect these
4 differences we needed to enroll at least 150 subjects.

5 We have now completed the study and are in
6 the process of analyzing a massive quantity of data.
7 As Dr. Boozer mentioned, we are unable to discuss those
8 results as yet, but when analysis is complete we will
9 be writing a paper that we have every expectation of
10 publishing in a peer reviewed scientific journal.

11 I would like to review the study protocol for
12 you to clarify the kind of data that we have collected
13 at the time involved in carrying out this study and the
14 analysis.

15 As you have already heard this was a
16 six-month, double-blind, randomized, placebo-controlled
17 study which took place at two centers, New York Obesity
18 Research Center at Columbia University under Dr.
19 Boozer's supervision at Beth Israel Deaconess Medical
20 Center, Harvard Medical School, under my supervision.

21 A total of 167 subjects were randomized in
22 the study. Baseline evaluations of the subjects
23 included 24-hour blood pressure and halter monitors,
24 EKGs, and routine lab tests and urine toxic screens.

25 Subjects with serious medical conditions were

1 excluded from participation as is typical in clinical
2 research and as is required by each of our IRBs,
3 institutional review boards.

4 We recruited individuals, men and women, of
5 all ethnic backgrounds, between the ages of 18 and 80
6 and included those who were mildly to severely
7 overweight. In other words, with body mass indexes
8 ranging from 25 to 40. Subjects received either active
9 compound which was equivalent to a total of 90
10 milligrams of ephedrine at 192 milligrams of caffeine
11 in three divided doses or a placebo.

12 This is typical of the dose in
13 over-the-counter herbal preparations and actually
14 includes a slightly higher dose of ephedra than the
15 Metabolife study that Dr. Boozer mentioned. And by way
16 of comparison, in my prior small study, we gave the
17 individuals 150 milligrams of ephedrine and 150 of
18 caffeine.

19 In this current study, compliance was
20 assessed by pill counts at each of the follow-up
21 visits. All of the subjects returned for follow-up,
22 one, two, and four weeks after randomization. At those
23 follow-up visits they filled out symptoms
24 questionnaires, had 24-hour blood pressure and halter
25 monitors placed and had physical measurements.

1 After the first four weeks, which we refer to
2 as the acute phase, subjects that returned on a monthly
3 basis and those visits they filled out symptoms
4 questionnaires, had EKGs, and physical measurements.
5 Blood testing was also done on a monthly basis to look
6 for deleterious effects on kidney and liver and
7 pregnancy tests were done monthly on women of
8 childbearing years.

9 Because the cardiovascular effects of ephedra
10 compounds are more likely to occur when these
11 substances are first consumed, in other words tolerance
12 or tachyphylaxes is thought to develop over time. Our
13 protocol with cardiovascular evaluation was most
14 stringent during the first four weeks of the study when
15 we are most likely to see cardiovascular effects.

16 So blood pressure and halter monitoring which
17 was used is quite expensive and is actually quite
18 difficult for subjects. The subjects have to wear
19 these monitors with the blood pressure monitor on the
20 arm, taking blood pressures every 15 minutes during the
21 day and every half-hour at night for the full 24 hours.
22 They wear simultaneously a cardiac monitor with EKG
23 leads all of the chest which become quite itchy over
24 time and they wear that for a full 24 hours and are
25 unable to shower during the time that they are wearing

1 this.

2 So it is not surprising that we had some
3 dropouts of subjects because they were unable or
4 unwilling to wear these monitors over 24 hours.

5 While we are not yet able to discuss our
6 results I can tell you that no subject participating in
7 this study suffered a life-threatening event such as
8 seizure, stroke, or myocardial infarction.

9 As an endocrinologist I see a large number of
10 obese individuals who suffer from diabetes,
11 hypertension, coronary artery disease, hyperlipoidemia,
12 stroke, and other complications. I recommend weight
13 loss to these individuals using diet, exercise, gastric
14 bypass surgery, or pharmacotherapy as needed because I
15 know that weight loss will improve their health and
16 decrease their chance of dying of the complications of
17 obesity. When I recommend prescription drug therapy
18 many of my patients are not able to afford to \$100 plus
19 per month cost and most commercial insurers do not
20 cover these prescription drugs. An inexpensive, safe,
21 and effective, over-the-counter alternative for
22 treating obesity would have a tremendous public health
23 impact.

24 Our study represents a strong first step in
25 answering questions about the safety of these products

1 but more research in this field is needed. Given the
2 public health interest in these compounds, and the
3 public health -- for the public interest in these
4 compounds and the public health impact of an effective,
5 safe, cheap, over-the-counter-dated treatment
6 government sponsorship of support for additional
7 research is also called for.

8 Thank you for your consideration. We will be
9 happy to take questions.

10 DR. JONES: Thank you, Dr. Daly. Questions
11 from the panel? Dr. Philen.

12 DR. PHILEN: Thank you. I would like to know
13 if these people were on some kind of a specific diet or
14 if you had dietary rules for them to follow?

15 DR. DALY: Are you referring -- Yes, they
16 were all instructed both the active and placebo groups
17 were all instructed in a low-fat diet and encouraged to
18 exercise.

19 DR. PHILEN: Was there a specific caloric
20 maximum they were to a hereto or anything?

21 DR. DALY: No, there was not.

22 DR. JONES: Dr. Lieberman.

23 DR. LIEBERMAN: have got a few questions for
24 Dr. Boozer. First I wanted to ask, did you control
25 caffeine intake in the volunteers in your study?

1 DR. BOOZER: To enroll them or during the
2 study?

3 DR. LIEBERMAN: During the study.

4 DR. BOOZER: Yes, during the study, yes we
5 did ask them to -- you are at talking about the
6 Metabolife study, the eight-week study?

7 DR. LIEBERMAN: Yes, the one that you
8 actually presented the data from.

9 DR. BOOZER: Right, yes. Yes, we did ask
10 them to limit their intake of coffee, any caffeine-
11 containing beverages.

12 DR. LIEBERMAN: Okay. The other -- one of
13 the other questions I had was, I think you may have
14 said it and I missed it, how many subjects on the
15 placebo group withdrew?

16 DR. BOOZER: Eight.

17 DR. LIEBERMAN: And then, to follow up on
18 that, with regard to the subjects who withdrew in the
19 active treatment group you listed a series of reasons
20 that they withdrew, many of them were side effects
21 typically associated with administration of ephedrine.

22 DR. BOOZER: Right.

23 DR. LIEBERMAN: Did the those subjects all
24 withdraw themselves or were some withdrawn because you
25 observed that their blood pressure was higher than

1 would be permitted by the protocol?

2 DR. BOOZER: The two withdrew for elevated
3 blood pressure we withdrew. We asked them to withdraw
4 when they reached that point. That was a predetermined
5 cutoff point for our protocol. The others who
6 withdrew, withdrew voluntarily.

7 DR. LIEBERMAN: Okay, and the final point I
8 wanted to make was we have not seen your FASEB abstract
9 I would suggest that you enter it into the record.

10 DR. BOOZER: Sure.

11 DR. LIEBERMAN: Thank you.

12 DR. JONES: Other questions from the panel?
13 Dr. Philen.

14 DR. PHILEN: Are you able to tell us, Dr.
15 Daly, how many people completed your study?

16 DR. DALY: I am sorry, I do not have the
17 number at the tip of my tongue, but I feel it is
18 important not to have any of the data out until --

19 DR. PHILEN: That's fine. That's fine. I
20 understand.

21 Also, when you were working with the
22 statistician do you recall the size of your type 1 and
23 type 2 errors were?

24 DR. DALY: I do not. I know that they were
25 -- it was an important part of coming up with that

1 number and I know that we used the most stringent
2 measurement we were making was with the 24-hour blood
3 pressure monitor, so we were looking at the smallest
4 difference to be able to detect a difference in blood
5 pressure.

6 DR. PHILEN: That was what you were using to
7 calculate your --

8 DR. DALY: Because that was the thing that
9 gave us the largest end basically.

10 DR. PHILEN: Thank you.

11 DR. JONES: Dr. Schwetz.

12 DR. SCHWETZ: Bernard Schwetz, FDA. Do you
13 how an estimate of when the report from the six-month
14 study would be ready to be submitted to a journal for
15 review?

16 DR. BOOZER: We hope within the next month or
17 two.

18 DR. SCHWETZ: Oh, the six-month study?

19 DR. BOOZER: Yes.

20 DR. SCHWETZ: Thank you.

21 DR. JONES: Thank you. A question here from
22 the floor.

23 MR. MOWERY: Daniel Mowery from the American
24 Phytotherapy Research Laboratory. You talked about,
25 Dr. Daly, about the IRB's review of this. Could you

1 give us a little bit more insight on the concerns the
2 IRB might have had or did not have given what we hear
3 about the adverse effects of ephedrine and so forth,
4 especially as has been brought up here in different
5 sessions.

6 I have some concern about what is going to
7 happen with future research trials on ma huang at the
8 IRB level. I know that in my own case I am working
9 with a couple of IRBs right now myself that there is
10 some a grave concern based on what happened in 1996 and
11 1997 about ma huang.

12 Can you just tell us a little bit about what
13 you have seen, either one of you, on those issues, Dr.
14 Boozer, Dr. Daly?

15 DR. BOOZER: We go through the St. Luke's
16 Roosevelt Hospital IRB for our study because the
17 Obesity Research Center is located in the hospital and
18 we had no challenges to our protocol from the IRB
19 there.

20 MR. MOWERY: None at all? So apparently
21 they're not concerned then about some of the things we
22 have been talking about here, you know, the level of
23 adverse effects that might occur in the general
24 population after you've stripped away all of the
25 susceptible people? I mean they have had no concern

1 whatsoever about that?

2 DR. DALY: Well, I think that the protocol
3 was very conservative in wanting to exclude individuals
4 with active coronary disease, known pre-existing
5 hypertension, or previous strokes and things. So we
6 addressed the issue that probably would be anticipated
7 to be concerns by the IRB before they had them.

8 MR. MOWERY: Thank you very much.

9 MS. MCAFEE: Hi, I have a few comments. I am
10 Lyn McAfee from the Council on Size and Weight
11 Discrimination. Following up on what was just said,
12 you have taken out of huge chunk of the people who
13 would receive any benefit from this drug by removing
14 people with the comorbid conditions. So this is
15 absolutely the best case scenario, with that be a fair
16 statement of that?

17 DR. DALY: Well I do not think that everyone
18 with a comorbid condition was removed. For example,
19 individuals who have diabetes but are controlled by
20 diet were included in the study. You know, it is
21 difficult, because to do a scientific study if you do
22 include individuals with comorbid conditions those can
23 be confounders. Uncontrolled diabetes would make
24 someone lose more weight and that we're not going to be
25 able to tell what our efficacy is. So we are really

1 sort of between a rock and a hard place while you do
2 want to know how these things work and whether they are
3 safe in those individuals to include them in a
4 scientific study, you know, it can change the science
5 basically.

6 So there are two issues. The safety concern
7 And wanting to be certain that you are not including
8 someone who might have a harmful outcome and the
9 efficacy of wanting to know that it is working because
10 it is working but not because there is something else
11 going all get that person.

12 MS. McAFEE: And diabetes is of particular
13 concern and hypertension. And those are the people who
14 would most benefit.

15 I also wanted to address the issue of the
16 length of the studies. I mean, one was eight weeks and
17 what was six months. That is a very, very short time
18 in the natural history of obesity. And I know the
19 money issues, but what I am saying is, I do not know
20 that we can extrapolate a whole lot. Weight loss
21 traditionally stops around six months. And what we
22 have seen in the other drugs in the Redux, Meridia, and
23 Zenecalt drugs is a regain and if what we're looking
24 for is health benefits we're not sure to what extent
25 those health benefits will be kept as there's a gain

1 effect people don't really look at that a whole lot.

2 DR. DALY: I agree, a longer study would be
3 great and if funding becomes available I am sure you
4 will find some of us who are happy to do that. I think
5 six months is relatively long by the standards of some
6 obesity studies but, you know, two years would be
7 great.

8 MS. MCAFEE: It is hard for me to extrapolate
9 from that that there is really success there are in a
10 long-term basis; I just wanted to make that point.

11 DR. BOOZER: There is one additional study
12 that I have not mentioned that may throw some light
13 onto that -- that question. Metabolife did fund a
14 follow-up study to this eight-week study and that was
15 to bring people back 12 to 18 months after completion
16 of the study just to find out what they did on their
17 own. So we will have some results.

18 MS. McAfee: Excellent. Thank you.

19 DR. JONES: We had one more question from the
20 panel. Dr. Richardson.

21 DR. RICHARDSON: Yes, Mary Ann Richardson
22 from NIH. I just have one quick question for Dr.
23 Boozer. You said that there was an upper limit for
24 blood pressure in the Metabolife study, 140/90. But
25 you said in the two groups over all the change in blood

1 pressure was nonsignificant unless you controlled for
2 weight loss. And when you controlled for weight loss
3 what did that look like between the groups in terms
4 of increased blood pressure and how high was that?

5 DR. BOOZER: This is very -- as you can tell,
6 this gets very technical statistically and we try to be
7 as conservative as possible in analyzing this in every
8 way. We did get a significant effect in the active
9 group what we controlled for weight loss, so that there
10 was then a significant difference between the two
11 groups. I do not know how else to answer it.

12 DR. RICHARDSON: But that did not reach the
13 significant level -- I mean the level for removing it
14 from the study?

15 DR. BOOZER: It did reach statistical
16 significance for the ANOVA but whether a reach clinical
17 significance is a judgment call.

18 DR. LIEBERMAN: Just a follow-up on that.
19 When were the two subjects who had high blood pressure
20 withdrawn? Was it before or after that six-week
21 period?

22 DR. BOOZER: One was early on in the first
23 week and one was after that period. We sort of
24 separated out the first week from the rest. I think
25 the second subject was I believe about week four.

1 DR. JONES: Very good in no more questions
2 from the floor?

3 [No response.]

4 DR. JONES: Doctors Boozer and Daly, thank
5 you very much for presenting your data. We will look
6 forward to publication. Thank you.

7 Mr. Rubin, I would note that we are right
8 before lunch. You have Dr. Bray, and Dr. Astrup who
9 will be presenting by video and Dr. Patrick whom you
10 and I have discussed has probably even as we speak is
11 just leaving a classroom. We had expressed concern
12 about his ability to get here at 2:05.

13 Let me just see, are Doctors Hennekens and
14 Soller in the audience? Would you gentlemen be
15 prepared to speak immediately after lunch if we were to
16 take Dr. Bray now? Then we could break for lunch and
17 we would bring you gentlemen back after lunch and then
18 we would go to Dr. Astrup's video and then Dr. Patrick;
19 would that be satisfactory, Mr. Rubin?

20 MR. RUBIN: Dr. Jones, there is one possible
21 alternative.

22 DR. JONES: Sure.

23 MR. RUBIN: I know that in retrospect Dr.
24 Bray was hoping to speak after Dr. Astrup's video.

25 DR. JONES: I see.

1 MR. RUBIN: So perhaps we could do to video
2 now if we have 15 minutes.

3 DR. JONES: If the video is there, is it cued
4 and ready to go?

5 MR. RUBIN: It should be cued.

6 If it is, I would just like to make a few
7 introductory comments prior to --

8 DR. JONES: Can someone either give me a wave
9 in the back, yes, it is cued, and, yes, it is ready to
10 go? Terrific. Okay, thank you.

11 The lights here are so bright and, you know,
12 if I were used to the Broadway stage it would be
13 different.

14 [Laughter.]

15 MR. RUBIN: I would just like to briefly set
16 up the video for everyone. I will provide a little bit
17 of background on Dr. Astrup. Dr. Astrup first
18 apologizes for not being able to be here today but he
19 is in Denmark and the trip was a bit difficult for him.

20 Dr. Astrup is currently a professor of
21 clinical nutrition at the University of Copenhagen
22 Hospital, the president of the Royal Danish Nutrition
23 Council, and adviser to the national boards of health
24 under the ministry of health in Copenhagen, the
25 Secretary and a member of the executive board of the

1 International Journal of Obesity and the director of
2 the Research Department at the Royal Veterinary and
3 Agriculture University in Copenhagen, Denmark.

4 He is a leading researcher in the safety and
5 efficacy of ephedrine preparations and ephedrine
6 caffeine preparations for weight loss in humans and has
7 published many studies on the topic which FDA has
8 reviewed and commented upon.

9 Dr. Astrup will be discussing his research
10 and responding to FDA's concerns regarding ephedrine
11 and ephedrine caffeine combinations.

12 I would also like to mentioned that the
13 initial videotape that we received from Dr. Astrup ran
14 approximately 25 minutes in length and due to time
15 constraints we had to edit it. We edited it down from
16 25 minutes to approximately 13 minutes and we wanted to
17 make sure that our editing process did not alter the
18 content, so we sent the transcript to Dr. Astrup to
19 review to make sure that he was comfortable with it and
20 I would like to read Dr. Astrup's comments into the
21 record.

22 "Concerning the video recording of my
23 presentation of research on ephedrine caffeine
24 according to our agreement the video has been edited
25 for time purposes and I have had the opportunity to

1 review the transcript of the edited version. I agree
2 with all statements being made and confirm that the
3 editing has not change the content or conclusions" and
4 it is signed Dr. Arne Astrup, August 8, 2000.

5 DR. JONES: Thank you for attending to that,
6 Mr. Rubin. Again I would invite you, if you would like
7 to submit the full videotape to the record, we would
8 welcome that as well. I will leave that to your
9 decision but with Dr. Astrup's concurrent statement
10 that you read we are grateful for your 13 minutes --

11 MR. RUBIN: Thank you and you can run the
12 videotape now.

13 [Videotape shown.]

14 DR. JONES: We thank Dr. Astrup for providing
15 that to us.

16 Dr. Bray are you ready?

17 DR. BRAY: I have to hook up my computer.

18 DR. JONES: Okay. And we cannot really ask a
19 question of Dr. Astrup.

20 MR. RUBIN: Actually, if anyone has any
21 questions for Dr. Astrup I know that he is willing to
22 respond. I mean, we cannot do it now, unfortunately,
23 but feel free -- we can relate any questions you have
24 to him and we can have his responses put into the
25 record if that would be helpful to you.

1 DR. JONES: That would be helpful. We would
2 just get the questions of the record then and get a --
3 Dr. Philen.

4 DR. PHILEN: You probably know this already
5 -- Rossanne Philen, Centers for Disease Control. In
6 Denmark is this caffeine ephedrine combination
7 available over-the-counter or is it a prescription
8 item?

9 MR. RUBIN: Prescription. It is approved by
10 their board. They operate in a slightly different way
11 than we do.

12 DR. PHILEN: Right. Because it sounded like
13 he was suggesting that it was a medication that was
14 dispensed through their health-care system. Thank you.

15 DR. JONES: Were there any other questions to
16 enter in the record from the panel?

17 [No response.]

18 DR. JONES: Great that is helpful. I would
19 assume then that we do not really have to forward that
20 to Dr. Astrup since we have -- I mean you would let him
21 know, please, that we did ask.

22 There is a question here Dr. Richardson or
23 Dr. Schwetz.

24 DR. RICHARDSON: Did he say how long the
25 treatment was in his study, his formal study?

1 MR. RUBIN: Six months. Actually, I have the
2 slide that I think I would have here, we were going to
3 load two more slides from our Secretary but you're
4 going to miss those because they are not here but I do
5 have his your trial. He had a six-month forearm
6 placebo-controlled trial which he described followed by
7 six months open label trial and at the end I had hoped
8 to have it on here but I do not. I have most of the
9 other slides but I do not have that point.

10 Can someone hook up -- oh, I am there look at
11 this. Let's see, this being my first time doing this,
12 there are some real experts somewhere in the back I
13 guess.

14 So our next speaker is Dr. George Bray. Dr.
15 Bray is a graduate of Harvard Medical School and did
16 his residency in internal medicine, his specialty is
17 endocrinology, diabetes and metabolism and he is
18 currently a Boyd Professor at Louisiana State
19 University and a professor of medicine at Louisiana
20 State University Medical Center. He has received
21 several grants to study dietary, genetic, and
22 hypothalamic obesity and he holds a patent for the
23 treatment of selective weight control and for selective
24 regional fat deposits.

25 Dr. Bray will be discussing the history of

1 youth, safety, and efficacy of dietary supplements
2 containing ephedra for weight loss.

3 DR. BRAY: Good afternoon, Dr. Jones. For
4 those of you usually hear me talk I do not use notes,
5 but because this is going into the record and having
6 edited my own transcripts, today I am going to read my
7 comments into the record so that they will be precise
8 and the poor person who has to transcribe it will not
9 have to edit them.

10 Dr. Jones, panel members, and members of the
11 audience, thank you for the opportunity to present to
12 you this afternoon.

13 My name is George A. Bray, M.D. I am a Boyd
14 Professor and professor of medicine at Louisiana State
15 University and was executive director of the Pennington
16 Biomedical Research Center in Baton Rouge, Louisiana
17 from 1989 through 1999.

18 My appearance at this panel is supported by
19 Metabolife.

20 By way of background I received my
21 undergraduate education at Brown University where I
22 graduated summa cum laude in 1953 and I continued with
23 my medical education at Harvard University where I
24 graduated magna cum laude in 1957.

25 Following an internship at Johns Hopkins I

1 completed my medical residency and research training at
2 a number of institutions including the National
3 Institutes of Health, the National Institute for
4 Medical Research in London and the New England Medical
5 Center in Boston, Massachusetts.

6 Since 1965, I have been funded continuously
7 by the National Institutes of Health which is where
8 almost all of my funding comes from and I will be
9 funded with my merit award through 2006 and with the
10 show trial through 2009.

11 As a result of my research on obesity I have
12 contributed more than 1300 publications, chapters,
13 reviews, and abstracts to the medical literature. My
14 central theme for my research program has been to
15 understand the development of obesity and how it can be
16 effectively treated.

17 I'm here today to argue that the continued
18 availability of over-the-counter products containing
19 ephedra alkaloids is one tool to help combat this
20 problem.

21 Let us not throw out the baby with the
22 bathwater. Obesity is a major epidemic.

23 Although the relative weight of human beings
24 has been increasing slowly for nearly a century,
25 sometime in the 1970s the rate of increase exploded.

1 Obesity is now recognized as a chronic disease that is
2 increasing in prevalence. Both the World Health
3 Organization and the National Heart, Lung and Blood
4 Institute have labeled obesity has epidemic. More than
5 20 percent of adult Americans are now obese and the
6 prevalence for obesity in children and adults has
7 increased by nearly 50 percent in the past decade.

8 The progress of this epidemic in the United
9 States is shown on the next two slides. The slide down
10 by my artwork taken from a paper in JAMA in October
11 27th of last year, shows the prevalence of those using
12 BRFSS survey with less than 15 percent, less than 10
13 percent, 10 to 15 percent, and more than 15 percent
14 reporting 30 percent overweight in their states.

15 Note that there are four states were 8
16 percent of the reporting states having obesity by these
17 criteria in 1991.

18 Note that by 1998, all but 10 of the states,
19 80 percent, were now in this category with 30 percent
20 of a BMI of 30 in 15 percent of the groups. So it has
21 been a major increase within even this decade and there
22 is no evidence that it has slowed down.

23 Obesity is also a stigmatized disease. The
24 common view is that obese people are lazy and weak
25 willed. It is also believed by many that if the fat

1 people just had the willpower to push themselves away
2 from the table they would not be obese. I reject this
3 view, although it is widely held by the public and by
4 health professionals alike.

5 The stigma of obesity is supported by the
6 clamoring of women to be lean and by the more than \$30
7 billion spent in health activities related to obesity.

8 A recent report emphasizes the impact of
9 quality of life in this problem.

10 The next slide will show this data published
11 in JAMA late last year on 40,000 women in the Nurses'
12 Health study. In this group they divided them into
13 those who gained more than five pounds, those who were
14 stable within five pounds and those who lost more than
15 five pounds. Among those who gained more than five
16 pounds in the four years of this follow-up between 1992
17 and 1996 there were a number of problems that you can
18 see that were significantly worsened in this group of
19 the 38 percent of the women.

20 Their physical function was lowered, their
21 vitality was reduced and they had increased bodily
22 pain.

23 In the group that lost weight all of these
24 same quality of life functions improved.

25 So obesity is a stigmatized disease with

1 significant impact on quality of life. Obesity also
2 poses major risks to health. One major consequence of
3 obesity is an increase in mortality.

4 One major consequence of obesity is an
5 increase in mortality. In this same JAMA issue that
6 had those maps that I showed you a moment ago, Allison,
7 et al, working with two previously published studies
8 showed clear evidence that between 280 and 325,000
9 extra deaths could be accounted for each year by
10 obesity.

11 The relationship of excess mortality to
12 obesity is best described by a J-shaped curve; and I do
13 not have the slide here, but I have published one like
14 its many times.

15 As body weight increases there is a
16 curvilinear increase in mortality. This relationship
17 exists for men, for women, and for all ethnic groups.
18 Obesity also increases the risk not only for mortality
19 but for a variety of diseases particularly diabetes
20 melitis, heart disease, hypertension, gallbladder
21 disease, and some forms of cancer.

22 The ails that obesity brings both social and
23 physical are reversible with weight loss. For most of
24 the markers of ill health care is a proportional
25 improvement with each unit of weight loss. To obtain

1 significant benefits may require as little as five to
2 ten percent weight reduction. The longer the weight
3 loss lasts the greater the benefits; that is, you
4 shifted to appearance of the risk associated with
5 obesity to a later time frame even if weight is
6 regained.

7 The basic cause of obesity has been
8 recognized for centuries. It results from an intake of
9 energy as food that exceeds with the body needs. The
10 excess is stored as fat. We reach our peak energy
11 needs in her late teens and early 20s thereafter energy
12 needs gradually decline at about 10 kilo calories per
13 day per year.

14 If we do not make our adjustments in energy
15 expenditure our weight gain is about a pound per year
16 or a little less over most of our adult life.

17 The current backbone of therapy for obesity
18 for the stigmatized and risky problem is diet,
19 exercise, and behavior therapy. And I will deal with
20 these treatments one at a time.

21 The first popular diet book was published
22 nearly 150 years ago by a man named Banting and new
23 diets appear almost every month. It must be obvious to
24 anyone who thinks about the problem that if any of
25 these diets lived up to their claims people would

1 throng to them and there would no longer be a problem
2 of obesity.

3 Quite the contrary is true. Obesity is at
4 epidemic proportions leading to the inescapable
5 conclusion, at least on my part, that none of these and
6 diets meets their claims.

7 Exercise is the second part of the -- of
8 obesity treatment. As modern society has become ever
9 more mechanized few humans have been willing to
10 maintain the activity levels of their forbearers. Few
11 of us would want to go back into the field to harvest
12 sugar or rice as we grow it in California -- Louisiana.

13 We must conclude that in part there's
14 something aversive about exercise. Few people want to
15 do it although those who do exercise can maintain a
16 lower bodyweight. It is noteworthy that exercise that
17 is effective increases heart rate and this is indeed
18 one of the ways to evaluate if it's effective on
19 cardiovascular fitness.

20 Exercise also increases blood pressure, one
21 of the things we've been talking about, since it is
22 needed to move increased quantities of blood to
23 peripheral tissues.

24 The third element of weight control is
25 behavior therapy. Its principals were put into

1 practice more than 30 years ago at the onset of the
2 current epidemic of obesity. Although there are many
3 reports of successful weight loss, programs with
4 behavior therapy, while it is being actively pursued,
5 like any treatment that is stopped, fewer than five
6 percent maintain more than half the weight that they
7 lost.

8 Given this epidemic of obesity the fact that
9 obesity is a stigmatized condition in a world that
10 prizes thinness and youth, not weight and age, it is
11 no wonder that Americans spend more than \$30 billion
12 annually on diet-related products and services.

13 Since I cannot yet prevent the epidemic of
14 obesity it is incumbent on us to offer what support we
15 can with therapy. At present the pharmaceutical
16 industry, as many of you know, is actively working on
17 new strategies for treatment, but even if they had
18 drugs in the pipeline now it would be the late this
19 decade before anything would be available.

20 If they could, we would have ideal an
21 medication which would be effective, inexpensive, and
22 safe. What you heard Dr. Astrup say a moment ago is
23 that the combination of ephedrine and caffeine that he
24 has been working on comes as close as anything we
25 currently have to meeting those criteria.

1 I would thus submit that over-the-counter
2 herbal preparations when used judiciously and according
3 to recommendations meet these criteria.

4 The initial reports of an effective ephedrine
5 caffeine preparation for the treatment of obesity came
6 from the Danish pill called the Elsonor pill that was
7 used to treat asthma, but that also produced weight
8 loss. It contained 40 milligrams of ephedrine and 100
9 milligrams of caffeine and was given three times daily.
10 From this initial lead Astrup whose work you just heard
11 described pursued the use of ephedrine and caffeine and
12 used several different combinations to develop the one
13 that he tested in his protocol which I will show at the
14 very end. It was 20 milligrams of ephedrine and 200
15 milligrams of caffeine given three times daily.

16 With this combination there's a small
17 increase in thermogenesis of about 8 percent and a
18 small increase in blood pressure -- systolic blood
19 pressure of 9 beats per minute which gradually declines
20 as the beta one, beta two receptors are down regulated
21 with exposure to this sympathomimetic drug.

22 It should be noted that exercise too
23 increases heart rate and blood pressure to levels
24 similar to those seen with this a acute response to the
25 ephedrine caffeine combination. With continued

1 treatment in his trial there was a four to 11
2 millimeter drop in blood pressure and a one to two
3 millimeter drop in heart rate in the first 12 weeks,
4 again reflecting this adaptation to beta one and beta
5 two receptors.

6 During their 24-week double-blind
7 placebo-controlled trial subjects lost about 17 and a
8 half percent of their bodyweight compared to about 14
9 percent with placebo. The efficacy would also appear
10 to be supported by the rapid growth in the use of the
11 over-the-counter products that we have been discussing
12 in the last day and half. If these compounds were not
13 meeting the needs of consumers there would be no
14 momentum for the sale of the 3 billion doses that we
15 heard described from the survey yesterday.

16 Costs. The second need in a product for the
17 public is low cost. The over-the-counter route has
18 real advantages here. By making products available
19 directly to the consumer the costs will be
20 substantially lower than if consumers must go through
21 the prescription route and involve physicians.

22 The herbal over-the-counter preparations were
23 meet this goal.

24 Safety. The major thrust of the hearings
25 that we have had yesterday and today have been on the

1 safety of these preparations. During the pass day and
2 half I have listened to a number of experts review the
3 available information and have listened to them come to
4 divergent conclusions. I am also old enough to have
5 lived through the rainbow bill pill problem more than
6 30 years ago, the poor quality of protein that in very
7 low-calorie diets that led to the problems of the 1970s
8 and Fen-Phen problem of the 1990s.

9 In all of these cases there was a clear
10 relation between the health problem and the product
11 that was implicated. As I looked at the chart with the
12 logarithmic growth in the use of herbal ephedrine
13 caffeine preparations presented yesterday and the few
14 reports of adverse events which do not seem to have
15 risen, it seems clear to me that none of the issues
16 that surrounded the other problems when the FDA took
17 action in these early events are in place now.

18 The experience with ephedrine and caffeine in
19 Denmark provides additional reassurance. As Dr. Astrup
20 said, it has been on the market for ten years there and
21 that an estimated 2 percent of the population or more
22 than 60,000 people have had an exposure of some period
23 of time with few significant adverse -- with no
24 significant adverse and events and a few minor ones.

25 This experience needs to be added to the

1 database that we are evaluating when deciding on the
2 effectiveness or use of these products by the public as
3 over-the-counter products.

4 Caffeinated beverages have been consumed by
5 humans for centuries and there is nothing to suggest
6 that they need to be regulated. Ephedrine has been
7 used in the treatment of asthma since I was a house
8 officer more than 40 years ago. From the data I
9 reviewed I must conclude that over-the-counter
10 preparations of ephedrine caffeine are safe when used
11 according to the directions.

12 If I may, I will show the Astrup slide.
13 Thus, in summary I would argue that the balance of the
14 risk benefit fulcrum is clearly on the side of benefit.
15 I would thus urge the panel to allow those people,
16 particularly the individuals who would not qualify for
17 the use of agents in the prescription category to
18 continue to have access to herbal preparations. It
19 will improve their quality of life. Again, let us not
20 throw out the baby with the bathwater.

21 DR. JONES: It was Dr. Bray who had responded
22 to some of the earlier questions about Dr. Astrup's
23 studies and now he is showing a slide.

24 Dr. Bray, if you would briefly describe?

25 DR. BRAY: This is Dr. Astrup's data. He has

1 two separate papers, one showing the parallel arm
2 trial, the yellow is the placebo group, the white are
3 the caffeine, the red the ephedrine and the blue are
4 the ephedrine --

5 DR. JONES: Can you turn the lights out on
6 the stage, please?

7 DR. BRAY: -- for six months. Then at 24
8 weeks the subjects of whom there were 45 initially in
9 each group, I believe there were about 120 complete --
10 he said 40 dropped, so there must have been 140
11 completed.

12 They were given the opportunity for all of
13 them to go on an open-label, six-month extension to
14 examine continuing (a) effectiveness, and (b) safety.

15 The colors are coded for the groups on which
16 they were originally treated to show you what happened
17 in each group. At the end of the six months of follow-
18 up that is 50 weeks the groups were not significantly
19 different in any of the four treated groups; all had
20 maintained or improved their weight loss over where
21 they had been at the end of the six-month double-blind
22 randomize placebo-controlled trial, and there had been
23 no significant adverse events in that second six-month
24 treatment.

25 DR. JONES: Thank you, Dr. Bray. Questions

1 from the panel?

2 DR. BRAY: Thank you for the opportunity to
3 present.

4 [No response.]

5 DR. JONES: Seeing none, from the floor? Dr.
6 McLaughlin.

7 DR. McLAUGHLIN: Yes. Jerry McLaughlin from
8 Nature Sunshine Products. I am sitting here stewing
9 about this cardiac affect of the ephedra in caffeine
10 canceling out each other on the tachycardic because
11 mechanistically this doesn't make sense. I am
12 wondering if he have an answer as to how
13 mechanistically this could take place? I mean this is
14 different in all of the pharmacology texts that I have
15 ever read on these.

16 DR. BRAY: Actually, you should be
17 addressing that question to Dr. Astrup, because it is
18 his beta that shows the affect is there. One of the
19 beauties of science is that we sometimes find things
20 that we don't expect to find from our mechanisms.

21 Astrup is one of those very, very careful
22 investigators and if he's made the observations I'll
23 have to revise my my theories to fit the observation.
24 I don't have a mechanism for you either but I don't
25 think that he does.

1 DR. McLAUGHLIN: I would like to ask him
2 about the mechanism because it doesn't make sense. And
3 I think the panel should realize that that's going to
4 be a tough one to really validate.

5 DR. JONES: Thank you. Ms. Wood.

6 MS. WOOD: Doctor, the only other country
7 that you compared with here was the doctor who said in
8 that country, Denmark I believe it is under
9 prescription that this is used by the public. are
10 there any other countries you have compared because the
11 argument hear is you say it has to be over-the-counter
12 and we believe it has to be at the FDA approved
13 prescription? Have you compared your statistical
14 research with other countries where the success rate
15 was good as Denmark on which is under prescription?

16 DR. BRAY: You have essentially seen all the
17 data that exists.

18 MS. WOOD: Thank you that's all.

19 DR. JONES: Thank you. Any further
20 questions?

21 [No response.]

22 DR. JONES: Very good. I thank you, Dr.
23 Bray. We appreciate your flexibility and I will call
24 it for round figures 12:45. If we return at 1:45 this
25 will be the order of the presentation, Dr. Soller and

1 Hennekens, I believe I spoke with you and that was the
2 order you wished to go in? Soller. Okay, thank you.
3 Not only are the lights bright but the hearing has quit
4 too.

5 Dr. Soller then Dr. Hennekens and then Dr.
6 Patrick should have arrived by that time and we will
7 hear from him and then we will continue with the
8 schedule with Dr. Huber. One note as you go to lunch
9 and return, please return through the Independence
10 Avenue entrance. These badges for this meeting do not
11 get you in through the other entrance for visitors.
12 There's a whole lot of rigmarole there. So, please
13 come back around, a few extra steps is probably good
14 for us all -- back in through the Independence Avenue
15 entrance, please. And we will see you at 1:45.

16 Thank you.

17 [Whereupon, at 12:45 p.m., the meeting was
18 recessed to reconvene this same day at 1:45 p.m.]

A F T E R N O O N S E S S I O N

[Time noted: 1:45]

1
2
3 DR. JONES: Welcome back from lunch. We have
4 changed the afternoon just slightly. We will start
5 with Dr. William Soller, then Dr. Hennekens and then we
6 will hear from Dr. Patrick. So if we can, are we
7 ready?

8 We will have 15 minutes and five Q&A as this
9 continues our abstract session as we were during
10 earlier. Dr. Soller, thank you.

11 DR. SOLLER: Thank you very much. It will
12 take me a moment just to set this up. Thank you very
13 much, Dr. Jones, members of the panel, ladies and
14 gentlemen. I am Dr. Bill Soller, senior vice president
15 and director of science and technology for this
16 Consumer Health Care Products Association, a 119-year-
17 old trade organization representing manufacturers and
18 distributors of dietary supplements and non-
19 prescription medicines.

20 The issue of ephedra safety as raised by this
21 meeting affects CHPA members who market of ephedra-
22 containing dietary supplement products as well as other
23 members of CHPA who market certain over-the-counter OTC
24 nasal decongestants and weight control products.

25 By my introduction the core issues

1 surrounding the consideration of ephedra safety relate
2 to the use of adverse experience reports or AERs as a
3 foundation for public health decisions about products
4 availability and labeling. CHPA manufacturers take
5 very seriously the financial report about their product
6 safety and we certainly feel compassion for those who
7 believe that they have suffered from the use of dietary
8 supplements or OTC medicines.

9 As scientists, however, we have the
10 obligation to view data objectively and often in the
11 abstract so as to come to a deliberate decision about
12 the quality and strength of the underlying data that
13 might be the basis for public health decisions about
14 ingredient safety.

15 Fortunately, there is an accepted process of
16 how to undertake the scientific regulatory decisions.

17 Scientific regulatory decisions on ingredient
18 safety are made case-by-case in a weight of all
19 evidence data-driven, dialogue-driven process that
20 includes all the relevant data and information. Such
21 public health decisions that may affect ingredient
22 availability or labeling must be based on data that are
23 scientifically documented, clinically significant, and
24 important to safe effective use of product by the
25 consumer.

1 This is a logical, long-standing policy of
2 FDA as it relates to consumers product issues. The
3 ensures that all the evidence is brought to bear on the
4 issue and that the ultimate public health decision is
5 based on scientifically-documented data. This accepted
6 scientific regulatory approach should be used by FDA to
7 exert its ample enforcement authority to ensure safe
8 and beneficial dietary supplements remain on the
9 market.

10 It is by using this approach that we consider
11 a ephedra to be safe when formulated, manufactured, and
12 labeled according to the industry's voluntary program
13 and when used according to label directions.

14 However, FDA's approach in this matter has
15 been fragmented and inconsistent with this accepted
16 scientific regulatory process. It undermines this
17 particular process, FDA appears to have selected
18 information to include in the docket, blurred the case-
19 by-case assessment by introducing irrelevant
20 information on other sympathomimetic and asked it
21 consultants to come to a public health judgment based
22 on partial data.

23 Let's take these one at a time. FDA appears
24 to have selectively included information in the docket.
25 The correct issue here is the weight of all the

1 evidence, the incorrect issue is the selection of some
2 of the evidence.

3 FDA reopened the ephedra docket only a week
4 ago requesting comment on the epidemiologic hemorrhagic
5 stroke project study which addresses
6 phenylpropanolamine or PPA. FDA entered only this
7 study into the docket and not even by reference
8 included the voluminous information that we have
9 submitted on PPA over the last 10 to 15 years into the
10 PPA docket for the OTC review.

11 And, in fact, FDA's review on the
12 pharmacology review of ephedra did not include most of
13 the pivotal information on PPA that we submitted to the
14 Agency. And given that FDA has entered only selective
15 information on PPA into the ephedra docket I would like
16 to emphasize that as with every ingredient safety issue
17 each individual AERs and study must be considered in
18 the context of the totality of the evidence on the
19 ingredient.

20 For PPA the totality of the evidence
21 overwhelming supports the safety and effectiveness of
22 PPA when used as directed on product labeling and this
23 conclusion is based on approximately 40 clinical
24 studies and well with 3,000 subjects including healthy
25 volunteers, obese and hypertensive patients in single-

1 and multidose regimens as well as two supportive
2 epidemiologic studies all of which are detailed in our
3 submissions that we have made to the agency.

4 PPA-containing products have been used
5 literally by millions and millions of consumers over
6 the past 50 years with a very low incidence of serious
7 side effects.

8 But should the ephedra docket include certain
9 safety information on other sympathomimetic, let's
10 remember that it is a case-by-case evaluation that
11 should be the basis for public health decisions on
12 ingredients safety.

13 FDA's review of published literature includes
14 about 50 plus cerebral and cardiovascular-related
15 references, 34 percent or so which relate to ephedrine
16 the others sympathomimetic. The inclusion of a large
17 amount of information out of the sympathomimetic agents
18 and the HSP, the hemorrhagic stroke project study in
19 the ephedra docket implies that evaluation of a safety
20 profile of other marketing sympathomimetic is important
21 in the context of ephedra's safety.

22 We do not agree that this is the cases since
23 the intended use of an ingredient is fundamental to its
24 safety evaluation and different marketed
25 sympathomimetic have different intended uses based on

1 their very well-known pharmacological structure
2 activity relationships.

3 The fact is while ephedra may include several
4 sympathomimetic agents with different relative ratios
5 with Alpha and Beta receptors -- activities it is the
6 mixture of these agents in the final ephedra product,
7 not the activity of any one ingredient, per se, that is
8 relevant to the intended use or misuse of the product
9 and consideration of its safety.

10 Hence, notwithstanding the fact that PPA is a
11 minor component of ephedra a partial review of PPA in
12 FDA's report is also of limited value in the review of
13 ephedra and potentially misleading. Likewise,
14 introducing the hemorrhagic stroke project study in the
15 ephedra docket is also of questionable value. Even if
16 the study were of a quality to enhance our
17 understanding of the safety of PPA.

18 On this latter point there is serious
19 limitations to the HSP study but is important to note
20 that the HSP study did not established a causal
21 relationship between hemorrhagic stroke and the
22 subsequent ingestion of PPA and the subsequent
23 development of hemorrhagic stroke and collected no
24 information on ephedra.

25 As Dr. Charles Hennekens will directly follow

1 me with the more detailed review of the strength and
2 limitations of the HSP study it should suffice for me
3 to say that chance bias and confounding are each
4 plausible alternative explanations of the findings from
5 the study.

6 Thus as a stand-alone study the data from the
7 HSP are not sufficiently informative to draw any
8 conclusion either about the PPA or ephedra.

9 Another concern relates to FDA instructing
10 its consultants to review selection of AERs and
11 determine whether ephedra is safe; that is, to make an
12 overall public health assessment based essentially on
13 selected AERs. This direction from the agency was
14 inappropriate.

15 First, it is well-recognized that in general
16 AERs are individual reports often lacking in important
17 details or presenting details giving more likely
18 explanations of the reported events.

19 As such, they are considered mainly as
20 hypothesis generating and not hypothesis testing data
21 sets certainly not rising in and of themselves to the
22 level of scientific documentation needed for an overall
23 public decisionmaking.

24 The AER database on ephedra is inadequate and
25 only a small subset of reports have sufficient detail

1 for appropriate causation analysis. Different
2 reviewers -- FDA reviewers saw different sets of AERs
3 and among the reviewers there were wide differences in
4 opinions about the causation judgments relating to
5 individual AERs showing the highly subjective nature of
6 this database and their analyses. A careful review of
7 the AERs as we think was done by the Ephedra Education
8 Council shows the great limitations to these data as a
9 basis for any causality assessments supporting
10 significant or unreasonable risk attributable to
11 ephedra.

12 Second, in this regard, as mentioned, an
13 important hurdle in coming to a public health decision
14 about ingredient safety is the scientific documentation
15 phase of the scientific regulatory process. In this
16 phase all the relevant information must be gathered and
17 evaluated for credibility and completeness before a
18 public health judgment can be made. Therefore, FDA
19 should have either given its consultants all the
20 information and ask the overall question on safety, or
21 asked the consultants only about the nature of the
22 scientific documentation of the AERs.

23 As a result the conclusions reached by these
24 consultants are necessarily limited if not frankly in
25 question.

1 Parenthetically, I might add that at least
2 one of the FDA's expert reviews of AERs reportedly
3 associated ephedra place pharmacological plausibility
4 as top criterion of the attributional assessment. This
5 bias is the review against ephedra since nonephedra-
6 related health problems can have an endogenous
7 sympathomimetic component by first deciding if the AER
8 has a sympathomimetic-related course of events
9 sympathomimetic mediated conditions can falsely be
10 attributed to ephedra and there is a tendency to not
11 look for other more plausible explanations.

12 These concerns are important. FDA has
13 approach its assessment on ephedra in a fragmented way
14 undermining the accepted scientific regulatory approach
15 that evaluates each ingredient on its own merit,
16 focuses on the scientific documentation first, and
17 relies on the weight of the evidence.

18 Important information on ephedra is still
19 being developed by the industry and we have heard this
20 from other speakers at this meeting and this should be
21 included in any assessment of ephedra for regulatory
22 decisions are taken.

23 Finally, CHPA members' companies that market
24 ephedra-containing dietary supplements have adopted a
25 voluntary program for their ephedra containing problem

1 products relating to formulations of labeling. This
2 was the first adopted by the American Herbal Products
3 Association and subsequently by CHPA, the National
4 Nutrition Foods Association and the Utah Natural
5 Products Alliance.

6 The industry voluntary program was reviewed
7 by previous speakers and I just highlight some of the
8 elements of that including serving limits, standard
9 constituent identification, quantitative listing of
10 actives, a stipulation for no synthetically derived
11 ephedrine alkaloids, no claims relating to an altered
12 state of consciousness, euphoria, or as a legal
13 alternative. And then special warnings that have as
14 components age restriction, pregnancy, nursing warning,
15 warnings regarding contraindicated indications
16 conditions, drug, herb, interaction warnings, and
17 warnings regarding exceeding recommended serving and
18 finally in-use precautions concerning emergent side
19 effects.

20 On balance then, in the context of the
21 significant and legitimate concerns about the quality
22 and strength of the AER data set, the nature of FDA's
23 method of review and the estimated usage of ephedra we
24 can come to no other conclusion then when formulated,
25 labeled, and used according to industry's voluntary

1 program, ephedrine-containing dietary supplements are
2 safe. CHPA recommends that FDA adopt these industry
3 recommendations into regulation.

4 Thank you very much. And, Dr. Jones, I am
5 happy to take questions or to turn it over to Dr.
6 Hennekens and then we can take questions together.
7 Whatever your pleasure is. Thank you.

8 DR. JONES: If the panel has no objections,
9 the two presentations do good together, and so if the
10 panel is agreeable, and I would ask the audience but I
11 think we will just go ahead. Pragmatically do your two
12 together and we will to 10 minutes of questions and
13 answers.

14 DR. HENNEKENS: Thank you, Dr. Jones. My
15 name is Charles Hennekens. I reside in Boca Raton,
16 Florida. On November 4, 1999, the first draft of the
17 hemorrhagic stroke project or HSP became available.
18 Since that time I have served as a paid consultant to
19 the consumer health care products association or CHPA,
20 who also paid my travel expenses.

21 I received my M.D. from Cornell University
22 Medical College. Had clinical training a internal
23 medicine at the New York Hospital, Cornell University
24 Medical Center. I served two years as an EIS medical
25 epidemiologist with the CDC. Later had research

1 training in epidemiology in public health at Harvard
2 including receiving a doctorate of public health in
3 epidemiology.

4 I was the chief of preventive medicine at
5 Brigham and Women's Hospital, and of course, John Snow,
6 and Eugene Brownsald professor of medicine at Harvard
7 Medical School. I have written or edited several
8 textbooks including one entitle Epidemiology in
9 Medicine which is widely used in medical schools and
10 schools of public health.

11 I am currently visiting professor of medicine
12 and epidemiology in public health at the University of
13 Miami School of Medicine. I wish to comment on the
14 findings of the HSP or hemorrhagic stroke project on
15 phenylpropanolamine or PPA in view of FDA's request for
16 comments on the study's relevancy to the safety
17 evaluation of dietary supplements containing ephedrine
18 alkaloids.

19 Yesterday Dr. Love of FDA emphasized the
20 dietary supplements containing ephedrine alkaloids were
21 the focus of this meeting. I was concerned however by
22 her slide entitled "published clinical investigations
23 on ephedrine alkaloids" on which the HSP on PPA was the
24 first she described. There are clear and important
25 differences in structure and activity between PPA and

1 other ephedrine alkaloids. These are actually outlined
2 in a letter for my colleague, Professor Brian Hoffman,
3 of Stanford, a world-renowned molecular pharmacologist
4 who concludes, "I would encourage you to not paint all
5 sympathomimetic with the same brush."

6 I would also like to point out that the
7 principal investigator of HSP, Ralph Horowitz, has not
8 yet submitted his manuscript to a peer reviewed
9 journal, although a study report was submitted to the
10 FDA Center for Drug Evaluation and Research several
11 months ago. I understand that the study is currently
12 being evaluated by this Agency.

13 Since November 4th, 1999, I have had a series
14 of communications and discussions with the researchers
15 conducting the HSP so there is nothing I will tell you
16 today that has not been communicated either orally or
17 in writing to my colleagues and friends at Yale
18 including Ralph Horowitz and Larry Brass as well as
19 their colleagues, Walter Kernan and Catherine Viscoli.

20 The views I am presenting here today also are
21 virtually identical to those of an independent panel of
22 five world-renowned academic experts in epidemiology
23 who reviewed and commented on the report in detail to
24 CHPA and then finally one other well-known
25 epidemiologist and two neurologists have also offered

1 virtually identical views.

2 So overall, based on my analysis of the
3 available data, I conclude that HSP has numerous
4 methodologic issues that limit this interpretability.
5 The results of this study are not sufficiently
6 compelling to drive any public health decision
7 regarding reported PPA use either as cough or cold
8 suppressants or as appetites suppressants with the
9 subsequent development of hemorrhagic stroke.

10 I would like to summarize to you briefly the
11 reasons for these conclusions, focusing on confounding
12 bias and chance all of which are likely to affect the
13 findings.

14 Now, these investigators used best efforts in
15 the conduct of this large study, and indeed assembled
16 approximately 700 cases and 1,400 controls.

17 Nonetheless, as I said, numerous methodologic issues
18 and concerns limit the interpretability of the study
19 findings. As regards confounding, for example, despite
20 matching on gender, ethnic group, and age, there remain
21 marked differences in the characteristics between the
22 cases and the controls. Cases of the study differed
23 from the controls in socioeconomic status or SES.

24 For example, 39 percent the cases with 62
25 percent of the controls were college graduates. In

1 cigarette smoking habits 51 percent the cases and 30
2 percent of controls; history of hypertension, 39
3 percent of the cases and 20 percent of the controls.
4 Family history of stroke, 9 percent of cases, 5 percent
5 of controls, as well as alcohol consumption, 14 percent
6 of the cases, and 7 percent of the controls and history
7 of caffeine consumption, 7 percent of the cases, and 3
8 percent of controls; inadequate or inappropriate
9 control for these confounders could easily explain any
10 observed association with PPA use.

11 It needs to be emphasized, however, that
12 although the study was large, there was a very small
13 number of exposed cases and this simply does not allow
14 for appropriate control of any, if not all of these
15 variables. For example, SES differences alone may
16 explain the differences in who gets the disease as well
17 as who uses PPA.

18 Several sources of bias could also have
19 influenced the results including selection and
20 observation. Selection bias was present due to the
21 low-end unequal participation rates, about 42 percent
22 among the cases, 30 percent among the controls.
23 Observation bias was present because cases had
24 experienced a catastrophic event, hemorrhagic stroke,
25 and controls were selected by random digit dialing.

1 Persons who had an event such as hemorrhagic stroke
2 could be far more likely to have made a stronger effort
3 to recall what products they had used. This may have
4 led to differential overreporting in PPA by cases.

5 Further, 44 percent of the cases had some
6 degree of aphasia, possibly limiting validity and
7 reliability.

8 As regards chance, the small number of
9 exposed cases limits the ability to statistically
10 control for even the available confounding variables in
11 this study. This situation also greatly increases the
12 possibility that chance alone could be a plausible
13 alternative explanation for any apparent association
14 between use of PPA and subsequent development of
15 hemorrhagic stroke.

16 Having said that, it should also be
17 emphasized that in the study overall there was no
18 significant association between use of PPA and
19 hemorrhagic stroke based on 27 users among cases and 33
20 among the controls yielding a 2-sided P value of .17.

21 Statistical significance can be achieved in
22 this study but only in the subgroup of women who use
23 PPA in appetite suppressants where the comparison here
24 is six cases versus one control, yielding a two-sided P
25 value of .03.

1 Curiously, one of these women, also used PPA
2 in a cold remedy and had she been so classified, even
3 the remaining extreme relative risk would no longer be
4 statistically significant. Since the overall findings
5 for the primary hypothesis was null, selective emphasis
6 on particular subgroups with even smaller numbers may
7 well be misleading.

8 Furthermore, even if real, the population
9 risk associated with PPA and hemorrhagic stroke would
10 be exceedingly small. One might even question the
11 clinical implications of such a relative risk even if
12 they derive from a randomized trial, not a
13 retrospective case controlled study because the numbers
14 were so small.

15 Thus, these data are not sufficiently
16 informative to draw any definitive conclusions, it is
17 quite possible that all of the observed effects could
18 be attributed to confounding, bias, or chance, due to
19 selected emphasis on particular subgroups.

20 Thus, my colleagues and I believe that the
21 results of the HSP are not sufficiently compelling to
22 drive any public health decisions regarding reported
23 use of PPA in cough or cold suppressants or as
24 appetites suppressants and subsequent development of
25 hemorrhagic stroke.

1 Lastly, and perhaps most germane to the
2 deliberations of this meeting, there were no direct
3 questions concerning ephedrine and other dietary
4 supplements asked in the HSP, so all of these
5 considerations lead me to include a lack of relevance
6 of the HSP to ephedrine alkaloids.

7 I thank you very much for your attention.

8 DR. JONES: Thank you, Dr. Hennekens.

9 Are there questions from the panel?

10 [No response.]

11 DR. JONES: Seeing no questions from the
12 panel, any questions from the floor?

13 [No response.]

14 DR. JONES: Dr. Soller, if you would, and I
15 was shuffling papers myself as you were booting up and
16 making your initial remarks, and I'm sure you did state
17 the Consumer Health Care Products Association, the
18 nature of it again, please? I just did not get that.

19 DR. SOLLER: The Consumer Health Care
20 Products Association or CHPA is a 119-year-old trade
21 organization representing the manufacturers and
22 distributors of dietary supplements and non-
23 prescription medicines.

24 DR. JONES: Very good. Thank you.

25 Are there any other questions from the floor?

1 Dr. Philen.

2 DR. PHILEN: Just a very small question. I
3 could not hear you very clearly when you were referring
4 to a doctor from Stanford. What was his name?

5 DR. SOLLER: Brian Hoffman, Professor Brian
6 Hoffman.

7 DR. PHILEN: And one more detail. There were
8 27 users among the cases, and how many in the controls?

9 DR. SOLLER: Thirty-three.

10 DR. PHILEN: Thirty-three. Thank you.

11 DR. JONES: No other questions from the
12 panel?

13 [No response.]

14 DR. JONES: Thank you Dr. Soller.

15 Dr. Hennekens.

16 DR. HENNEKENS: Thank you.

17 DR. JONES: Are you Dr. Patrick?

18 Mr. Rubin, I guess you're going to do another
19 introduction?

20 MR. RUBIN: Yes, exactly. Thank you.

21 I just want to introduce the last of our
22 speakers today. Dr. Graham Patrick. Dr. Patrick
23 received his B.S. in pharmacy and a Ph.D. in
24 pharmacology from the University of North Carolina. He
25 is currently a professor of pharmacology and toxicology

1 at the Virginia Commonwealth University Medical College
2 of Virginia.

3 As a pharmacist, Dr. Patrick has observed
4 patient reactions to ephedrine alkaloids and other
5 alkaloids and as a professor, Dr. Patrick has studied
6 sympathomimetic amines such as ephedrine as well as the
7 drug dependence and motor effects of stimulant drugs.
8 He has been involved in reviewing FDA's adverse event
9 reports for ephedrine for the last five years.

10 Dr. Patrick will be discussing the safety
11 profile of dietary supplements containing ephedra and
12 ephedra-caffeine combinations including his review of
13 the adverse event reports compiled by FDA.

14 DR. PATRICK: Dr. Jones, panel, and guests, I
15 would first like to acknowledge that my review of the
16 adverse event reports and my appearance here today is
17 sponsored by Metabolife. Other than that, I have no
18 financial interest in ephedra products or other dietary
19 supplements.

20 First, as an overview of the positive and
21 adverse physiological actions of ephedra I would like
22 these describe the pharmacology of ephedrine.
23 Ephedrine is a sympathomimetic agent that mimics the
24 effects of sympathetic nervous system stimulation and
25 produces effects similar to those of adrenaline or

1 epinephrine. It does so both directly by stimulation
2 of adrenergic receptors and indirectly by promoting
3 release of the neurotransmitter norepinephrine from
4 sympathomimetic nerve endings. Excuse me, from
5 sympathetic nerve endings.

6 Also included in many ephedrine products are
7 the alkaloids pseudoephedrine and norephedrine or
8 phenylpropanolamine or PPA. These compounds are
9 pharmacologically similar to ephedrine itself in most
10 respects but they have proportionately less cardiac
11 stimulant effects relative to their vasoconstrictor
12 effects.

13 The effects of all three of these ephedrine
14 alkaloids are dose-related and increase in magnitude as
15 the dosage is increased. And that is unimportant
16 pertinent point in relation to evaluation of the
17 effects.

18 Potential positive effects of ephedrine
19 alkaloids include therapeutic applications of ephedra,
20 topically as a decongestant, orally as a bronchodilator
21 in treating asthma. Ephedrine has been used
22 intravenously to raise blood pressure and to treat
23 shock and hypertension, particularly that associated
24 with anesthesia.

25 Ephedrine has been used orally as an appetite

1 suppressant and to increase energy. Potential adverse
2 effects of ephedra at appropriate doses are typically
3 minor. These include in the use of ephedrine-
4 containing dietary supplements, increased blood
5 pressure, particularly systolic blood pressure
6 associated with beta adrenergic stimulation of the
7 heart, increased heart rate, associated with the same
8 effect, urinary retention and constipation associated
9 potentially with alpha adrenergic stimulation,
10 nervousness, dizziness, insomnia, anorexia, or loss of
11 appetite, tremor presumably associated with effects on
12 adrenergic receptors in the central nervous system.

13 These side effects are no more serious than
14 those that will be expected from any over-the-counter
15 products that contain pseudoephedrine or norephedrine
16 or PPA and some of these side effects are similar to
17 those that will be expected for over-the-counter
18 products containing caffeine or in caffeine-containing
19 beverages.

20 In appropriate doses ephedra dietary
21 supplements are highly unlikely to cause serious
22 adverse events. For several decades the FDA has
23 approved ephedrine sulfate as an over-the-counter
24 bronchodilator at a dosage of 25 milligrams with a
25 maximum daily dosage of 150 milligrams. The UST for

1 U.S. -- dispensing information or USPDI, an official
2 compendium of drug information recognizes single doses
3 of 25 to 50 milligrams as appropriate for
4 bronchodilator effects in healthy adults.

5 The dosage of ephedrine alkaloids in most
6 ephedra supplements is significantly lower than that in
7 over-the-counter products. For example, a typical
8 ephedra supplement contains approximately 12 milligrams
9 of ephedrine alkaloids per serving and the label
10 recommends a maximum daily intake of 96 milligrams.

11 Some products do include as much as 20
12 milligrams of ephedra per dose or ephedrine alkaloids
13 per dose and those recommend no more than 100
14 milligrams per day. Again, below the approved dosage
15 levels according to the FDA and to the USP dispensing
16 information.

17 Moreover, on a milligram-per-milligram basis
18 ephedra which contains the multiple ephedrine alkaloids
19 may be safer than synthetic or pharmaceutical ephedrine
20 because ephedrine itself is the most potent of those
21 ephedrine alkaloids. So to the extent that other
22 alkaloids are included in the preparation the potency
23 will be diminished.

24 In addition, it has been suggested, that the
25 rate of absorption of ephedra alkaloids from herbal

1 preparations is slower than from pharmaceutical
2 preparations which may lead to a later and lower peak
3 effect and thus a lower incidence of acute adverse
4 effects. Although this hypothesis has not been
5 adequately tested.

6 In addressing some of the specific potential
7 adverse effects, doses of 60 to 90 milligrams of
8 ephedra per day do not elevate the blood pressure of
9 healthy adults to clinically significant levels.
10 According to an extensive literature review of by Jewel
11 and Binramache the pressor effects of sympathomimetic
12 amines a single dose of 60 milligrams of ephedrine is
13 required to cause a significant increase in blood
14 pressure in healthy adults. The magnitude of this
15 increase was 10 to 15 millimeters of Mercury pressure,
16 no greater than would be seen with moderate exercise.

17 Single doses of 20 to 25 milligrams of
18 ephedrine alkaloids are equivalent to doses of 60 to 90
19 milligrams of ephedrine per day have caused heart rate
20 increases of approximately 8 to 12 beats per minute.
21 This again is not clinically significant and will be
22 insufficient to trigger cardiac arrhythmias in healthy
23 individuals. A heart rate increase of 8 to 12 beats
24 per minute is far less than will be seen with moderate
25 exercise.

1 Severe adverse events such as the
2 cardiomyopathies bear no relationship to the
3 appropriate use of herbal ephedra products.

4 The rare documented cases of
5 dialadicordimiomthy have involved extremely high doses
6 of ephedrine a minimum of 400 and to a maximum of 2,000
7 milligrams per day over a period eight years or more.

8 The occurrence of stroke bears no
9 relationship to the appropriate use of ephedra dietary
10 supplements. Given that several studies have shown
11 that a 20 milligrams dose of amphetamines administered
12 intravenously does not cause a significant decrease in
13 cerebral blood flow and keep in mind that not only is
14 it a more potent but given by a route that gives a more
15 pronounced affect it is unlikely highly unlikely that
16 ephedrine in oral doses of that same magnitude could
17 cause any ischemic type of stroke.

18 To my knowledge, there have not been direct
19 measurements of effects of ephedrine on cerebral blood
20 flow. Given the 20 to 25 milligrams of ephedrine does
21 not significantly affect blood pressure is highly
22 unlikely that ephedrine and recommended doses could
23 cause a hypertensive stroke. The best documented cases
24 of stroke associate ephedrine alkaloids have been
25 attributed to excessive dosage and abuse of these

1 compounds.

2 The preponderance of these cases have
3 actually involved norephedrine or PPA rather than
4 ephedrine itself and PPA does remain freely available
5 in OTC appetite suppressants.

6 In addition, according to the USP dispensing
7 information, a history of stroke is not a
8 contraindication to the use of ephedrine. Incidence of
9 psychosis bear little or no relationship to the
10 appropriate use of ephedra dietary supplements.

11 My review of reports of psychosis associated
12 with ephedrine alkaloids is revealed that the majority
13 of these cases, more than 80 percent, involve usage of
14 ephedrine alkaloids for a year or more, in some cases
15 up to 25 years with an average daily consumption of 510
16 milligrams of ephedrine per day. So this is more than
17 five times the dosage recommended on dietary
18 supplements.

19 The minimum reported dosage of these cases
20 was 125 milligrams per day still in excess of the
21 dosage included in herbal dietary supplements and in
22 many cases the dosage was more than 1,000 milligrams
23 daily. The reviewed literature does not contain a
24 single case of seizure where use of ephedrine is
25 clearly causal. Also, note, the USP dispensing

1 information does not list the history of seizures as a
2 contraindication to the use of ephedrine.

3 There's little or no evidence that duration
4 of exposure to ephedrine is related to the incidence of
5 any serious adverse events at the dosage of ephedra
6 alkaloids that are contained in herbal supplements.
7 FDA's adverse event reports provided insufficient data
8 to conclude that ephedrine alone or in combination with
9 caffeine at the dosages in herbal products cause any
10 series adverse events.

11 My review of these reports indicates to me
12 that the FDA's adverse event report do not provide a
13 sound scientific basis for establishing a causal
14 relationship of ephedra to the adverse events for the
15 following reasons: First, the sampling was not
16 randomly selected from a representative population, a
17 but rather was self-selected.

18 Secondly, the reports often lacked
19 information essential to evaluating causation such as
20 dosage, duration, and the temporal relationship between
21 consumption and adverse event.

22 Thirdly, very few of the reports contained
23 information regarding the magnitude of exposure that is
24 reliable information regarding that; the quantity of
25 ephedrine alkaloids contained in the product; and the

1 dosage or the frequency with which they consumed.

2 Fourthly, they often lacked any medical
3 corroboration such as medical histories, objective
4 professionals evaluations, diagnostic tests or
5 quantitative measurements.

6 And finally, many of the cases involve
7 confounding factors such as pre-existing disease or
8 concurrent use of drugs which were as likely own more
9 likely to be the precipitating cause of the event than
10 the ephedrine alkaloids.

11 The adverse event reports in the categories
12 and FDA labels supportive often lacked information
13 critical to the determination of whether of ephedrine
14 alkaloids were a contributing cause to the reported
15 adverse event.

16 Of the 260 adverse event reports that I
17 reviewed from the FDA docket there was only one serious
18 event where ephedra could possibly have been the causal
19 factor. Even in that case, however, there was
20 insufficient information to clearly establish
21 causation. The alleged psychosis in that case was not
22 consistent with the published relationships of
23 ephedrine, in that the dosage were only about two-
24 thirds that reported as a minimum in the medical
25 literature. And the subject had a family history of

1 bipolar disorder.

2 Of the 260 adverse event reports previewed
3 there were only 12 nonserious events such as anxiety,
4 increased heart rate and insomnia, for which there was
5 sufficient evidence to evaluate causality. There were
6 also 30 or 40 nonserious events that could plausibly be
7 related to the use of ephedra. But the reports of
8 these events lack sufficient information to evaluate
9 the likelihood of causality. These events do not
10 appear to differ in type nor in magnitude from those
11 that might occur with over-the-counter products
12 containing ephedrine, PPA, pseudoephedrine or caffeine.

13 My conclusions regarding this safety profile
14 of ephedra-caffeine combinations are the dietary
15 supplements containing ephedra and the recommended
16 dosage appear to be safe for healthy populations when
17 used as directed.

18 There is no evidence that herbal preparations
19 of ephedra are more dangerous than pharmaceutical
20 preparations of ephedra. In fact, as mentioned earlier
21 the herbal ephedra alkaloids may be less potent than
22 pure pharmaceutical ephedrine to the extent that the
23 alkaloids contained in the herbal products are
24 alkaloids other than ephedrine itself.

25 The scientific literature and FDA's adverse

1 event reports failed to provide evidence of any serious
2 or unreasonable risk associated with ephedra caffeine
3 combinations. There is no epidemiological evidence
4 that any serious adverse event occurs significantly
5 more frequently among users of such combinations than
6 among users of ephedra or ephedrine alone among users
7 of over-the-counter ephedrine alkaloids preparations or
8 for that matter among nonusers of these products.

9 There's no difference between taking a
10 dietary supplement that has a combination of herbal
11 ephedra and caffeine and taking an over-the-counter
12 asthma medication containing ephedra and along with
13 coffee or other caffeinated beverages and the dosages
14 that are included in such products. To the extent that
15 minor side effects from ephedra alone or from ephedrine
16 caffeine products combinations in the dosage of these
17 compounds that are encountered in dietary supplements
18 occur they are not much greater in magnitude than the
19 side effects of caffeine and quantities that may be
20 consumed in dietary beverages or in over-the-counter
21 preparations.

22 Concerning the populations that may use these
23 products, the two main groups of user of ephedra
24 products appear to be young to middle age, overweight
25 individuals, and young individuals who are engaged in

1 programs for exercise. Neither of these group should
2 exhibit inherently greater sensitivity to a ephedrine
3 alkaloids than healthy individuals unless obesity is
4 sufficient to constitute a cardiovascular risk.

5 Most ephedra preparations like many other
6 dietary supplements and over-the-counter products for
7 weight reduction do include labeling and warning that
8 medical advice should be sought prior to using such
9 products for weight reduction. This warning should
10 preclude use by individuals who may be at an increased
11 risk.

12 Finally, it is possible that there may be
13 rare individuals who exhibit extreme sensitivity to the
14 effects of ephedrine alkaloids. But ephedra containing
15 products are no different from other OTC products
16 containing ephedrine or other ephedra alkaloids nor any
17 different from many other readily available products in
18 this regard. Thank you.

19 DR. JONES: Thank you very much, Dr. Patrick.
20 Are there questions from the panel? Dr. Lieberman.

21 DR. LIEBERMAN: Dr. Lieberman, U.S. Army. I
22 had a question about an analogy you made. You
23 suggested that it would be one of the factors which
24 suggested that ephedrine would not be a factor in
25 causing strokes was the fact that a fairly high doses

1 of amphetamine did not reduce cerebral blood flow, are
2 there other areas where you think it might be possible
3 to use data from amphetamine to make a judgments about
4 to ephedrine? For example, with regard to risk of
5 heart attack.

6 DR. PATRICK: With regard to heart attack.
7 My point was that amphetamines is a more potent drug,
8 and if it does not produce an effect that is unlikely
9 that ephedrine caused that same effect. Amphetamine as
10 a more potent drugs will be more like to induce any
11 serious event that is associate with sympathomimetic
12 effect. For example, the history of amphetamines
13 induced psychosis is much greater than -- far greater
14 than anything that has been seen with ephedra
15 alkaloids.

16 DR. JONES: Other questions? Dr. Philen.

17 DR. PHILEN: Rossanne Philen, Centers for
18 Disease Control. You keep commenting that natural
19 ephedra is more likely to be less potent because of the
20 mixture of alkaloids than synthetic ephedra. I'm
21 wondering if you can address the issue of is natural
22 ephedra a racemic mixture or is it a DNL? Or is it D,
23 or is it L, or a synthetic racemic?

24 DR. PATRICK: This is going back to my basic
25 pharmacology. I'm not certain that I remember. I

1 believe that be naturally occurring ephedra is L
2 ephedrine.

3 DR. PHILEN: Has there been any work done
4 like in laboratory animals to compare the L ephedrine
5 versus a racemic mixture ephedrine?

6 DR. PATRICK: The active isomer would be mor
7 active than the racemic mixture, or would assume to be
8 more active.

9 DR. PHILEN: So, then is the natural ephedra
10 is L and L is more active, then it's probably more
11 active than a racemic synthetic mixture.

12 DR. PATRICK: That would make sense. I
13 honestly don't recall the potency ratio between the
14 two.

15 DR. PHILEN: Well, then that contradicts your
16 earlier statement that tbe naturally occurring ephedra
17 might be less potent.

18 DR. PATRICK: To the extent that the -- I'm
19 not quite certain what the composition of the
20 pharmaceutical ephedrine is either.

21 DR. PHILEN: Thank you.

22 DR. JONES: Other questions from the panel?

23 [No response.]

24 DR. JONES: Questions from the floor?

25 MR. CARTILINA: John Cartilina, the Council

1 for Responsible Nutrition. I just want to make a point
2 about synthetic ephedrine stereo selective synthesis L-
3 ephedrine is readily available so that not all
4 synthetic ephedrine in the marketplace is necessarily
5 racemic. The advances in stereo selective and stereo
6 specific synthesis now make available those kinds of
7 compounds in the single are desired. Thank you.

8 DR. JONES: Thank you.

9 Question, Dr. Philen?

10 DR. PHILEN: Do we know then though if the
11 Primatene Mist or other synthetic ephedra you buy is D
12 or L or racemic? I mean, how can the consumer know?

13 MR. CARTILINA: I can't answer that question
14 specifically for the any given product unless it is
15 labeled on the product but I do know that in the last
16 ten years these specific kinds of compounds are now
17 very readily available by stereo specific synthesis.

18 DR. PHILEN: Thank you.

19 DR. JONES: Thank you.

20 Other questions? Other questions from the
21 floor?

22 [No response.]

23 DR. JONES: Very good. Thank you very much,
24 Dr. Patrick. I am glad you made it here safely from
25 Richmond. Going 55 miles an hour, we know.

1 [Laughter.]

2 DR. JONES: Okay. Let's see where we are.

3 Dr. Huber, is here and then his remarks and then Q&A.

4 Now, let me just ask you, sir, I believe you
5 have brought three of your patients, three clients?

6 DR. HUBER: That's correct.

7 DR. JONES: Do you want us to do your 15
8 minutes, then Q&A of you and then have the comments as
9 shown in the agenda from the folks who came with you,
10 or would you prefer that we hold the Q&A until you're
11 all finished?

12 DR. HUBER: How ever you wish.

13 DR. JONES: We will follow your presentation
14 then, and then we will invite your patients to come
15 forward.

16 DR. HUBER: Thank you.

17 DR. JONES: Thank you, Dr. Huber.

18 DR. HUBER: I am Gary Huber. I'm here soley
19 as the founder and director of the Texas Nutrition
20 Institute. I am an internist by training I graduated
21 from the University of Washington and then went and
22 spent nearly 14 1/2 years at Harvard. I was trained in
23 internal medicine and pulmonary subspecialty in Boston
24 and spent ten years as chief of the pulmonary services
25 for two of the Harvard hospitals.

1 I spent 11 years on the faculty of the
2 University of Texas Medicine and the last five or six
3 years directing the Texas Nutrition Institute which is
4 a not-for-profit entity in East Texas.

5 I have board certification in internal
6 medicine and I have also passed my boards, written and
7 oral in the American Board of Geriatric Medicine.

8 The problem has been much more clearly
9 outlined by Dr. Bray then I can emphasize.
10 Approximately one in five or 20 percent of Americans
11 are now obese in this country; we do have an obesity
12 epidemic and perhaps as many as 100 million or more
13 Americans are overweight the obesity epidemic continues
14 at enormous cost to our economy prevention and
15 treatment have remained very elusive.

16 This is a patient mine and every patience
17 seen over the past five or six years at the Texas
18 Nutrition Institute has been enrolled in one research
19 protocol or another with informed consent and initially
20 he came to see us as a part of Fen-Phen clinical trial
21 and that's his picture on he left. An then he left, he
22 lost some weight and he came back about years later,
23 and he's actually here today and will talk to you. And
24 I did not recognize him and he said, "Doc you've got it
25 all wrong you're doing the wrong thing. Let me tell

1 you about these herbal products." And that led us to
2 think about what we were doing and to design some
3 studies and conduct some studies which we've done
4 almost now exclusively with our time over the past two
5 to three years. All tolled we have studied over 300
6 patients.

7 We have three studies that I will mention
8 today and the very extensive, I obviously can't present
9 all of the information, but I will submit to you as
10 much as I can with the written addenda. Some of these
11 are already in the public domain and we can submit that
12 as well.

13 The first study was a six-month trial of
14 three herbal products. This study was totally self-
15 funded by the patients, the only support we received
16 were the herbal products themselves from the
17 manufacturers.

18 It was an open-labeled study, the patients
19 were randomized to the different herbal products and it
20 was prospective in nature. This study should be really
21 viewed as a series, I believe of case history
22 collections. The dietary supplements all contained
23 herbal formulations and they were commercially
24 available. We compared the results of the outcome of
25 the six-month study, retrospectively, the data that we

1 had accumulated on patients that were matched for
2 weight and age and everything, who had taken Fen-Phen
3 or pharmacologic agents as I'll mention in a minute.

4 The second study has two phases. Initially
5 six-week study which we have completed for the most
6 part and a six-month phase which is still in progress.
7 We received a very small amount of funding through the
8 American Nutrisutical Association for the study and
9 they in turn have been support supported by one herbal
10 manufacturer. But the amount of funding is very small.
11 This study is double-blind it has a placebo-control and
12 the patients were randomized. It evaluated four
13 dietary supplements herbal products and a placebo. One
14 of the dietary supplement products was the same product
15 to products with the same products in different doses.
16 This study had about a 9 to 10-week observational
17 period, where the individuals were untreated before
18 they were initiated either on placebo or one of the
19 herbal containing products.

20 The third study again more of a case history
21 analysis retrospectively of patients that had taken and
22 then prescribed compounded USP pharmaceutical grade
23 caffeine or ephedrine for one reason or another. A
24 tolled, we have somewhere between three in 400
25 patients.

1 The studies are very extensive and you can
2 ask the patients about that. To enroll in the studies
3 the patients have to be overweight or obese and the
4 degrees of obesity are graded. There are three pages
5 of absolute and relative exclusion criteria and
6 inclusion criteria so in that way the patients are not
7 our subjects are not representative of the general
8 population that could walk into a store and buy a
9 product.

10 We did include, however, patients with
11 comorbid obesity-related diseases if they were
12 controlled. For example, if patients had hypertension
13 and they were under control they could be included in
14 the study. The same is true with patients with
15 diabetes whether or not they were on insulin if their
16 comorbid disease was controlled they were included in
17 the study.

18 They had extensive monitoring they received a
19 comprehensive physical examination and a 60-page
20 medical history all tolled they ended up filling out
21 about 150 pages of questionnaires. We used various
22 questionnaires and tools that had been recommended by
23 various NIH committees. The Institute of Medicine, the
24 American Society of Geriatric Physician. They were
25 evaluated by a physician every two weeks until stable

1 and then followed up at 4- to 6-week intervals
2 thereafter throughout the 6-month period of studies.

3 They have had extensive metabolic analysis
4 including biochemical analysis and metabolic heart
5 studies. Each patient received an exercise -- graded
6 exercise tolerance test before entry into the study and
7 while they were on the herbal products in follow-up.
8 they received a number of physical -- including
9 anthropometric measurements, hydrostatic weighing, they
10 received fasting insulin levels, 24-hour urine
11 collection and the like.

12 We have placed an emphasis on evaluating
13 potential adverse events.

14 I'm only going to mention this in passing
15 actually the protocol that we adapted I received from
16 one of Dr. Bray's protocols at the Pennington at a
17 meeting a couple of years ago in Colorado. And these
18 were compounded caffeine ephedra capsules prepared by a
19 pharmacist who was certified in compounding these
20 medications. They were prescribed in the patients were
21 followed as they were in the herbal dietary supplement
22 protocols. The only thing I really want to say about
23 it and only because of the limitations in time, is that
24 we had -- they were less efficacious than the herbal
25 products in terms of weight loss and they had a higher

1 adverse risk profile, particularly in terms of
2 gastrointestinal acid secretion and the like.

3 This is the first study. This was the open-
4 label, sort of series of case collections. There's
5 about 30 to 40 patients in each of the herbal product
6 groups which I have labeled Nutri1, Nutri2 and Nutri3
7 and we've compared these two patients that were weight
8 and age matched and sex matched for Fen-Phen, in our
9 phen fen trial in amphetamine alone trial and I've
10 listed here the cost per day -- is there a pointer? No
11 pointer?

12 The cost per day each of these products. We
13 identified individuals arbitrarily whether or not they
14 responded to the product and it was just an obituary
15 definition of a half a pound.

16 A responded was defined as a half a pound per
17 week old greater sustained weight loss over the six-
18 month period of trial. The herbal products compared
19 favorably with the existing available pharmaceutical
20 products. This value is perhaps a little bit low
21 because one other things, I think, that we observed as
22 we conducted the study is that patients who previously
23 receive the Fen-Phen and were less responsive to
24 sibutramine than patients who had not. We observed
25 that in retrospect.

1 Amount of weight loss to in this slide is
2 expressed as the amount of excess weight above a body
3 mass index of 25 that was lost over a six-month period
4 of time.

5 So, in this open labeled study the product
6 seemed to compare favorably cost-wise and were
7 effective. The second study had a nearly 10-week
8 observational period over which time the patients
9 gained on the average about a half a pound a week.
10 Some of those patients lost weight but the amount of
11 weight loss was very marginal. There was a placebo
12 throughout the period of observation and those patients
13 lost about three-tenths of a pound a week. And then
14 there were four different study groups; product A and
15 product B are the same product just in a different
16 dose. This group received 36 milligrams of ephedra per
17 day, this group 72 milligrams.

18 The maximum amount of a ephedra in any of
19 these products is 72. Procut C had no caffeine in it
20 and the maximum amount of caffeine that was in this
21 product was 200 milligrams a day. And again the taking
22 of these products appeared to be efficacious in losing
23 weight at a more significant level than placebo-
24 controlled.

25 We at every visit and in the observation

1 period, and for the placebo group as well, on their
2 presentation to the clinic each patient filled out a
3 questionnaire that contains all total above 150
4 questions. And I obviously can't provide all that
5 information today, and I just picked a couple things to
6 share with you. Most of this, for the first study, is
7 already in the public domain. It was presented as a
8 poster assessment in Charleston last year the NSAO
9 meetings and it was presented more extensively at the
10 American Society of Geriatric Physicians and the second
11 study will be presented this fall in part at the
12 meeting here in Washington in September of the American
13 Obesity Association and in October here in Washington
14 at the American Society of Geriatric Physicians
15 meeting.

16 We intend to submit both of these studies for
17 publication within the next month or two and I want to
18 include as much of the data as I can which is really
19 quite extensive end our report to you. The 150
20 questions I think it is really important to remember to
21 include some kind of observational period in the
22 placebo because it was remarkable to me how there was a
23 diminution which I did not expect and complaints of
24 blood pressure and these are self questionnaires by the
25 patients. Some of the patients indicated they had

1 edema but we were not able to confirm that by physical
2 examination so they did not have it at the time of
3 presentation.

4 And this is presented as the frequency within
5 each group during the period of the study and then
6 we've expressed these data as a ratio to the untreated
7 group giving this a value of one and then some relative
8 risk of ratio for each section.

9 I was the most surprised person in the world,
10 that blood pressure did not go up. Blood pressure was
11 monitored on each visit with an appropriate measured
12 cuff, size cuff. It was measured in the supine and
13 upright position, apical pulse rates were checked by
14 oscillation over a two-minute period of time, and there
15 were no significant change to my surprise in any of
16 treatment groups in any of the studies.

17 Two patients were dropped from the second
18 study because of increased blood pressure and when the
19 code was broken both of them had receive placebo. One
20 of the patients had gained 14 pounds over the period of
21 the study and her blood pressure had gone up. Another
22 patient was dropped because of prostritutumism and urinary
23 tract obstruction, and to my surprise he to was on
24 placebo.

25 One patient on active product presented to

1 the emergency room and I was called that the patient
2 might be having the heart attack. I turned out she had
3 arm pain and a bruise from hitting her arm on a table
4 and her cardiovascular status was not significantly
5 altered.

6 There was a dropout but the dropout was
7 primarily in the long washing period as patients were
8 tired of waiting for the products.

9 Just mention a couple of other things; I was
10 also surprised that there was a not more anxiety and
11 nervousness and sleep disturbance. Again these were
12 expressed as relative ratios for the treatment group
13 relative to the observational period and was remarkably
14 free of side effects from anxiety, depression,
15 insomnia, and sleep disruption and the like, again to
16 my surprise. Not all of the patients, but the selected
17 patients had received a battery of psychometric testing
18 prior to initiation of the herbal products and then
19 while they're on the herbal products I have not
20 included those analyses today but when they are
21 available we will submit them to you.

22 I brought this slide along because about 30
23 percent or more of the overweight or obese patients
24 complained of some problem of sexual dysfunction on the
25 presentation. And again a bit to my surprise there was

1 a marked diminution in that over the period of
2 treatment which I do not necessarily attribute to the
3 herbal products themselves but rather to the
4 significant loss of weight that these individuals have
5 had, but these are one of the things that we followed
6 and then had to a very positive responsive pattern.

7 DR. JONES: If you could move to wrap up, Dr.
8 Huber, your 15 minutes are up.

9 DR. HUBER: My summary is that these
10 assessments were comprehensive, the dietary supplements
11 appeared to be effective, they appear to be safe they
12 are cost effective, they have a relatively low adverse
13 profile the studies remain in progress.

14 I had these reservations about these studies,
15 patients were very carefully screened, they were
16 relatively short duration no longer than six months,
17 the number of subjects studied was limited 30 to 40 in
18 each of the herbal groups, the number of products
19 evaluated out of those available was limited, more
20 information more research is needed, thank you.

21 DR. JONES: Thank you, Dr. Huber.

22 You did state that the first study that you
23 reported on was self-funded by patients, the second
24 study you had a small award from the American
25 Nutrisutical Association, I believe you stated, if my

1 notes are correct and I can read my writing.

2 DR. HUBER: All of these studies were self-
3 funded except for the one study we received about
4 \$10,000 from American Nutrisutical Association. They
5 in turn have received, I think, a grant from TeleBrands
6 which is the manufacturer of one of the products.

7 DR. JONES: And, just for the record, we've
8 asked all presenters the source of their support today
9 to be here today in addition to your work?

10 DR. HUBER: I came, at this point, at my own
11 expense. I've requested that Ephedra Education Council
12 pay for the cost of bringing my patients and they've
13 agreed to do that. I will ask them for reimbursement
14 for my travel expenses, as well.

15 I came to your previous meeting at my own
16 expense.

17 DR. JONES: Very good. Thank you, Dr. Huber.
18 Questions from the panel? Dr. Philen.

19 DR. PHILEN: Thank you, Rossanne Philen,
20 Centers for Disease Control. Can you tell me how many
21 patients were in these last tables that you were
22 showing us?

23 DR. HUBER: 136.

24 DR. PHILEN: And in this particular part of
25 the study where you were showing us to tables was very

1 any caloric restriction on the patients?

2 DR. HUBER: That's a really good question
3 because when I presented the first data last fall to
4 the NASO and to American Society of Geriatric
5 Physicians that question was asked about both physical
6 activity and dietary; because initially we did that. I
7 mean it was a comprehensive weight loss program. So we
8 deliberately in this study deemphasized any kind of
9 nutritional dietary counseling in the initial six-week
10 phase as well as any prescribed physical activity. We
11 encouraged people to exercise, told that was a good
12 thing, but didn't prescribe any. We did a dietary
13 analysis and generally shared the results of that with
14 patients to emphasize what maybe good eating habits
15 are, but we deliberately went out of our way. We
16 wanted to see just what the effect of taking these
17 products was. And then, of course, as you add as we
18 did later in the study we added dietary counseling it
19 became more efficacious.

20 DR. PHILEN: Thank you.

21 DR. JONES: Other questions, Dr. Richardson.

22 DR. HUBER: There were two things that sort
23 of stick in mind as surfacing as side effects that I'm
24 not fully understand. One is the patients feel very
25 warm and they sweat a lot. We measured metabolic rates

1 and they did not increase as much as I had anticipated.
2 It was very small, or not at all.

3 But the other thing, several of them, about
4 10 to 15 percent have had nocturnal leg cramps, and I
5 can't explain the reason for that. It's not anything I
6 can detect in terms of calcium, magnesium, potassium,
7 or other metabolism.

8 DR. JONES: Dr. Richardson, you had a
9 question?

10 DR. RICHARDSON: I was just curious about the
11 washout period the nine an a half week to washout
12 period. Were they excluded from for taking any sort of
13 caffeine or products during that period?

14 DR. HUBER: They were evaluated and then
15 recall at different periods of time when they were
16 initiated on the products. So there was no
17 intervention whatsoever after their evaluation; they
18 weren't excluded at that washout period from doing
19 anything they didn't do otherwise in the life before
20 then.

21 When they were initiated on a product whether
22 it was placebo or one of the products did not contain
23 caffeine. They were advised that the product may
24 contain caffeine and they were -- it was suggested to
25 them and documented in the chart that they should be

1 aware of that and if they consumed a lot of caffeine
2 that they needed to be aware of the side effects of
3 caffeine and titrate their caffeine intake downward.

4 DR. JONES: Other questions from the panel?

5 [No response.]

6 DR. JONES: Questions from the floor?

7 Ms. Wood.

8 MS. WOOD: Doctor, of the 136 patients that
9 you mentioned you evaluated did they receive the same
10 equal psychiatric evaluation before they went through
11 your program?

12 DR. HUBER: Yes and no. In terms of the
13 questionnaires in terms of depression scales and other
14 things like that, yes, they all received those, as well
15 as other evaluations of readiness for weight loss,
16 emotional status, stress and like in terms of
17 administered psychometric tests we could not do that
18 for all patients, it was just a manpower thing, but on
19 that we did short-term memory recall, we did a battery
20 of about 15 tests and about a third of the patients
21 received psychometric testing objective psychometric
22 testing before and after they were replaced on the
23 herbal products.

24 MS. WOOD: But not all 136 patients?

25 DR. HUBER: No.