

1 glycemic control, which is really the goal
2 certainly in developing diabetes compounds.

3 Now, looking at 991-040, this was, as
4 I said, a six month placebo controlled trial.
5 It had an eight week placebo run, and the
6 screening period was back here at week minus
7 nine, minus ten. Patients had their insulin
8 doses stabilized. Both of these studies were
9 insulin requiring Type II diabetics. I didn't
10 make that point earlier, but this is the
11 population.

12 Their insulin doses were stabilized
13 during this point in time. They were then
14 randomized to one of three treatment groups,
15 treated for six months, and then there is an
16 open label extension going on of this study
17 beyond this which about two-thirds of the
18 patients have chosen to enter.

19 Now, an important point to make is
20 that the values that were the entrance criteria
21 for this study are those that were obtained at
22 week minus nine, minus ten. The baseline

1 measurements which are used for determination
2 of change from baseline were the average across
3 this eight week period of time.

4 The inclusion criteria for 991-040 is
5 obviously that people had to have Type II
6 diabetes as defined by the NDDG. A fasting
7 C-peptide of at least 0.8 was required. This
8 is a very low level, but we felt like the
9 patients should have at least some beta cell
10 function to participate in this study. This in
11 fact turned out to be not a problem at all
12 because the number of people who were excluded
13 on the basis of C-peptide levels was extremely
14 small in this trial.

15 They had to be on insulin only. They
16 could not be treated with a concomitant oral
17 agent and insulin at the time that they were
18 screened. They had to be on at least 30 units
19 of insulin per day. And as you'll see in a
20 minute, they were actually on very much more
21 insulin than that. And they had to have an
22 elevated glyco-hemoglobin or HbA1c of between 8

1 and 12 percent at week minus ten or minus nine,
2 that is, their screening values.

3 You are going to see during the
4 baseline period some of these people did drift
5 down. There were very few of these in which
6 that occurred. But a few did drift down below
7 8 percent. And glucose also had to be elevated
8 above 140 at week minus 10 or minus 9.

9 So basically, these were folks that
10 were on the right side, if you will, of the
11 action side of Dr. Olefsky's earlier slide from
12 a glucose and HbA1c standpoint.

13 This is the characteristics of the
14 patients that were in this trial. There was a
15 fairly good randomization between men and women
16 in terms of gender split. The ethnic breakdown
17 was fairly representative of Type II diabetes
18 in the United States, with around 70 percent
19 Caucasian, 15 to 20 percent African American,
20 10 to 14 percent Hispanic, and then a few
21 others hidden here in the bottom.

22 The age of these people was in

1 general in their mid-50s. We did have a few
2 younger patients, and here there were very few
3 patients that were younger than 40 in this
4 trial, and the means and medians are very
5 close. So the mean values that are here are
6 quite representative of the population as a
7 whole.

8 These were obese individuals with
9 BMIs that were up in the mid-30s, and weights
10 of approximately 100 kilograms. So these were
11 obese individuals that participated in these
12 studies. Again, we had a few that were on the
13 low end, but this represents a very small
14 minority.

15 In general, these individuals had
16 diabetes an average of 10 years. Again, a few
17 more short term patients, and obviously some
18 longer term patients that were up around 20
19 years as well. And they had all been on
20 insulin, or they had been on insulin on an
21 average for approximately five years. There
22 were six patients who had been on for less than

1 one year. All of those had been on for at
2 least six months.

3 The total daily insulin dose was
4 high. It was between 71 and 75 on average. We
5 had a few people that were on the low end, and
6 we had some people that were on the very high
7 end, the highest being 280 units of insulin per
8 day. And 25 percent of the patients were on at
9 least 100 units of insulin per day in this
10 population.

11 Their HbA1cs in spite of these large
12 doses of insulin were not well controlled. The
13 means are between 9.32 and 9.51, so they
14 represent a population that looks fairly
15 similar to the data that Dr. Olefsky showed you
16 earlier this morning. They had elevated
17 fasting glucoses between 214 and 219, again a
18 few on the low end. This is due to that
19 baseline run-in where we had a few people slip
20 their values down below that. And the
21 C-peptides were between 1.6 and 1.7.

22 Now, this is the disposition of

1 patients in the study. There was a very high
2 completion rate in this trial of between 88 and
3 91 percent, so the intention to treat analysis
4 I'm going to be showing you in a few minutes in
5 fact represents people in general who did
6 complete the study, since most of them did.

7 If you look at the reasons why people
8 withdrew from this trial -- and just starting
9 with adverse events, for an example, there was
10 a 4 percent drop due to AEs in the placebo
11 group, less than 1 percent at 200, and 5
12 percent at 600, so really no clear pattern
13 here. And the rest of the reasons were
14 scattered amongst various other reasons,
15 including non-compliance, voluntary withdrawal,
16 et cetera. But in general, this study had an
17 extremely high completion rate.

18 Now, this is the results of the
19 glucose and HbA1c for the study. I just want
20 to start on the top with the fasting serum
21 glucoses. The placebo group had a slight
22 increase across the course of the study, but

1 really didn't change a whole lot during the 26
2 weeks. The 200 milligram group had a fall that
3 basically leveled off across through here.

4 And one thing I want to point out in
5 both of these treatment groups, both the 200
6 and the 600 milligram dose group here, which is
7 shown in blue, is that you see the glucose
8 falling. Most of the effect is seen within the
9 first four weeks, when you add Rezulin to
10 insulin. So from the clinician's standpoint,
11 this is an important point, that he is going to
12 see --

13 he or she is going to see what they
14 are looking for in the first month or so of
15 therapy, and then they stay basically flat
16 across there.

17 The HbA1cs mirror this. There is a
18 nice fall. And I'll show you the mean change
19 from baselines here in just a minute. But the
20 time force is very consistent with the fall in
21 glucose. And they go down here in the 600
22 milligram group with means that are actually

1 dropping below 8 percent at 600 milligrams, and
2 getting down to about 8½ percent or so here at
3 200 milligrams.

4 When you look at the change from
5 baseline in the placebo group -- let's start
6 with the glucoses here on the right --

7 there is basically no change. At 200
8 milligrams, they are down about 35 milligrams
9 per deciliter, and 48 milligrams per deciliter
10 for 600. These are both statistically
11 significant decreases.

12 In terms of HbA1c, at 200 milligrams
13 there is a fall of .84, and at 600 milligrams
14 there is a fall of 1.41, again these both being
15 highly statistically significant. And we think
16 this group represents a very meaningful
17 clinical reduction in glucose in these patients
18 who were quite refractory to their current
19 therapy at the type of treatment with Rezulin.

20 DR. BONE: Excuse me. Are those
21 groups, the two treatment groups, significantly
22 different from each other?

1 DR. WHITCOMB: I don't believe so.
2 No, there is no statistical significant
3 difference between 200 and 600 milligrams based
4 on apparent comparison between those two.

5 Now, one of the interesting things in
6 this trial is what happened to the insulin
7 doses. And let me just take a step back for a
8 second and tell you what the instructions were
9 that were given to the physicians regarding
10 insulin dose adjustments during the course of
11 this study.

12 Physicians were instructed to try to
13 hold insulin doses as close to baseline as
14 possible. However, they were instructed if the
15 glucoses were below 100 milligrams per
16 deciliter on two occasions, that they should
17 consider an insulin dose reduction. They
18 could, of course, reduce insulin at any time
19 that they felt was appropriate for safety
20 concerns, or increase it for safety concerns as
21 well.

22 And what we see in this is that the

1 placebo group basically stayed rock solid
2 across there, no change at all in the insulin
3 dose of the placebo group. But when you look
4 at the Rezulin group, there is a 15 percent
5 fall here at 200 milligrams and an almost 40
6 percent reduction in the insulin dose at 600
7 milligrams. So these people are now getting
8 down to means that are in the mid-40s for their
9 insulin doses.

10 So the HbA1cs that you saw on the
11 preceding slides occurred in the face of this
12 much less insulin for these patients. And just
13 graphically comparing this, this is a 15
14 percent reduction at 200 and a 42 percent
15 reduction at 600 milligrams per day compared to
16 placebo, which is 1 percent.

17 So when you look at the pictures side
18 by side, you see that there was both a
19 significant reduction in glycemic control,
20 which is the very important thing, but also
21 there was a reduction in the insulin
22 requirements for these patients as well.

1 (Slide)

2 Now, an important way, we think, of
3 looking at the data is trying to see people who
4 were getting to some target. And for the
5 purposes of this slide I am showing you the 8
6 percent cut of data. These are people who
7 ended up with an HbA1c that was less than 8
8 percent. So, remember, on Dr. Olefsky's slide
9 there was -- the action was eight, the goal was
10 seven. We have looked at people that are less
11 than eight basically for the purposes of this
12 slide.

13 (Slide)

14 And there are -- the whites are the
15 baseline groups here. So you had, you know,
16 around 9, 10, 14 percent here that were in
17 these groups at baseline. When you look at six
18 months, there is 11 percent in the placebo
19 group. There is 30 percent at 200 and 56
20 percent at 600. And remember, these people
21 were using less insulin at the end of the study
22 for a number of reasons.

1 So in terms of summarizing the
2 efficacy data from 991- 040, there were
3 significant decreases at both 200 and 600
4 milligrams in terms of fasting glucose, HbA1c,
5 and total daily insulin dose. The patients who
6 achieved an HbA1c less than 8 percent are 11
7 percent in the placebo group, 30 percent at
8 200, and 57 percent at 600 milligrams.

9 And just as a matter of speculation,
10 we think that even further reductions of HbA1c
11 may be achievable with less insulin dose
12 reduction. In other words, if the physicians
13 chose to add back the little bit of the insulin
14 that they took away, you might be able to get
15 even more patients down to target in this very,
16 very refractory population. So we think this
17 is very exciting information in terms of the
18 use of Rezulin in these particular patients.

19 Now, let's move on to look at the
20 companion study. And I want to point out from
21 the outset the design of this trial was very
22 different from that was the 040 study. This

1 again was a six month placebo controlled study
2 that we designed in discussions with the FDA
3 that had a primary endpoint of combined insulin
4 dose reduction and glycemic control as measured
5 by capillary glucose, the patient's home
6 glucose monitoring.

7 So for a patient to reach the target
8 level which we set as the response parameter in
9 this study, they had to have both of these
10 occur. And I'll show you in a minute what we
11 meant by these types of reductions. This again
12 was a placebo controlled study. It only had a
13 four week baseline run-in period, three
14 treatment groups, placebo two and four. Again,
15 this has an open label extension beyond the six
16 months, which is going on now.

17 The inclusion criteria were slightly
18 different. We asked these people to have a
19 higher fasting C-peptide level than in the
20 other trial. This is based upon the
21 information that we had from some of our early
22 pilot work which indicated that people who were

1 most successful in being able to come
2 completely off of insulin and have good
3 glycemic control had this degree of C- peptide.
4 And so we asked that to be an inclusion
5 criteria for this particular trial.

6 They had to have an HbA1c that was
7 elevated over 7 percent, a fasting capillary
8 glucose that was 140 milligrams per deciliter
9 baseline. And this was based upon the mean of
10 seven days of fasting readings. So we averaged
11 from the patients' diaries from their home
12 glucose monitors the readings. All of the
13 patients in this trial were given a one touch
14 two monitor. Everybody used the same meter
15 during the course of the study.

16 They had to be on at least 30 units
17 of insulin per day, but less than 150. And
18 importantly, they had to have had a failure of
19 an adequate trial of sulfonylurea or metformin
20 monotherapy prior to treatment. So that was an
21 inclusion criteria for this study.

22 We also collected the information on

1 prior insulin dose and documented that in fact
2 patients had previously been treated with
3 sulfonylureas for this study.

4 We have gone back and retrospectively
5 gotten that same information for 040, and the
6 same pattern of sulfonylurea prior use and
7 percent of patients on maximal doses is very
8 similar for the two trials. So we think that
9 the two populations were really quite similar.
10 Metformin was just being introduced on the
11 market at the time that 068 was started, just
12 from a chronology standpoint, to put that in
13 perspective.

14 Now, again, this is the patient
15 population, a reasonable split between men and
16 women. The ethnic breakdown had a slightly
17 higher percentage of Caucasians in the 400
18 milligram group than it did in the other
19 groups. But again, it had representations from
20 African American and Hispanic patients, again
21 patients that were in their mid-50s, very
22 similar to the 040 population, insulin doses

1 that were between 75 and 71 units per day.

2 I want to make an important point
3 here, which is that we did collect injection
4 data, frequency of injection data, in the 068
5 trial. These patients were on between 2.6 and
6 2.8 injections per day, so almost three
7 injections per day on these kinds of doses. So
8 it looks like the physicians that were treating
9 these patients were making at least an attempt
10 to try to spread their insulin doses and
11 improve their glucose control.

12 They had been on insulin for between
13 four and a half and -- or around four and a
14 half years. And again, we had a few people
15 that were on the low end. This represents
16 about 10 or 11 patients that had been on at
17 least six months, but less than one year. And
18 again, the patients had diabetes for around 10
19 years.

20 They were once again obese, mean BMIs
21 up in the 35s, waist/hip ratios around one,
22 weights of approximately 100 kilograms, almost

1 identical to those that we saw in the 991-040
2 population.

3 They were again not well controlled,
4 HbA1cs that were between nine and nine and a
5 half as a mean, a few on the low end as well --
6 this represents only a couple percent of
7 patients that were down here --elevated
8 glucoses between 222 and 230, and higher
9 C-peptides than we had in the other population.
10 But we had a few that obviously snuck in that
11 were on the low end as well.

12 This trial looks very similar to the
13 other in another way, which is that the
14 disposition -- and that is we again had a very
15 high completion rate, between 87 and 88
16 percent. And I have to tell you that based
17 upon all of the diabetes trials that we have
18 done with Rezulin, the completion rates in
19 these two trials are the highest that we have
20 ever seen overall. So when you look again at
21 the ITT analyses, this represents people who in
22 general were still in the study at the end.

1 Now, the adverse events basically are
2 very similar. The withdrawal rates due to AEs,
3 3 percent placebo, 5 percent 200, 4 percent 400
4 -- really no differences across there, and they
5 are scattered amongst the other reasons, four
6 withdrawals across the other categories.

7 Now, this was the primary endpoint of
8 the study. The study was designed to achieve
9 this endpoint, and it was powered to achieve
10 this endpoint, and that was to look at the
11 number of patients who achieved either a
12 decrease in fasting capillary glucose of at
13 least 15 percent compared to baseline, or a
14 fasting capillary glucose of less than 140 --
15 and this number again is based on the average
16 of seven days prior to their visit -- and at
17 least a 50 percent decrease in total daily
18 insulin dose.

19 So they had to have both to go into
20 the win column, if you will. We have looked at
21 different parcels of this, and I'll show you
22 that information. But for a patient to be

1 considered a positive within this study, they
2 had to have both things happen. And I think
3 this again gets to the point that just lowering
4 insulin levels is not what this is about. This
5 is about trying to get glucose control better.

6 The algorithm that we gave to
7 physicians in this study was different than
8 that that was given in 040 because we were
9 going to try to lower insulin levels a little
10 more aggressively in this trial.

11 First off, they were obviously
12 double-blind. They looked at the fasting
13 capillary glucose at baseline. And then on the
14 next visit, if the FCG had gone down at least 5
15 percent, then they were to reduce the total
16 daily insulin dose by 25 percent.

17 We did not tell them how to do that,
18 whether they were to decrease the number of
19 injections or the spread of the insulin. We
20 left that up to the physician.

21 If the glucoses had not gone down,
22 then they were to not change the insulin

1 regimen. And of course, insulin could be
2 increased at any time if clinically necessary
3 within this trial, and it could be decreased if
4 clinically necessary for patient safety.

5 Now, this is the primary endpoints
6 for this study. This is the number of patients
7 and the percent who achieved this target of the
8 combined glucose and insulin lowering. There
9 were 7 percent in the placebo group, 22 percent
10 at 200, and 27 percent at 400, these two being
11 statistically significant. So the primary
12 endpoint of the study was met, and the trial is
13 considered positive on that basis.

14 We looked at a number of other
15 parameters, the number of patients -- let me go
16 back up here for one other thing, and this is
17 an important point. The HbA1c decreases within
18 these groups were 0.35 percent in the placebo
19 group, and about a decrease of 1 percent in
20 these two groups here. So not only were their
21 glucoses down by their finger sticks, but their
22 HbA1cs had decreased as well.

1 If you look at the number of people
2 who were able to stop insulin, it was 15
3 percent at 400 -- that was statistically
4 significant -- 7 percent at 200, and 1 percent,
5 one patient, on the placebo group basically.
6 The reductions in total daily insulin dose,
7 which was another secondary endpoint, is shown
8 here, 41 percent at 400, 30 percent at 200.
9 These were both statistically significant.

10 Excuse me, these are units, not
11 percents, 41 units and 30 units. This
12 translates into 58 percent and 41 percent
13 reduction at 400 and 200 milligrams
14 respectively. And when we look at the mean
15 number of injections, the reduction that was
16 seen, it was 0.1 in the placebo group, 0.2 at
17 200, and 0.8 or almost 1 in the 400 milligram
18 dose group.

19 Now, there was an interesting bimodal
20 distribution when we looked at how physicians
21 reduced insulin as it were. 55 percent reduced
22 it via decreasing the number of injections.

1 And when you look at that sub-population, or 55
2 percent in the 400 group, those patients had an
3 average decrease of two injections per day. So
4 they basically went from three to one. 45
5 percent just had a reduction in their total
6 daily insulin dose without affecting the number
7 of injections. So it kind of gives you some
8 insight into clinical practice and how people
9 are used to adjusting things.

10 We also looked at the number of
11 patients who had at least a 50 percent decrease
12 in the mean total daily insulin dose. So these
13 are people that just had the insulin reduction
14 without necessarily a glucose control
15 improvement of the magnitude that hit the
16 target, 70 percent at 400, 51 percent at 200,
17 and 19 percent of placebo group, these two
18 being statistically significant.

19 We also looked at what we think is
20 another very clinical meaningful cut of the
21 data, which is the number of people who got
22 their capillary glucose is under 160 and had at

1 least a 40 percent reduction in total daily
2 insulin dose, and that was 40 percent at 400
3 compared to 16 percent in the placebo group,
4 this being statistically significant.

5 So in terms of summarizing the
6 primary data from this study, there was a
7 significant number of patients who reached the
8 primary endpoint at both 200 and 400 milligrams
9 per day, and the study is positive from that
10 standpoint.

11 Now, I want to take a moment here and
12 discuss the dose recommendations that we are
13 coming forward with for Rezulin based upon this
14 information.

15 DR. BONE: Excuse me. Could I just
16 ask one question?

17 DR. WHITCOMB: Absolutely.

18 DR. BONE: Just before you get into
19 this, because I think it is relevant. Were the
20 apparent differences between 200 and 400
21 milligrams in the last study different -- were
22 those significantly different from each other,

1 the same question I asked about the previous
2 study.

3 DR. WHITCOMB: Yeah. Let me -- no.

4 DR. BONE: They weren't? Thank you.

5 DR. WHITCOMB: I mean, there clearly
6 is a dose response in some of these parameters,
7 but it is not -- there was not a statistically
8 significant decrease, as it were. The study
9 was not powered to necessarily separate the
10 doses.

11 Now, while I have not yet reviewed
12 the safety data, which is where we are heading
13 here in just a couple of minutes, there are no
14 dose limiting side effects which are important
15 dose recommendations. Therefore, dose
16 recommendations are based upon the efficacy
17 data that I have just shown you.

18 The recommended starting dose for
19 troglitazone is 200 or 400 milligrams per day.
20 Since the majority of glucose lowering that we
21 see as demonstrated in the 040 study is seen
22 within the first four weeks, we are

1 recommending that the doses be increased by 200
2 milligrams at four week intervals. And the
3 maximum recommended dose based on the current
4 data in this population is 600 milligrams per
5 day.

6 And again this afternoon I think we
7 are going to have more time to discuss the dose
8 issues, is my understanding.

9 (Slide)

10 Okay. Now, since I forgot to put
11 that slide up -- that's helpful.

12 Now, an issue which came up this
13 morning and which is a very important clinical
14 issue within this drug class is what happens to
15 weights of patients. And every time I make a
16 presentation on Rezulin, this is, if not the
17 first question, in the top three certainly that
18 comes up.

19 These are the weight changes which
20 were seen in the two clinical trials that we
21 have just reviewed. 991-040 had an increase of
22 approximately 1 kilogram in the placebo group,

1 3 in the 200, and 3½ in the 600 milligram
2 group.

3 Now, there is a very important point
4 to make about the diet instructions which were
5 given to patients in 040. Because of our
6 concern that we wanted to ensure that any
7 improvement in glycemic control that we saw was
8 due to the drug and not to a weight loss, the
9 patients were specifically instructed in a
10 weight maintenance, non-weight losing diet for
11 the course of this study. So if the patients
12 were seen and their weights were coming down,
13 they were encouraged to try to put some weight
14 back on.

15 So I think that clouds this a little
16 bit in terms of looking at this information.
17 And when you look at 068, which was again in a
18 very similar patient population, you see that
19 the placebos went down about a kilogram. There
20 is no change at all at 200. And so if you
21 compare these two, they are obviously quite
22 different. And at 400 milligrams, they went up

1 .6 kilograms. So there is really no
2 significant changes in weights across the
3 911-068 study.

4 Now, we have gone and looked at the
5 total population of patients that we have
6 studied in North America at this point in time
7 to try to address this further.

8 This is the weight change from
9 baseline, which is seen in the composite
10 database that we have at this point in time for
11 Rezulin. When you look at the placebo group,
12 there is a mean change of 1 kilogram with the
13 standard deviation of three. There is a weight
14 increase in the Rezulin group of .75 kilograms
15 with a standard deviation of four. And the 76
16 Glyburide patients had 0.5.

17 And I haven't reviewed this for you
18 yet, but there are about 30 or 40 patients in
19 this group that have been on high dose 800
20 milligram therapy for two years. And I'll come
21 back to that in just a few minutes.

22 So these are the weight changes that

1 we have seen. We have looked at the different
2 doses to see if there is any dose effect in the
3 total population. Again, the placebos are down
4 to about a kilogram. At 200 milligrams, there
5 is really no change, 0.3 gain, 0.5 loss at 400
6 milligrams, 1 kilogram gain at 600, and a .3
7 loss at 800. And again, this represents some
8 of the long term patients in the clinical
9 trials.

10 Based upon the data that we have
11 here, we are not seeing weight gain as
12 something which is occurring frequently in the
13 studies. When we look at the overall database,
14 there is no weight change which has been
15 observed.

16 When we look at the two studies
17 together and the insulin requiring Type II
18 patients, in the 040 study there is a small
19 amount of weight gain which was seen in all
20 groups, including the placebo group. And in
21 the 068 companion study in very similar
22 patients, there is no weight gain that was seen

1 in that particular group.

2 Now, obviously, when you are
3 introducing a new class of drug into a market
4 which has got a lot of patients in it, you have
5 a lot of concerns about what does the safety
6 data look like. And so I want to spend some
7 time in detail going through the human safety
8 data that we have accumulated on Rezulin, as
9 this is obviously a very important thing to
10 consider.

11 (Slide)

12 Now, this is a subset if you will of
13 the first slide that you showed that was
14 difficult to read. And basically, what it
15 outlines is the overview of the source and the
16 number of participants which were included in
17 the application. This application had a safety
18 cutoff of last April in terms of composite data
19 that was available at that time. And as I said
20 a few minutes ago, that included about 1,261
21 patients from North America. And I will be
22 coming back to these 1,261 patients more in a

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 minute because that is the integrated data that
2 we'll be looking at from a side effect profile.

3 We also have all of the safety data
4 from 629 patients from Europe that are in the
5 Glaxo-Wellcome trials. And we have another
6 1,000 patients from Japan, including 200 that
7 have been treated for one year, that we have
8 all of the safety data available from that.

9 Now, this 3,121 number was as of last
10 April with the composite safety that was
11 available. To date there are roughly 6,000
12 patients that have been treated with Rezulin.
13 And I will show you in a minute of how that
14 safety data is rolling in.

15 So this is the number which is in the
16 application. But if you look at real-world
17 numbers, if you will, of patients, it is around
18 6,000. We have access to all of the serious
19 adverse event and death data from both the
20 Glaxo-Wellcome studies and from the studies in
21 Japan, and have compared them very closely with
22 the information that we are accumulating in

1 North America to make sure that there are no
2 differences.

3 What I can tell you is that there has
4 been very few differences that have been seen
5 in any of the safety data between the three
6 companies to this point in time. I will try to
7 point out some small differences as I go
8 through the rest of the presentation here.

9 Now, a very important piece of
10 information is not just the number but how long
11 have they been treated. And this is a cut of
12 the information that is in the application that
13 you have in front of you. And as you can see,
14 it includes about 281 patients that have been
15 treated for at least six months, and 46 that
16 have been treated for at least a year. And in
17 fact, most of these people are close to two
18 years. They were just right under the two year
19 cut, so they didn't make it onto a separate
20 line.

21 This comes out to approximately
22 22,000 total patient weeks if you add this all

1 up, or about 400 patient years. I want to make
2 a very important point. The safety update that
3 we will be providing shortly to the FDA
4 includes a much larger number of patients which
5 much larger exposures.

6 This is the information that we'll be
7 providing in the safety update. It increases
8 the number of people who have been treated for
9 at least six months to 1,100, the number of
10 people that have been treated for at least a
11 year to 547, and the number of people that have
12 been treated for at least 18 months to 30. And
13 this is a very important group here, the number
14 of one year patients that we'll be submitting
15 shortly.

16 I can tell you that our preliminary
17 look at that information looks very, very
18 similar to the safety data that I'll be
19 reviewing for you today. But again, the agency
20 will have the opportunity to review all of this
21 data in the safety update shortly.

22 Now, I want to focus back on the

1 1,261 patients where we have fully integrated
2 all of the safety data from the clinical trials
3 to this point in time that we are reviewing
4 here today. There are 400 patients in there
5 that are insulin requiring Type IIs that
6 received Rezulin, 189 placebo, 765 that have
7 received Rezulin without insulin compared to
8 175 placebo patients. Most of these are from a
9 large phase II study that was 12 weeks in
10 duration.

11 The 77 Glyburide patients out here
12 are all from our long term cardiac safety study
13 that I'll be making reference to in just a few
14 minutes. The IGT patients are around 70, and
15 the PCOS patients are approximately 25. I
16 didn't make this point earlier, but none of the
17 -- when I gave the number about 6,000 patients,
18 I did not include any of the patients who will
19 be enrolling in the diabetes prevention trial
20 in that number. So that's obviously going to
21 continue to go up as well, but I did not
22 include those, just in terms of your mental

1 math.

2 Now, this is the breakout of adverse
3 events by age. The placebo group -- this is
4 the less than 65 crowd. This here is about 80
5 percent. The Rezulin crowd less than 65 is
6 just a little over 75 percent, really quite
7 comparable. When we look at older subjects
8 that are over 65, again actually it is
9 interesting. They have a lower incidence in
10 AEs in both of these than the younger patients,
11 but again very comparable numbers here. And
12 the Glyburide numbers tend to be a little bit
13 higher.

14 (Slide)

15 Now, this is an important slide
16 because basically what it tells us is what I
17 mentioned a few minutes ago when we were
18 talking about dose recommendations. And when
19 we look at the total AE profile for the drug,
20 there does not appear to be any increased
21 incidence of adverse events across these doses
22 that we have looked at, and they are all

1 basically around 80 percent, including the
2 placebo group, very consistent with other
3 diabetes studies that we have done.

4 This is the number of -- or the
5 adverse events which occurred in at least 5
6 percent of Rezulin treated patients. The
7 placebo group is on the left, the Rezulin group
8 is on the right. These are expressed as
9 percent. We could have shown the one and three
10 percent tables as well. It would have taken up
11 a lot more slides. The pattern is really very
12 similar, which is that there is very minimal,
13 if any, difference in the adverse event profile
14 of the agent compared to placebo.

15 I want to point out one very
16 important thing, and that is that if you look
17 at the incidence of peripheral edema, which has
18 been an issue which has been raised on the
19 basis of some of the fluid questions earlier
20 this morning, we're not seeing that in the
21 trials that we have done so far in North
22 America. They are 6 percent in the placebo

1 group, and there is 5 percent in the Rezulin
2 group in terms of peripheral edema, and really
3 not a lot of other differences in the adverse
4 event rates between these two populations.

5 Now, an important consideration when
6 you are using insulin, and Dr. Olefsky
7 mentioned this this morning, is hypoglycemia.
8 And so when you add an agent which improves
9 insulin sensitivity, looking at hypoglycemia is
10 really important. And so we have done that in
11 these two insulin taking trials.

12 One thing I should point out is that
13 in the monotherapy studies that we have done
14 with Rezulin to date, we have not seen one
15 instance of hypoglycemia with the drug given as
16 monotherapy. One would expect that based upon
17 the mechanism of action you just don't see it.
18 But obviously, when you add it to insulin, it
19 is going to be a possibility.

20 For the purposes of data analysis
21 definitions, we agreed with the FDA to define
22 anything as true hypoglycemia as a glucose less

1 than 50. And I'll show you how we have done
2 that analysis in just a minute here.

3 These are the two studies shown side
4 by side, 991-040, which was the more fixed dose
5 insulin study, if you will, and 068, which was
6 the more variable dose insulin study, if you
7 will. And if you look at the percent of any
8 report -- this is any report by the patient of
9 any neural glycopenic symptoms of hypoglycemia,
10 it is 34 percent at placebo, 41 percent at 200,
11 and 61 percent at 600.

12 When you look at these events which
13 occurred with a glucose of less than 50, the
14 numbers drop quite a bit, as you would imagine,
15 8 percent in the placebo group, 14 percent
16 here, and 23 percent here. But importantly, if
17 you look at the number of patients who met the
18 DCCT criteria for a severe hypoglycemic
19 reaction, i.e., one which required third party
20 intervention, there is only one example of
21 that. That was in one patient who had 600
22 milligrams who was taken to an urgent care

1 center, given intravenous glucose, and returned
2 home without any other sequelae.

3 Now, remember that in this group, the
4 investigators were specifically instructed not
5 to reduce insulin unless the glucoses were
6 consistently below 100. In the 068 trial, they
7 were reducing them if they got -- as they were
8 going down in their glucose as well as if they
9 were getting below 140.

10 And so the incidence of hypoglycemia
11 is much lower in this trial, 8 percent for
12 placebo, 19 percent at 200, 14 percent at 400.
13 When you look at those that actually had a low
14 glucose, true hypoglycemia, there is no
15 difference across these groups, 4, 8, and 5
16 percent. And there were no episodes of DCCT
17 defined serious hypoglycemia.

18 So an important consideration -- or
19 what instructions do we propose to give
20 physicians about the use of this agent in
21 combination with insulin in terms of insulin
22 dose reduction?

1 From looking at these two together,
2 what we are recommending is something in the
3 middle, which is that when glucoses are
4 consistently getting below 120 that the
5 physician should consider an insulin dose
6 reduction of 10 to 25 percent. We think that
7 this makes medical sense, makes safety sense,
8 and should still be able to achieve the type of
9 glucose control that we would optimally like to
10 see in these patients.

11 Now, another thing that we have
12 looked at is to look and see if there was any
13 ecoltypal (phonetic) glycemia that happened,
14 glycemia unawareness, if you will. This is
15 based upon looking at all of the capillary
16 blood glucose readings from the 040 study, the
17 fixed dose study. There is about 30 some
18 thousand of these in each of the treatment
19 groups.

20 The incidence of the number of
21 readings that were actually below 50 is very,
22 very low, .21, .26, and .53 percent. So there

1 are very, very low incidents of these things
2 happening. If you look at the first morning
3 numbers, they are even lower, .14, .09, and
4 .41. So there does not appear to be a
5 significant amount of hypoglycemia unawareness
6 that we are seeing when Rezulin is added to
7 these patients.

8 Now, a critical piece of information
9 to look at is the number of serious non-fatal
10 adverse events that you see in any drug
11 development program. For the purposes of this
12 NDA, we had two six month placebo controlled
13 studies, or two six month studies which we are
14 completing at the time of the NDA. We did a
15 rapid assessment and assembling of these
16 serious adverse events and death information
17 from those trials and included them in the
18 application for purposes of summarization.

19 This brings the number of serious
20 adverse event denominator patients to 1,877
21 from that 1,261 number that we had before. So
22 we added in approximately 620 patients or so.

1 This also boosted the placebo group as well.

2 When you look at the incidence of
3 serious, non-fatal AEs, it is 6 percent in the
4 placebo group and 7 percent in the Rezulin
5 group, so they are nearly identical. When you
6 look at what has been seen in Europe by the
7 Glaxo-Wellcome studies to day, the incidences
8 are almost identical and the patterns very
9 similar. The incidence of serious adverse
10 events in the Japanese studies is approximately
11 1 percent, so it is lower than what has been
12 seen in either North America or in Europe.

13 Now, what are these serious adverse
14 events that we are looking at? What is the
15 distribution of these?

16 These are those events which occurred
17 in greater than or equal to three patients, not
18 3 percent but three patients. The percentages
19 are shown in parentheses here. And when you
20 look down here, on a percentage basis, you
21 really don't see any difference.

22 I want to focus in on a couple of key

1 things, and that is congestive heart failure,
2 because of the questions that have been raised
3 from a cardiac standpoint. We have one patient
4 here in the placebo and three in the Rezulin
5 group. There are three times as many people
6 here, so on a percentage basis, they are
7 really, you know, identical. There is just no
8 clear difference.

9 Myocardial infarction is very, very
10 similar on a percentage basis between these
11 two. We are not seeing that in the safety
12 data, that there is a significant cardiac risk,
13 remembering that we have a small number of
14 patients who have been out to two years at this
15 point in time.

16 This is the listing of deaths which
17 have occurred. We have had three of them in
18 the North American studies, one in Europe, and
19 one in Japan. The one in Europe occurred in a
20 Rezulin treated patient who had a stroke. The
21 one in Japan was in a placebo patient who had a
22 myocardial infarction.

1 In North America we had one stroke in
2 a Rezulin treated patient. This was felt to be
3 unlikely attributable from the investigator.
4 We have had one myelodysplastic syndrome that
5 was felt had insufficient information to assign
6 causality. And we have had one myocardial
7 infarction in a Glyburide treated patient,
8 which was definitely felt not to be due to the
9 drug. So there is no clear pattern that shows
10 up in terms of looking at the overall deaths.

11 Now, there are several other safety
12 things that we have looked very specifically at
13 based upon the animal data. The first is that
14 in the animal studies a slight decrease in
15 hemoglobin and hematocrit has been seen in
16 animals treated with Rezulin. So we have
17 looked in very much detail with this in the
18 human trials as well.

19 And what we see -- and this is the
20 combined data from the 040 and the 068, so the
21 insulin requiring patients that we looked at
22 earlier, we see a fall of approximately 0.4 to

1 0.5 grams per deciliter of hemoglobin within
2 the first four to six weeks, which then levels
3 off and doesn't go down.

4 We have looked at our data out two
5 years, and this pattern is absolutely rock
6 solid across two years. It goes down by this
7 small amount, and then just continues out. The
8 placebo group goes down a little bit. This is
9 a pooled analysis of all of the patients.

10 There is some dose dependency of this ranging
11 between 0.3 grams at 200 up to 0.6 grams at
12 600. But the pattern appears to be the same in
13 terms of the time course for the fall.

14 The number of patients who actually
15 become frankly anemic on Rezulin is the same
16 for placebo as it is for actively treated
17 patients. There was no difference in the
18 number of people who were actually becoming
19 anemic during the course of the studies. Just
20 to look further at this, this obviously raises
21 some questions. Is there some kind of red cell
22 thing that is going on that we need to know

1 about?

2 So a study was carried out by
3 Glaxo-Wellcome which enrolled 24 patients for
4 six weeks based upon the time course that we
5 have seen. That seemed like a reasonable point
6 to look at these parameters. We looked at the
7 erythrocyte synthesis parameters, and we looked
8 at erythrocyte destruction parameters. In
9 other words, was there a problem either making
10 or breaking red cells. We also looked at
11 plasma volume and hemacolt (phonetic) to see
12 whether this was a GI blood loss that was
13 occurring.

14 We looked to normal volunteers
15 instead of diabetics because we wanted to
16 really understand if this was happening
17 independent of what was going on with glucose
18 because glucose shift obviously can cause huge
19 changes in hemoglobin and hematocrits in
20 diabetic patients, depending upon their degree
21 of control.

22 Well, these are the conclusions from

1 the data from this study. The small reversible
2 decreases in volume based RBC parameters that
3 we have seen in patients occurred as well here.
4 There was a slight decrease in hemoglobin and
5 hematocrit. The formal studies that we carried
6 out showed no demonstrable effect on RBC
7 production. There was no impact on RBC
8 synthesis in this study, and there was no
9 effect on RBC destruction. So there was not
10 accelerated RBC destruction. Both synthesis
11 and destruction did not occur.

12 A small 5 to 7 percent increase in
13 plasma volume was observed. And I should point
14 out that what happened in this is the placebo
15 group went down by about 2½ percent to 3
16 percent, and the treatment groups went up by 2½
17 to 3 percent. So this is compared to placebo
18 in this particular trial.

19 Now, as we wind down here, we have
20 also looked carefully at liver function tests
21 in these patients based upon the fact that some
22 hepatocellular hypertrophy was seen in some of

1 the animal studies. This is looking at AST and
2 ALT levels, people that were anywhere above
3 normal, two times or three times normal.

4 When you look at the just above upper
5 limits of normal, there are actually more
6 placebo patients than Rezulin treated patients.
7 Two times are basically identical, 2 percent.
8 And when you look on a percentage basis above
9 three times normal, they are very similar on a
10 percentage basis.

11 But I do want to comment upon these
12 14 patients that are over here. Seven of these
13 patients were treated through without even
14 knowing that their liver enzymes had gone up,
15 and they went back down again. The other seven
16 did have the drug discontinued with
17 reversibility of the liver function test
18 elevations after the drug was discontinued. We
19 have had one patient who has what looks like
20 was an idiosyncratic reaction to the drug and
21 did develop jaundice, which was reversible with
22 discontinuation of the drug as well.

1 Now, the final piece of data that I
2 want to show you is from our cardiac safety
3 study. This was a study which was begun about
4 two and a half years ago. The one year data
5 from this trial is in press currently at
6 Diabetes and was presented actually at the ADA
7 as an abstract about 15 months ago.

8 This was originally a one year study
9 which we extended out to 96 weeks. And what we
10 did was to look at detailed echocardiographic
11 parameters of two populations of patients, one
12 treated with 800 milligrams of Rezulin, and one
13 treated with titrated Glyburide. These were
14 non-insulin-taking Type II patients that
15 entered the study. They had two
16 echocardiograms done at baseline for a baseline
17 measurement, and then they were looked at
18 across the period of time out to 96 weeks.

19 77 patients were randomized to each
20 of these two groups, and we had dropout rates
21 throughout this to where we had around 45 to 50
22 patients at a year and less than that out here,

1 ranging between about a third of the patients
2 in the Rezulin group and about half of the
3 patients in the Glyburide group actually made
4 it all the way out to two years of time. This
5 is the left ventricular mass index measurements
6 of these patients. This is their baseline
7 measurements. This is at week 48, and this is
8 at week 96.

9 Now, let me just take a minute here
10 and tell you exactly how we did this.

11 Basically, the echocardiograms were done at a
12 number of sites around the United States. They
13 were sent blinded and in a scrambled fashion to
14 a central blinded reading center, who happens
15 to be Dr. Julio Perez from Wash U in St. Louis.
16 He read the data, and the data was then sent
17 back to Parke Davis, where it was integrated
18 with the random code at that point in time. So
19 he was completely independent of what was going
20 on with what the measurements were on the
21 echos.

22 But what you clearly see here is no

1 increase in LV mass across 96 weeks with the
2 drug given at a dose of 800 milligrams per day.
3 Glyburide basically is unchanged as well. This
4 measurement has an error rate of between 5 and
5 10 percent, so you would need to have greater
6 than that really for this to be of any
7 significance at all, and we really didn't even
8 come close to that. And in fact, there is
9 actually a slight decrease in both of the
10 groups in the LV mass.

11 We also looked at LV function as
12 measured by the cardiac index. In this case,
13 we looked at a number of other parameters which
14 I am not going to show you for sake of time.
15 Basically, what happened is we saw a slight
16 improvement in cardiac index in these patients
17 with Rezulin. It's small and probably not
18 clinically significant, but it certainly did
19 not go down. The Glyburide group basically is
20 unchanged across through here.

21 So in terms of thinking a little bit
22 more about the cardiovascular aspects of

1 Rezulin from a hemodynamic and LV mass
2 standpoint, we did not see any evidence of
3 cardiac dysfunction after two years at 800
4 milligrams per day in terms of either LV mass
5 or cardiac index.

6 We have not seen evidence based upon
7 our composite safety database of an increased
8 incidence of edema or congestive heart failure
9 in the patients that we have studied to this
10 point in time. We have not seen any overall
11 increase in weight of these patients, as you
12 might expect if they were undergoing chronic
13 fluid expansion. And in fact, I should point
14 out in the normal volunteer study that was done
15 over six weeks, where we did see volume
16 expansion, the weights did not change in those
17 patients.

18 The decreases in hematologic
19 parameters that we have seen suggest that if
20 plasma volume occurs, it occurs very early
21 during the course of treatment with the drug,
22 and then it stabilizes. And there is no

1 suggestion that this early increase in plasma
2 volume is associated with cardiac symptoms or
3 dysfunction based upon the human data that we
4 have analyzed to date.

5 So in terms of summarizing the safety
6 profile of the medication -- the drug, I should
7 say -- the adverse events were comparable to
8 placebo. Insulin dose adjustments that we have
9 recommended may be required to prevent
10 hypoglycemia. We don't think this is a
11 significant safety problem, but one which will
12 need to be communicated clearly to physicians
13 and patients.

14 There is a small decrease in
15 hemoglobin which occurs within the normal
16 range. It appears to stabilize after about six
17 weeks. It does not happen in all patients, but
18 it certainly is something worth noting.

19 There are transient, reversible
20 increases in liver function tests which are
21 seen in approximately 1 percent of patients.
22 This incidence is comparable to placebo. And

1 there is no evidence of LV mass increase after
2 two years at high doses of Rezulin,
3 specifically at 1800 milligrams per day.

4 That should be the last slide in your
5 book, I hope.

6 DR. BONE: Members of the committee
7 with questions -- I think Dr. Cara and Dr.
8 Zawadzki. Okay, well, several -- everybody has
9 got a question. Okay. Dr. Cara will have the
10 first question.

11 DR. CARA: Other than the C-peptide
12 concentration, did you look at any other
13 predictors of response or any other predictors
14 of failure to a response?

15 DR. WHITCOMB: Yeah. That's a very
16 good question. When you add Rezulin to
17 insulin, over 90 percent of the patients have a
18 fall in glucose. That drop that you see within
19 the first month basically happens in almost
20 everybody that you start the drug on when you
21 add it to insulin.

22 I think that a secondary question is

1 who has the optimal response to the drug. And
2 I can tell you, we have cut the data every way
3 that we can possibly think of, and there aren't
4 any real good predictors of that. We have
5 people with dramatic responses that had
6 glycohemoglobins up as high as 12½ that went
7 down to 6 on 200 milligrams per day.

8 You know, the patients were all
9 obese, they were all on high doses of insulin.
10 The people that were on high doses of insulin
11 responded as well as those that were on low
12 doses of insulin.

13 So we have looked a lot at it because
14 obviously that is a very important question.
15 But in terms of the initial response, the point
16 I want to make is that 90 percent of the
17 people's glucoses in both of the studies go
18 down.

19 DR. CARA: A couple of follow-up
20 questions. Have you done any dose escalation
21 studies in patients that have not responded
22 favorably?

1 DR. WHITCOMB: That's a very good
2 question. What we have done is when we went
3 into the open label phase of 068, the patients
4 that had not responded appropriately at 200
5 were given the opportunity to escalate to 400.
6 I don't have that data summarized yet, but our
7 impression from looking at it is that it does
8 -- you do get an increased response in those
9 patients who didn't respond to two when you go
10 to four.

11 DR. CARA: How much did you escalate?

12 DR. WHITCOMB: We went from 200 to
13 400 milligrams in those patients.

14 DR. CARA: To 400.

15 DR. WHITCOMB: Yes.

16 DR. CARA: Okay. That was the
17 highest dose.

18 DR. WHITCOMB: In the 068 study, 400
19 milligrams was the top dose. So that is what
20 they escalated to in the open label phase.
21 What we have done also in -- just to kind of
22 wrap this open label question. The patients

1 who were on placebo in the 040 study went to
2 400 milligrams. That was the dose that we
3 chose to put them on. We did not allow them to
4 dose escalate in that particular trial.

5 DR. CARA: And the last question.
6 When you talk about failure of therapy in your
7 protocol inclusion data, patients that failed
8 to respond to sulfonylurea or metformin
9 therapy, what does that mean?

10 DR. WHITCOMB: Yeah. That's -- I
11 mean, I think part of what we are going to
12 discuss this afternoon. I can tell you what
13 the data that we collected shows.

14 What we found was that we were able
15 to get records. Obviously, these people had
16 been on insulin for almost five years, so
17 getting all of their back records was a major
18 challenge. We were able to get data on about
19 80 percent of the patients, that we could
20 actually get the charts and look at them
21 clearly.

22 And when you look through that

1 information, what you see is about 60 percent
2 of the patients had been on clearly maximal
3 doses of sulfonylurea sometime in the past.
4 And all the rest had really been on at least
5 half maximal doses of sulfonylurea some time in
6 the past.

7 But the entrance criteria basically
8 that we gave the investigator for the entrance
9 into 068 was that they have an understanding
10 based upon the patient's history or other
11 information that they had in fact previously
12 failed an adequate trial of sulfonylurea based
13 upon either maxing out on dose or dose limiting
14 side effects.

15 DR. CARA: And regarding metformin?

16 DR. WHITCOMB: Metformin had just
17 been on the market for about a month at the
18 time, so we put metformin in there but we knew
19 there were going to be very few people.

20 DR. CARA: So it's really not --

21 DR. WHITCOMB: And for 040 -- excuse
22 me, yes. But for 040 it was -- metformin in

1 fact was introduced after that trial initiated.

2 DR. CARA: Right. But it wasn't in
3 fact treatment failure, if you will.

4 DR. WHITCOMB: No. People did not --
5 in other words, people did not -- to get on
6 insulin in this trial, people did not have to
7 have had a prior history of failure of both
8 agents, for example, because they weren't there
9 at the time.

10 DR. CARA: Well, that's misleading.

11 DR. BONE: Dr. Zawadzki had the next
12 question.

13 DR. ZAWADZKI: I have a few
14 questions. For the trials 040 and 068, were
15 they double blind?

16 DR. WHITCOMB: Yes.

17 DR. ZAWADZKI: And what was the
18 frequency of visits?

19 DR. WHITCOMB: In both of the
20 studies, they were seen at two week intervals
21 for the first four -- or first eight weeks, and
22 then monthly after that.

1 DR. ZAWADZKI: You mentioned that
2 they were weight maintenance in the first
3 trial. What was the diet in the second trial?

4 DR. WHITCOMB: The diet that they
5 were given was a standard diabetic diet trying
6 to -- for these people, 12 to 1800 calorie ADA
7 diet that they were instructed to try to
8 maintain. And I want to say that we did not
9 aggressively try to adjust diets during the
10 course of the 068 trial. It was really more of
11 what they were on kind of diet. But we did try
12 to instruct them in an appropriate diabetic
13 diet.

14 DR. ZAWADZKI: Did any of the
15 individuals who were tested in these two trials
16 have any evidence of renal impairment, either
17 by serum creatinine or 24 hour urine
18 collections?

19 DR. WHITCOMB: We had an exclusion
20 criteria above 2 and 2.5 creatinine, so
21 patients were excluded if they were above that.
22 We did not do it in this trial. In another

1 trial we have going on we're actually looking
2 at protein micro albumin issues to see whether
3 or not there is a change in that.

4 But that was not -- we did not
5 include any patients who, you know, had frank
6 creatinuria. They were all, you know, urine
7 dipstick at the front end, looked at their
8 creatinines. If they were under 2 to 2.5, then
9 they were included in the trials.

10 DR. BONE: I think it was Dr. Fleming
11 who had a comment or question, then Dr.
12 Critchlow.

13 DR. FLEMING: Just for clarification,
14 on the EN in the 96th week cardiac monitoring
15 study, echo monitoring study, there was a
16 switch in the preparation that was actually --

17 DR. WHITCOMB: That is correct.

18 DR. FLEMING: -- that was actually
19 used. This is not a big deal, but as I
20 understand it, the first year involved exposure
21 to the equivalent of 580 milligrams to be
22 marketed formulation. You did switch at one

1 year.

2 DR. WHITCOMB: At one year, with the
3 new bio -- more bio available formulation to
4 year two, which was more in the mid- 700 range
5 for a dose. Part of it depends on which
6 formulation exactly we were making reference
7 to. But as a general rule, it was in the
8 mid-700 range for between year one and year two
9 is what the patients received.

10 DR. FLEMING: Just quickly, are there
11 other studies where the nominal dose that you
12 mentioned does not correspond to the equivalent
13 dose?

14 DR. WHITCOMB: No. All of the phase
15 III studies were conducted with market image
16 drug that has all got the same bio
17 availability.

18 DR. BONE: Thank you.

19 Dr. Critchlow and then Dr. Sherwin.

20 DR. CRITCHLOW: Yes.

21 Could you please comment on the fact
22 that the glycohemoglobins in 040 decreased in

1 the face of somewhat moderate reductions in
2 insulin dose, whereas in 068 there did not
3 appear to be a decrease in glycohemoglobin with
4 rather substantial decreases in insulin dose?

5 DR. WHITCOMB: Well, I think that
6 first off the two study designs were very
7 different. In the 040 trial we were
8 specifically trying to drive the
9 glycohemoglobins down as much as possible. And
10 in the 068 trial we were trying to see what
11 percentage of patients could achieve a balance,
12 if you will, of what was at least a 50 percent
13 reduction in insulin and some improvement in
14 capillary blood glucose.

15 As it turns out in the 040 study, for
16 example, in the patients who did meet these
17 criteria, their HbA1cs went down about 1
18 percent. When you look at the total
19 population, however, there were patients who
20 had insulin dose reductions that were perhaps
21 more aggressive than they should have been if
22 they were optimally trying to get the glucose

1 control down. That's why you really have to
2 look at the two side by side.

3 There actually was in the 400
4 milligram group a decrease of HbA1c compared to
5 placebo at the end of the study. It didn't
6 quite meet statistical significance, but it was
7 down. But it wasn't down certainly to the
8 degree that it was in the 068 trial or the 040
9 trial because the insulin doses were much more
10 aggressively lowered.

11 One thing that I think that it really
12 points out when you look at these two trials
13 side by side is that the use of Rezulin in
14 combination with insulin is going to put a new
15 paradigm in the field, as it were, about trying
16 to balance agents to optimize glucose control.
17 And I think that is really important point to
18 get across is that for the first time we are
19 going to have potentially another agent which
20 will -- or an agent which will allow us to
21 balance that off and allow the physicians to be
22 able to make those kinds of judgment calls with

1 their patients.

2 And I hope that that is what the data
3 will continue to support.

4 DR. BONE: Dr. Sherwin.

5 DR. SHERWIN: A couple of comments.
6 One, you made a point, the comment about
7 patients being able to stop. And there was a
8 small number, but a statistically higher number
9 of people able to stop insulin. What was the
10 criteria for stopping?

11 DR. WHITCOMB: For discontinuation?

12 DR. SHERWIN: Yes.

13 DR. WHITCOMB: It was basically the
14 same ratchet down algorithm.

15 DR. SHERWIN: So if their fasting was
16 below 140, they would -- and they sustained
17 that effect once they stopped the insulin?

18 DR. WHITCOMB: Yes. I mean, that was
19 up to the physician, obviously. If he saw
20 their glucoses going back up, the assumption
21 was that they would, you know, reinstitute
22 insulin. And in fact, that did happen in

1 patients. Those numbers actually were a little
2 bit lower then came back up.

3 It's an interesting phenomenon that
4 has been seen in the open label portion of the
5 study, is there are a few patients that were on
6 200 that when they were titrated up to 400 were
7 actually able to discontinue insulin with
8 improvement in glyceimic control as well.

9 DR. SHERWIN: You commented, and you
10 focused on how many people responded. You
11 didn't talk to us about the negatives and
12 non-responders. And did anybody get worse? In
13 other words, were there people --

14 DR. WHITCOMB: In terms of people --

15 DR. SHERWIN: That you were dropping
16 insulin dose, particularly in the 068 study.

17 DR. WHITCOMB: There were some people
18 whose glucoses did go back up if the insulin
19 levels were too aggressively lowered.

20 DR. SHERWIN: So there is that
21 potential price.

22 DR. WHITCOMB: Yes.

1 DR. SHERWIN: If you are not careful
2 and you are going --

3 DR. WHITCOMB: Absolutely.

4 DR. SHERWIN: -- the diabetes worse
5 because that's sort of one of the issues I
6 think that we need to address in terms of
7 further studies, and namely that the
8 improvement is to a level that is still not
9 very acceptable in terms of care, even though
10 it is an improvement, namely that the mean
11 glycohemoglobin is in the eight range.

12 Now, my question is, how many
13 actually achieved a below seven, which would be
14 the goal of treatment.

15 DR. WHITCOMB: Yes. At 600
16 milligrams, 25 percent of people were less than
17 seven.

18 DR. SHERWIN: Now, in that subgroup
19 of people, was there any difference in terms of
20 hypoglycemic risk within that subgroup?

21 DR. WHITCOMB: No. Well, I shouldn't
22 -- the percentage of people that had glucoses

1 less than 50, which the analysis that I showed
2 you did show a dose differential between 600
3 and 200, that held true as well for people that
4 were in the less than seven ultimate HbA1c
5 crowd.

6 Am I getting at your -- so it would
7 be lower than it was for a 200 milligram
8 patient that got less than seven, for example.

9 DR. SHERWIN: Well, now I'm little
10 confused. But I assume it was a slight
11 increase perhaps in --

12 DR. WHITCOMB: Correct.

13 DR. SHERWIN: -- hypoglycemic events
14 because I think that one has to be a little bit
15 cautious in terms of the hypoglycemic, which we
16 don't know -- our goal is to optimize care, and
17 we don't know yet whether when you really
18 optimize -- you know, you intensively treat to
19 the point where we reach goals what kinds of
20 problems one might encounter in terms of how it
21 -- and even counter-regulatory defects that
22 might be uncovered.

1 DR. WHITCOMB: I think the one thing
2 that is important to note is that almost all of
3 the hypoglycemia that was seen occurs in the
4 first six to eight weeks. You rarely see it
5 after that once the drug is instituted. So if
6 you look at the time course of when those
7 things occur, it isn't like someone is going to
8 go along and then four or five months out
9 suddenly have this start happening, that it
10 appears to be an early phenomenon.

11 DR. SHERWIN: You made a comment that
12 over the course of the phase-in trial, a period
13 of about eight weeks or so, that there was a
14 decline in glucose and glycohemoglobin --

15 DR. WHITCOMB: A slight shift down.

16 DR. SHERWIN: -- which is what we see
17 in all of the diabetes related Type II studies.
18 If one focused upon the time zero point, was --
19 because I don't have a good sense of the drop
20 over that time.

21 DR. WHITCOMB: Yes.

22 DR. SHERWIN: What was the magnitude

1 then of the change in glycosylated hemoglobin?

2 DR. WHITCOMB: I might just wave at
3 my colleagues from Sankyo US for a minute. I
4 believe it was a 0.1 percent drop in HbA1c
5 across the baseline as a mean. Yeah.

6 DR. SHERWIN: So it was really a
7 negligible --

8 DR. WHITCOMB: Yes, yes. It was very
9 small.

10 DR. BONE: Dr. Colley.

11 DR. COLLEY: One of the risks of
12 insulin therapy is weight gain in patients.
13 And although you had reductions in insulin
14 dosage, there was no appreciable change in
15 weight. Did you look at the subgroup of
16 patients who were able to stop insulin, and was
17 there any change in weight in that group?

18 DR. WHITCOMB: That's a good
19 question. It's really variable. We have
20 people who have lost 25 pounds, you know, by
21 stopping insulin or by reducing their doses.
22 We've had people who have gained weight in the

1 face of insulin going down, which is why the
2 mean data looks, you know, fairly flat.

3 In this specific subgroup of people
4 who are able to discontinue insulin completely,
5 in general their weights do go down. In the
6 open label pilot study that we did that kind of
7 started all of this a couple of years ago in
8 which five of those patients are now still off
9 of insulin, the average weight loss in that
10 group is about 3½ kilograms. They're about 18
11 months out now.

12 DR. SHERWIN: I forgot my question
13 about weight. Although there was not a
14 statistical increase in weight, it appeared to
15 me that there was an increase that probably
16 didn't make -- reach statistical significance.
17 Is that right? Because my recollection was
18 about 1½ kilos difference.

19 DR. WHITCOMB: From the placebo
20 group, yes. Yeah. I mean, it's an increase of
21 about actually 2½ kilograms.

22 DR. SHERWIN: Right. And these -- I

1 mean --

2 DR. WHITCOMB: About 2½ percent
3 weight change for these people.

4 DR. SHERWIN: And that's over --
5 totally over a six-month period, right?

6 DR. WHITCOMB: Correct. And one of
7 the things I might point out is that in the
8 open label phase of this study, patients -- the
9 weight maintenance diet was abandoned, if you
10 will, and so the follow-up data should be quite
11 interesting to see if these people's weights go
12 back down again once they are told not to try
13 to keep their weight up.

14 DR. SHERWIN: In your trials without
15 insulin -- because these are people on insulin
16 and have a tendency perhaps to gain weight
17 anyhow, and then you are superimposing perhaps
18 a small increase. What about people who are
19 not on insulin? You must have experience with
20 weight --

21 DR. WHITCOMB: Yes.

22 DR. SHERWIN: -- in those

1 individuals.

2 DR. WHITCOMB: Well, Rezulin as
3 monotherapy does not cause weight gain in any
4 of the studies that we have done, including
5 this two year cardiac study. When you look at
6 the weights of those patients baseline compared
7 to the end, there is no significant change. So
8 that does not appear to be something which
9 we're seeing as monotherapy, if you will.

10 DR. SHERWIN: The effect on plasma
11 volume, by the way, do you have a thought about
12 mechanisms?

13 DR. WHITCOMB: I can give you what we
14 think is one -- there are a couple of
15 hypotheses, I think, one of which we are
16 actually about to start a study to try to
17 investigate. It is possible that the
18 improvement of insulin sensitivity at the level
19 of the kidney may be causing some slight
20 retention of salt and water which we are going
21 to investigate shortly to try to look at that.

22 The other thing, of course, is that

1 when you are improving glycemic control, and
2 people -- the fluid shift question is really a
3 very difficult one to address. Now, there is
4 no question that in the normal volunteer study
5 that I showed you, obviously that was not a
6 glucose shift paradigm.

7 So it -- we think that this is
8 probably a real pharmacologic effect. But the
9 magnitude of it appears to be small. And it
10 certainly appears to stabilize. It isn't like
11 it just keeps continuing on. And it looks like
12 it is a fluid shift, if you will, since the
13 patients -- the normal volunteers didn't gain
14 weight. You are basically moving, you know,
15 water from one compartment into another rather
16 than increasing the total volume of water. We
17 don't see edema. We don't see heart failure.

18 DR. BONE: Dr. Hirsch and then Dr.
19 Illingworth and then Dr. Cara.

20 DR. HIRSCH: The fluid shift is very
21 interesting, whatever its mechanism. But it
22 also is a real confound in terms of the weight

1 situation because what you are interested in
2 with weight is the amount of fat people have,
3 not, you know, what the scale says. And
4 without a compositional study, it is very
5 difficult to know how much change there was in
6 extra cellular fluid volume.

7 That being case, even small changes
8 in body weight in these individuals can create
9 rather marked improvement of the diabetes. And
10 all of us who treat these people note that a 10
11 or 15 pound change often makes startling
12 changes in the insulin sensitivity.

13 So the next question -- well, the
14 first thing is a suggestion that we learn more
15 about the body composition.

16 DR. WHITCOMB: Can I respond to that
17 first?

18 I think that is a very good point. A
19 very simplistic bio impedance study was done by
20 Glaxo-Wellcome which did not show any change.
21 But a much more detailed study with MRI
22 scanning and body composition and fat biopsies

1 and so forth is going on right now to try to
2 further address this issue.

3 DR. HIRSCH: That's good. The other
4 point that I'd like to make is that the study
5 statistically of the subgroups is very
6 important. That is, the mean weight is very --
7 given the fact that relatively small changes in
8 weight can create great changes in insulin
9 sensitivity over brief periods of time, it will
10 be very important.

11 You may -- you obviously have the
12 data and may have already done this, looking at
13 all the correlational possibilities, et cetera,
14 in terms of weight changes during the study and
15 who went what way in these directions.

16 DR. WHITCOMB: It's an excellent
17 question, and we have tried to do that. But
18 the problem is that it doesn't look like it
19 correlates very well, at least in these
20 patients, because obviously we saw the
21 improvements in glycohemoglobins that we saw in
22 040 in the face of the fact that people as a

1 total group were gaining some weight. And
2 obviously, that total group is made up of
3 individual patients in subsets. So when we
4 look at the subsets, there is no clear
5 delineators of that.

6 But, I mean, it is an excellent
7 question and one biologically which I agree
8 with you is a little bit puzzling at this
9 point. But the body composition studies are
10 critical.

11 DR. BONE: Okay. Thank you. Dr.
12 Illingworth and Dr. Cara, and we'll wrap up
13 this discussion.

14 DR. ILLINGWORTH: The studies you
15 conducted where you looked at echocardiograms,
16 did any of those patients have evidence of
17 congestive heart failure?

18 DR. WHITCOMB: No.

19 DR. ILLINGWORTH: In that subgroup?

20 DR. WHITCOMB: No. That's a good
21 question. They did not. These were all people
22 with (indiscernible) heart I and II

1 classification. There were no patients with
2 CHF included.

3 We originally thought that all of the
4 patients were going to have normal LV masses at
5 baseline. That was our goal because we wanted
6 to -- that wasn't me, was it? As it turns out,
7 about 15 to 16 percent of the patients did have
8 some degree of LV enlargement at baseline. So
9 we actually did pick some patients who were not
10 totally normal from an LV mass standpoint in
11 this study.

12 DR. ILLINGWORTH: But you don't have
13 a study going on yet in patients with
14 congestive heart failure?

15 DR. WHITCOMB: No. I think that is a
16 very important point, and one which we have had
17 some very preliminary discussions, that we
18 believe that is an important area to
19 investigate.

20 DR. BONE: In fact, you have excluded
21 patients with known heart disease from all of
22 your studies to date, if I'm not mistaken.

1 DR. WHITCOMB: They could not have
2 class three or class four heart-related issues,
3 that is correct.

4 DR. BONE: Dr. Cara had a --

5 DR. WHITCOMB: We think that's an
6 important area to look at, I would agree.

7 DR. BONE: Well, certainly it is not
8 uncommon for diabetics to have a little heart
9 disease. Dr. Cara -- or Dr. Illingworth, were
10 you finished? I'm sorry.

11 DR. ILLINGWORTH: Just do you have
12 anything on the clotting factors, fibrinogen,
13 factor-7, things like that?

14 DR. WHITCOMB: Those are being
15 collected in one of the non-insulin-taking
16 studies which is just now completing, and we
17 are summarizing the data. I just don't know
18 what it is right now. But we have looked at
19 all of those things. We have looked -- there
20 is an abstract on PAI-1 floating around from
21 Dr. Fonseca at Arkansas which actually shows a
22 lowering of PAI-1.

1 We actually -- this was a question
2 that came up earlier about ANF. In one of our
3 phase II studies we looked at ANF in the dose
4 ranging study between 2 and 800 milligrams for
5 12 weeks, did not see any change in ANF
6 compared to placebo.

7 DR. ILLINGWORTH: And then finally,
8 you -- the information that the panel got has
9 data on the lipid change. You didn't comment
10 about those. Are you going to come back to
11 that?

12 DR. WHITCOMB: Well, we -- I did that
13 partly for sake of time, just trying to make
14 sure we got all of the glucose and lipid things
15 first. Was there a specific question? I mean,
16 the lipid discussions are almost a whole --

17 DR. ILLINGWORTH: Let's leave it for
18 this afternoon.

19 DR. WHITCOMB: -- thing by itself,
20 you know. I'll do whatever you want. It
21 happens to be a long answer.

22 DR. BONE: Right. Let's deal with

1 that later.

2 Dr. Cara, did you have --

3 DR. CARA: I have a series of short
4 questions. Do you have any sense of the
5 percent or the actual numbers of patients that
6 were able to use troglitazone as monotherapy?

7 DR. WHITCOMB: From insulin taking
8 patients we're talking about now?

9 DR. CARA: Right. How many patients
10 were able to come off insulin?

11 DR. WHITCOMB: There is a total of 15
12 percent of patients in the 068 trial came off,
13 and I believe just three or four in the other
14 study.

15 DR. CARA: Okay. What is the age of
16 the youngest patient that you have treated?

17 DR. WHITCOMB: Excuse me. What is
18 the what?

19 DR. CARA: The youngest age treated.

20 DR. WHITCOMB: In the total program,
21 or in these studies?

22 DR. CARA: In these.

1 DR. WHITCOMB: I think we have got a
2 26-year old that is in there.

3 DR. CARA: Okay. But nothing less
4 than that?

5 DR. WHITCOMB: No.

6 DR. CARA: Okay.

7 DR. WHITCOMB: We've looked in other
8 studies down to 18, but in this particular
9 study --

10 DR. CARA: Okay. And in association
11 with the changes in intravascular fluids and
12 the issues regarding hemodilution, did you see
13 any changes in electrolytes, specifically
14 sodium concentrations?

15 DR. WHITCOMB: No. We have never --
16 that's a very good question because that kind
17 of gets at the fluid issue. We have never seen
18 hypolytremia or significant changes in sodium
19 in any of the studies we have looked at.

20 DR. BONE: All right. Other panel
21 questions? Dr. Critchlow, and then I'll have
22 one quick question.

1 DR. CRITCHLOW: Just one. Of the
2 patients that came off insulin, were they able
3 to stay off?

4 DR. WHITCOMB: Yes.

5 DR. BONE: My question --

6 DR. WHITCOMB: We're continuing to
7 follow them along.

8 DR. BONE: I thought you told Dr.
9 Sherwin that a few patients went back on.

10 DR. WHITCOMB: Yeah. I should say,
11 the people at the end of this study that were
12 off -- I think I misunderstood that. During
13 the course of the study, a few more came off
14 and then went back on to optimize their
15 control. But those that were off at the end
16 remained off beyond the six-month period.

17 DR. BONE: You mentioned a special
18 study looking at red cell production and so on
19 with regard to this drop in the hemoglobin. It
20 was a fairly small study. And I just guess my
21 question, and perhaps you are going to ask Dr.
22 Finch to answer it, is was the study sufficient

1 in size to pick up a subtle effect.

2 DR. WHITCOMB: I would -- either Dr.
3 Vassos from clinical pharmacology or --

4 DR. BONE: Whoever can address that.

5 DR. WHITCOMB: Tim Vassos.

6 DR. VASSOS: Yes. You are correct
7 that the study size was small -- patients.
8 What we were primarily interested in in that
9 study was to exclude potentially clinically
10 significant changes in erythrocytes, synthetic
11 perturbation, or hemolysis.

12 So, therefore, the study could not
13 reasonably be powered to look at these very
14 subtle changes. It would have taken many
15 hundreds of patients looking with formal
16 chromium labeled red cell masses to do that.
17 And so for that reason we were looking for
18 these major clinically significant changes.

19 DR. BONE: I see. So the finding of
20 no abnormality in that study doesn't really
21 address the small drop at all.

22 DR. VASSOS: It addresses the small

1 drop in that the totality of all of the data
2 that was looked at, which not only included the
3 formal red cell mass but also evaluation of
4 reticulocyte count, erythropoietin levels, and
5 also soluble transferrin receptors as a
6 non-invasive means of assessing the developing
7 red cell mass in the marrow, all of those were
8 unaffected.

9 So whereas we didn't have a power due
10 to patient number, all of the parameters were
11 tending in the same direction.

12 DR. BONE: Thank you. Well, thank
13 you very much. We are doing fairly well, a
14 little behind where we planned to be on the
15 schedule, but those are always optimistic. I
16 have 10:58. Why don't we take our break until
17 11:10 and start the FDA presentation at that
18 time.

19 (Recess)

20 DR. BONE: The FDA presentation of
21 issues will be introduced by Dr. Fleming. This
22 will be followed by a presentation regarding

1 pre-clinical toxicology. It looks to me like
2 we have a few people who have not yet
3 re-assembled, but I think all the --

4 (Pause)

5 DR. BONE: Apparently we are
6 adjusting the technological marvel.

7 (Pause)

8 DR. BONE: It is with great pleasure
9 that I introduce Dr. Alexander Fleming, who
10 will open the discussion from the Food and Drug
11 Administration.

12 DR. FLEMING: Thank you, Mr.
13 Chairman. And I want to thank Parke Davis for
14 coming to the FDA's rescue. We're having some
15 technical problems, and they are currently
16 putting my presentation into their system, and
17 it ought to be going in just a moment. I hope
18 it won't be altered.

19 (Laughter)

20 DR. FLEMING: But that would be fair,
21 perhaps. And while we are getting started --

22 DR. BONE: The approval letter will

1 be coming right out of the projection.

2 (Laughter)

3 DR. FLEMING: The FDA presentation
4 will be brief and focused more on
5 interpretation than adding any additional
6 information.

7 Before I get started, though, let me
8 just say that it is necessary and good that we
9 function as a team in the evaluation of this
10 drug within our division.

11 And I want to acknowledge the work of
12 the primary reviewers on this team: Dr. Mike
13 Fossler, the bio-pharmacist, Mike Johnson,
14 our CSO, Bob Misbin, our expert medical
15 officer, Baldeo Tangea, our biostatistician,
16 and very importantly Dr. Herman Rhee, who is
17 our pharmacologist and has spent a great deal
18 of effort and time over the years in evaluating
19 drugs in this particular pharmacologic class.
20 And so the presentation that Dr. Steigerwalt
21 and I will make stands on the shoulders of
22 these team members.

1 How are we doing? All right. We're
2 here, good. And if we could just go to the
3 next slide.

4 (Slide)

5 I want to give you an outline of the
6 talk that Dr. Steigerwalt and I will give.
7 First of all, just a few words about
8 developmental strategy. It is clear that this
9 is not the typical approach to developing the
10 drug for a chronic disorder. We are, after
11 all, beginning with the evaluation of a drug's
12 benefit in a high risk subgroup of the
13 ultimately intended larger population of Type
14 II diabetics.

15 But there is nothing wrong with this.
16 In fact, I think it shows flexibility on the
17 part of the agency and earnestness in working
18 with industry to get drugs that are desperately
19 needed to those who need them.

20 We'll be covering a few aspects of
21 animal toxicology or toxicity, actually. We
22 will not really add any new information, but we

1 will have some comments to add to those that
2 have already been made.

3 I'll talk a bit about study design
4 and the population for which the drug is
5 intended, and will then talk about efficacy and
6 some selected safety concerns.

7 Next slide, please. Well, in fact,
8 let's turn it off for a moment. I'd like to
9 have Dr. Ron Steigerwalt come up and make some
10 remarks about toxicology.

11 DR. STEIGERWALT: Thank you, Dr.
12 Fleming. I'd also like to thank the members of
13 the review team, and particularly Dr. Rhee who
14 went through a lot of data submitted by the
15 company to provide me with this information for
16 this presentation.

17 Basically, the three major
18 pre-clinical findings have already been
19 discussed by the sponsor, and they include
20 cardiac enlargement, changes in fluid
21 distribution, and the carcinogenicity issue.

22 Regarding the cardiac enlargement,

1 this was characterized by an increase in heart
2 weight in the toxicology studies in both mice
3 and rats and was explained as being primarily
4 due to fluid accumulation in the heart muscle.

5 There was relatively little if any
6 histopathology observed, even when the heart
7 weights increased up to 60 percent greater than
8 control animals, in the mice and the rats.
9 This also tended to occur at the high dose, as
10 the sponsor explained earlier. And as I said,
11 the cardiac enlargement was detected in both
12 mice and rats, and it was a very consistent
13 finding in these studies.

14 An interesting fact is that there was
15 a two week mouse study performed, and it was
16 found to be a reversible effect, although we
17 did not get any data on longer term studies to
18 determine the reversibility in longer term
19 studies. And I would like to add to that in
20 that a one year study in monkeys, there was no
21 change in blood pressure, electrocardiogram or
22 echocardiograms at three to five times the dose

1 of the expected human exposure at 400
2 milligrams -- at the 400 milligram dose.

3 I would like to add that doses higher
4 than this were not tested. So this provides a
5 safety margin of three to five times the human
6 exposure, but we don't know what happens at
7 higher doses in monkeys. There was also a
8 slight decrease in hematocrit hemoglobin and
9 RBC counts in the monkey study.

10 Regarding the changes in fluid
11 distribution, in addition to the fluid
12 accumulation in the heart muscle, there was a
13 rat study that demonstrated that there was an
14 increase in plasma volume with consequent
15 hemodilution. This was also shown in a human
16 study, as was just explained by the sponsor.
17 It is not clear if the heart effect and the
18 plasma volume effect are related by the same
19 type of mechanism.

20 Changes in fluid distribution as a
21 general term is a very consistent
22 characteristic of members of this class of

1 drug. They may not have all of the same exact
2 effects, but they all have some kind of fluid
3 distribution changes.

4 And regarding the carcinogenicity
5 studies, as standard operating procedure of the
6 FDA, all carcinogenicity studies are taken to
7 the carcinogenicity assessment committee of
8 Cedar. And this is currently under evaluation
9 by the committee, so I can't make a definite
10 FDA statement on the carcinogenicity findings.
11 But I can say personally as a reviewer that the
12 findings that the sponsor has reported appear
13 to be accurate representation, and these are in
14 the draft labeling providing with their
15 handout.

16 So therefore, we apparently agree on
17 the same types of toxicity issues, and the
18 sponsor has been working on these in the
19 clinical studies as well.

20 Thank you.

21 DR. FLEMING: Thank you, Ron. Now, I
22 would like to just follow with a few remarks

1 about the animal toxicity that has been
2 reported. And obviously, I go out on a limb to
3 do this because this is not my area of
4 expertise. But I will do what we are asking
5 the committee members to do, and that is to
6 evaluate the results of these toxicity studies
7 and to make some kind of calculation about
8 their significance to use of the drug in
9 humans.

10 First of all, the issue about the
11 increased heart weight. Now, that was seen in
12 mice and female rats. I think the finding that
13 -- or the observation that it occurs in female
14 rats perhaps gives some insight here, that is,
15 that female rats are more sensitive probably
16 because of metabolic differences, or rather how
17 the drug is metabolized.

18 Apparently, or for some reason, rats
19 have a much greater AUC exposure than males,
20 and therefore this could explain the
21 observation of the gender difference.

22 Again, I call to your attention that

1 this effect has -- this kind of effect on heart
2 weight has been observed in related compounds
3 in the chemical class. We don't want to make
4 too much of a big deal about this because after
5 all, these are data that are not available to
6 you to evaluate. And we feel that the company
7 has gone to every effort to properly evaluate
8 the drug in their own right.

9 I think it is also interesting that
10 we do, as was said, have the observation that
11 ACE inhibition actually was used to prevent the
12 development of this finding, though it was
13 resistant to furosemide diuresis. And so the
14 inference might be that there is a more
15 specific mechanism involved here. But we
16 really can't say much more.

17 Now, to go back to, I think, a
18 question that came up from one of our members,
19 could this be a cause or a consequence of
20 another problem that is, to my way of thinking,
21 the fluid distribution issue. Let's go on to
22 the next slide.

1 (Slide)

2 Now, we know that fluid balance is
3 altered across body compartments to some
4 extent. We have evidence going all the way
5 from our animal models to humans themselves.
6 As was I think made clear early in the
7 discussion, there was no fluid noted in the
8 standard long term toxicology studies in rats.
9 But in the carcinogenicity studies, it was
10 observed that fluid accumulated in the thoracic
11 cavity and subcutaneous tissues.

12 Now, I would concur that a very
13 plausible explanation is that these rats were
14 moribund for other reasons and therefore
15 accumulated fluid as a consequence of that
16 process. So we can't make too much about the
17 findings in the carcinogenicity study. But we
18 should not completely dismiss them.

19 Again, similar findings have been
20 found in related compounds in this category,
21 that is, accumulation of fluid in various body
22 cavities as well as sub-cutaneous tissue. I

1 think it is very interesting that we have
2 evidence for the increase in blood volume in
3 both rats and humans. And I do believe that
4 this probably explains the small but
5 significant decline in hematocrit that was
6 discussed earlier.

7 But again, the question is raised,
8 what is the significance of this alteration in
9 fluid balance. I come back again to the
10 cardiac findings. And there were findings
11 besides just the effect on heart weight, as you
12 know. Again, these were changes that were
13 observed in the carcinogenicity studies.
14 However, we can't entirely dismiss them as
15 being explained by the moribund process that
16 was adduced earlier.

17 I do think that if there is some kind
18 of fundamental alteration in the metabolism or
19 the transport of fluids, that this could be
20 significant over the long term. We have no
21 clinical signals as of yet, but simply we need
22 to keep in mind the potential that ultimately

1 you could explain the cardiac findings in
2 animals by some kind of fundamental effect in
3 the control of fluid distribution across
4 tissues.

5 Next slide.

6 (Slide)

7 We come back again to the tumor
8 issue. I think it is interesting that females
9 seem to be more sensitive here, though I am not
10 sure that it is explained by drug metabolic
11 differences in mice, which don't seem to be as
12 pronounced as they are in rats. It was
13 mentioned that the female mice also showed
14 hepatocellular carcinoma. This appears to be a
15 fairly insignificant finding peculiar to the
16 species itself.

17 I think we should also consider this
18 fact, that the drug is concentrated in the
19 liver to a very large extent, far exceeding any
20 other tissue, that is, 30 times the
21 concentration in plasma in rats. We do not
22 have, as far as I know, data from distribution

1 studies in animals, particularly primates,
2 which would be obviously much more relevant to
3 humans.

4 But at least in rats we have reason
5 to be concerned about what might happen
6 ultimately in liver, a target tissue. And
7 perhaps we would be somewhat reassured if we
8 had data in primates that showed a much lower
9 concentration.

10 Next slide.

11 (Slide)

12 Here we just point a few
13 miscellaneous and possibly relevant findings.
14 Female rats do appear to be more sensitive to
15 effects on the heart and liver weights, which
16 also increase with high dose exposure, and with
17 respect to fluid changes. I think this again
18 may be explained by drug metabolism differences
19 between male and female rats. Female rats do
20 appear to be more sensitive to the tumorigenic
21 effect, and that may or may not be related to
22 metabolic differences.

1 But clearly the human drug metabolism
2 is much different from rodents, and that may be
3 of some reassurance.

4 Next slide.

5 (Slide)

6 Now, I'd like to just briefly go over
7 some of the pivotal study design issues which
8 we'll perhaps go into more detail later this
9 afternoon, but just to set the stage for that.

10 First of all, I think the definition
11 of the patient population is critical,
12 particularly for purposes of labeling. I do
13 believe that the company has taken a reasonable
14 approach in defining the patient population as
15 they have. And I will not go any further now
16 on this particular issue, but I hope we'll come
17 back to it in the afternoon.

18 Now, you could have said why didn't
19 you, instead of just documenting failure of
20 patients on sulfonyleurea therapy, actually put
21 them through a pre-treatment period on
22 sulfonyleurea treatment to see if they might,

1 even though after failing previously, might
2 respond again to sulfonylurea therapy. In
3 fact, they might have even added an arm of
4 sulfonylurea therapy just to see what the
5 incremental benefit of the troglitazone is
6 compared with that of conventional oral
7 therapy.

8 Well, I think perhaps we would like
9 to have some kind of reassurance that these
10 patients would not have responded pretty well
11 to sulfonylurea therapy. As a clinician, I am
12 very doubtful that there would have been a
13 significant response. I do think it is
14 probably adequate that we have documentation
15 that these patients had at one time previously
16 responded -- had failed to respond to
17 sulfonylurea therapy.

18 Now, metformin is another issue, or
19 course. As was mentioned, the drug was
20 approved about the time these studies were
21 being developed. But we, of course, do not
22 have any comparative data, nor are we required

1 to. Let's be plain about that. The company is
2 not obligated to make comparisons with other
3 therapies.

4 Now, the final issue -- or first of
5 all, the basis of dose selection is something
6 that we will definitely be speaking in more
7 detail about this afternoon, and is of course
8 very important.

9 The final issue is the question of
10 the clinical significance of reducing exogenous
11 insulin therapy and using that as part of the
12 primary efficacy endpoint. In my way of
13 thinking, the approach of the company in their
14 second pivotal study was actually right on. It
15 pretty much mimics sort of the real world
16 approach of clinicians. They are not going to
17 be in a pure sense treating just hemoglobin A1c
18 levels or aiming to improve glycemic control,
19 but they will at the same time be hoping to
20 reduce the amount of exogenous insulin therapy
21 required.

22 So I think that the categorical