

1 wouldn't impact.

2           What would impact and did impact in various  
3 parts of the world was the introduction of CT, an  
4 increasingly high-resolution CT scanning during that  
5 period of time.

6           That was as much true in the U.K. as it was in  
7 the U.S., and of course leads to the earlier diagnosis  
8 of disease-free survival -- the early diagnosis of  
9 relapse and therefore identifying when patients become  
10 sensitive to disease-free survival.

11           I think that that is one of the reasons why I  
12 have been so convinced by what I've seen so far. Because  
13 the surrogate market, disease-free survival, can change  
14 with your assessment of what is disease-free, and that  
15 is technology dependent and technology driven. The one  
16 thing that doesn't change and isn't technology driven is  
17 whether you are alive or dead and whether you survive or  
18 don't.

19           Thank you.

20           DR. MORTIMER: Okay. My second question is  
21 either to the Sponsor or to FDA reflected toxicity. I  
22 think the FDA said that there was no difference in the

1 four arms around electrolyte imbalance.

2 I just wondered if as a signal of activity of  
3 this agent there is a higher incidence of ototoxicity,  
4 and was that true across both arms, both experimental  
5 arms, that they were the same, or is there a difference  
6 in the B arm with the addition of MTP?

7 DR. DINNDORF: I think Dr. Meyers addressed  
8 that in his initial presentation, that there is a  
9 difference in the -- or maybe it was Dr. Kleinerman,  
10 there was a difference.

11 (PowerPoint presentation is in progress.)

12 DR. MEYERS: I think that in my opinion this  
13 is clearly, the ototoxicity observed in this trial was  
14 clearly the result of cisplatin. Because of the  
15 protocol design, remember patients in -- it's actually  
16 the next slide -- Regimen B did not receive cisplatin  
17 during induction.

18 Patients in Regimen B received all of their  
19 cisplatin during maintenance. Patients in Regimen A  
20 received two of their doses of cisplatin during  
21 induction and two during maintenance.

22 The opportunity for an interaction between

1 cisplatin and MTP was clearly more marked in Regimen B,  
2 but the excess of ototoxicity was observed in Regimen A-  
3 plus, which leads me to believe that what we are seeing  
4 is a random fluctuation.

5 DR. DINNDORF: I mean, I think that it most  
6 likely appears to be a random fluctuation as well from  
7 my evaluation.

8 CHAIRPERSON HUSSAIN: Dr. Adamson.

9 DR. ADAMSON: I'm trying to get a handle on  
10 what are obviously very disparate conclusions on what I  
11 would say are essentially the same set of data. I fully  
12 understand and appreciate the analysis that the FDA has  
13 done in trying to drill down on this data.

14 The question I will pose first to Dr.  
15 Blumenstein and then to Dr. Lu. A 2005 publication came  
16 out that said there was an interaction using disease-  
17 free survival as a primary endpoint. I think the  
18 fundamental differences rest upon is there an  
19 interaction, or isn't there an interaction? I don't  
20 think I understand what the right answer is.

21 My question to Dr. Blumenstein, was the 2005  
22 published analysis correct? What has changed since

1 2005, or do we still have an interaction at the disease-  
2 free level or do we not have an interaction at the  
3 disease-free level? And that's okay.

4 Then, the question is to the FDA, do we have  
5 an interaction at the overall survival level, yes or no?

6 The questions, again, disease-free  
7 interaction analysis in 2005 that was published, is it  
8 correct? Does the disease-free interaction still  
9 exist?

10 Then, to the FDA, is there an interaction at  
11 the overall survival level? DR. D'AGOSTINO: I just want

12 to jump in

13 here. I did ask that question. I asked the question  
14 about the interaction, because I had the same question  
15 you do. I'm glad you circled back to it.

16 DR. MILLS: Thank you. I think it's  
17 important to start by pointing out that the 2005  
18 publication is not the same dataset as used for the  
19 NDA submission. In that publication, patients with  
20 unresectable disease declared at study entry were  
21 included, and only patients with metastatic disease  
22 were excluded from that analysis. In addition the

1 endpoint used in that  
2 analysis was event-free survival rather than the  
3 disease-free survival endpoint that is specified in  
4 the protocol. I would like to ask Dr. Blumenstein maybe  
5 to  
6 comment further on your question about the  
7 quantitative versus qualitative interaction.

8 DR. BLUMENTHAL: There is an interaction in  
9 the 2003 intent to treat analysis, and that was  
10 indicated in the slide that I showed which shows that  
11 the test for the interaction term's P value is .06, but  
12 this interaction is quantitative not qualitative.

13 I think that our interpretation of the data is  
14 that this quantitative interaction does not interfere  
15 with the interpretation of the marginal test of the MTP  
16 effect.

17 The FDA's approach was to analyze the study as  
18 four arms where they regarded the A-minus arm as being  
19 the control arm and then proceeded from there.

20 With respect to the survival, there is no  
21 interaction in survival. We have done that test and  
22 that's true for both the 2003 and the 2006 datasets.

1 DR. ADAMSON: Can you clarify for me in the  
2 2005 publication, and I understand the difference  
3 between "event-free" and "disease-free," it very clearly  
4 stated that if there is an interaction, you can't pool  
5 the analysis? Is that correct or no?

6 DR. BLUMENSTEIN: Well, if there is a  
7 qualitative interaction, then it becomes very difficult  
8 to pool across the other factor because the  
9 interpretation is that the MTP effect is in the opposite  
10 direction depending on which chemotherapy arm is being  
11 looked at.

12 If there is a quantitative interaction, then  
13 you can pool as long as you understand what you are  
14 looking at is the average effect across the chemotherapy  
15 arms.

16 Now, I would like Dr. Meyers to present the  
17 2005 publication. I wasn't involved in that.

18 CHAIRPERSON HUSSAIN: Dr. Meyers, if you don't  
19 mind, be very brief because we have a lot of questions.

20 DR. MEYERS: Well, the answer is I don't know  
21 whether we had a quantitative or a qualitative  
22 interaction. We made that conclusion, but our feelings

1 about the data and the analyses have changed  
2 dramatically based on the increased followup data  
3 available and the re-analysis.

4 I will just tell you that in the COG analysis,  
5 which is an EFS-based analysis on a different group of  
6 patients, there is no interaction by conventional  
7 testing, qualitative or quantitative, for EFS in the  
8 2006 dataset.

9 CHAIRPERSON HUSSAIN: Dr. Lu, do you want to  
10 respond to the same question?

11 DR. LU: For overall survival, we don't  
12 observe obvious treatment by regimen interaction, but in  
13 DFS we do. Even putting aside the overall survival,  
14 even when say there is no obvious treatment by regimen  
15 interaction for overall survival, as we stated, the  
16 inadequate followup for overall survival made it  
17 impossible to perform any meaningful analysis.

18 CHAIRPERSON HUSSAIN: Can you please clarify  
19 for those of us who are not statistically wise, the  
20 comments that Dr. Blumenstein made about "quantitative"  
21 and "qualitative," do you agree with that statement,  
22 that there is a qualitative; correct?

1                   What did he say?

2                   DR. BLUMENSTEIN:   (No microphone)

3   Quantitative.

4                   CHAIRPERSON HUSSAIN:   Quantitative.   That  
5   there is a quantitative not a qualitative, and therefore  
6   it is okay to pool.

7                   DR. LU:   I don't totally agree with that  
8   because basically there is one for A-plus versus A.  
9   There is no effect in that comparison for MTP, so no  
10   effect versus effect to me it is a qualitative  
11   interaction.

12                   CHAIRPERSON HUSSAIN:   Dr. Helman.

13                   DR. HELMAN:   I have two questions actually.  
14   The first question is pretty trivial.   I'm curious why a  
15   chondroblastic osteosarcoma was excluded from your  
16   dataset.   I don't understand that at all.

17                   My second question is to IDM.   Given I gather  
18   that the study closed for accrual in November 1997 and  
19   it was published in March 2005, I gather somewhere  
20   between the last ten years there have been discussions  
21   between IDM and the FDA.

22                   I was curious if there was ever any



1 discussions to at least clarify some of these issues  
2 with additional clinical studies, or if there are any  
3 additional clinical studies that are currently proposed?

4 Go to the FDA to answer the first one.

5 DR. DINNDORF: Based on my reading of the  
6 inclusion and exclusion criteria listed in the  
7 protocol, it seemed to be excluded. It doesn't make a  
8 difference in the overall analysis whether you included  
9 this.

10 DR. MILLS: IDM didn't acquire the Jenner  
11 assets until 2003, which is the first time we had access  
12 to the data or any information about this product.

13 At that time the product was no longer  
14 available for investigational use. We had to reinitiate  
15 all of the manufacturing, the contract manufacturers,  
16 and produce several lots of product and demonstrate  
17 comparability, so it was not until last year that we had  
18 actually had product available for investigational use.

19 We did initiate a study recently in patients  
20 with metastatic disease. There are to date no patients  
21 enrolled on that study. It is open currently at a  
22 single site, but there are plans to expand it probably

1 after we modify it. About a dozen patients have been  
2 screened for that study, and so far none have been  
3 eligible, so all eleven have been treated under  
4 compassionate use.

5 CHAIRPERSON HUSSAIN: Is this a randomized  
6 trial, or a single arm?

7 DR. MILLS: It is a small randomized trial on  
8 patients with relapse disease.

9 CHAIRPERSON HUSSAIN: Thank you.

10 Dr. Perry.

11 DR. PERRY: I have a comment and then a  
12 question for the Sponsor. In the 2005 article, I quote:  
13 "We consider that Regimen A-minus -- cisplatin,  
14 doxorubicin, high-dose methotrexate -- without MTP the  
15 standard arm of the trial."

16 As far as I was concerned, that regimen was  
17 the standard arm. Why then did MTP not add any benefit  
18 to the standard arm of the trial?

19 DR. MILLS: Well, I think it is important to  
20 remember that the study was not powered to look at the  
21 individual arms, but I would like to ask Dr. Meyers to  
22 comment on that.

1 DR. MEYERS: I think what I would like, first  
2 of all, is to get forgiveness for having said that in  
3 the paper, because I don't believe that it's true.

4 (General laughter.)

5 DR. MEYERS: It's my paper.

6 DR. PERRY: Yes. I'm quoting you.

7 DR. MEYERS: I'm disavowing it. The second  
8 answer is, first of all, the study was never powered to  
9 look at differences between arms. It was powered for  
10 the factorial analysis.

11 Secondly, I think that the appropriate  
12 comparison is, in fact, the pooled analysis comparison  
13 which shows the difference.

14 Thirdly, I think we have mentioned that there  
15 are significant ascertainment issues which may have  
16 resulted in differences in the timing at which  
17 recurrence was detected, and those issues are completely  
18 obviated in the overall survival analysis which shows a  
19 clear benefit for both arms with no sign of interaction.

20 The final point that I would make is that  
21 there does appear by chance to be a randomly increased  
22 frequency of inferior necrosis in Regimen A-plus, that

1 is, the patients who were randomized to received  
2 chemotherapy with three drugs and then to receive MTP  
3 in maintenance.

4 By chance, there was a higher proportion of  
5 those patients who had inferior necrosis at the time  
6 they entered maintenance, which may explain the reason  
7 that we did not detect the enhanced DFS in Regimen A-  
8 plus.

9 DR. PERRY: Well, if I understood you  
10 correctly in your answer to Dr. Hussain earlier, you  
11 considered this three drugs to be the standard of  
12 therapy for 2007.

13 If this drug were approved, I would then  
14 assume that you would be adding MTP to the three drug  
15 regimen that you just discussed. I would find it hard  
16 then to believe that you would have any confidence in  
17 adding the drug to something that had been proven by  
18 your own study to be inferior.

19 DR. MEYERS: I'm not sure that I can  
20 understand your characterization that we proved  
21 something to be inferior.

22 DR. PERRY: Well, A-minus MTP didn't add

1 anything to Regimen A.

2 DR. MEYERS: Again, the study was not powered  
3 for that. You are looking at DFS data in isolation  
4 without taking into account the overall survival data  
5 which obviates the question of ascertainment bias. On  
6 balance, there was a benefit for both of them.

7 If this failure to detect signal in A-plus was  
8 due to a random excess of inferior necrosis in Regimen  
9 A-plus, then it's very likely that there was benefit  
10 with both chemotherapy regimens.

11 Again, I must take issue with the  
12 characterization of three-drug chemotherapy as standard  
13 of care. We have not established a standard of care for  
14 this disease. We are in the process constantly of  
15 trying to do so.

16 CHAIRPERSON HUSSAIN: Dr. Reaman.

17 DR. REAMAN: Dr. Reaman, I have a couple of  
18 questions. I guess, first, if the study wasn't powered  
19 sufficiently to address the issue that was being  
20 questioned, I don't think it was powered also to address  
21 the issue of overall survival. How have you overcome  
22 that difficulty?

1 DR. MILLS: I would like to ask Dr.

2 Blumenstein to comment on that.

3 DR. BLUMENSTEIN: Well, we don't regard

4 overall survival as a secondary endpoint. We regard

5 overall survival in the same that one would if you were

6 under the accelerated approval paradigm, that is,

7 disease-free survival is a putative surrogate for

8 overall survival.

9 We wouldn't be here if we didn't really have

10 both endpoints positive. Under those circumstances,

11 there is no need to share alpha between them. There

12 have been some comments made about the lack of precision

13 in the specification of the survival analysis in the

14 protocol.

15 It's true that survival analysis wasn't

16 described, the method wasn't described, the timing

17 wasn't described and so forth. On the other hand, the

18 survival analysis was done the first time when we

19 received the 2003 dataset.

20 Mark Krailo confirms that there were no

21 previous survival analyses done because a number of

22 events were insufficient prior to that. What we are

1 featuring here is the 2003 survival analysis as an  
2 analysis of the reference endpoint for the putative  
3 surrogate endpoint of disease-free survival.

4 DR. REAMAN: I want to ask my second question,  
5 then, because I think you've addressed it. You didn't  
6 think there was an erosion in the alpha.

7 The other question is for the Sponsor, and  
8 that relates to the dose, the recommended dose of MTP  
9 should it be approved. Given that there was an  
10 escalation in the trial or a proposed escalation, it's  
11 not clear to me if it's going to be 2 milligrams, 2  
12 milligrams plus 1, 2 milligrams plus 2.

13 Is there any information that the Sponsor can  
14 provide as to what the recommended dose of this agent  
15 would be and in combination with what chemotherapy?

16 DR. MILLS: In answer to the first question, I  
17 think it is important to note that only 28 patients in  
18 the ITT group actually had those escalations. Very few  
19 patients actually had those escalations, indicating that  
20 most patients do demonstrate one of the biological  
21 effects at the 2 milligrams per meter square dose.

22 Currently, in the submission, the Sponsor has

1 recommended dosing to be according to the dosing in the  
2 Phase III study, that is, a dose-escalation schema based  
3 on demonstration of biological effects as described in  
4 that protocol.

5 Your second question?

6 DR. REAMAN: With what chemotherapy?

7 DR. MILLS: With combination chemotherapy.

8 DR. REAMAN: With combination?

9 DR. MILLS: We have not specified, and we are  
10 specifying with multiagent chemotherapy.

11 CHAIRPERSON HUSSAIN: Can I ask you question,  
12 please? Because I don't treat sarcomas, but I look at  
13 the curves there and it seems to me the three drugs do  
14 better than the ifosfamide side. The ifosfamide side  
15 without MTP seems to cause worse survival.

16 How can one put on a package insert whatever  
17 three-drug choice the doctor has when you can see that  
18 there is a huge difference between the outcomes?

19 DR. MILLS: Well, I disagree that there is a  
20 huge difference between the outcomes. Again, most of  
21 these differences are not significant, and the study was  
22 not powered for this endpoint. I think which drugs that



1 would be used in the labeling would be in negotiation  
2 with the FDA when we got to that step.

3 CHAIRPERSON HUSSAIN: Dr. Mills, I would like  
4 to just for the record take issue with that comment,  
5 because I cannot believe that if you were the advising  
6 physician for patients in the clinic that you would look  
7 at the ifosfamide arm and say that that ifosfamide arm  
8 without the MTP is actually an acceptable arm.

9 DR. MILLS: Well, I'm not a treating  
10 physician, so I would like to ask Dr. Kleinerman to  
11 comment on that, please.

12 DR. KLEINERMAN: Okay. Let me just emphasize  
13 that there really is not a standard of care for  
14 treatment of osteosarcoma. For example, in our adult  
15 physicians at MD Anderson do use ifosfamide up front, so  
16 they would use a four-drug regimen.

17 I would recommend using MTP with either three  
18 drugs or four drugs based on the overall survival.  
19 Because it doesn't matter whether you use three drugs or  
20 four drugs, your overall survival is going to reach  
21 approximately 80 percent, which in the end is what you  
22 want to see.

1           You want to have a live patient at the end of  
2 eight years or ten years, so I don't think it really  
3 matters clinically whether you use three drugs or four  
4 drugs.

5           Also, the way you give the drugs and the time  
6 you give the drugs is physician-dependent as well. I  
7 don't think we know the best way to give MTP with  
8 combination chemotherapy, and I think more investigation  
9 is needed to decide what the best timing is. But if we  
10 don't have the drug, we can't study it.

11           CHAIRPERSON HUSSAIN: Dr. Harrington.

12           DR. HARRINGTON: Thank you. This is clearly a  
13 study which seems quite sensitive to how it is analyzed  
14 and so it opens up lots of questions about the  
15 background data, three datasets versus one dataset,  
16 interaction versus pooling. For me I think some of it  
17 hinges on the quality of the background data. There are  
18 three questions that I have there either for Dr. Krailo  
19 or for the FDA or for the Sponsor, whoever can answer it  
20 best.

21           There were 46 versus 14 removals from the MTP  
22 arm versus the non-MTP arms pooled for patient

1 preference. I would like to know if anybody has the  
2 data on what happened with those patients, not why they  
3 were removed, but happened subsequent to removal?  
4 Because, in fact, they might have a very large effect on  
5 the analysis.

6 The second question is, Dr. Krailo explained  
7 the asynchronous nature of case report forms and  
8 electronic datasets. Presumably, with an asynchronous  
9 data flow, there are other case report forms or  
10 modifications to case report forms which provide  
11 validation for what is going on in the electronic  
12 dataset even those are coming in from other studies. I  
13 would like some comment on whether there is paper  
14 documentation for those differences.

15 Then, the third is the followup for survival.  
16 It has been raised already that there are a very large  
17 number of people, once children now adults, treated on  
18 this study for whom survival is not available, some  
19 going back more than seven years or so.

20 I would like to know about the efforts that  
21 have been made to try to update that survival and  
22 whether or not there are possibilities for selection

1 biases by arm in that.

2 DR. MILLS: Okay. I will take them, the ones  
3 I can address first, and then I will ask Dr. Krailo to  
4 come up and address his.

5 The 46 patients that were discontinued from  
6 therapy for voluntary withdrawal were described. I  
7 don't have a separate outcome of those. I can get that  
8 for you, if you would like to see it, later.

9 However, it is important to note that those  
10 patients who withdraw from therapy continue to be  
11 followed by the COG for events, so those patients would  
12 not be excluded from the pool of patients being followed  
13 for events, and I think that is very important to note.

14 Secondly, the followup for survival in the  
15 2006 dataset is, first of all, the same between study  
16 arms so that there is no selection in followup as was  
17 shown in the slide that Dr. Meyers showed. We will get  
18 it up here for you in a minute.

19 Secondly, looking at the numbers, we have  
20 focused in the survival followup on the first five years  
21 because this is the time when patients are most at risk  
22 for an event.

1           In the 2006 dataset, 95 percent of patients  
2   are accounted for. Here is the comparability of  
3   followup shown here.

4           (PowerPoint presentation is in progress.)

5           DR. MILLS: In the 2006 dataset, 95 percent of  
6   patients are accounted for at 3 years and more than 80  
7   percent at 5 years. COG made an effort, as you heard in  
8   2006, to collect additional followup for the Sponsor.

9           We are continuing to focus on the fewer than  
10   20 percent of patients for whom there is less than 5  
11   years still in the 2006 dataset, and efforts are ongoing  
12   by the Sponsor.

13           We are now in direct contact with those sites  
14   where those patients were from to try to gain the  
15   additional followup for those. I think it's about 18  
16   percent.

17           DR. HARRINGTON: I will ask a followup  
18   question to that then. Is there any evidence or  
19   intuition about the possibility of late side-effects  
20   from MTP that may not be picked up with the lack of  
21   long-term followup on these kids?

22           DR. MILLS: Well, I think there is fairly

1 long-term followup. I would like to ask Dr. Kleinerman.

2 There is no evidence of any long-term effects. She  
3 certainly knows more about it than I do

4 DR. KLEINERMAN: Okay, so the Phase I study  
5 was initiated in 1986, and then I did a subsequent Phase  
6 II study. There are patients that we have 10 and 12  
7 years out, and we have no seen no late effects.

8 As far as we know from the patients that I  
9 followed in my Phase II study, we can't see any late  
10 effects in terms of second malignancies, hearing loss --  
11 not hearing loss, mental defects, any of the typical  
12 things that we follow in pediatric oncology.

13 DR. MILLS: Maybe Dr. Krailo can comment on  
14 your question about data.

15 DR. KRAILO: I'll talk about the issues, two  
16 issues, that I think that are relevant here. One is a  
17 CRF that would appear in a chart that don't appear to be  
18 represented in a database, those are data that don't  
19 pass quality checks.

20 Also, the CRFs that would come to be reviewed  
21 would be reviewed by a data technician who if she  
22 identified what looked like an unreported event, this

1 case would go on a query list that we would actively  
2 followup to insure that the institution has submitted  
3 all its requisite data for that study.

4 In a few cases, there was followup that was  
5 taken from other datasets, if the patient had recurred  
6 and then gone on to another COG study that required  
7 followup so that their followup was submitted on case  
8 report forms for that second study.

9 We would use the quality checks for those data  
10 to update survival data, and we would then fill in our  
11 electronic dataset with those followup data after they  
12 passed the quality checks for the overall patient  
13 history within their first study and within their second  
14 study.

15 DR. HARRINGTON: Were those second case report  
16 forms not made available to the FDA in their review of  
17 case report forms for survival data?

18 DR. KRAILO: They are not part of our patient  
19 charts for those records, that is true.

20 DR. HARRINGTON: When there is a query  
21 process, does that not get documented in the patient  
22 charts?

1 DR. KRAILO: It does not get documented in the  
2 CRF chart we have. We keep a separate data manager log  
3 book. Most of it had to deal with the database system  
4 we were using and how it presented records aggregate,  
5 aggregate reports across patient. It was just much less  
6 paper, much less filing to keep the log book of open  
7 queries separate from the individual patient charts.

8 DR. HARRINGTON: Did the FDA ask for these  
9 additional records and see them?

10 DR. KEEGAN: The FDA asked for updated  
11 datasets in February or late January or February, and we  
12 received a dataset with no supporting documentation in  
13 April. We have not had an opportunity to discuss this  
14 further.

15 CHAIRPERSON HUSSAIN: Can I just ask the same  
16 question here? Is there a plan to get those records and  
17 then for you to review them?

18 DR. KEEGAN: For the 2006 dataset, we have not  
19 made a firm plan as to whether or not we are going to  
20 request additional information. I think we wanted to  
21 hear the comments of the Committee first.

22 CHAIRPERSON HUSSAIN: I take it that means



1 that in your opinion the forms will not change your  
2 assessment, whatever you presented?

3 DR. KEEGAN: It won't change our assessment of  
4 disease-free survival, which was the primary study  
5 endpoint.

6 CHAIRPERSON HUSSAIN: Dr. Adamson.

7 DR. ADAMSON: Well, I want to come back to the  
8 overall survival because I think we have some common  
9 ground between the analyses and some outstanding issues.  
10 You have 80 percent followup at 5 years.

11 Dr. Dinndorf, you mentioned that there were 26  
12 patients who we can predict probably would impact on  
13 survival.

14 Am I to understand that those events,  
15 therefore, are not in the analysis? But if they were,  
16 since I think it was 16 versus 10, how would that impact  
17 the overall survival results? Has that kind of analysis  
18 been done? Or, maybe Dr. Lu can answer that.

19 DR. DINNDORF: We haven't done that  
20 sensitivity analysis.

21 DR. MILLS: We have done that. We have done  
22 that sensitivity analysis. First of all, I want to

1 clarify. Actually, of those 26 patients, 11 were in the  
2 no-MTP group not 10; so, it was a slightly different  
3 number.

4 In the 2006 followup data, 12 of those  
5 patients have several additional years of followup, six  
6 in each, no-MTP and MTP arms. In the MTP arm, those six  
7 patients all are deaths, and those are all recorded as  
8 deaths in the 2006 dataset.

9 In the no-MTP arm, four of the six patients  
10 with several additional years of followup were still  
11 alive at the last contact, so it is probably not  
12 appropriate to assume that they all died.

13 We also did the sensitivity analysis assuming  
14 that those who were not accounted for in the 2006  
15 dataset were considered dead. Dr. Bekele can tell you  
16 the results fo that.

17 (PowerPoint presentation is in progress.)

18 DR. BEKELE: We did a very straightforward  
19 sensitivity analysis. We took the patients who were  
20 assumed to have evidence of disease and assumed that  
21 they died on the date of last contact, and then  
22 performed a stratified log-rank test as the per-

1 protocol methodology.

2 Our P value was .046 for the 2003 ITT dataset  
3 with a hazard ratio of .75, so it didn't affect the  
4 overall estimate. The 95 percent confidence interval  
5 for the hazard ratio was .55 to 1.01.

6 Now, when we did the same thing for the 2006  
7 dataset, the P value was .04 with a hazard ratio of .55  
8 to .98. Now, the reason why there is less effect in the  
9 2006 dataset as opposed to the 2003 dataset is because  
10 there were more events.

11 Some of those patients who had a vast evidence  
12 of disease had events and so there is less of a change,  
13 when you change the ones that didn't change, to having  
14 an event.

15 CHAIRPERSON HUSSAIN: Dr. D'Agostino.

16 DR. D'AGOSTINO: Some of the questions I  
17 wanted to raise have been addressed, but I do have a  
18 couple more questions.

19 On Slide 13 of Laura Lu's presentation, there  
20 are the four separate groups. This discussion, this is  
21 the disease-free survival, there is this discussion  
22 about the quantitative versus qualitative.

1           If you look at that graph, the differences  
2 between including the new treatment versus not are  
3 really driven by the B Group. I just would like the  
4 Sponsor to say one more time what are they talking about  
5 in terms of qualitative and quantitative when you look  
6 at that curve. This is Slide 13 of Dr. Lu's talk.

7           DR. KEEGAN: The statistics?

8           DR. D'AGOSTINO: The statistics, yes.

9           (PowerPoint presentation is in progress.)

10          DR. D'AGOSTINO: What makes the pooled  
11 analysis work is that you are pooling the upper two  
12 curves with the lower two curves. I guess you could say  
13 in terms of MTP that may be quantitative. But in terms  
14 of B it is, why not qualitative? I mean, B is what  
15 happens, how you group B drives the analysis quite a  
16 bit.

17          DR. MILLS: Dr. Blumenstein has actually also  
18 done tests for qualitative interaction on the  
19 chemotherapy as well as the MTP effect.

20          Dr. Blumenstein, would you like to comment on  
21 that?

22          DR. D'AGOSTINO: I mean, do you defy the data

1 that is sitting there?

2 DR. BLUMENSTEIN: Whether something is labeled  
3 as a qualitative or quantitative interaction depends on  
4 identifying one of the factors as what you are  
5 interested in and the other factor as being secondary to  
6 that.

7 DR. D'AGOSTINO: Well, that's what I'm saying.  
8 If you go to the MTP, you get that. If you go to the  
9 B, you don't get that.

10 DR. BLUMENSTEIN: If you go to looking at  
11 whether the interaction with respect to chemotherapy is  
12 quantitative or qualitative, it appears to be  
13 qualitative.

14 However, we did a statistical test, the  
15 maximum likelihood test, the Gail Simon test, and we  
16 failed to find evidence of a qualitative interaction  
17 even for the chemotherapy regimen.

18 Now, what that is really saying is that we  
19 have very low sensitivity I think to be able to detect  
20 these things. But I keep coming back to the idea that  
21 what we're talking about, the only time we're talking  
22 about interaction, is in the context of disease-free

1 survival, and these things just aren't present for  
2 survival.

3 DR. D'AGOSTINO: Well, it's just very hard to  
4 say A versus A-plus MTP. The other question I have is  
5 I'm just a simple statistician from Boston, and I just  
6 don't have the faintest idea of the followup on the  
7 overall survival.

8 The FDA is saying that more than 50 percent of  
9 the 530 patients alive at the last contact were lost to  
10 followup, and now I'm hearing that there is 80 percent  
11 followup for 5 years. Is the FDA wrong? Are they using  
12 old data or--?

13 DR. MILLS: The followup that I quoted 80  
14 percent are accounted for at 5 years is based on the  
15 2006 COG dataset.

16 DR. D'AGOSTINO: I mean, did you sensor? I  
17 mean, because I think part of the FDA problem is that  
18 you were sensoring observations or subjects when they  
19 sort of dropped out of the study. When you say 80  
20 percent followup at 5 years, you mean you know exactly  
21 what happened to those 80 percent, or that they answered  
22 the analysis?

1 DR. MILLS: No, that means we know exactly  
2 what happened to those patients at a date beyond five  
3 years.

4 DR. D'AGOSTINO: Thank you.

5 (PowerPoint presentation is in progress.)

6 DR. MILLS: Here actually are the numbers for  
7 you if you would like to see it from the 2006 dataset  
8 for the first five years for the MTP and no-MTP group.

9 Again, IDM is focusing on attempting to get  
10 the additional followup up to five years for any  
11 patients for whom we don't know what happened to them at  
12 the at least five-year time frame.

13 DR. D'AGOSTINO: You think the FDA's standard  
14 of 5 percent lost to followup is the only tolerable?

15 DR. MILLS: I would like to get Dr. Meyers to  
16 comment on that because of the fact that we are talking  
17 about pediatric patients who tend to live sixty or  
18 seventy more years.

19 DR. MEYERS: Well, I'm not sure that that's  
20 the reason, but I think that that standard is typically  
21 applied in short-term studies of diseases which have a  
22 very rapid failure rate.

1           We're talking about a disease where survival  
2   to five years without evidence of recurrence is  
3   tantamount to cure. I think in large-scale, cooperative  
4   group trials of children and young adults and 80 percent  
5   completeness of ascertainment, 5 years or more from  
6   diagnosis is excellent.

7           CHAIRPERSON HUSSAIN: Okay. We have four  
8   questions and about three minutes to go, so please make  
9   it brief because we are going to break at 10:30.

10           Dr. George.

11           DR. GEORGE: To make it brief, just cutting  
12   through all of the problems that we have been talking  
13   about here, I just want to clarify one particular point,  
14   and that is the disease-free survival results by the  
15   Sponsor, as summarized on page four of the slides, was  
16   that by their approach there was significant results:  
17   hazard ratio, .76; P value, .0245.

18           When the FDA used their dataset and did the  
19   same analysis that the Sponsor did, the hazard ratio was  
20   .78 with P value of .065. Is that correct? This is  
21   Slide 21. I'm assuming that the only difference there  
22   had to do with the dataset that was used.



1 DR. MILLS: I can't comment for FDA, but I  
2 don't believe they did the IDM analysis. They did an  
3 analysis based on the list of modifications that I  
4 described that they made to the 2003 COG dataset. We  
5 used the 2003 COG dataset as provided without  
6 modification.

7 DR. GEORGE: Is that correct? I mean, I'm  
8 just trying to get -- maybe I should ask the FDA, then.  
9 Your slide, Dr. Lu's number 21, your Slide 21 gave a P  
10 value of .065. The only difference there is what? It's  
11 exactly the same analysis that the Sponsor did but a  
12 different dataset; is that correct?

13 DR. ROTHMAN: Yes, that's correct. I don't  
14 need to do so now, but I would like to comment after  
15 you're done on the lost to followup on overall survival.

16 DR. GEORGE: I think I had a further comment  
17 about the followup, but that can wait for the  
18 open discussion.

19 DR. ROTHMAN: The analyses that were performed  
20 were for DFS and overall survival are time-to-event  
21 analysis. You can see from the Kaplan-Meier curves  
22 presented by the company for their 2003 overall survival

1 dataset that there are deaths occurring beyond five  
2 years. When you do a time-to-event analysis, it is  
3 important that you don't have a lot of lost to followup.

4 Now, if you're doing a landmark analysis,  
5 then it is important that you have followup up to that  
6 landmark; or, if you're doing a time-to-event analysis  
7 where you have a ceiling for the followup, then it is  
8 important to have followup up to that time.

9 The analysis that is performed by the Sponsor  
10 is a time-to-event analysis where it does not have a  
11 cap, the ceiling to the followup, and there are deaths  
12 that occur beyond five years.

13 CHAIRPERSON HUSSAIN: Dr. Meyers.

14 DR. MYERS: I hate to keep reliving this  
15 question, but I just have a quick question for Dr.  
16 Kleinerman. She basically indicated earlier that there  
17 wasn't a difference seen in the ifos-added arm to the  
18 MAP arm. Is there current research being done to look  
19 for a difference in improved survival?

20 Because if not, if there is nothing that shows  
21 that survival is actually better, why would you consider  
22 giving a patient an extra drug without improved survival

1 with clearly added risk?

2 DR. KLEINERMAN: Okay. There is no  
3 interaction at survival, okay, so four drugs, three  
4 drugs. Also, let me point out that the dose of  
5 ifosfamide that was used in the ITT trial was 9  
6 milligrams not 9 grams.

7 There are people who use high-dose ifosfamide.

8 At my institution they use high-dose ifosfamide. They  
9 claim that their results, the adult oncologists, they  
10 claim that their cure rates or their disease-free  
11 survival rates are better. Again, let me reiterate the  
12 problem is there is really no standard of care.

13 Another example at my institution is a lot of  
14 physicians give intra-arterial platinum as opposed to  
15 intravenous platinum, which can also change things; so,  
16 the practice depends on the practitioner.

17 Dr. Lewis, did you want to--?

18 CHAIRPERSON HUSSAIN: I'm sorry, I'm going to  
19 have to ask you to stop there.

20 Dr. Blaney, do you have any questions? You've  
21 been patient.

22 DR. BLANEY: My questions have been answered.

1 Thank you.

2 CHAIRPERSON HUSSAIN: Okay. I think we are  
3 done for this morning. I would like to thank the  
4 Sponsors and the FDA and the members for a wonderful  
5 discussion.

6 I'm sorry. What? We're breaking now. I'm  
7 sorry. Yes, I would like you to come back in exactly 15  
8 minutes, that would be 10 to 11:00.

9 (Recess.)

10 OPEN PUBLIC HEARING

11 CHAIRPERSON HUSSAIN: We are going to be  
12 starting the open public hearing. I would like to read  
13 the following statement:

14 "Both the Food and Drug Administration and the  
15 public believe in a transparent process for information  
16 gathering and decision making. To ensure such  
17 transparency at the open public hearing session of the  
18 Advisory Committee meeting, FDA believes that it is  
19 important to understand the context of an individual's  
20 presentation.

21 "For this reason, the FDA encourages you, the  
22 open public hearing speaker, at the beginning of your

## Capital Reporting Company

Page 136

1 written or oral statement to advise the Committee of any  
2 financial relationship that you may have with the  
3 Sponsor; its product; and, if known, it's direct  
4 competitors.

5 "For example, this financial information may  
6 include the Sponsors payment of your travel, lodging, or  
7 other expenses in connection with your attendance at the  
8 meeting.

9 "Likewise, the FDA encourages you at the  
10 beginning of your statement to advise the Committee if  
11 you do not have any such financial relationships.

12 "If you choose not to address this issue of  
13 financial relationships at the beginning of your  
14 statement, it will not preclude you from speaking."

15 Thank you.

16 MS. CLIFFORD: Our first speaker is Ms.  
17 Nguyen.

18 MS. NGUYEN: Good morning. My name is Quynh-  
19 Tram Nguyen. I live in Exton Pennsylvania and my  
20 transportation today was paid by IDM Pharma. I am 28  
21 years old and when I was 12 years old I was diagnosed  
22 with osteosarcoma.

(866)448-DEPO

www.CapitalReportingCompany.com

©2007

1 I was in seventh grade in Vietnam and during a  
2 run I became very tired. I sat down and when I stood up  
3 I had a pain above my knee. I thought it was from  
4 exhaustion from the running, but the pain did not go  
5 away.

6 For a month, I tried some home treatments, but  
7 the pain still did not go away. There came a point when  
8 I could not walk normally because of the pain. My  
9 mother took me to the hospital. I was diagnosed as  
10 having a bone infection, and they prescribed  
11 antibiotics.

12 I took the antibiotics for about three weeks  
13 about the pain, but the pain became even more extreme  
14 and my leg became swollen above the knee. I returned to  
15 the hospital where a physician tried to put a needle  
16 into my leg. It was clear there was no infection, so  
17 they next did a biopsy.

18 Finally, after many months of visits, the  
19 diagnosis of osteosarcoma was made. This was in  
20 September of 1991. The doctor suggested that my leg be  
21 amputated right away.

22 Also, they were not even sure if I can survive

1 after my leg was amputated. I did not want my leg  
2 amputated, so I went home for three weeks to seek  
3 alternatives.

4 A relative living in Australia was able to do  
5 some research with a physician who specialized in  
6 osteosarcoma. The physician in Australia said that if I  
7 were living in Australia they might be able to save my  
8 leg. But because it takes a long time to go anywhere,  
9 she would advise that my leg be amputated, otherwise my  
10 life could be in jeopardy.

11 I decided to have my leg amputated. The date  
12 was October 17, 1991. After that I went home and  
13 awaited the medicine from Australia.

14 The chemotherapy started in January 1992 by  
15 Vietnamese doctors following the instructions from the  
16 Australian doctor. The medicine was very strong and I  
17 had some very bad reactions.

18 My grandfather was in the military for the  
19 former government before 1975, and I was regarded as a  
20 political refugee. Luckily, our paperwork came through,  
21 and we were able to come to the United States on May 6,  
22 1992.

1 I was first checked with a specialized doctor  
2 in a private clinic, and he referred me to MD Anderson  
3 for possible care. At MD Anderson I was introduced to  
4 see Dr. Eugenie Kleinerman through Dr. Jaffe (phonetic).

5 I first visited her in May 1992. She  
6 explained that she wanted to place me in a clinical  
7 trial for mifamurtide. At this time they found that the  
8 cancer had gone into my lung.

9 I agreed to participate in the trial because  
10 there was no better choice for me. I started on  
11 mifamurtide along with chemotherapy, and then in August  
12 1992 I had an operation on my lung for cancer removal.

13 Then, I continued treatment, mifamurtide and  
14 chemotherapy, for another three months, until November  
15 1992. After that Dr. Kleinerman told me that I was in  
16 remission and was able to discontinue treatment.

17 However, my treatment continued from 1992 -- no, I'm  
18 sorry, my checkups continued from 1992 to 2003. I am  
19 now a 15-year survivor of cancer.

20 Today, I live in Exton, Pennsylvania. I am  
21 married with two children who are here today with me. I  
22 really appreciate the chance that I have to see Dr.



1 Kleinerman at the right time and to be able to be  
2 treated with the right drugs.

3 I am not a physician and cannot say what  
4 contribution mifamurtide made to my recovery, but I  
5 believe it helped me. I hope that others may benefit  
6 from its use.

7 Thank you.

8 (Applause.)

9 MS. CLIFFORD: Thank you.

10 Our next speaker is Matthew Alsante.

11 MR. ALSANTE: Good morning. My name is  
12 Matthew Alsante, and I am the executive director of the  
13 Sarcoma Foundation of America. Our organization  
14 provides funding for sarcoma research and also advocates  
15 for public policy that will result in increased  
16 attention by government and industry towards the issue  
17 of patients with sarcoma and other rare cancers.

18 The Sponsor today, IDM, has provided modest  
19 support of our patient educational program. However, we  
20 are here today at our own expense and nothing I am about  
21 to say has been cleared by the Sponsor or communicated  
22 to them.

1           In November 2005, this very Advisory Committee  
2 met to discuss the issues surrounding drug development  
3 for patients with rare cancers. A white paper or report  
4 did not emerge from the ODAC.

5           But when an ODAC member asked on that day  
6 about generating a report, the FDA response was that the  
7 transcript of November 5, 2005, would serve as the  
8 official recommendation of ODAC towards the topic.

9           Repeatedly and consistently on that day member  
10 after member of ODAC concluded and recommended that  
11 special consideration and potentially unique datasets  
12 would have to suffice if the reality of the clinical  
13 development situation was that the usual standards were  
14 impossible to apply to a given product for a rare  
15 cancer.

16           For example, on that day after Dr. Gail  
17 Eckhardt propose the idea of a "body of data  
18 requirement" for rare cancer approvals, Dr. Hussain,  
19 today the chairperson of ODAC, commented on page 329 of  
20 the transcript, "I agree with Dr. Eckhardt's remarks."

21           It seems to me it is time to revisit the  
22 benchmarks, if there were any benchmarks; and, if there

1 aren't, perhaps establish some benchmarks. It seems to  
2 me the bar has to be set where there is a bare minimum  
3 that has to be satisfied.

4 We applaud the FDA for apparently taking these  
5 recommendations to heart in their approval process since  
6 that meeting.

7 For example, in October 2006, an approval by  
8 FDA that probably went unnoticed by everyone here but  
9 was heralded in our small sarcoma community was the full  
10 approval of Gleevec® for a rare sarcoma subtype called  
11 dermatofibrosarcoma protuberans or "DFSP."

12 The data upon which approval was based was as  
13 follows: a single 12-patient Phase II study, additional  
14 response data from 5 patients from case reports, and a  
15 report on a response in a single pediatric patient.

16 Objective response in these 18 patients to  
17 Gleevec was very impressive, but no survival data was  
18 available or would ever be available for these patients.

19 The Sponsor, Novartis, submitted this NDA  
20 supplement and issued public statements afterwards,  
21 that in light of the rarity, this was as complete a  
22 dataset as was or ever will be achievable for this

1 rare sarcoma subtype.

2 FDA realized this and therefore gave full  
3 approval to this agent for use in this sarcoma subtype  
4 based on objective response criteria alone.

5 We in the sarcoma and rare cancer community  
6 understand the limitations on achievable datasets, and,  
7 again, are extremely grateful that FDA has allowed  
8 Gleevec to get to patients with DFSP sarcoma.

9 We feel that the indications to be discussed  
10 today, osteosarcoma, fully meet the criteria of a rare  
11 cancer. To our knowledge, there has never been a  
12 targeted drug development program for osteosarcoma  
13 before this massive effort you are about to pass  
14 judgment on. We commend IDM for their efforts.

15 Nearly all of the issues today are related in  
16 some way to this unavoidable issue of rarity and the  
17 extreme complexity of assembling the dataset needed to  
18 fly over the usually very high bar set by ODAC.

19 As in the case of Gleevec for DFSP sarcoma,  
20 there is no realistic possibility that such an ambitious  
21 survival study that IDM did will ever be able to be  
22 repeated.

1 Another issue today, the IDM product is an  
2 immunotherapy, and the issue is post-hoc survival.  
3 Therefore, we are very afraid that the public storm over  
4 another immunotherapy with the same issue discussed  
5 recently at the Advisory Committee for Oncology Products  
6 at the Center for Biologics, this is the Dendreon  
7 Provenge meeting and its subsequent virulent public turf  
8 battle, may make this immunotherapy for osteosarcoma  
9 patients being discussed today the pawn in some larger  
10 cancer political chess game unfolding.

11 We hope this is not the case. Children with  
12 osteosarcoma and their parents have no interest in  
13 getting caught in a political crossfire between various  
14 advisory committees or between CDER and CBER. We just  
15 want additional treatment options for our children with  
16 cancer.

17 Instead, we hope that as mentioned ODAC  
18 members remember their own guidance in 2005 about the  
19 realities of rare cancer approvals. With the recent  
20 full approval of Gleevec for DFSP sarcoma, based on  
21 objective response as a proposed benchmark for the bare  
22 minimum of setting the bar, judge the issue today in the

1 context of the extraordinary rarity and need for  
2 osteosarcoma patients.

3 Thank you.

4 MS. CLIFFORD: Thank you, Mr. Alsante.

5 Our next speaker is Kurt Weiss.

6 DR. WEISS: Dr. Hussain and distinguished  
7 members of the committee, good morning and thank you for  
8 the opportunity to speak here today. My name is Kurt  
9 Richard Weiss. My transportation was provided by IDM  
10 Pharma as well as my lodging last night.

11 I appear before you today uniquely qualified  
12 to speak about osteosarcoma and MTP. I am a 1997  
13 graduate of the University of Notre Dame. I  
14 matriculated to Jefferson Medical College in  
15 Philadelphia in 1998.

16 During the summer after my first year of  
17 medical school, I worked in the laboratory of Dr. Eugene  
18 S. Kleinerman in the Department of Cancer Biology at the  
19 University of Texas, MD Anderson Cancer Center.

20 Between the didactic and clinical years of  
21 medical school, I spent a year with the National  
22 Institutes of Health, Howard Hughes Medical Institute

1 Research Scholars Program.

2           During this year, I worked in the laboratory  
3 of Dr. Lee Helman in the Pediatric Oncology Branch of  
4 the National Cancer Institute. My research involved the  
5 investigation of metastatic potential and osteosarcoma  
6 and Ewing's sarcoma.

7           I graduated from Jefferson in 2003 and  
8 presently am a fourth-year resident in the Department of  
9 Orthopedic Surgery at the University of Pittsburgh. As  
10 part of my orthopedic surgery residency, I completed a  
11 year of basic science research with Dr. Johnny Huard  
12 during which I investigated the roles of growth factors  
13 in osteosarcoma metastases. This research has been  
14 published in "Clinical Orthopedics" and related  
15 research.

16           I recently received a grant from the  
17 Orthopedic Research and Education Foundation and Depuy  
18 Orthopedics to continue this osteosarcoma research. In  
19 two years, I will begin fellowship training in  
20 musculoskeletal oncology at the University of Toronto.

21           However, I'm not here today in my capacity as  
22 an orthopedic surgeon or an osteosarcoma basic

1 scientist. I am here to tell you about my own personal  
2 experiences as an osteosarcoma survivor who participated  
3 in the clinical trial for MTP.

4 In the spring of 1989, I was a 15-year-old  
5 freshman in high school. I was involved in many  
6 activities including the football and swim teams. I  
7 attributed the dull, aching pain in my right tibia to a  
8 muscle sprain.

9 In order to humor my mother, I reluctantly  
10 went to a sports medicine doctor who was horrified by  
11 what he saw on X-ray. That was Wednesday, 10 May 1989,  
12 exactly 18 years ago tomorrow.

13 The next day I was seen by an orthopedic  
14 oncologist who took me to the operating room immediately  
15 for an open biopsy. We received the diagnosis of  
16 osteosarcoma on May 13, 1989. The next day was Mother's  
17 Day.

18 A staging CT scan revealed that I had  
19 pulmonary metastases at the time of diagnosis.  
20 Prognostically, this was the worse possible news.

21 As my family and I quickly learned, nobody  
22 dies of osteosarcoma in their arm or leg; they die of



1 metastatic disease to the lungs. In this aspect,  
2 osteosarcoma is very predictable. Over 85 percent of  
3 metastases are to the lungs, and this accounts for  
4 nearly all deaths.

5 I underwent induction chemotherapy and then  
6 had surgery to resect the osteosarcoma from both my leg  
7 and my lungs. During my postoperative consolidation  
8 therapy with cisplatin and doxorubicin, the cancer  
9 recurred in my lungs. It was clear that I had failed  
10 the conventional chemotherapy protocol, and my disease  
11 was very aggressive.

12 I will never forget my oncologist's words to  
13 us that day, "Mr. and Mrs. Weiss," he said, "from now on  
14 we're making this up as we go along."

15 I headed back for more thoracic surgery to  
16 remove the new tumors from my lungs. The plan after  
17 that was uncertain, and the uncertainty was terrifying.

18 My parents were advised to prepare for the  
19 worst. Years later, they shared with me that my burial  
20 plot had been selected as well as the readings and music  
21 for my funeral mass.

22 At this point we fortunately, I should say

1 miraculously, heard about Dr. Kleinerman and her  
2 experimental osteosarcoma work at MD Anderson. It was a  
3 longshot, but it sure beat going home to die. I was  
4 willing to try anything at that point.

5 Dr. Kleinerman determined that I was eligible  
6 for her clinical trial with the drug then known as MTP,  
7 now known as "mifamurtide."

8 My mother and I packed our bags for Houston.  
9 That was the summer of 1990. I vividly remember that  
10 summer in Houston. My mother and I lived at the Ronald  
11 McDonald House with families from all over the world.  
12 We could not have been more different, but we all spoke  
13 the language of desperate hope.

14 My mother and I spent many hours in the MD  
15 Anderson chapel. I prayed for a miracle that the  
16 experimental drug would be successful so that I could  
17 follow my dream to attend the University of Notre Dame  
18 and one day become a physician.

19 I received mifamurtide for the prescribed six-  
20 month protocol, from July through December 1990. My lung  
21 scans have been negative ever since. Although I  
22 eventually lost my right leg, due to surgical

1 complications and osteomyelitis, I was given my miracle  
2 and survived the battle with osteosarcoma.

3 Let me now relate a few of the biologic facts  
4 that I have learned about osteosarcoma over the years.  
5 As we have heard, osteosarcoma is the most common  
6 primary tumor of bone, typically affecting patients in  
7 the second decade of life.

8 In the prechemotherapeutic era, when treatment  
9 for osteosarcoma consisted of amputation and prayer,  
10 five years survival was approximately 10 percent, with  
11 virtually all deaths caused by overwhelming pulmonary  
12 metastases.

13 Since the addition of neoadjuvant and adjuvant  
14 chemotherapy, that survival percentage has increased to  
15 approximately 65 percent for patients without pulmonary  
16 disease at the time of diagnosis.

17 The story is quite different for patients like  
18 me who either present with metastatic disease or develop  
19 it during the course of our treatment. For us the  
20 prognosis is grim.

21 The infuriating thing for those of us who  
22 treat patients with osteosarcoma is that we continually

1 fail this exact same group of patients, those with  
2 pulmonary metastases.

3           These lung tumors are refractory to both  
4 surgery and chemotherapy. That is why MTP is an  
5 essential drug. It is the only treatment modality  
6 specifically directed toward the prevention and  
7 eradication of pulmonary metastatic disease.

8           To illustrate this point, I offer myself as an  
9 example. I left for Houston with micrometastatic  
10 disease in my lungs, of this we can be sure because the  
11 tumors from my second thoracotomy, which progressed  
12 despite simultaneous chemotherapy with powerful agents,  
13 showed viable osteosarcoma on pathology.

14           The natural history of this disease is to  
15 recur again and again until the patient's tumor burden  
16 becomes too great or the small amount of remaining lung  
17 tissue precluded surgery, that is, unless the patient  
18 receives an agent specifically designed to combat  
19 pulmonary metastases. Thankfully, I am that patient.

20           I am absolutely convinced, based on everything  
21 I know as a physician and scientist, that the only  
22 logical explanation for my presence before you today is

1 that I received a drug specifically targeted toward the  
2 eradication of pulmonary metastases.

3 I stand before you as only one of many  
4 patients whose lives have been saved by MTP. While I  
5 understand that the testimony of any single patient has  
6 limited statistical value, you must understand that as  
7 far as my patients, brother, sister, wife, two children,  
8 and patients are concerned MTP is 100 percent effective.

9 Ladies and gentlemen, I don't mean to seem  
10 dramatic, but objectively you must agree that my  
11 entire personal and professional life has prepared me  
12 for this moment, the opportunity to speak with you  
13 today. This is absolutely the most important thing  
14 I have ever done. I have prayed for an opportunity like  
15 this. I appear before you as the representative of far  
16 too many friends who should have received this drug but  
17 never got the chance.

18 So many young people and their families have  
19 sought me out over the years desperate to receive MTP,  
20 but it just was not available. They can no longer speak  
21 to you, but I can.

22 I represent all the young osteosarcoma

1 patients and their families who are fighting right now  
2 to stay alive. Those patients deserve a biologically  
3 intelligent drug that combats the deadliest aspects of  
4 their disease.

5 They deserve all the things that I have  
6 experienced, everything we wish for our patients: the  
7 chance to survive their disease, pursue higher  
8 education, chase down their dreams, fall in love, and  
9 have beautiful children. Their parents deserve what my  
10 parents had, the opportunity to plan a wedding instead  
11 of a funeral.

12 All I can do today is talk, but you have the  
13 power to make this happen for them. I implore you as  
14 your colleague, please recommend that the FDA look  
15 favorably on MTP.

16 Thank you.

17 CHAIRPERSON HUSSAIN: Thank you, Dr. Weiss.

18 On behalf of the Committee, I would like to  
19 thank all the public speakers. I also want to assure  
20 you that all of us, every one of us sitting at this  
21 table takes her or his role very, very seriously. The  
22 only single factor that brings us here is concern for

1 patients, their safety, and their longevity and well-  
2 being.

3 With that, we are going to begin the  
4 discussion session within the members of the committee,  
5 but there is at least one question that I have here from  
6 Dr. Richardson.

7 Does anybody else have a burning question that  
8 they want to ask before we get into the discussions?

9 (No verbal response.)

10 CHAIRPERSON HUSSAIN: Okay. Dr. Richardson.

11 DR. RICHARDSON: I would like to get back to  
12 the issue once again on overall survival. I'm just  
13 curious whether there are differences among the four  
14 arms of this particular study in the numbers of patients  
15 who might have undergone resection of pulmonary  
16 metastases.

17 Here, we've got a drug that putatively has  
18 some sort of unique action on these pulmonary lesions.  
19 I'm curious whether that would affect the aggressiveness  
20 with which surgeons would undergo resection and the  
21 numbers of pulmonary lesions they might have been  
22 willing to take on. Do you have any information on

1 that?

2 DR. MILLS: Actually, we did consider that.

3 Dr. Meyers, I would like to ask you to address  
4 that, please.

5 DR. MEYERS: I think that your question is  
6 very well taken, and the answer is we did examine this  
7 question. We asked a couple of questions. We first  
8 asked whether at the time of recurrence among the  
9 patients who had recurrence, was there a difference  
10 between patients who did and did not receive MTP and the  
11 site of recurrence.

12 There are large-scale studies that indicate  
13 that pulmonary metastases have a higher rate of salvage  
14 than metastases at sites other than the lung. We were  
15 able to show that there were no between-arm differences  
16 in the sites of metastases.

17 We then asked the question, How many patients  
18 were submitted to post-recurrence surgical attempt at  
19 curative resection? Once again, there were no  
20 differences, there were no between-arm differences in  
21 the application of surgery at the time of recurrence.

22 CHAIRPERSON HUSSAIN: Thank you.



1           QUESTIONS TO THE ODAC AND ODAC DISCUSSION

2           CHAIRPERSON HUSSAIN: I'm going to ask that  
3 the question be put up there for the beginning.

4           (PowerPoint presentation is in progress.)

5           CHAIRPERSON HUSSAIN: Before I ask Dr. Helman  
6 to discuss the question, I would like to ask the  
7 biostatisticians on the Committee, because it seems to  
8 me this fundamentally is a statistical issue here, that  
9 if you accept that the data can be pooled, therefore the  
10 disease-free survival is acceptable, that means the  
11 primary endpoint was acceptable or was met, therefore  
12 the secondary endpoints would matter; and, if you don't  
13 accept it, then there are a lot of issues that will be  
14 caused because of that?

15           Perhaps, I can ask Dr. D'Agostino, Dr. George,  
16 and Dr. Harrington for their opinions, briefly?

17           DR. D'AGOSTINO: Unfortunately, I think the  
18 interaction does exist in the data, that the  
19 quantitative and qualitative sort of muddies the water  
20 because of selecting what you mean by the treatment that  
21 you are pegging in the qualitative. I think so much is  
22 driven by what happens to B and B-plus as opposed to A

1 and A-plus.

2 CHAIRPERSON HUSSAIN: Dr. George.

3 DR. GEORGE: Just a general comment about your  
4 preface, as I asked an earlier question, there is a  
5 discrepancy between the FDA analysis using the Sponsor's  
6 approach but based on the data as they had it that  
7 refined it. It is right at the edge even in that. I  
8 just wanted to point that out.

9 If you are using the usual criteria, one  
10 analysis results in a nominal P value on one side; and  
11 the other, on the other. It is still debatable even in  
12 that.

13 As further comment, I personally like  
14 factorial designs in general, but they have to be done  
15 really well and you have to really think clearly when  
16 you are designing them about these kinds of issues. I  
17 mean, what is going to happen if you do get  
18 interactions? What would your interpretation be?

19 To me this in some ways is a clash of a  
20 scientific kind of analysis as opposed to a regulatory  
21 approach, that is, if I were doing this at this point,  
22 ignoring the data issues and everything, which are very

1 important, but just taking the results on face value, I  
2 would look at pooled kinds of results but in the  
3 following sense.

4 If you are doing a factorial design, you  
5 ordinarily would want to look at the main effects and  
6 the interaction. In this case, it looks like it's  
7 pretty clear there is a main effect but also an  
8 interaction.

9 That means that you can't interpret the main  
10 effects in the usual way, that is, and this relates to  
11 what would you approve. Because it would look like if  
12 you were writing up the results of the paper, you would  
13 say, yes, there was a main effect for the MTP. There is  
14 no main effect for the ifosfamide, but there was a  
15 pretty big interaction, which means that you can't  
16 interpret those main effects in the usual way.

17 How would you interpret them? You would  
18 probably have to say, "Well, as far as we can tell, it  
19 seems to work if you're in the ifosfamide group but not  
20 in the other group."

21 What does that mean? You know, that gets very  
22 tricky. It is in some ways unfortunate in this setting

1 that a two-by-two design was used, but of course it  
2 wasn't done in a regulatory setting to begin with. It  
3 was done in a different era.

4 These things were obviously not thought  
5 through at the time, and I think now they are coming  
6 back to cause real problems of interpretation. If, for  
7 example, you had said or you had asked yourself, "What  
8 would have been the right design for approval?" You  
9 would probably have said it should have been standard  
10 regimen, whatever that is, versus standard regimen plus  
11 MTP.

12 Now, if you had chosen, it looks like from the  
13 results we have, the standard regimen to be the non-  
14 ifosfamide group with cisplatin, we wouldn't be here  
15 today because the results showed no difference.

16 The only reason we are here is because of this  
17 big difference that has cropped up in the other group,  
18 which this is just a difficult matter of interpretation.

19 If you were just writing a paper, you could say, on the  
20 one hand, it means this; on the other hand, it means  
21 that.

22 When you are in a regulatory setting, you have

1 to say, is there sufficient evidence to approve this for  
2 wide use in the population? That's I think a difficult  
3 problem.

4 CHAIRPERSON HUSSAIN: Dr. Harrington.

5 DR. HARRINGTON: Thanks. I think, as Steve  
6 said, the interpretation of interactions is always very  
7 difficult. In this setting for me, the additional  
8 information in the trial make it even more problematic.

9 If A and B had been empirically approximately  
10 equal, and if the effect of adding MTP to both of those  
11 had been somewhat different but positive, then I think  
12 one can interpret the overall effect of the hazard ratio  
13 there as some sort of population average effect because  
14 it doesn't really matter what your base regimen is.

15 In this case, it clearly seems to matter, at  
16 least with respect to disease-free survival what the  
17 base regimen is. The interaction effect for me becomes  
18 much more important there and so I do not favor the  
19 pooled analysis here, but, as Steve says, feel that most  
20 of the information in this trial is in A versus A-plus.

21 CHAIRPERSON HUSSAIN: Thank you.

22 If no one else has a question, I have actually

1 a question to the pediatricians in the group in terms  
2 of, maybe we can begin with Dr. Helman, at least in the  
3 context of what was presented, what you view the  
4 standards of care are.

5 Are you bothered by the fact that the  
6 ifosfamide arm appeared to be inferior, at least the way  
7 we saw it with regard to disease-free survival and so  
8 on?

9 DR. HELMAN: Well, first, let me say that I  
10 certainly like Dr. Lewis and like Dr. Meyers and Dr.  
11 Kleinerman, we all desperately need better treatment for  
12 osteosarcoma. There is no question about that.

13 I think I agree with Dr. Myers, I would say  
14 that the recommendation now is adriamycin, cisplatin,  
15 high-dose methotrexate and is considered in the United  
16 States the standard of care.

17 It was like Dr. Weiss commented, we have  
18 patients from years ago that recurred that we have cured  
19 with ifosfamide, so I have absolutely no doubt that  
20 ifosfamide cures some patients. I have no idea how to  
21 use it. I have no idea which patients benefit and which  
22 patients don't.

1 I have no understanding of why that arm  
2 appeared to perform in an inferior manner. Although, I  
3 think, as Dr. Meyers pointed out, it was not  
4 statistically designed to ask that question.

5 There is no question if you compare that curve  
6 to what we would consider acceptable outcome, it was  
7 below acceptable outcome. That's all I can say really  
8 to answer that particular question.

9 If you want me to make some other comments, or  
10 do you want me to wait until other pediatricians have a  
11 chance to comment on your question?

12 CHAIRPERSON HUSSAIN: I was going to ask the  
13 other pediatricians to make some comments, and then if  
14 no members on the Committee have any questions or  
15 comments to make, we can go straight to the question  
16 itself and have you discuss it.

17 Dr. Adamson.

18 DR. ADAMSON: I can certainly echo Dr.  
19 Helman's comments. I have had some sleepless nights  
20 since being asked to look at this question because of  
21 the desperate needs our patients are facing. The  
22 approach in my thinking that I've taken, and I'm still

1 taking, is to decide what's best for children.

2 I mean, that's I think at the end of the day  
3 what the FDA wants and that's what everyone around the  
4 table wants, what's best for children. If we can figure  
5 out what's best for children, then we just have to make  
6 it work in a regulatory environment.

7 Now, to paraphrase, the former secretary of  
8 defense, you go to the FDA with the dataset you have,  
9 not the dataset you wish you had.

10 (General laughter.)

11 DR. ADAMSON: This is cooperative group data,  
12 and I think if you hold it up against any other  
13 cooperative group data, it is excellent. I have no  
14 doubt about that. I commend Paul and the company for  
15 not letting this go away, for saying "Let's be certain."

16 The bottom line is it is 2007, and the last  
17 patient was enrolled in 1997. If we can't figure this  
18 out now, we are not going to figure it out. There are  
19 a lot of smart people around the table. The  
20 statistical input I think is imperative. I'm learning  
21 a lot as we go through the morning. The disconnect, as I  
22 see this, is that we



1 have what is a clear interaction at the event-free  
2 survival analysis as published in 2005. I think when  
3 I look at the graphs and when I hear the statisticians  
4 discuss this, I think there is still a clear  
5 interaction at the disease-free survival today.

6 What raises concern about stopping there is  
7 that if I were to sit through a lecture looking at the  
8 survival data, it would seem compelling. I mean, the at  
9 the end of the day that it what is important.

10 The real challenge is can we believe it, or is  
11 there an underlying problem with how we arrived at the  
12 overall survival data. That is I think a struggle for  
13 the whole Committee.

14 I haven't yet made up my mind about how to  
15 interpret the overall survival data because it is so  
16 difficult. I can say that if this drug was a huge  
17 advance, we wouldn't be struggling. We wouldn't be  
18 around this table.

19 Nonetheless, we haven't had an advance in  
20 twenty years, and so we can't dismiss what I would say  
21 is an incremental advance, if it's there. If there is  
22 indeed an interaction at the survival level, we have a

1 very big problem on our hands. Because I don't think  
2 most pediatric oncologists are prepared to expose  
3 everyone to ifosfamide in conjunction with MTP, and I  
4 don't think the company is asking us to do that, either.

5 It comes back down to where we are with  
6 overall survival and the adequacy of that data.  
7 Although I fully agree that five-year followup for  
8 event-free survival and I would say 80 percent data is  
9 excellent, when you look at the curves and the curves  
10 that were shown in Slide 46, there is a lot of  
11 activity that starts to spread out those curves after  
12 5 years. We need to be really certain that we have  
13 confidence, if we are just going to take a survival  
14 analysis, and I haven't yet reached that level of  
15 certainty.

16 CHAIRPERSON HUSSAIN: Thank you. Dr. Reaman,  
17 do you want to make any  
18 comments?

19 DR. REAMAN: Well, I would certainly echo my  
20 colleagues as far as needing new treatment approaches  
21 to this disease and to many diseases in pediatrics,  
22 but specifically osteosarcoma. I would also agree with

1                   the statement that  
2           it is important that what we do is good for children.  
3           I think it is equally important to measure what is good  
4           for children by objective evidence.

5                   I think also there is clearly a very strong  
6           suggestion, if not objective evidence, of an interaction  
7           between the agent being discussed and a conventional  
8           chemotherapeutic agent that I don't think is widely  
9           accepted as part of the standard of care.

10                   It is not clear to me how this is going to be  
11           used, recommended for use. I think where we are now is  
12           where we were years ago with the results of Phase I and  
13           Phase II studies with some compelling, early clinical  
14           evidence of activity of this agent.

15                   But I don't think we have the evidence that we  
16           need to say that this should be approved and be part of  
17           the standard of care for chemotherapy with nonmetastatic  
18           resectable osteosarcoma.

19                   CHAIRPERSON HUSSAIN: Thank you.

20                   Any comments from anyone else from the  
21           Committee?

22                   DR. BLANEY: This is Dr. Blaney.

1 CHAIRPERSON HUSSAIN: Dr. Blaney, go ahead.

2 DR. BLANEY: I would agree with my colleagues  
3 as well. I think that we do want to do what is best for  
4 children and that does have to be based on objective  
5 evidence.

6 If we were to incorporate this as a standard  
7 therapy without being a hundred percent sure that there  
8 was that objective evidence, we could also potentially  
9 be exposing many children to the time and inconvenience  
10 of receiving treatments, and we aren't a hundred percent  
11 sure that it is warranted.

12 I think the person that has the most  
13 experience with this is Dr. Kleinerman, and as she said  
14 we don't know the best way to give this with combination  
15 chemotherapy and the best timing. If we were to  
16 recommend it, we would have those issues to resolve.

17 CHAIRPERSON HUSSAIN: Thank you, Dr. Blaney.

18 Dr. Helman, you would like to discuss the  
19 question that is posed to us by the FDA, which is on the  
20 board there.

21 DR. HELMAN: Let me just, for those of you who  
22 don't know me, I was chief of the Pediatric Oncology

1 Branch for nine years, and I'm currently the scientific  
2 director for clinical research at the National Cancer  
3 Institute. I have treated patients with osteosarcoma  
4 for over 20 years, and I've studied osteosarcoma in the  
5 laboratory for over 20 years.

6 I think the question really is quite simple.  
7 Do the data demonstrate that the addition of MTP to  
8 current standard therapy improve the survival in  
9 patients with nonmetastatic osteosarcoma?

10 I think the issues are also quite compelling.  
11 One of the issues that I see is there is an issue in the  
12 study design about timing of randomization. This was  
13 not discussed in detail, but I do not believe this is  
14 how this study would be conducted today.

15 There is the issue of post-hoc analysis of  
16 survival; there is the clear issue of potential drug  
17 interaction, and the unexpected finding of one arm that  
18 contained at least not standard therapy to underperform.

19 I guess I would actually say that there is  
20 actually no doubt that MTP-PE does interact with  
21 infosfamide. We have had discussions about this. We  
22 have discussed the potential of fast, fast ligand. We

1 have talked about collaborating with Dr. Kleinerman to  
2 try to sort this out. I think it was an unexpected  
3 finding.

4 I think, if I could say, it makes it more  
5 imperative that as we do these types of biologically  
6 based studies in the future, it is absolutely imperative  
7 that we build into it scientific investigations so that  
8 we have answers instead of more questions than answers.

9 I will say to Dr. Kleinerman, I'm sure she was  
10 imploring these studies to be done, and for whatever  
11 reasons they were not done in the performance of this  
12 Phase III study.

13 I forget who made the comment, but there was a  
14 discussion and a comment made that it would be unethical  
15 to do a further study. I would make the comment that I  
16 believe, given the data we have, it is unethical not to  
17 do another study, to do the study that would answer the  
18 questions so that we know whether or not we need to give  
19 this drug to ever patient who presents with  
20 nonmetastatic osteosarcoma. That's all I have to say.

21 CHAIRPERSON HUSSAIN: Thank you, Dr. Helman.

22 Dr. Pazdur, just for the record and

1 clarification to committee members, if you don't mind  
2 one second, what is "substantial evidence of benefit,"  
3 just so that we're clear.

4 DR. PAZDUR: We defined it in your preamble,  
5 if you care to read it, okay, to avoid any problems.

6 (General laughter.)

7 DR. PAZDUR: Do you want me to read it?

8 CHAIRPERSON HUSSAIN: Yes, please.

9 DR. PAZDUR: "In general, substantial evidence  
10 requires at least two adequate and well-controlled  
11 studies, each convincing on its own, to establish  
12 effectiveness. The requirement for more than one trial  
13 reflects the need for independent substantiation of the  
14 experimental results.

15 "Substantial evidence also may be provided by  
16 the results of a single adequate and well-controlled  
17 efficacy study when a single multicenter study of  
18 excellent design provides highly reliable and  
19 statistically persuasive evidence of an important  
20 clinical benefit, such as an effect on survival, such  
21 that a confirmatory trial is not ethical.

22 "In all cases, it is presumed that a single

1 study has been appropriately designed, that the  
2 possibility of bias due to baseline imbalance,  
3 unblinding, post-hoc changes in analysis, or other  
4 factors is judged to be minimal, and that the results  
5 reflect a clear prior hypothesis documented in the  
6 protocol.”

7 In essence, that is what we mean by  
8 “substantial evidence.”

9 CHAIRPERSON HUSSAIN: Thank you.

10 If the FDA has no additional clarifications to  
11 make, and if the committee members have no questions to  
12 ask or clarifications to seek, we can actually begin  
13 with the voting process.

14 I’m sorry. Go ahead, Dr. George.

15 DR. GEORGE: A question or some clarification  
16 or maybe just a comment, let’s see, what slide is it?  
17 The Sponsor’s Slide 56 I think is probably the best  
18 place to look at it where it is pooled results for  
19 overall survival in the 2006 data.

20 (PowerPoint presentation is in progress.)

21 DR. GEORGE: I still don’t know if I’ve had a  
22 good answer about the followup. With respect to



1 survival, the trial completed accrual 10 years ago, and  
2 in the potential followup for all patients would have  
3 been between 9 and 13 years.

4 If you look at the tick marks on these curves,  
5 which are patients still alive at last contact, there  
6 are a substantial number. Now, in general, if you  
7 assumed that there was no sort of random censoring, that  
8 wouldn't be so bothersome.

9 But some of the things I've heard today have  
10 bothered me somewhat about whether there is a potential  
11 anyway for some kind of non-randomness that's sensory.

12 In which case, I'm a little surprised. I know  
13 a lot of effort went into this preparation of this  
14 package and everything, I'm just a little surprised  
15 that there wasn't more effort to get those tick marks  
16 moved to the right, if they can be.

17 My looking at this, I heard various figures  
18 about completeness of followup. But as of 2006, it is  
19 not all that complete, particularly if you look at it's  
20 not really true that no one dies after five years, for  
21 example. I'm still worried about that.

22 In some ways, it is a subsidiary question

1 because the main emphasis is on disease-free survival. A  
2 lot of emphasis was put on the fact that there is a  
3 survival impact as well even doing this kind of  
4 analysis.

5 I don't know if it is really a question.  
6 Probably, people have answered it as well as it could be  
7 answered already, but I'm a little bothered by this, the  
8 number of tick marks, the earlier periods of time where  
9 there is still some risk of dying.

10 CHAIRPERSON HUSSAIN: Dr. Mills and then Dr.  
11 Lu.

12 DR. MILLS: Thank you. I think that I didn't  
13 mean to imply that there were no events after five  
14 years, but that the majority of events occur in five  
15 years.

16 We are focusing our efforts on getting the  
17 additional followup, first, for patients who have less  
18 than five years at last contact, but we have a list  
19 actually of every patient who had a sensor date prior to  
20 the 2003 dataset that we are also trying to get that  
21 information for.

22 I think it is also important to show you it by

1 four arms and remind you that the two MTP arms are the  
2 two on top, that we are not talking about an interaction  
3 here.

4 Then, finally, that survival is not random,  
5 but it is actually very even across the arms we've  
6 looked at. We were concerned about whether it was even  
7 across the arms, and in fact it was quite even across  
8 the arms. We are focusing on the less than five years,  
9 but again we will focus on every one who had a sensor  
10 date prior to 2003.

11 CHAIRPERSON HUSSAIN: Dr. Lu.

12 DR. LU: Yes. To further clarify the  
13 question, I would like the members to look at Table 11  
14 on page 25 of the briefing document, FDA's briefing  
15 document.

16 When you look at the table, you could see that  
17 10 percent of the patients, among those 505 remaining  
18 patients who were alive as of last contact there were 10  
19 percent with last contact on or before December 31,  
20 1998, and 26 percent with last contact on or before  
21 December 31, 2000. Forty-three percent of those  
22 patients were alive with last contact on or before

1 December 31, 2002.

2 DR. MILLS: It is important I think to  
3 distinguish whether we are talking about the 2003 or  
4 2006 dataset. I was referring to the 2006 dataset.

5 DR. LU: It'S 2006.

6 DR. MILLS: That was the 2003, wasn't it?

7 DR. LU: No, 2006.

8 CHAIRPERSON HUSSAIN: I think I'm going to ask  
9 that we move ahead with the vote. That's the last  
10 comment we're going to take right now.

11 DR. ADAMSON: If we were to take at face value  
12 the last slide we looked at where, again, I come back to  
13 a very clear disconnect between survival and disease-  
14 free survival, where the survival analysis, if accurate,  
15 suggests that there is benefit to MTP-PE.

16 The question is why would there be a  
17 disconnect between disease-free survival and survival,  
18 if this is the case? How should we or should the  
19 company begin to evaluate everything that happens after  
20 a first event in patients with osteosarcoma? I will  
21 throw that out to anyone in the company, Dr. Kleinerman  
22 or Dr. Meyers.

1           If my assumption is correct that there is a  
2   disconnect between the survival analysis and the  
3   disease-free survival analysis, why would there be that  
4   disconnect, and how confident can we be of what happens  
5   after first event that will impact survival?

6           DR. MEYERS: Peter, I think I would answer  
7   that question two ways. The first answer that I would  
8   like to make is that disease-free survival is subject to  
9   a variety of ascertainment biases, which are not a  
10   factor in ascertainment of survival.

11           The second is that we conclude that there in  
12   fact is a benefit in DFS and that benefit is confirmed  
13   by the presence of the benefit in overall survival. You  
14   are concerned by what appears to be an interaction. We  
15   have a difference of opinion on that, but there is no  
16   suggestion of an interaction in survival.

17           Finally, what happens to patients after  
18   recurrence in osteosarcoma is well documented in  
19   multiple publications. We know that number, one, the  
20   application of chemotherapy does not affect survival  
21   post-occurrence in osteosarcoma.

22           We know that if you don't do surgery after

1 recurrence in osteosarcoma, you will not survive. We  
2 know that the presence of metastases outside the lungs  
3 have an impact on the survival after recurrence.

4 We looked at the factors which do contribute  
5 to recurrence, the post-recurrence survival, that  
6 includes the site of recurrence and the frequency of  
7 surgical attempts at recovery.

8 They are not different between arms, which  
9 leads me to conclude that it was the application of MTP  
10 and primary therapy that contributed to the improved  
11 survival.

12 DR. LEWIS: I just want to comment from an  
13 external viewpoint here really, and that is to say that  
14 early on when I started I said that my preconceptions  
15 have been changed about the agents and about why it had  
16 been changed.

17 I think that the data we saw early on,  
18 admittedly the disease-free survival data from 2003 were  
19 too early data. It is early data that actually doesn't  
20 pass the test that we would apply within the European  
21 Osteosarcoma Intergroup where survival is the key  
22 endpoint and would be seen as the key endpoint along

1 side other forms of endpoint like progression-free  
2 survival, which is our preferred method. It is actually  
3 the overall survival data that has convinced me of the  
4 benefit of this drug.

5 Now, we don't know a lot about how many of the  
6 drugs that we use work or how they have an overall  
7 benefit. What I am clear about is that surrogate  
8 markets being used to decide whether a drug does or  
9 doesn't stand or fall for its future are not appropriate  
10 if you have overall survival there.

11 We have overall survival here showing no  
12 evidence of an interaction between the arms. The last  
13 thing was compelling for me and has been compelling for  
14 my colleagues in Europe.

15 CHAIRPERSON HUSSAIN: Thank you, Dr. Lewis.

16 We are going to go to the vote now. If you  
17 can, have the question up again, please.

18 (Staff complies.)

19 CHAIRPERSON HUSSAIN: We are going to begin on  
20 my left with Dr. Reaman. Please, for all the voting  
21 members on the Committee, turn on your microphones,  
22 speak clearly for us so it can be recorded, identify

## Capital Reporting Company

Page 179

1 yourself. We will not be taking comments about the  
2 vote. It's a yes or no vote.

3 Thank you.

4 DR. REAMAN: Reaman, no.

5 DR. GEORGE: George, no.

6 DR. D'AGOSTINO: D'Agostino, no.

7 DR. PERRY: Perry, no.

8 DR. RICHARDSON: Richardson, no.

9 CHAIRPERSON HUSSAIN: Hussain, no.

10 DR. MORTIMER: Mortimer, no.

11 DR. RODRIGUEZ: Rodriguez, yes.

12 DR. HARRINGTON: Harrington, no.

13 DR. HAYLOCK: Haylock, yes.

14 DR. MYERS: Myers, No.

15 DR. ADAMSON: Adamson, no.

16 DR. HELMAN: Helman, no.

17 CHAIRPERSON HUSSAIN: Dr. Blaney, please vote.

18 DR. BLANEY: No.

19 CHAIRPERSON HUSSAIN: Dr. Blaney is a no. On

20 the question that is posed to us by the

21 FDA, there is a no vote, twelve; a yes, two. I think

22 that is the only question we have here. Thank you. I

(866)448-DEPO

www.CapitalReportingCompany.com

©2007



1 just want to remind the Committee that  
2 what we are voting on is not approval or disapproval.  
3 This is a vote on the question that is posed to us by  
4 the FDA. Thank you. We will adjourn now and we will  
5 reassemble  
6 again at one o'clock for the second hearing. Thank  
7 you. (Whereupon, at 11:44 p.m., a luncheon recess  
8 was taken, to reconvene this same date and place at  
9 1:00 p.m.)

10 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

11 (1:00 P.M.)

12 CALL TO ORDER

13 CHAIRPERSON HUSSAIN: Please take your  
14 seats. We are going to begin this afternoon session. I  
15 am Maha Hussain, and this is the afternoon  
16 ODAC meeting to discuss an NDA proposed for an agent  
17 called OrBec® by DOR Bio Pharma. I would like to  
18 begin first by welcoming you all and start with Dr.  
19 Link, around the table, to get the committee members  
20 introduced with names and affiliations.

21 INTRODUCTION OF COMMITTEE

22 DR. LINK: I'm Michael Link from Stanford

## Capital Reporting Company

Page 181

1 University. I'm a pediatric hematologist/oncologist.

2 MS. HAYLOCK: Pamela Haylock, oncology nurse  
3 and consumer representative.

4 DR. HARRINGTON: Dave Harrington,  
5 statistician, Dana-Farber Cancer Institute.

6 DR. RODRIGUEZ: I'm Maria Rodriguez, medical  
7 oncologist, MD Anderson Cancer Center.

8 DR. MORTIMER: Joanne Mortimer, medical  
9 oncologist, University of California, San Diego.

10 MS. CLIFFORD: Joanna Clifford, designated  
11 federal official to the ODAC.

12 CHAIRPERSON HUSSAIN: Maha Hussain, medical  
13 oncologist, University of Michigan.

14 DR. RICHARDSON: Ron Richardson, medical  
15 oncologist, Mayo Clinic, Rochester, Minnesota.

16 DR. PERRY: Michael Perry, medical  
17 oncologist/hematologist, Ellis Fischel Cancer Center,  
18 University of Missouri, Columbia, Missouri.

19 DR. SPORTES: Claude Sportes, National Cancer  
20 Institute, pediatric hematology/oncology and transplant.

21 DR. FLATAU: Art Flatau, I'm the patient  
22 representative from Austin, Texas.

(866)448-DEPO

[www.CapitalReportingCompany.com](http://www.CapitalReportingCompany.com)

©2007

1 DR. SHAN SUN-MITCHELL: Shan Sun-Mitchell,  
2 stat reviewer, FDA.

3 DR. SRIDHARA: Rajeshuari Sridhara,  
4 statistical team leader, FDA.

5 DR. SCHER: Nancy Scher, clinical reviewer,  
6 FDA.

7 DR. FARRELL: Ann Farrell, clinical team  
8 leader and acting deputy director.

9 DR. JUSTICE: Robert Justice, director,  
10 Division of Drug Oncology Products.

11 DR. PAZDUR: Richard Pazdur, office director.

12 CHAIRPERSON HUSSAIN: Thank you. Ms. Clifford  
13 will read the "Conflict of Interest Statement."

14 CONFLICT OF INTEREST STATEMENT

15 MS. CLIFFORD: The following announcement  
16 addresses the issue of conflict of interest and is made  
17 part of the record to preclude even the appearance of  
18 such at this meeting.

19 Based on the submitted agenda and all  
20 financial interests reported by the committee  
21 participants, it has been determined that all interests  
22 in firms regulated by the Center for Drug Evaluation and

## Capital Reporting Company

Page 183

1 Research present no potential for an appearance of a  
2 conflict of interest at this meeting with the following  
3 exceptions.

4 In accordance with 21 U.S.C. 355 and 4, a  
5 waiver has been granted to Dr. Maha Hussain for owning  
6 stock in two competitors worth between \$5,001 and  
7 \$25,000 per firm. This de minimis financial interest  
8 falls under 5 C.F.R., Part 2640.201, which is covered by  
9 regulatory waiver under 18 U.S.C.208(b)(2).

10 A copy of the waiver statement may be obtained  
11 by submitting a written request to the Agency's Freedom  
12 of Information Office, Room 12-A30 of the Parklawn  
13 Building.

14 Waiver documents are also available at FDA's  
15 dockets webpage. Specific instructions as to how to  
16 access the webpage are available outside today's meeting  
17 room at the FDA information table.

18 In the event that the discussions involve any  
19 other products or firms not already on the agenda for  
20 which an FDA participant has a financial interest, the  
21 participants are aware of the need to exclude themselves  
22 from such involvement, and their exclusion will be noted

(866)448-DEPO

[www.CapitalReportingCompany.com](http://www.CapitalReportingCompany.com)

©2007

1 for the record.

2 With respect to all other participants, we ask  
3 in the interest of fairness that they address any  
4 current or previous financial involvement with any firm  
5 whose products they wish to comment upon.

6 Thank you.

7 CHAIRPERSON HUSSAIN: I would like to invite  
8 Dr. Schaber to begin the discussion from the Sponsor.

9 Sponsor PRESENTATION

10 INTRODUCTION AND BACKGROUND

11 (PowerPoint presentation is in progress.)

12 DR. SCHABER: Madam Chair, members of the  
13 committee, ladies and gentlemen, good afternoon. My  
14 name is Chris Schaber. I am the president and chief  
15 executive officer of DOR Bio Pharma.

16 On behalf of Dor, our clinical investigators,  
17 and our patients I would like to thank the Committee and  
18 the FDA for allowing us the opportunity to present our  
19 OrBec clinical data in the treatment of acute graft-  
20 versus-host disease or GVHD, which is the primary cause  
21 of early morbidity and mortality following allogeneic  
22 hematopoietic cell transplantation.

## Capital Reporting Company

Page 185

1           By way of agenda, I will be providing a brief  
2 introduction and overview of OrBec or beclomethasone  
3 dipropionate and then turning the presentation over to  
4 our lead presenter, Dr. George McDonald, who will  
5 present on acute graft-versus-host disease, our clinical  
6 data, and finish with a benefit/risk assessment.

7           Dr. McDonald is professor of medicine at the  
8 University of Washington and head of gastroenterology at  
9 the Fred Hutchinson Cancer Research Center. Dr. McDonald  
10 has pioneered the use of oral beclomathasone  
11 dipropionate in the treatment of acute graft-versus-  
12 host disease.

13           Dr. McDonald has also been instrumental in  
14 working with the company as both a clinical advisor and  
15 consultant in moving the program forward to where it is  
16 today.

17           Moderating today's Q-and-A session will be Dr.  
18 Tim Rodell, medical monitor for the Phase III clinical  
19 study.

20           Our external advisors: Dr. David Hockenbery, a  
21 member of the Fred Hutchinson Cancer Center and lead  
22 investigator for the Phase III clinical study, Study ENT

(866)448-DEPO

[www.CapitalReportingCompany.com](http://www.CapitalReportingCompany.com)

©2007

1 00-02; Dr. Ted Gooley, also from the Fred Hutchinson  
2 Cancer Research Center and lead statistician for the  
3 Phase II clinical trial, Study 875; as well as Dr. Keith  
4 Sullivan, chief of medical oncology and transplantation  
5 at Duke University Medical Center.

6 Dor Bio Pharma is a biopharmaceutical company  
7 focused on treating life-threatening side-effects of  
8 cancer treatments and serious GI diseases.

9 You may have read in your briefing document  
10 the company named Enteron Pharmaceuticals. This is the  
11 company that acquired the technology from Dr. McDonald  
12 and is a wholly subsidiary of Dor Bio Pharma.

13 The active ingredient in our drug product,  
14 beclomathasone dipropionate is a potent synthetic  
15 corticosteroid with strong antiinflammatory and  
16 immunosuppressive properties widely used and marketed in  
17 a number of topical applications.

18 The nomenclature you will be hearing today,  
19 "BDP" or "beclomathasone dipropionate" or "oral BDP,  
20 which is the drug product formulation, which consists of  
21 two tablets: an immediate-release IR tablet of 1  
22 milligram to treat inflammation of the upper GI, and a

1 delayed-release, enteric-coated tablet of 1 milligram to  
2 treat inflammation of the lower GI.

3 It is this two-pill system which makes up the  
4 therapy, two tablets or 2 milligrams given 4 times a  
5 day, for a total of 8 milligrams per day over a 50-day  
6 treatment period. OrBec® is the proposed trade name for  
7 oral BDP.

8 Oral BDP development began in 1991 under an  
9 investigator-initiated IND at the Fred Hutchinson Cancer  
10 Research Center. With the support of orphan drug grant  
11 monies, the clinical program moved forward, namely, with  
12 the conduct and execution and completion of a Phase II  
13 blinded, randomized, placebo-controlled trial in 1998,  
14 Study 875.

15 We received "orphan drug" designation due to  
16 the fact that this is a small patient population being  
17 treated of about 7,000 patients annually in the U.S.  
18 Ownership of the application was transferred in 1999 to  
19 Enteron Pharmaceuticals to move forward with the conduct  
20 of the Phase III clinical program.

21 "Fast-track" designation was also granted due  
22 to the fact that there is an unmet medical need that



1 exists with this disease.

2 In 2005, we completed the pivotal Phase III  
3 clinical trial under a special protocol assessment, or  
4 "SPA," with the Division of Gastrointestinal and  
5 Coagulation Drug Products. This was Study ENT 0002.

6 Shortly after completing the study, the  
7 application was transferred from gastrointestinal to  
8 oncology drugs. We filed our new drug application in  
9 September 2006.

10 We have conducted four clinical studies using  
11 oral BDP in patients with GI GVHD. The two we will be  
12 focusing today's presentation on are the blinded,  
13 randomized, placebo-controlled trials, Study 875, which  
14 is a Phase II single-center trial in 60 patients, and  
15 Study ENT 0002, a Phase III multicenter pivotal study in  
16 129 patients.

17 Although we did not achieve statistical  
18 significance in the primary endpoint of our Phase III  
19 clinical trial, we believe approval is merited based on  
20 a favorable safety profile and clinical benefits as  
21 measured by reductions in GVHD treatment failure.

22 Obviously, with treatment failure comes a

1 higher dose of systemic corticosteroids needed,  
2 mortality at transplant day 200, and mortality at one  
3 year post-randomization.

4 The proposed indication for OrBec or oral BDP  
5 is for the treatment of graft-versus-host disease  
6 involving the gastrointestinal tract in conjunction with  
7 an induction course of high-dose prednisone or  
8 methylprednisolone.

9 It is our hope today that once the Committee  
10 hears the OrBec clinical story, you will all agree that  
11 there is an important role for this drug to play in the  
12 clinicians arsenal in the treatment of this orphan  
13 disease.

14 With that, I would like to turn the  
15 presentation over to Dr. McDonald who will review acute  
16 graft-versus-host disease as well as our clinical data.

17 Again, I would remind everyone that the  
18 moderator for today's session will be Dr. Tim Rodell,  
19 medical monitor for the Phase III clinical trial.

20 Thank you. OrBEC FOR THE TREATMENT OF GRAFT-  
21 VERSUS-HOST DISEASE

22 (PowerPoint presentation is in progress.)

1 DR. McDONALD: Thank you, Dr. Schaber.

2 I am George McDonald. By way of disclosure, I  
3 will tell you that after the FDA's Division of Orphan  
4 Drug Products granted orphan drug designation for this  
5 drug for GVH I licensed that along with a utility patent  
6 that I had received to Enteron Pharmaceuticals.

7 I am a consultant to Dor Bio Pharma, and I  
8 have an equity position. Please note that I recused  
9 myself from participation in all trials following this  
10 licensure agreement.

11 I'm going to talk a little bit about the  
12 disease, graft-versus-host disease. This is the focus  
13 of the two randomized trials. This is an inflammatory  
14 multisystem disorder, a complication, if you will, of  
15 allogeneic transplantation.

16 The pathophysiology is the attack of donor  
17 immune cells and release of cytokines in host tissues.  
18 The traditional target organs are the gastrointestinal  
19 tract, the skin, and the liver.

20 We now know that the list of organs is larger  
21 than this. We know that there is kidney involvement and  
22 especially pulmonary involvement in graft-versus-host

1 disease.

2           The traditional grading system grades this  
3 disease from one to four. This grading system is based  
4 on various combinations of GI, skin, and liver  
5 involvement. This affects approximately 60 percent of  
6 allogeneic graft recipients, roughly, 7,000 patients a  
7 year.

8           Now, among patients with Grade I to IV graft-  
9 versus-host disease, that is, GVH requiring treatment,  
10 the majority now have Grade II graft-versus-host  
11 disease.

12           Advances in the transplant field have  
13 fortunately reduced the frequency of severe, fatal  
14 graft-versus-host disease to a relatively small number.  
15 The focus of today's presentations is in patients with  
16 Grade II graft-versus-host disease.

17           The designation as Grade II implies that this  
18 is somehow a mild disease, but these are data from two  
19 multicenter randomized trials of patients with GVH  
20 looking at prophylaxis.

21           Among patients with Grade II in both trials,  
22 there is a 25 percent mortality risk. Grade II implies

1 a less severe disease, but this is a disease that  
2 carries a penalty.

3 I also note that the disease itself is not  
4 causing this mortality. No one dies from Grade II  
5 graft-versus-host disease. The deaths are due to the  
6 treatment, that is, high-dose prednisone and severe  
7 immunosuppression.

8 A brief timeline for transplant, just to give  
9 an orientation. The conditioning therapy of a  
10 myeloablative nature, that is, one that ablates the  
11 hematopoietic and immune systems, is given before day  
12 zero when donor cells are infused.

13 The standard GVH prophylaxis consists of a  
14 calcineurin inhibitor, cyclosporine or tacrolimus with  
15 intermittent methotrexate. The calcineurin inhibitor is  
16 discontinued if patients are doing well, free of GVH,  
17 usually at day 70 to 80, but the calcineurin inhibitors  
18 are continued if there are active signs of GVH.

19 Notice that these landmark endpoints, day 200  
20 is the traditional transplant literature endpoint for  
21 the end of immunologic hostilities due to GVH.

22 Certainly, the immunologic fires of graft-

1 versus-host disease are usually over by day 365. Acute  
2 GVH can appear anytime from day 12 to 15 out way past  
3 day 200.

4           Following its appearance, there is the  
5 potential for high-dose prednisone use, and inevitably  
6 the infections that result from severe immune  
7 suppression.

8           I'm going to talk a little bit about non-  
9 myeloablative conditioning regimens, the shorthand mini-  
10 transplant has now been replaced by reduced intensity  
11 regimens.

12           But the only differences here are the  
13 conditioning therapy is not myeloablative and a slightly  
14 different GVH prophylaxis is used combining a  
15 calcineurin inhibitor with mycophenolate mofetil.

16           Depending on what center one is at, these  
17 drugs, particularly the mycophenolate mofetil, are  
18 reduced in dosage to bring on graft-versus-host disease,  
19 hoping for an anti-leukemia graft-versus-leukemia  
20 effect.

21           Again, GVH can appear any time, somewhat later  
22 after non-myeloablative therapy, high-dose prednisone

1 and infections is the usual thing that happens.

2 A little bit about the gastrointestinal  
3 involvement with GVH. This is an old-fashioned barium  
4 contrast study, and I show it to illustrate that this  
5 disease affects the gut from the stomach all the way  
6 through the entire small intestine and all the way  
7 through the colon.

8 Early on, the dominant feature here is mucosal  
9 edema, that is, this isn't an ulcerative disease to  
10 start with. This is an inflammatory disease  
11 characterized by mucosal edema.

12 The symptoms are a complete loss of appetite,  
13 nausea, persistent vomiting, and diarrhea. What this  
14 looks like through an endoscope is illustrated here.

15 This is the stomach, that's the pylorus. You  
16 don't see ulcers. You see mucosal edema as a reflection  
17 of this inflammatory process. This is a similar process  
18 in the small intestine.

19 Now, the traditional treatment for GVH  
20 starting 30 years ago has been high-dose prednisone.  
21 This is a 2-milligram per kilogram per day regimen given  
22 for two weeks.

1           In people who have responded to this therapy,  
2 a very slow, progressive decline in prednisone doses  
3 given over a seven- to eight-week period of time. Two  
4 purposes of this, to prevent flares of the GVH once you  
5 have controlled it, and then to allow recovery of the  
6 adrenal axis.

7           Some 10 to 15 years ago, it was recognized  
8 that not all graft-versus-host disease is created equal.

9           There are some that are less severe. In many centers,  
10 those less severe cases are treated with a 1 milligram  
11 per kilo per day schedule, two weeks, followed by a  
12 taper, followed by discontinuation.

13           This is an idealized schedule. Patients who  
14 do not respond after two weeks have their prednisone  
15 dose continued, patients who flared during this taper  
16 have a bump in prednisone that goes back up to where it  
17 was. This is a considerable amount of prednisone burden  
18 across time.

19           What is the penalty that you pay for this much  
20 prednisone? We know that prednisone is an effective  
21 therapy for GVH, but it is also the cause of death in  
22 patients with GVH.



1           Here is a study looking at CMV-specific immune  
2 responses, CD4 and CD8. In patients on no prednisone,  
3 these were normal in 74 percent and 62 percent of  
4 patients.

5           Prednisone less than 1 per kilo, 57 versus 50  
6 percent had normal function. Prednisone 1 to 2 per kilo  
7 at any time before day 80, complete abrogation of T-  
8 cell responses to CMV-specific antigens.

9           The clinical part of that is illustrated here.

10          These are two different studies, one looking at the  
11 risk of CMV infection by prednisone dose. The higher  
12 the prednisone dose, the higher the risk of CMV  
13 infection.

14          The risk of invasive aspergillosis which has  
15 become I think the dominant fatal infectious disease in  
16 these patients is also similarly related to how much  
17 prednisone a patient is exposed to.

18          What is the rationale for oral BDP? We know  
19 that gastrointestinal involvement predicts the outcome  
20 of GVH. This appears to be the driving organ that  
21 predicts the prognosis. This is true in animal models,  
22 and it's true in humans.

1                   We know from 30 years of experience that  
2   prednisone therapy is effective, but there are many  
3   complications from prolonged use. We also know that  
4   oral topically active corticosteroids have been used  
5   safely and effectively in other inflammatory diseases.

6                   I come from the world of gastroenterology. We  
7   have treated ulcerative colitis and Crohn's disease and  
8   eosinophilic gastroenteritis and a whole variety of  
9   inflammatory processes with these topical  
10  corticosteroids for a very long time.

11                  In fact, the FDA has already approved 15 years  
12  ago a very similar drug, budesonide, in an enteric-  
13  coated capsule to delivery to the terminal ileum, so  
14  there is already an approved topical corticosteroid that  
15  is marketed for Crohn's disease.

16                  It couldn't be used in these studies. As I  
17  said, GVH is a PAM-intestinal illness and a medicine  
18  targeted at just the ileum misses half of the intestinal  
19  tract. Thus, the formulation that we are going to be  
20  reporting on of an upper-intestinal release and a mid-  
21  gut release to try to cover the whole gut mucosa.

22                  What are the expected clinical benefits? I

1 started these studies 16 years ago. It seems like only  
2 yesterday, but here was my expectation coming from the  
3 world of gastroenterology with th is idea for treating  
4 GVH.

5 We thought that BDP could maintain GVHD in  
6 remission without flares. We would put the medicine  
7 where the disease was. The expected benefit of that was  
8 decreased prednisone exposure. The expected benefit of  
9 that was decreased prednisone adverse effects and  
10 preservation of immune function.

11 I want to emphasize prednisone adverse effects  
12 are not just the infections. The patients who take  
13 prednisone vividly remember usually the insomnia, the  
14 anxiety, and many of the physical attributes that come  
15 from prolonged prednisone exposure. Finally, it would  
16 naturally follow if these three things happened that  
17 there would be better outcomes.

18 I will now discuss the two randomized placebo-  
19 controlled trials. We first, before we started the  
20 randomized trials, did Study 615. This established that  
21 the oral route for beclomethasone was well tolerated.

22 There did not appear to be substantial safety