

1 is one patient who -- and this is really to
2 address an issue that we thought is important
3 as CGI just as surrogate for chorea, and I
4 think this shows that it's really not. We
5 have several examples of this. The -- sorry.
6 The red bar is the change in the global
7 instrument in the CGI, and we see it starts
8 at 4 and goes down to 1 in this patient.

9 And if you jump over to this plot,
10 I apologize, this is the change in the
11 patient's chorea score over that time. So
12 they're going from a baseline of 10 down to
13 around 6.7. The patient experiencing adverse
14 event of restlessness at week 7 and we see
15 that without a significant change in their
16 chorea score from 7 to week 12, their CGI too
17 shot up to about a 6.

18 So it really suggests that the
19 investigators were not just looking at
20 chorea, but were really looking at other
21 measures than -- other things than chorea --
22 side effects and other measures as well.

1 Okay, those are the additional slides that
2 we've been able to prepare.

3 MR. GOLDSTEIN: Thank you. Before
4 we turn to the questions, I'd like to just
5 invite the committee again if there are any
6 other questions that they'd like to ask for
7 clarification, any other information from the
8 sponsor or from the FDA before we begin
9 discussing the questions. I just wanted to
10 give you the opportunity to do so. Dr.
11 Couch.

12 MR. COUCH: One quick question.
13 The question of -- the rate of titration,
14 will there be a recommendation from the
15 company as to what the rate of titration
16 should be -- should it be once a month as was
17 suggested by one of the speakers or once
18 every two week, what?

19 MR. STAMLER: Well, I -- it
20 certainly couldn't be any faster than once a
21 week, I think perhaps once very two weeks
22 could be a reasonable approach, I actually

1 might want to ask Dr. Jankovic to comment,
2 because he's probably got more experience
3 than anybody in terms of how he titrates his
4 patients.

5 MR. JANKOVIC: So I'm just going to
6 answer based on my long term experience over
7 the last 25 years in -- well over a 1,000
8 patients. Generally, I start a patient on
9 12.5 milligrams, and then once a week, I
10 increase it by 12.5 milligrams with careful
11 instructions to the patients to let us know
12 as soon as they experience any adverse
13 effects, and then usually I hold at about 50
14 milligrams, and then ask the patients to come
15 back reevaluate them and then see if there's
16 any need for further increment in the dosage.

17 MR. COUCH: That was another
18 question. That is with many other chronic
19 illnesses we find that over a period of time
20 it may be necessary to readjust the dose
21 after 6 weeks or 24 weeks or 48 weeks, we see
22 that in headache preventative medications all

1 the time, occasionally with seizures, do you
2 find that with tetrabenazine?

3 MR. JANKOVIC: Absolutely, and part
4 of our protocol, actually, is to
5 periodically, actually lower the dosage to
6 see if there's any need to continue
7 tetrabenazine, because in some cases, because
8 of the increasing rigidity, the chorea
9 actually improved spontaneously, so there's
10 less need for tetrabenazine. So it's really,
11 really important to individualize the dosage,
12 and to adjust the dosage, you know, as needed
13 periodically, but I think it should not be
14 increased anymore frequently than once a
15 week.

16 MR. GOLDSTEIN: Dr. Koski.

17 MS. KOSKI: Yes, would there also
18 be a protocol for reduction for a perceived
19 side effect or adverse effect?

20 MR. STAMLER: That's a good
21 question as to whether or not that should be
22 specified as to how to reduce it or to rely

1 on clinical judgment, I mean, I think from a
2 labeling standpoint, the recommendation would
3 probably be for the clinician to make that
4 decision based on their -- based on the
5 individual patient number, on the severity of
6 the adverse event.

7 But I do think that with any
8 adverse event that that is of any concern to
9 the investigator that suspension or dose
10 reduction is appropriate. I don't know that
11 we would want to be so specific about saying
12 that you would reduce it by x percent,
13 because I -- again, I -- and I don't know --
14 maybe Dr. Jankovic can comment, if someone
15 has an adverse event, how he would approach
16 that.

17 MS. KOSKI: You know, my way of
18 looking at this is that you're going to go
19 from a few centers that have a great deal of
20 experience, and have seen a number of
21 patients to centers that may have only seen a
22 very limited number of patients, and I think

1 particularly in the beginning, you know, if
2 you are going to set up a RiskMAP, it would
3 be wise to set up a number of parameters,
4 some ideas about what are examples of what
5 you consider are more severe versus less
6 severe. I know it sounds a bit cookie
7 cutter, but I think that, you know, that type
8 of thing, you know, at least makes other
9 treating physicians aware of what's expected
10 of them.

11 MR. STAMLER: Right. No, I --
12 we're certainly amenable to any, you know,
13 any logical thing, so we can put in the label
14 that will safeguard the patients. I just --
15 I can't tell you what those are right now.

16 MR. GOLDSTEIN: Yeah. And I
17 believe, the way the questions are laid out,
18 we'll have fuller discussion about these
19 types of issues later depending upon the
20 votes that we take earlier on. Any other
21 clarifying questions -- data questions, Dr.
22 Hurtig.

1 MR. HURTIG: On the matter of long
2 term observation and supervision of these
3 patients, there are a couple of small matters
4 that came up including the prolonged QT
5 interval, and a few patients had abnormal
6 liver function tests, is there any plan to
7 advise for periodic monitoring of -- on those
8 matters?

9 MR. STAMLER: I'll -- maybe comment
10 on the liver enzyme issue and then I'll ask
11 Dr. Kowey to comment on -- whether or not he
12 thinks, you know, what sort of cardiac
13 monitoring. Right now the proposed labeling
14 has -- well, let me go back to the clinical
15 trial. The one patient who had a -- what I
16 think is a clinically significant increase in
17 liver enzymes, had abnormal liver enzymes at
18 baseline, and was involved with binge
19 drinking around the time that his liver
20 enzyme abnormalities decreased.

21 That subject actually stopped
22 therapy and then ultimately reenrolled in the

1 trial, in the long term extension and did
2 okay. Our proposed labeling, not for this
3 patient, but for metabolism reasons has a
4 contraindication to patients with significant
5 hepatic impairments. So it's not our belief
6 based on what we've seen in the clinical
7 trials, that liver test monitoring is
8 something that's required. Dr. Kowey, would
9 you comment on the cardiac monitoring issue?

10 MR. KOWEY: My name is Peter Kowey,
11 I'm an electrophysiologist for Philadelphia.
12 It's a great deal of pleasure that I have an
13 opportunity to address this issue, because it
14 has been a point of concern with regard to
15 the FDA reviews. If I could -- if you could
16 get slide S62 ready for me and S57, okay --
17 not -- yeah. First of all, the comment that
18 Dr. Bhattaram made regarding the exposure,
19 and the information we have about the QT
20 interval was absolutely correct.

21 We do not have data that tell us
22 what would happen to the QT interval under

1 conditions where patients were receiving a
2 100 milligrams of this drug in the presence
3 of full metabolic inhibition with a 2D6
4 inhibitor. However, having said that I
5 believe the company has really done due
6 diligence in trying to completely understand
7 the issue of the Qt interval within the
8 constraints of what they've been able to do
9 in clinical trials, if I -- and pre-clinical
10 information -- if I could have the slide up
11 please.

12 This is a slide of the hERG assay.
13 hERG is an assay specifically to examine the
14 effect of the drug on the most commonly
15 influenced current and -- by drugs that
16 prolong the -- the non-cardiac drugs that
17 prolong QT interval and cause torsades,
18 that's IKR. What you're seeing here is the
19 IC50 for the hERG effect for the parent
20 compound, the alpha and the beta metabolite.
21 What you're seeing on the right of the --
22 concentrations that one might achieve with a

1 100 milligram dose under conditions of 2D6
2 inhibition modeled, because obviously, these
3 data are not available clinically. What you
4 see on the very right-hand side is the ratio
5 of the IC50 to the Cmax that might be
6 achieved, the assumption being that it is the
7 Cmax, which is the concentration of interests
8 and concern, and you can see that for both of
9 the metabolites, the alpha and the beta,
10 there is a more than 30 percent --

11 Times or 30-fold difference between
12 the IC50 and the Cmax that might be achieved.
13 We, under usual circumstances, consider a
14 30-fold difference to be somewhat reassuring.
15 If I could have the next slide please.

16 I apologize, this violates every
17 principle of slides, and the kind of
18 information you should put on slides, but I
19 use this slide, because it is the composite
20 of all the information that we have,
21 clinically, with regard to the QT interval.
22 There are actually three studies, and David

1 showed you data from 015 and 018, I also
2 included the 017 study. 015 was the Thorough
3 QT study, the 017 study was the study with
4 the metabolic inhibitor, 25 milligrams with
5 the Moxi comparator with -- in a placebo, and
6 then the final study, the 018 was the second
7 DDI study using 50 milligrams plus
8 paroxetine, compared to 50 milligrams alone
9 without a placebo and without a Moxi
10 comparator.

11 What I would point out to you is
12 that across the board for all of these
13 studies, which were studies that reflect what
14 we think will happen when this drug is used
15 at its proposed labeled indication, that in
16 fact there really isn't a whole lot there.
17 The upper bounds of the confidence intervals
18 for the most part as you can see here, scrape
19 along around 10 in the Thorough QT study and
20 are approaching 10 in the second DDI study.

21 I'd also point out to you -- and
22 the reason I'm showing this slide is because

1 there's very important outlier information
2 here. There are no patients in any of the
3 studies who had values that exceeded 500
4 milliseconds, and there are no patients
5 across all of these studies who had values
6 that changed the delta greater than 60
7 milliseconds, which are the areas of major
8 concern in the guidances that we use to that
9 judged the importance of a QT effect.

10 So the other reason why this is
11 important is because in order to do what the
12 FDA has suggested that we -- that might be
13 done, that is to study a 100 milligrams in
14 the presence of maximum metabolic inhibition,
15 could not be done with single dose, because a
16 100 milligram single dose is not tolerated.
17 Consequently, use -- we'd need to use
18 multiple doses. The problem with multiple
19 doses is that your baseline values are far
20 removed from your observed values and it
21 introduces a level of variability that we
22 simply don't like to see in Thorough QT

1 designs.

2 So it would be very difficult to do
3 a high dose of thoroughly inhibited study in
4 a Thorough QT design that we will be able to
5 interpret. Thus, I would conclude for myself
6 that I'm very comfortable that we have
7 adequate information that in the presence of
8 maximum doses of this drug -- and you heard
9 David earlier tell you that there are plans
10 to warn physicians not to use 2D6 inhibitors
11 in the presence of maximum concentrations.

12 With that labeling stipulation, I
13 think we have adequate information to be
14 reassured that the likelihood of a long QT
15 causing torsades, especially with the
16 information from all of the clinical trials
17 in which there's never been a case of
18 torsades, and from all of the spontaneous
19 adverse event reports in which there's never
20 been a case of torsades, that this drug can
21 be safely used at its -- at the
22 concentrations that are being recommended.

1 Dr. Katz.

2 MR. KATZ: Yeah, maybe you can
3 educate me. It's true that nobody went above
4 500 and nobody had an increase greater than
5 60, but for the other sort of intermediate
6 outlier criteria or less severe outlier
7 criteria, you see the same thing on
8 tetrabenazine as you do on moxifloxacin, so
9 --

10 MR. KOWEY: Yeah.

11 MR. KATZ: Does that mean anything?

12 MR. KOWEY: No.

13 MR. KATZ: Okay.

14 MR. KOWEY: It's --

15 (Laughter)

16 MR. KOWEY: That's the short
17 answer.

18 (Laughter)

19 MR. GOLDSTEIN: Short is good.

20 MR. KOWEY: No, well, just to be
21 clear, the thing you really want to be
22 concerned about moxifloxacin is what kind of

1 an effect it generated on its central
2 tendency. And you can see that it's right in
3 the middle of where you expect Moxi to be
4 with an upper bounds of about 15
5 milliseconds. So I have no -- I have great
6 confidence that Moxi did what it's supposed
7 to do. In any individual experiment, Moxi
8 may push you over 500, and it may push you
9 greater than 60, but not every time.

10 MR. KATZ: Okay.

11 MR. GOLDSTEIN: I just have two
12 quick questions for clarification, and then I
13 think we can move on to the FDA's questions.
14 One, at the -- when this -- the primary study
15 was done, were the assessments done by an
16 investigator who was blind to the treatment
17 phase of the trial, or was it done by the
18 investigator who was also treating the
19 patient?

20 MR. STAMLER: Excuse me. The CGI
21 rater was not blinded to the treatment. I
22 don't know if we captured the data

1 specifically about whether it could be the
2 investigator, but I --

3 SPEAKER: I'm sorry -- didn't hear
4 the question.

5 SPEAKER: -- sorry --

6 MR. STAMLER: Well -- I'm sorry.
7 Was it blinded to treatment or blinded to the
8 patient's --

9 MR. GOLDSTEIN: Blind -- in other
10 words, was the person who was doing the
11 treating, doing the dose escalation in
12 treatment phase. Was that also the person
13 who did the outcome assessment?

14 MR. STAMLER: Yes.

15 MR. GOLDSTEIN: Okay, thanks. The
16 second question is, you know, we -- I think
17 the thing that we're really struggling with,
18 is we understand how important chorea is, and
19 how -- what a big impact it is on quality of
20 life, and on activities of daily living, yet
21 looking at the data, the data doesn't seem to
22 follow that way. And that's the thing that I

1 think we're really sitting here struggling
2 with, and I think that's one of the things
3 that the FDA is struggling with.

4 Could it be that what we've done is
5 as we're treating the chorea, we're
6 increasing other extrapyramidal symptoms, and
7 other side effects so that the net sum gain
8 ends up being zero in terms of function. In
9 other words, we may be clearly greatly
10 impacting on the chorea, and I -- the data is
11 what the data are. But could these other
12 things that are going on at the same time
13 either singly, or in combination, be
14 attenuating that effect so that we end up
15 having no net functional improvement?

16 MR. STAMLER: Yeah, if I could get
17 the slide on the TFC components, yeah, I
18 think that's a -- that's an excellent
19 question. We --

20 (Laughter)

21 MR. STAMLER: We realize that the
22 functional measures in the study -- yeah, the

1 -- slide up -- aren't necessarily sensitive
2 to the improvements of chorea. And this is
3 the change in the total functional capacity
4 by item as Dr. Como mentioned. There's a
5 component regarding occupation finances et
6 cetera, and this is one item that focuses on
7 ADLs that is administered by the investigator
8 to the patient and/or caregiver if they're
9 available.

10 And perhaps one of the issues with
11 the analysis of the functional assessment
12 items, which Dr. Davis conducted, is that
13 although it looks at those individual items,
14 they can only be binary, they're yes, no,
15 whereas the ADL scale ranges from 0 to, I
16 believe, it's 4, 3 to 4. So I think there
17 may be more sensitivity in this scale that
18 has more divisions. The other thing is --
19 could I have the next slide as well.

20 This is the functional impact
21 scale, which is the scale that was piloted by
22 the Huntington Study Group in this trial, and

1 what this showed, and I think the FDA had
2 some -- you know, this is not a validated
3 instrument and they had some legitimate
4 comments about the fact that it wasn't
5 normally distributed, and there might be a
6 ceiling effect, accordingly for the -- I'm
7 sorry, a floor effect for the placebo
8 patients.

9 But for tetrabenazine, it did
10 really appear to improve dressing, feeding
11 and social isolation, and I think social
12 isolation is an issue that is not really
13 captured in any of the other functional
14 instruments, but I would encourage, you know,
15 Dr. Marshall or anybody else to comment if
16 they can have something to add on this issue.

17 MR. GOLDSTEIN: Dr. Temple.

18 MR. TEMPLE: Well, I asked this
19 before, but I wondered, if you'd look --
20 suppose you look at the 50 percent of people
21 that had a -- an effect on chorea for
22 movements of 6 to 10, 12, whatever, and

1 looked at their scores on these things?

2 MR. STAMLER: You know, I know you
3 asked -- we were answering to -- answered all
4 these other questions, I -- we didn't get to
5 that, but, I -- I'm sure we did that in part
6 of our complete response, and while you're
7 discussing this --

8 MR. TEMPLE: Okay.

9 MR. STAMLER: -- other questions,
10 I'll go -- I'll look through that, and see if
11 we can locate that answer.

12 MR. TEMPLE: Right and I'm not
13 alleging that that's statistically
14 legitimate, I'm just sort of curious.

15 MR. STAMLER: No, I understand, I
16 --

17 MR. TEMPLE: Right.

18 MR. STAMLER: -- my hunch is that
19 we looked at it, and may not have found
20 something, because we looked hard.

21 MR. MARSHALL: Did you want me to
22 address that?

1 MR. TEMPLE: Sure.

2 MR. MARSHALL: My recollection is
3 that -- just with our own biostatistician at
4 the University of Rochester, we did look at
5 it by tertiles and we didn't find what we
6 were looking for. But having said that, I
7 think I'd like to address the functional
8 assessment, the checklist, which is a 25-item
9 binary checklist as you've heard, and I want
10 to do that by acknowledging the FDA's concern
11 that on the sub items that they selected,
12 there was an adverse impact actually of drug
13 against placebo; they identify in your
14 briefing books, you know, 10 items that they
15 thought would be associated with adverse
16 ADLs. And if we could have X101.

17 It's 101. Slide up please. So
18 these are the 10 items and this is -- these
19 are the FDA's numbers, these are based on
20 their briefing document, and as you can see
21 actually tetrabenazine doesn't look like it's
22 doing very well here. In the FDA reviewers

1 slides, actually 9 of these 10 items were
2 shown, it was interesting that the dressed
3 self item, which favors tetrabenazine was
4 omitted from the slides, but I'm sure that's
5 -- again, I don't mean to -- I'm not trying
6 to pick fights here. I just -- I want to try
7 to understand how this could come to be and
8 what its significance is.

9 And so I'd like to go to slide --
10 to the next slide, please this one up. So as
11 shown on item 68 of the UHDRS, more placebo
12 patients filled out the checklist by
13 themselves, 47 percent versus 26 percent,
14 which was statistically significant
15 maldistribution for this rating, which is to
16 say, we never actually had caregivers filling
17 out these items independently, we have data
18 on whether or not the items were filled out
19 based on information from the patient, or
20 information from the patient and the
21 caregiver, and so you can see here that this
22 maldistribution -- may be worth looking into

1 a little bit more.

2 My thought about it as a clinician
3 is that often times it's the case that I'll
4 see a patient with Huntington's disease in my
5 office and sort of go through the behavioral
6 checklist or the behavioral assessment scale
7 and ask them how they're doing, and
8 oftentimes the responses are relatively
9 monotonic, that is to say, patients will say,
10 yes, or no or -- they'll get stuck on one
11 answer, and then continue on with that
12 answer. So I often find it valuable to have
13 the caregiver information.

14 And if I were designing the
15 protocol, again, I'm certain that I would
16 have requested that the caregiver be -- the
17 -- you know, there to provide the data on
18 every single assessment, that's something
19 that I learned from this study, but
20 nonetheless I want to just have the next
21 slide up, and show you what happens now when
22 you restrict these numbers that the FDA

1 themselves picked out to just those patients
2 who had a caregiver providing information at
3 the same time that that -- you know, both at
4 baseline and week 12. And you can see on the
5 prepared meals item, actually, now it favors
6 with the caregiver supplemental information
7 tetrabenazine -- let me just use the pointer
8 here.

9 So it goes from -1 +2 in favor of
10 placebo to +3 -2 in favor of tetrabenazine.
11 And virtually down the line you can see the
12 same kind of pattern happening here, it goes
13 from -20 to +3 -4. I think at the end of the
14 day I don't want to make too much of this
15 data. I think it's a reflection of the fact
16 that there's wiggle in this test, and that in
17 the greater scheme of things, we're talking
18 about 54 patients, you know, 2 who got worse,
19 0 who got better, 3 who got worse, 4 who got
20 better, on these binary items.

21 The other thing to mention on the
22 functional assessment is that the -- it --

1 the -- oh, next slide please. It's a lengthy
2 assessment, and the FDA called attention to
3 some that they thought might be associated
4 with functionality, in more particularly,
5 ADLs, but you could see actually, there's a
6 lot of other things that might also be
7 predictive of ADLs, and that -- anyway I
8 could go on. But in the interests of time.

9 MR. GOLDSTEIN: Now, I guess, the
10 other way to interpret it is that the ones
11 where the patients were able to do it
12 themselves, they were too impaired to do it,
13 so the caregivers did it, and the caregivers
14 underestimated their severity. And the
15 problem is you can look at these data in any
16 way you want to.

17 MR. MARSHALL: You could, but I
18 think the really important take-home message
19 that I'd like to leave with the committee is
20 that in my opinion as a clinician, given that
21 there was no single sub item of this list
22 that was statistically significantly adverse

1 against the drug, it's very hard to know what
2 to make of adding them all up, and then
3 deciding that that's an adverse signal
4 against the drug.

5 MR. GOLDSTEIN: And I guess, that
6 gets back to the point I made in the morning
7 session about the validity, and -- of the --
8 of these various assessments that we're
9 having to make judgments on. If we can't
10 trust the numbers, then we're still opposed.

11 MR. MARSHALL: Yeah, I would say
12 that we're in -- as the -- as Huntington
13 Study Group, investigators, we recognize this
14 as an issue, and we're in the process of
15 trying to improve our scales.

16 MR. GOLDSTEIN: All right.

17 MR. MARSHALL: We don't have a
18 scale for how you -- whether you kick your
19 neighbor at the theater.

20 MR. GOLDSTEIN: Got it. Okay. One
21 more question, and then I think we need to
22 get to the questions. Dr. Hurtig.

1 MR. HURTIG: Yes, I just want to
2 get clarification on one more thing, and that
3 is whether you plan any warning on the use of
4 antidepressants in patients who're taking
5 tetrabenazine?

6 MR. STAMLER: I think the issue of
7 antidepressants in particular 2D6 inhibitors
8 like fluoxetine and paroxetine is only
9 probably important in patients who are on
10 stable therapy, and it's added. If someone's
11 on a 2D6 inhibitor, coming in, they're likely
12 to start low and titrate slowly, and stop
13 once they achieve higher levels. But yes, we
14 do think that some level of precaution or
15 warning is warranted for patients who are on
16 a stable dose and have to add a 2D6 inhibitor
17 like any antidepressant.

18 MR. GOLDSTEIN: Dr. Katz.

19 MR. KATZ: Yeah, as long as we're
20 looking at data in many ways that we weren't
21 planning to, I -- the case has been made that
22 when looking at the scales as designed and as

1 intended to be analyzed that for the
2 functional non-cognitive -- even for the ones
3 that go numerically in favor of placebo, the
4 differences are small and the contention is
5 that maybe we don't know exactly why that's
6 doing it, although you'll have some
7 explanations, it doesn't really matter,
8 clinically.

9 We saw for the effectiveness data
10 the chorea -- we saw a presentation of the
11 distributions, you know, the -- how many had
12 more than 10 point improvements, 6 point, the
13 -- you know, in bins. Did you do any of that
14 for these other scales for the functional
15 scale, the cognitive scales, the ADLs, did we
16 look at distributions of changes? I mean,
17 does it -- does that small mean change
18 represent some people who did a lot worse
19 than placebo or -- did we do anything about
20 that?

21 MR. STAMLER: Yeah -- I mean, I --
22 we probably looked at that most closely for

1 the functional assessment where we did have
2 some big declines. There was one patient in
3 particular I recall at week 12 -- 7 or 12,
4 that had a 13-point decline. So if you think
5 in a -- in the tetrabenazine treated
6 patients, there was overall about a 1/2 point
7 decline in 50 patients, that one patient
8 having a 13-point decline was a big driver.
9 So yeah, I think there were some people that
10 had significant adverse events that did drive
11 that, but in terms of distribution, I'm not
12 sure if that's -- I don't think we've created
13 slides on that, if --

14 MR. GOLDSTEIN: Thank you. Well, I
15 think what we will do now is turn to the
16 questions before us, and the committee has
17 obviously seen these, and I believe, they're
18 in the record. The -- we have two questions
19 to vote on -- with the vote on the second
20 question really being contingent on the vote
21 to the first question. Let me also just
22 reiterate for the -- for my committee members

1 who haven't been to one of these things
2 before, is that the discussion is as
3 important, if not more important than an
4 actual vote -- we're here to give an
5 independent view to the FDA about what our
6 thoughts are given the data that we've had in
7 the discussions that we've had so far.

8 So the first question is the one
9 that we actually -- is asked -- we're asking
10 for a vote on is, do the findings on the
11 secondary efficacy outcomes, (the lack of
12 beneficial effect of tetrabenazine on
13 numerous measures of function and cognition),
14 endure the numerical superiority of placebo
15 on some measures, by themselves raise
16 sufficient concern about the utility -- and
17 let me underline utility -- of
18 tetrabenazine's effect on chorea to just --
19 justifying not approving the application?

20 And I think a lot of the data that
21 we've heard, and a lot of the discussions
22 that we heard have really sort of floated

1 around this very critical, critical issue.
2 So this first question is open for discussion
3 and remember again, the discussion is as
4 important as the vote, so committee. Let's
5 go around the table and --

6 SPEAKER: Right --

7 MR. GOLDSTEIN: -- that sounds like
8 a plan since nobody is volunteering, let's
9 start off on the left, and go around, and
10 please voice your opinions.

11 MR. TWYMAN: I think Dr. Temple
12 raises a good point in the group that had a
13 "super response," that is 6 point or a
14 greater change, it's rather remarkable not to
15 see a functional change, but I think the
16 study size is actually quite small, and I'm
17 not quite sure whether or not these scales
18 have the resolution with that small sample
19 size to see a clear difference, but with that
20 -- without the data, I just don't know from
21 that sample, but it is surprising not to see
22 a large functional effect somewhere along the

1 line.

2 MR. GOLDSTEIN: Ms. Milek.

3 MR. TEMPLE: Before you leave that,
4 it is surprising, we all know that, how does
5 it make you feel about that? Sooner or later
6 you got to get to that question. I'm talking
7 to anybody --

8 MR. TWYMAN: Oh, I -- respond to
9 that --

10 MR. TEMPLE: -- you're right it's
11 puzzling, we're all puzzled by it as is the
12 company, but --

13 MR. TWYMAN: Yeah.

14 MR. TEMPLE: What's the
15 implication?

16 MR. TWYMAN: Again, in my mind it
17 is rather surprising not to see some
18 improvements that have been remarked upon at
19 least anecdotally that with the treatment of
20 tetrabenazine there is a remarkable ability
21 to --

22 SPEAKER: Yeah.

1 MR. TWYMAN: -- function at least
2 at home, and eat, or otherwise perform at
3 home. And so it is remarkable, at least on
4 some of these scales, that some measure of
5 reasonable functional improvement was not
6 detected even in the super responder group.
7 So it does make at least me feel a little
8 hesitant, but again the sample sizes might be
9 too small here to actually resolve the
10 difference.

11 MS. KOSKI: You know, I must admit,
12 you know, I think the -- you know, we've
13 heard some testimonies, we've seen certainly
14 with the chorea scale that, you know, the
15 changes can be actually quite striking. You
16 know, the issue is, is that many of the other
17 ones with the exception of the functional
18 assessment scale were really sort of like
19 trends, you know, if a drug came in here, you
20 know, with that type of data, you know, you
21 certainly weren't going to approve it. So I
22 think the only way one can get around this is

1 to continue collecting data. And you -- and
2 for that you have to have actually, you know,
3 a placebo group.

4 MR. KATZ: But again, the question
5 is we need to know how the committee feels
6 about how strongly you feel about this. In
7 other words, do you feel strongly enough that
8 the data taken as a whole are more or less
9 uninterpretable, or we don't understand the
10 clinical meaningfulness of it to not approve
11 it at this point? And acquire more data
12 perhaps before we consider approving it. So
13 it would be very useful for us for -- folks
14 to sort of get -- get an actual conclusion
15 about the question.

16 MR. TEMPLE: Yeah, just to be
17 clear. You could conclude that -- since I
18 don't understand it, I'm not prepared to
19 believe that the chorea effect is valuable,
20 or you could believe that the chorea -- the
21 value of the chorea effect speaks for itself,
22 and we don't understand why it didn't work.

1 But it's not a reason to take that position.
2 We're not telling you what we believe,
3 that's -- we're asking you.

4 SPEAKER: You know --

5 MR. TWYMAN: So let me further
6 clarify that. My feeling is, I think the
7 total chorea score is actually a very
8 reliable measure, and as you pointed out,
9 replicated. And so I do believe there's a
10 dramatic effect on the chorea itself. I
11 would tend to believe that score as a measure
12 of improvement of the motor function than a
13 functional measure that we're trying to do
14 here in an obscure way. So I would believe
15 that the total chorea score actually does
16 reflect an improvement in overall functional
17 capability even though we can't resolve that
18 in the scales that we see here.

19 MR. GOLDSTEIN: Ms. Milek, did you
20 want to make comments, sorry, we passed by
21 you before?

22 MS. MILEK: Yes. Hi, yes, I agree

1 to that -- what you see with the chorea is
2 totally positive, and we are never going to
3 figure out the whole thing, and anybody who's
4 followed the Huntington's disease, it's not
5 really a cut and dry kind of a disease, yes,
6 you find the gene and all, but the symptoms
7 differ a -- even when -- and within a family
8 -- from family members, and it's going to
9 take centuries for us to find something that
10 we can follow, and it's going to be that cut
11 and dry. But with this chorea, we're all
12 overly amazed at how much we see up here how
13 good it is, and I have had -- you know, I --
14 I don't know -- we all read the letters that
15 we got, and we listened to these people talk,
16 and they are well -- that's true, that's a
17 fact, that's right here in front of us. And
18 I -- I'm going to believe that what I see.

19 MR. GOLDSTEIN: Carolyn.

20 MS. KOSKI: Well, I guess, I sort
21 of expressed myself earlier, I basically
22 think that this is a valuable drug, at least,

1 to release to the community. I think it is
2 readily available, you know, in Canada and
3 Europe, and I assume that patients are going
4 to continue to get it from those sources;
5 those that can afford to do it. It's just
6 that -- and I think that it would be
7 reasonable to go ahead and say, "yes, let's
8 release it," but you know, with very careful
9 controls, very careful follow-up reporting
10 back to the FDA, you know, so that we -- if
11 we do continue to see these trends that, you
12 know, that the drug perhaps would then be
13 removed, but --

14 MR. GOLDSTEIN: Dr. Holmes.

15 MR. HOLMES: Yeah, I -- just not to
16 repeat anything everyone else has said. I
17 mean, as a short study, it's very few
18 patients, the data is kind of soft, and you
19 can interpret it in many different ways, but
20 the bottom-line, I think it really works well
21 for chorea, and I certainly don't think it --
22 there's anything I heard that would raise any

1 sufficient concerns on my part to not approve
2 this application. I think the benefits of
3 the drug far outweigh everything -- yes, I'm
4 a little surprised, but not -- I'm not really
5 concerned at all.

6 MR. GOLDSTEIN: Dr. Rudnicki.

7 MS. RUDNICKI: They -- the
8 statistics are so strong supporting the
9 chorea, and I find that the data looking at
10 the functional outcomes are frequently not --
11 don't reach statistical significance, and so
12 I feel like the -- many of the others do that
13 the chorea benefits probably outweigh the
14 trouble with looking at the functional
15 outcomes.

16 MR. GOLDSTEIN: Okay. Dr. Couch.

17 MR. COUCH: The -- in addition to
18 what's been said, the drug has been available
19 for 30 years now in other parts of the world,
20 and we haven't really had any bad -- I
21 haven't seen any papers that say, you know,
22 people are dying from heart attacks from

1 excessively aspiration pneumonia things like
2 this. I think that, at least from other
3 places where it's available, we would be
4 seeing something -- if the things that they
5 were pointing out were really that prominent,
6 I don't want to downplay them.

7 I am surprised as everybody else
8 is, about the fact that the chorea is
9 dramatic, it is reproducible, and yet somehow
10 or other it doesn't translate into a
11 functional gain, and I'm wondering if we
12 really know how to measure functional gain.
13 If somehow or other, the instrument that's
14 being used is not really measuring what needs
15 to be measured, so perhaps that needs
16 additional work.

17 Nevertheless, the combination of
18 good results on the chorea scores from the
19 small study, number two the fact that we --
20 that it's been used for a long time, and
21 nothing really bad has been said, and then
22 number three we heard -- we certainly heard

1 some very dramatic testimonials from the
2 public. I think that it's a drug that needs
3 to be out there in the marketplace and then
4 we need to design the follow-up on it so that
5 it's going to be watched carefully, and if we
6 see things developing under this observation,
7 we can then take additional steps.

8 MR. GOLDSTEIN: Dr. Anderson.

9 MR. ANDERSON: I -- I'm just struck
10 by the disconnect between the fervor in which
11 chorea reduction is associated with
12 functional improvement from the patients that
13 I had seen before, and the patients that
14 we've heard today and the inability to really
15 establish anything convincing for
16 improvement, and that seems to me like a
17 puzzle that should be really interesting to
18 all of you who are doing this, and has been
19 more defended than addressed.

20 I noticed that there were nominally
21 statistical significant differences for
22 cognitive components. In early Parkinson's

1 disease, Stroop effects will -- Stroop
2 impairment will occur. That's been related
3 to dopamine levels measured by, I don't know,
4 I think it was SPECT, but maybe it was PET.
5 And it seems to me that there is a plausible
6 working hypothesis that you are exacerbating
7 the cognitive impairment of Huntington's
8 patients while at the same time it's
9 improving their chorea, and you're getting a
10 cancellation out in terms of functional
11 measures.

12 There are, I think, better
13 functional measures that were employed in
14 this study, which in hindsight you would've
15 used if you had known this was going to be
16 the critical issue. I would regret if, sort
17 of, approval lead to a loss of opportunity to
18 understand better why tetrabenazine wasn't
19 showing improvement, and so this ties into
20 the second question -- I'm sort of running my
21 mitigation issues into sort of the approval
22 issue.

1 So personally, as a physician, do I
2 think that this would be a drug that I would
3 like to have available to treat patients
4 given the information I have before me today
5 and the answer is, yes, but I would hope that
6 such an approval wouldn't lead to a situation
7 where it no longer became possible for us to
8 get the sort of data that we needed in a
9 placebo controlled way to understand why we
10 weren't able to see something better that
11 might not only address how to use
12 tetrabenazine, but whether some other
13 medicine that came down the road, what it
14 should be measured for, what it should be
15 looked at, in addition to sort of chorea
16 manifestation. So I'd -- that's the summary
17 of my opinion, I'd like to understand better
18 whether there were cognitive consequences of
19 the drug that cancelled the functional
20 benefits when weighed against the motor
21 improvements, and how that could be provided
22 in sort of subsequent monitoring or

1 mitigation.

2 MR. GOLDSTEIN: Thank you. Dr.
3 Rizzo, the -- you've been sitting there, we
4 think. Are you there?

5 MR. RIZZO: Can you hear me?

6 MR. GOLDSTEIN: Yes, we sure can.

7 MR. RIZZO: Well, I've been
8 listening all day and I guess, suffered
9 through a sort of intermittent connection
10 having to call back 6 or 7 times, but I'm
11 convinced that -- well, having heard the
12 lectures this morning, heard the discussion
13 by the committee, and having read through all
14 the materials that -- and I -- substantial
15 side effects that would mitigate the use of
16 the drugs, not depression, not drowsiness,
17 not parkinsonism.

18 MR. GOLDSTEIN: So he's in an
19 airport.

20 MR. RIZZO: And oh, sorry. Just
21 making noise here. And then -- so then the
22 other thing is that -- I think because of the

1 potential benefits in the chorea, and the
2 improvements in the chorea scores that I
3 would favor -- considering the drug and
4 approving it to the FDA. I guess, I'm
5 generally in favor of the drug, I think that
6 the benefits, as best I understand them,
7 outweigh the risks.

8 MR. GOLDSTEIN: Thank you. Dr.
9 Jung.

10 MS. JUNG: Well, I want to briefly,
11 just outline, I think the -- some of the same
12 comments, which is that the primary endpoints
13 of the study have been met in showing that
14 chorea has clearly been effectively treated
15 with this drug. I've heard a lot that the
16 functional scales that we're using are not
17 clearly validated, are not clearly
18 understood. And given the small size of the
19 study and the short length of the study, to
20 then -- with -- and having said that, had
21 such significant primary endpoints met,
22 suggests that the drug should be approved

1 from my standpoint.

2 I think that in addition to that,
3 we've had 30 years of real life experience
4 with this drug all over the developed world,
5 and we have not heard of any significant
6 adverse events that have come about as a
7 result of that. And my fear, actually as a
8 clinician, is that we're overly cautious, and
9 that as I heard the description of the
10 RiskMAP, offered this morning by the sponsor,
11 even though, the FDA has not requested one,
12 that we don't over -- that we don't
13 overburden the process.

14 I can't imagine how you would be
15 able to use this RiskMAP to monitor the
16 treatment of patients. I would urge that I
17 haven't heard anything about this drug that
18 makes me think it's any more dangerous than
19 any of these other drugs that have been
20 released and we're using out there, and to
21 put such a burdensome process -- in is not
22 fair to the patients out there. So those are

1 my two cents.

2 MR. GOLDSTEIN: Dr. Green.

3 MR. GREEN: Well, just like when we
4 treat Parkinson's disease, we're used to
5 making an inventory of symptoms, and
6 recognize that if we treat one target
7 symptom, it's possible to worsen another, and
8 I think this is probably very similar. And
9 therefore, as long as we understand this very
10 specific target symptom of chorea here and
11 educate both doctors -- and frankly, more
12 than usual, caretakers to these potential
13 issues will probably be okay going forward.
14 I was also very moved by what I learned about
15 functional improvement by the public probably
16 more than I did by the studies.

17 MR. GOLDSTEIN: Dr. Lu.

18 MR. LU: Yeah, I think I'm
19 convinced that it -- that the drug control
20 chorea, so in that aspect there's no
21 question. For the secondary effects the --
22 efficacy endpoint there are some questions,

1 but the sample size is small and is a major
2 issue about correlation, so the directional
3 issue is kind of difficult to argue. But the
4 behavior side, and the functional side was --
5 behavior was supposed to be relatively
6 independent of the other -- measurement was
7 not in favor of that -- the treatment.

8 So in that sense, I think there
9 should be some more study to -- you know, I
10 think -- just for symptom control, it was
11 clear there should be no question, so you
12 know, in the -- as a field like osteoporosis,
13 they have this two-dimensional, like,
14 control, the BMD as a prevention treatment,
15 and then the control fracture treatment
16 indication. So I don't know if there's any
17 different levels of sort of overall benefits
18 patients has one higher level as chorea as --
19 you know, chorea is one level of the control
20 indication, but that's as a consideration.

21 MR. GOLDSTEIN: Dr. Hurtig.

22 MR. HURTIG: I agree with

1 everything everyone has said. But I'll
2 elaborate. I feel that we have a -- sort of
3 a bit of a clash here between evidence-based
4 medicine and medicine-based evidence, meaning
5 that what you see in practice is often
6 dramatic compared to what you can try to
7 prove with a difficult clinical trial, and I
8 think that's one of the problems where you
9 have something that's relatively easy to
10 measure, which is the chorea, and it shows a
11 very robust effect, and compared to the
12 things that are more difficult to measure,
13 and I'm also persuaded when I hear the
14 additional evidence that with more caregiver
15 input to the evaluation, that the results are
16 more positive.

17 So I think some of it is how you
18 tweak the information, obviously you don't
19 want to over tweak it, but still there's a
20 bit of -- more mining that needs to be done.
21 So in summary, I think, I agree that this is
22 a powerful drug, and I'll -- in full

1 disclosure, I can say I've used it quite a
2 lot and I'm impressed. That's my medicine
3 based evidence.

4 MR. GOLDSTEIN: Thank you. My view
5 is that what I think we may be seeing to some
6 degree is this problem where we're using
7 unvalidated tools to measure things that we
8 think are important. And again, taking the
9 example of our stroke trials, which God knows
10 have been challenging over the years, we
11 could have very significant improvements in
12 things that really matter to patients,
13 things, for example, like aphasia or visual
14 field defects, that aren't -- wouldn't be
15 manifest in -- on some of the activities of
16 daily living skills like, for example, the
17 Barthel Index, you can have an aphasia, a
18 global aphasia, and score a 100 on the
19 Barthel Index, which is our functional
20 outcome measure.

21 So we look at the panoply of
22 measures together and -- to try to get a

1 picture of as to what the drug is doing. I
2 think that's really the challenge for the
3 Huntington's disease community, to really go
4 through that process so that we can have a
5 better understanding of whether therapies
6 that we're developing and using are actually
7 helping or not helping. Having said that,
8 sometimes helping a symptom is very, very
9 important, even though it may not be manifest
10 in some of these admittedly not great global
11 scales.

12 My own feeling is that I think the
13 first trial, although small, clearly
14 demonstrated that it did what it was supposed
15 to do, that it decreased chorea. I think the
16 second trial, I think, supports a biologic
17 effect, it was -- it certainly was not an
18 efficacy trial, and I think the -- in the
19 spirit of the data -- of the FDA's rules for
20 10 years, that the second trial, I think,
21 supports a biologic effect, I think it does
22 that, although it sure doesn't support

1 efficacy. So that's my view, and again
2 hearing the testimony from the public, and
3 having taken care of patients with
4 Huntington's disease and other conditions
5 over the years, I know how important some of
6 these symptoms are to daily functioning, and
7 they may not just be manifest in this -- in
8 the way the scales are being used.

9 So any other comments from the
10 committee before we bring the first question
11 to a vote, and does the FDA have anything
12 else you'd like us to address relative to the
13 first question? I think more will come out
14 as we move on afterwards.

15 MR. KATZ: Yeah, I -- there was one
16 other thing, which is -- some of the adverse
17 events that also are part and parcel of the
18 natural history of the disease, of course,
19 can be -- we think caused by the drug and
20 they're sort of easy to see if depression
21 gets worse. I don't know how easy they're to
22 see, but they're seeable if you're looking

1 very closely, I suppose. But if you really
2 believe that some of the cognitive issues are
3 trending in favor of placebo, but in 12
4 weeks, very minimally, is it possible or do
5 -- or are you worried about the fact that
6 that difference if it's real could increase
7 over time, and that I would think would
8 probably be undetectable, you know, from a
9 clinical point of view, and so the patients
10 could continue to get worse in those areas.

11 Again, there are just hints of it
12 if you even believe it in the 12 weeks. I'm
13 just wondering whether or not that difference
14 could expand over time, and that I don't
15 think people would pick up clinically. And
16 so you would be treating people's chorea is
17 benefited, but in fact they're getting worse
18 in ways that are important, but will probably
19 never be attributed to the drug. So I just
20 wonder if that is something people are
21 concerned about.

22 MR. ANDERSON: Well, I mean, that's

1 what gave me pause in sort of -- my
2 reflection was that I felt -- I didn't hear
3 any real discussion of the cognitive, we were
4 all talking about the motor symptoms, which
5 are the most obvious, and I was -- when I
6 read some of the -- and heard some of the
7 mitigation approach of, you know, monitoring
8 the drug and you know, and issues related to
9 depression and insomnia, those I feel come
10 out.

11 I don't think you necessarily --
12 they may overlap with the spectrum of the
13 disease, but they come to the attention of
14 the clinician and the family much of the
15 time, and I was much more concerned about how
16 you would address sort of a baseline
17 assessment of functional cognitive status
18 that you could, as a clinician in a practical
19 way, monitor over the course and time of
20 therapy to actually be able to be aware of
21 things, because that's even more likely to
22 overlap with the course of the disease that

1 if you're given medicine that slightly
2 afflicts executive functioning, and suddenly
3 somebody needs a little more help doing the
4 laundry than they did before, you know, are
5 you really going to report -- you're never --
6 so unless you have some structured assessment
7 of these things that form a baseline for the
8 clinician, the clinician no matter how well
9 intentioned is not going to be able to detect
10 them.

11 And I think that is a challenge
12 that I didn't hear addressed in the
13 presentations today. I personally wouldn't
14 use that at this point for my own vote to
15 invalidate a recommendation of approval, but
16 I would really like to see it, you know,
17 wrestled with.

18 MR. GOLDSTEIN: And again, we can
19 -- we'll -- after this first question is
20 dealt with, then we can discuss these and
21 many other issues that I think flow from
22 this. I guess, Dr. Katz, I think, the point

1 you make is obviously a good one. We face
2 this all the time, we have pharmaceuticals
3 that are approved with relatively short term
4 studies; a week -- you know, a month, two
5 months, three months, six months, that
6 patients are then on for the next 20, 30, 40
7 years. And we have -- clinicians face this
8 all the time, we have no data on these long
9 term effects, aside from what goes into
10 these, you know, open reporting systems.

11 The comments, again, for the --
12 just to make sure the committee has this in
13 an appropriate frame. Although the drug has
14 been used extensively in Europe as we've
15 heard, the reporting on this is spotty at
16 best, and it's entirely possible that there's
17 some significant effect that hasn't been
18 reported, because it hasn't been recognized.
19 So we can't -- I don't think we can rely very
20 much on that, and I would just hold that on
21 the side, I wouldn't weigh that very much.
22 Dr. Temple.

1 MR. TEMPLE: Well, just to observe
2 that you have some longer term data that
3 included some cognitive function, but without
4 a control group it's really --

5 MR. GOLDSTEIN: It's uninterpreted,
6 it's --

7 MR. TEMPLE: -- hard to know, so
8 your best shot's going to be something so
9 dramatic, it is obvious even without a
10 control group and that seems very unlikely,
11 but it's very hard to get at those things.

12 MR. GOLDSTEIN: Yeah. Okay, if
13 there are no other -- we have one other,
14 sorry.

15 MS. MILEK: Well, I, as a person
16 who has Huntington's disease, would like then
17 to be able to make that choice, to decide to
18 go on a medicine that could particularly have
19 some other effects down the line, I may want
20 to choose then not to stay on the drug, but
21 having the choice to get on the tetrabenazine
22 is going to be just -- there is no words for

1 it -- just something that can make my life
2 much better. And we, hear today, can make
3 that happen.

4 MR. GOLDSTEIN: Any other comments
5 from the committee? Okay, seeing none, do I
6 need to read the question in again, or is the
7 --

8 SPEAKER: -- hands --

9 MR. GOLDSTEIN: Okay. So I've
10 already officially -- I've to follow the
11 rules, I've officially read the question into
12 the record before. So I hope everybody knows
13 what we're voting on. So the process is
14 that, first, I will ask everybody who votes,
15 yes, or, let's see -- make sure this is not a
16 double negative. Do the findings by
17 themselves -- actually -- so it's a -- yes,
18 means that you think that there is a problem,
19 no, that means that you think that there
20 isn't a problem.

21 SPEAKER: -- say no --

22 MR. GOLDSTEIN: Okay. It's -- it's

1 written in a negative as opposed to a
2 positive. So --

3 MR. KATZ: There was a reason for
4 it at the time, it's rhetorical.

5 MR. GOLDSTEIN: Just reading, you
6 can't write more than it is. I think you've
7 been in government too much.

8 (Laughter)

9 MR. GOLDSTEIN: Okay. So -- just
10 so that we keep it straight, the no votes
11 mean that there -- that you do not think that
12 there is something that would -- that you're
13 concerned about, that should block approval,
14 okay. So I'm going to knock all the rules,
15 and do the no vote first. And the way we do
16 this is everybody then voting no raises their
17 hand, and then we'll go around, and then you
18 read your name into the record saying that
19 you voted no to the first question.

20 So first question to vote -- all
21 saying, no, that there isn't a problem that
22 you're concerned about. Okay. Let's go

1 around and -- just say your name and say, no.

2 Yeah, everybody leave your hand up. So we

3 know who to call on.

4 MS. MILEK: Karen Milek, no.

5 SPEAKER: Turn your mic on.

6 MR. GOLDSTEIN: Turn your mic on,

7 please, so it goes into the record.

8 MS. MILEK: Karen Milek, no.

9 MS. KOSKI: Carol Koski, no.

10 MR. HOLMES: Greg Holmes, no.

11 MS. RUDNICKI: Stacy Rudnicki, no.

12 MR. COUCH: James Couch, no.

13 MR. ANDERSON: Britt Anderson, no.

14 MS. JUNG: Lily Jung, no.

15 MR. GREEN: Mark Green, no.

16 MR. GOLDSTEIN: Dr. Rizzo.

17 MR. RIZZO: I can't raise my hand,

18 but I'll say no.

19 (Laughter)

20 MR. GOLDSTEIN: There you go. You

21 can raise it.

22 MR. LU: Ying Lu, no.

1 MR. GOLDSTEIN: Okay. And the
2 chair votes, no.

3 SPEAKER: (off mike)

4 MR. GOLDSTEIN: Oh, I'm sorry. I
5 saw Dr. Hurtig --

6 MR. HURTIG: My hand wasn't up.

7 MR. GOLDSTEIN: Sorry.

8 MR. HURTIG: Howard Hurtig, no.

9 MR. GOLDSTEIN: Thank you. The
10 chair votes no, and any vote -- anyone
11 voting, yes. You've one choice left. Anyone
12 abstaining? No, we've got everybody, very
13 good. So I think the FDA has the unanimous,
14 for a change, vote of the committee. Now,
15 the second question for a vote then is not --
16 is now moot since it was asked if not -- is
17 the panoply -- oh, I guess, let's see, I
18 don't know which way you're --

19 MR. KATZ: It's not -- no, it said,
20 "if not" and you said, "not," so --

21 MR. GOLDSTEIN: Okay.

22 MR. KATZ: We have to discuss,

1 sorry, we have to discuss it.

2 MR. GOLDSTEIN: Sorry about that,
3 you're getting -- okay, if not --

4 (Laughter)

5 MR. GOLDSTEIN: You know, Supreme
6 Court, might be your next job. If not, is
7 the panoply of adverse events associated with
8 tetrabenazine use sufficient to justify not
9 approving the application, okay. When
10 considering this question, are we
11 particularly interested in hearing the
12 committee's views about whether or not a
13 dosing regimen can be identified that would
14 provide a benefit on chorea without an
15 unacceptable risk of adverse events, failing
16 this we would be interested in hearing the
17 committee's views about any maneuvers that
18 might mitigate these risks sufficiently to
19 justify approval such as reducing the dose,
20 discontinuing the drug, instituting
21 concomitant treatments, for example,
22 antidepressant therapy.

1 Further, we are also interested in
2 the committee's use of the aforementioned
3 agency concerns that are -- might be
4 difficult for the practitioner to discern if
5 clinical worsening in various areas such as
6 cognition and depression is drug related or
7 not with the possibility that if drug
8 related, the adverse events could become
9 severe and/or irreversible. So that is the
10 question, and I think we've discussed around
11 the issue, but I think we -- I think now is
12 the time to really try to cone in on this.
13 So if we're saying that -- and I think we did
14 -- that we think that the drug should be
15 available. Now, is there anything that
16 really needs us -- needs to -- that we need
17 to really consider carefully in making
18 further recommendations?

19 MR. TWYMAN: Yeah, I could start,
20 Larry.

21 MR. GOLDSTEIN: Okay.

22 MR. TWYMAN: I think I got this

1 right, and so my view would be no, that I do
2 think there is a avenue for a risk benefit
3 proposal around the dosing regimen itself.
4 And so as I pointed out before, I was very
5 intrigued by the apparent 50 milligram
6 threshold, in that it appears that at 50
7 milligrams, it has some prediction perhaps at
8 least in the small sample size that those who
9 are not responding by 50 milligrams do not
10 really have a pretty good chance responding
11 even with a -- with an increase in dose.

12 It also appears that the adverse
13 events of interest -- and particularly, the
14 depression, and some of the extrapyramidal
15 symptoms, in particular, the dysphagia
16 symptoms, might appear later -- during -- in
17 the course of titration, particularly at the
18 higher dosages that by 50 milligrams, one
19 could appreciate the risk benefit by
20 identifying those patients who are -- appear
21 -- that appear to be responding, and
22 therefore could potentially benefit by --

1 further by increasing the dose, and one can
2 then weigh the risk benefit of the higher
3 dose against that benefit, and the potential
4 adverse events that might be developed after
5 that point.

6 So -- I am very intrigued by that
7 50 milligram threshold and potentially as a
8 target dose for initial therapy itself. As
9 for the RiskMAP in detecting depression, I'm
10 not quite satisfied that that -- that the
11 approach there to detect depression is
12 adequate. It is well-known that these
13 dopamine depleting agents can indeed
14 exacerbate depression, or even cause
15 depression. And I do note that in -- at
16 least in one label that was obtained from XUS
17 approval that the -- a ongoing episode of
18 depression, or a previous history of
19 depression is actually contraindicated.

20 MR. GOLDSTEIN: Dr. Koski.

21 MS. KOSKI: I think if I remember
22 correctly that in depression, some of those

1 were noted at about 25 milligrams, maybe I'm
2 misremembering that, but you know, that's one
3 of the reason that I liked Dr. Shoulson's
4 approach to go a little bit slower, giving
5 the caretakers, giving the patient and the
6 family more time to sort of notice changes in
7 what's going on with the patient. So that's
8 my only issue with that.

9 MR. GOLDSTEIN: Thank you. Dr.
10 Holmes.

11 MR. HURTIG: No, I agree, like many
12 things we use, I think start low and go slow
13 should be the rule here, and having people
14 recognize it, you can start seeing symptoms
15 at 25 milligrams, and you probably did not
16 need to go above 50 milligrams in most cases
17 I think would be very useful information for
18 the clinicians.

19 MR. GOLDSTEIN: Thank you. Dr.
20 Rudnicki.

21 MS. RUDNICKI: I agree that it
22 makes sense to do it slower than the study.

1 Normally, we look to the studies for how to
2 dose, and I think this might be the exception
3 to that general rule that -- you know, you're
4 treating a symptom that you don't need to get
5 rid of tomorrow. So it makes sense to be
6 conservative with dose escalation.

7 MR. GOLDSTEIN: Dr. Couch.

8 MR. COUCH: I think there is --
9 there's probably three things that we need to
10 look at here, one, is long term follow-up.
11 We should have 30 years worth of data, but we
12 really don't, and I think that as this is
13 built in, we ought to at least have some kind
14 of a minimal follow-up instrument that the
15 physicians -- I am assuming most of these are
16 going to be neurologists, the physicians that
17 are using this drug are going to need to
18 follow-up. The -- perhaps Tysabri would be
19 somewhat of a model, so that was built in to
20 the Tysabri study.

21 But that -- that's really the --
22 one of the critical things, what happens over

1 a long period of time, does somebody have a 2
2 percent per week, per month, per year
3 decline, extra decline in cognition, and when
4 and if does that become significant, we don't
5 know, and that -- this kind of data, this is
6 very difficult to collect, but if we don't
7 start trying to collect it, we're never going
8 to get there.

9 The other aspect of it is there
10 needs to be some kind of training, somehow or
11 other -- some educational program for the
12 physicians that are going to be using it.
13 The -- this was mentioned earlier, but -- as
14 -- this is not going to be something like
15 using the next antihypertensive, okay, you
16 do, you just start writing prescriptions for
17 it and kind of learn on the fly. There needs
18 to be some kind of a situation, where you
19 said okay, if you're dealing with -- first
20 you have to recognize Huntington's disease.

21 And if you're dealing with it then
22 these are going to be the things that you're

1 going to need to look for, and especially if
2 we -- are there -- be careful about using
3 antidepressant, be careful about using other
4 drugs that may cause accumulation and lead to
5 side effects, perhaps cardiac side effects, I
6 don't know, but since the average patient
7 that -- I think we all see the thing used to
8 be over age 60, everybody's on about 3
9 medication, now over age 60, everybody's on
10 about 10 medications. And then all of these
11 might be important, or at least some of these
12 are going to be important in using
13 tetrabenazine. So I think we need to start
14 trying to collect data now and educating
15 people that are going to be dealing with this
16 drug as early as possible with some kind of a
17 packet that say okay cook book, this is how
18 it is, 1, 2, 3, 4, go from there.

19 MR. GOLDSTEIN: Dr. Anderson.

20 MR. ANDERSON: Well, now, I'm just
21 thinking a little bit as I go, which I guess,
22 was part of the request. So the low and slow

1 certainly sounds sensible to me. I was also
2 thinking that perhaps sort of chorea
3 abatement might not be the goal, but it would
4 be more sensible to recommend sort of chorea
5 reeducation since -- if the side effects that
6 we're talking about are related to the actual
7 mechanism of the biochemical action of the
8 drug then it may be that the harder you push,
9 in terms of trying to eliminate chorea, the
10 more likely you are to invoke some of these
11 other counterbalancing issues, and that sort
12 of -- sort of the seat of the pants thing
13 might be if families can actually identify
14 the thing that is critical, oh the difficulty
15 with reading or kicking the seat at the movie
16 theater, that might provide the proxy to use
17 for titration.

18 So that you could get away with
19 12.5 or 25, because there are still a lot of
20 chorea, but it was -- you know, it addressed
21 the one thing that was sort of most relevant
22 for that family at that time, and how you

1 incorporate that in a educational program, I
2 guess, somebody would have to think about.
3 But it -- maybe just that issue that perhaps
4 something in the instructions would reflect
5 that sort of the goal of sort of chorea
6 elimination wasn't necessarily the most
7 sensible therapeutic goal. This idea of
8 titrating to absence like you might with
9 headaches or seizures might not be the model
10 to use for chorea with tetrabenazine.

11 MR. GOLDSTEIN: Dr. Rizzo, you
12 there? And they've lost him. I guess not.
13 Well, if he shows up, we'll try him again.
14 Dr. Jung.

15 MS. JUNG: I would favor a -- hi
16 Matt.

17 MR. GOLDSTEIN: He's back.

18 MR. RIZZO: Did you call me?

19 MR. GOLDSTEIN: Yeah, sure did.

20 MR. RIZZO: I can't hear you very
21 well.

22 SPEAKER: We're waiting for you to

1 talk.

2 MR. RIZZO: Is there a question?

3 MR. GOLDSTEIN: Yeah, we were
4 asking whether you had any comments relative
5 to question 2.

6 MR. RIZZO: No, I have no comments.

7 MR. GOLDSTEIN: Okay. Dr. Jung.

8 MS. JUNG: Well, I would favor a
9 slower titration than what was used in the
10 clinical trials. Recognizing that we're the
11 head of a consumer representative, I would --
12 I think we all recognize that the system
13 requires a overhaul in terms of how we
14 monitor drugs post approval, and I'm not sure
15 that this is the appropriate venue to be
16 doing it. You know, we're looking at a
17 specific drug, and we're recognizing that the
18 system of drug approval across the board does
19 not allow us to look at issues post
20 marketing.

21 And I think that tying the two
22 together just doesn't make a lot of sense,

1 particularly in this drug, where I don't get
2 the sense that the risks associated with its
3 approval are as great as those that those of
4 us who are in the committee last year recall
5 around Tysabri. So I'm a little anxious
6 about getting overly -- again, designing a
7 system that's overly burdensome for a
8 particular drug just because we're nervous.

9 MR. GOLDSTEIN: Dr. Katz.

10 MR. KATZ: Yeah, just a point of
11 clarification. We do -- and this is actually
12 --

13 MR. GOLDSTEIN: Question 4.

14 MR. KATZ: -- question 4 --

15 MR. GOLDSTEIN: Yeah --

16 MR. KATZ: -- but we do have
17 mechanisms for requiring sponsors to do
18 studies post marketing if we think that's
19 appropriate. You may not think it's
20 appropriate, but that mechanism exists for
21 sure, and again, we -- we'll just -- we'll
22 ask you to discuss that more formally in

1 question 4.

2 MR. GOLDSTEIN: Thank you. Dr.
3 Green.

4 MR. GREEN: Okay. Just as I said
5 before, I think the importance -- safety
6 concerns will be minimized by education of
7 not just the doctor, but the caretakers,
8 because we can't rely on self reported side
9 effects and everyone going forward. Caring
10 for people on this drug, really have to be
11 very attuned to whether the curve of
12 depression, for example, seems like an
13 outlier. That takes a lot of education to
14 families -- it's actually a big burden in
15 responsibility for them.

16 MR. GOLDSTEIN: And Dr. Lu?

17 MR. LU: Yeah, I think I agree with
18 the previous discussions, and one thing that
19 I noticed for the chart that if you look for
20 the visit 4 which is about 50 milligram, and
21 there was not much different. I mean, the
22 actual gain for -- through the visit 7 was

1 less than one point in the chorea scale. So
2 the benefit, you know, and so it's sensible
3 to look for those that are not very high. I
4 mean, go to 50 or less, but because main
5 efficacy was achieved by that visit 3 in the
6 chart. Other than that, I think one thing we
7 need to recognize that -- a lot of adverse
8 events was dose related, but cognition is
9 not, at least not proved to be dose related.
10 So you should be very careful in terms of
11 monitoring that.

12 MR. GOLDSTEIN: Dr. Hurtig.

13 MR. HURTIG: Yes. I'm --
14 everybody's said good things, and I agree
15 that if the drug is approved that people
16 should use it carefully, it's like any other
17 drug that gets out there, it relies a lot,
18 after approval, on the good clinical judgment
19 of the clinician, and my sense of this drug
20 is that the people who will be prescribing it
21 will be sort of a restricted group of people
22 with expertise in handling this disease, and

1 patients, and families who are already
2 experts in living with their disease will
3 certainly automatically become educated.

4 So I'm not too concerned about
5 that. I agree with Dr. Jung there shouldn't
6 be -- we shouldn't over overregulate this
7 drug after it's approved, if it does get
8 approved, because that's just -- is too hard
9 to monitor and too difficult for the
10 prescribing physician.

11 MR. GOLDSTEIN: So you know, my
12 opinion about some of these things is one, it
13 seems that what we're really doing here is
14 treating symptoms, we're not reversing the
15 course of the disease, and I think getting to
16 the point about dose, I think the lowest dose
17 that controls symptoms in a way that makes
18 meaning for the patient in the family, that's
19 the dose. And I don't think there needs to
20 be a rapid dose escalation; it makes no
21 clinical sense at all.

22 We heard about patients that may

1 have masked very significant clinical
2 improvement with a very, very small dose.
3 There's no reason to escalate the dose in
4 that patient as long as their symptoms are
5 controlled, and therefore hopefully we'll
6 ameliorate some of the side effects that we
7 think may be dose related, get the clinical
8 effect that you want without hopefully the
9 side effects.

10 The second point I think is a bit
11 more difficult. When we have a disease and a
12 drug that may have side effects that mimic
13 the disease, how do you tell the difference?
14 You know, when we went to medical school, the
15 rule 1 that they taught us is, above all else
16 don't hurt anybody. And that's the rule that
17 physicians always live by. And we don't want
18 to be inadvertently hurting people without
19 even knowing it. Now, how do you get at that
20 without having control data; there isn't a
21 real good way to do that.

22 One thing I think is the way the

1 trials were done -- the trial was done, was
2 with people who were really quite expert in
3 dealing with Huntington's disease and
4 movement disorders. You don't want to be
5 overly restricted, but I understand from the
6 risk minimization plan that there is a
7 possibility of limiting who can prescribe the
8 drug, and what I would suggest is that be
9 limited to people who know what they're
10 doing, to experts in Huntington's disease who
11 -- if anyone would be more sensitive to
12 picking up differences and -- unexpected from
13 the course of the disease and be aware of
14 these potential side effects, it would be
15 them.

16 Now, whether that's movement
17 disorder specialists per se or neurologists
18 in general, I think that's a point of
19 discussion, and that that could be held, but
20 I don't think you would want people who don't
21 have -- who have very limited experience with
22 the disease dealing with the drug where we're

1 not sure about what's side effect and what's
2 real drug. So any other comments or -- Dr.
3 Temple, did you want us to expand on anything
4 else?

5 MR. TEMPLE: Well, I was -- it's
6 extremely -- I mean, we do like to say things
7 like people should be knowledgeable about the
8 disease, but we would be very troubled -- I'm
9 just trying to think whether we've ever done
10 it, to say you have to be an oncologist, you
11 have to be a neurologist, or you have to be a
12 movement disorder neurologist or anything
13 like that. We may insist -- did we do that
14 for say -- we -- I don't even think we did it
15 for Tysabri.

16 MR. GOLDSTEIN: Yeah, we did, yeah.
17 I was on that committee, we did.

18 MR. KATZ: Yeah, you can --

19 SPEAKER: Yeah.

20 MR. KATZ: -- we're allowed to do
21 it. Again, we've certainly never done it in
22 neurology even with Tysabri, where we

1 actually talked about that; we decided not to
2 do that.

3 MR. TEMPLE: Well, you -- it
4 certainly --

5 MR. KATZ: Well, no, I'm saying we
6 talked about it, but it was not restricted.

7 MR. TEMPLE: It certainly refers to
8 being knowledgeable about it, but to specific
9 qualifications, I think that's very unusual
10 --

11 MR. KATZ: It is unusual.

12 MR. TEMPLE: -- if ever.

13 MR. GOLDSTEIN: I don't -- I'm
14 trying to find a way out of this conundrum
15 that we're in. The conundrum, again, is that
16 we have -- the data are what the data are,
17 and we've talked over and over again about
18 what all the deficiencies are. We're talking
19 about a drug that we think has some potential
20 side effects that may affect efficacy, that
21 also can -- may mimic the disease itself and
22 as a middle road, given that we don't have

1 the data that we need that we can put in
2 there in a little PDR, little thing that
3 physicians can look in and check off the
4 chart, the only way I know around this that
5 makes any sense is to have people who have a
6 lot of experience with the disease be the
7 ones that are using the drug. It may be new
8 ground, but I -- if there's another way
9 around it, that's what this is all about for
10 you.

11 SPEAKER: Yeah.

12 SPEAKER: Yes.

13 MR. GOLDSTEIN: Dr. Green?

14 MR. GREEN: Just got a question.

15 Does anyone remember the labeling, or the --
16 when Lotronex was reintroduced in terms of
17 specialty? I think it's something similar
18 and I think it --

19 MR. TEMPLE: See I don't think it,
20 like, limits it to gastroenterologists, I
21 could be wrong.

22 MS. KARWOSKI: Claudia Karwoski,

1 risk management team leader at FDA. Lotronex
2 and Tysabri don't specifically require,
3 excuse me, a certain specialty or
4 qualifications of any type. But they do
5 mention that the physician should have
6 knowledge, again, of the disorder or what if
7 -- you know, have a fair knowledge of
8 treating the adverse event.

9 MR. TEMPLE: Okay.

10 MR. GOLDSTEIN: I think the wording
11 could be done in a way so that physicians
12 would feel uncomfortable about using a drug
13 like this unless they had that level of
14 expertise. So even if you don't have, say,
15 your board certified neurologist or whatever,
16 I think you could get there by doing -- by
17 wording it correctly. I'm sorry, Dr. Jung.

18 MS. JUNG: It's hard to believe
19 that Seattle is in the middle of the west,
20 but you know, we serve four states and there
21 are lots of areas in Alaska, Montana, eastern
22 Washington, that does not have access to

1 movement disorders, neurologists; they're
2 happy if they have access to internists or
3 neurologists, and so I think it would be an
4 unfair burden for patients and their families
5 to have a drug, which seems -- again, I don't
6 want to call it benign, but compared to
7 something like some of the other drugs that
8 we use in medicine, to be restricted. I just
9 don't see any value to that. I think any
10 physician who is a responsible clinician
11 would not want to use a drug that he or she
12 is not comfortable with in a condition that
13 he or she is not comfortable with, and for us
14 to put that type of restriction just doesn't
15 work, especially in the wild west where I
16 live.

17 MS. KOSKI: You know, I basically
18 agree with what you're saying Lily, but I
19 think that this is not the type of thing that
20 you would want to have a person that has a
21 single patient, you know, using, because they
22 don't have the experience or the comparison.

1 MS. JUNG: But then we're going to
2 need to do that for a number of other drugs
3 that are on the market, because I think that
4 there are a lot of other drugs that I can
5 name that are a lot more dangerous, have a
6 lot more significant side effects than this
7 drug from what I've seen, and I know that,
8 you know, we don't have the post marketing
9 data from Europe, but we have 30 years of
10 data on this drug, I see people using Tysabri
11 on people that they -- that are -- that we're
12 not (off mike) so I think that we're -- we
13 need to back off and not -- you know, I think
14 that there are other ways to manage how the
15 appropriate authorities use drugs that they
16 should be comfortable with, and this is not
17 the place for it.

18 MR. GOLDSTEIN: Again, I think
19 you're hearing different views on this. Dr.
20 Katz.

21 MR. KATZ: Well, I just want to
22 question one of your presumptions. It --

1 we're -- I think what we're talking about
2 here is identifying an adverse event that
3 might look like the disease, which for all --
4 in some cases may be so slowly emerging that
5 it's imperceptible until -- you know, it's
6 like the hands of a clock, you don't see it
7 move, but all of a sudden it's 2 hours later.
8 So you know, the effect may be so
9 imperceptible that you're not going to pick
10 it up for a long time, but the presumption
11 is, and we have the world's experts in the
12 room -- the presumption is that experts or
13 folks with experience in the -- treating the
14 condition will also be expert in detecting --
15 discerning the difference between an adverse
16 event, and an event that occurs as part of
17 the natural history.

18 Now, maybe that's true, but I don't
19 know if it is, because I think that's the
20 actual critical question, not is the disease
21 progressing, or something about the disease,
22 it's can we tell the difference between an

1 event that looks exactly the same whether
2 it's drug induced, or part of the natural
3 history of the disease. I -- again, we have
4 the world's experts here, and maybe that's
5 something that an expert can do, but there
6 are things that not even experts can do.

7 MR. TEMPLE: But it could be that
8 anybody, experts too, need careful reminder
9 of this fact and that labeling needs to make
10 that very clear. K: Well, that's for sure,
11 that's clear. Certainly, people need to know
12 that this is a possibility and need to look
13 for it. I'm just wondering whether or not
14 expertise in a particular condition
15 automatically generalizes to expertise into
16 discerning the difference between a drug
17 induced event and a natural event that are
18 clinically identical, not to offend anyone.

19 MR. GOLDSTEIN: You know, I -- the
20 one thing that I think we do have is data
21 that people who are -- who are -- have
22 greater volumes are used to seeing a disease

1 process do better, the -- there are study
2 after study after study that shows this, and
3 part of that is knowing how to use individual
4 drugs and drugs in combination for -- and --
5 or other interventions for disease
6 conditions. So that's part and parcel of
7 what that expertise is, it's a hard thing to
8 put your finger on, what exactly is it, but
9 when you look at it in total, study after
10 study after study has found exactly the same
11 thing.

12 I -- you know, I'm from the center
13 of North Carolina, but we have lots of places
14 in North Carolina where there are no
15 physicians and no neurologists, and I fully
16 empathize with the point that was being made.
17 However, at the same time, you don't -- I
18 wouldn't want somebody to be using a drug
19 that they have little experience with -- with
20 the disease that they have little experience
21 with and inadvertently hurting people, that
22 also is not a good option.

1 MR. HURTIG: You can say that about
2 any drug, and I think in this case, with a
3 fairly low concern about seriousness of side
4 effects. So I think we ultimately will have
5 to trust the judgment of the doctor, and I
6 think that will sort itself out. You can say
7 the same thing about any -- in our field of
8 movement disorders, we'd like to think that
9 we can treat Parkinson's disease better than
10 anyone else, but we are not restricting the
11 use of the drugs for -- we're not -- there's
12 no restriction on the use of levodopa, and in
13 fact, probably the majority of Parkinson
14 patients are being treated very well by the
15 general practitioner.

16 MR. GOLDSTEIN: Point taken.

17 MR. HURTIG: And they consult us
18 when they get into trouble.

19 MR. GOLDSTEIN: So -- again, let me
20 ask the FDA, if something were released first
21 in a more restricted way, and then when the
22 experience has been obtained, then widen it,

1 is that an option here, just because we've
2 done like this before, we know what troubles
3 we've gotten into by doing business as usual,
4 just because we did it that way before
5 doesn't mean that's the way we should do it
6 in the future.

7 MR. HURTIG: I agree with that
8 general principle for sure. Well, again,
9 we'll even have to check whether we have the
10 authority to restrict it to specific
11 practitioners. I thought we did, but I don't
12 know, we'll go back and look at that. There
13 are many ways that you can -- or there are
14 many sorts of regiments -- post -- immediate
15 post approval scenarios that you could think
16 of as restricted, not -- but not include
17 restricting it to specific practitioners.
18 You could have a registry, you could have a
19 requirement for a Phase 4 controlled trial,
20 so that you can actually learn about these
21 things. You can have many other sorts of
22 ways to follow patients in the immediate post

1 marketing period to get more experience and
2 then convince yourself one way or the other
3 about what the next step is, but that don't
4 include restricting the prescribing
5 privileges to specific practitioners.

6 MR. GOLDSTEIN: Yeah. And I don't
7 think that that's necessary. I think the
8 wording that we've used for many other
9 things, expert or experience in the -- in
10 treating the condition, I've -- you know,
11 given these issues, I think is perfectly
12 reasonable. Dr. Temple.

13 MR. TEMPLE: We can, under our
14 accelerated approval rule, impose a variety
15 of restrictions necessary for safe use of a
16 drug, one of which for example, may not be --
17 I'm not sure we would say you have to be a
18 neurologist, but it would say you have to
19 read these things before you prescribe it,
20 and we can do things like that. We're -- I
21 think, Dr. Jung is saying some of this. We
22 do this carefully and for good reasons,

1 because it's moderately disruptive to do
2 things like that although the company seems
3 to be proposing it.

4 There are a few that -- that's how
5 thalidomide goes out, you know, it's not at
6 your corner drug store. So those are
7 possibilities. If you thought there was some
8 guidance you could give somebody at the time
9 of prescription, tell him what to do, the
10 other possibility is that people could be
11 trained in some other way that the company
12 might develop, and would then -- that's one
13 of the things they have to think about.

14 We are -- we certainly are
15 conscious of having, you know, every drug
16 with its own distribution system. And we
17 have at least one experience of a drug for
18 maintaining normal sinus rhythm in patients
19 with atrial fibrillation, where the system
20 designed to protect people against torsade
21 de pointes arrhythmias has driven people to
22 take quinidine instead, which also causes

1 torsade de pointes arrhythmias. And so
2 that's been studied by Duke and it was not
3 the desired outcome. So we do worry about
4 the balance of these things. But there are
5 things that we can do, under the accelerator
6 approval rule, if they were considered really
7 necessary.

8 MR. ANDERSON: Can I --

9 MR. GOLDSTEIN: Dr. Anderson.

10 MR. ANDERSON: I wanted just to add
11 sort of the counterpoint that I would support
12 the idea, and think it'd be more practical to
13 require a level of awareness of potential
14 side effects rather than restrict to a class
15 of experts, because I think I disagree with
16 the notion that somebody who is expert in a
17 particular condition can detect these subtle
18 differences. I think they're going to have a
19 narrower window in which they get confused
20 from somebody with less experience with the
21 illness, but you can take an Alzheimer's
22 expert and ask him with an individual

1 patient, is this person getting demented a
2 little faster than they should've, and
3 they're not going to know; they're going to
4 be better, but they're not going to know.
5 And so knowing what to look for is the key,
6 and I would try to counterweight what I think
7 has been suggested that this drug isn't that
8 bad or that unsafe.

9 SPEAKER: We don't know --

10 MR. ANDERSON: It's not that it's
11 caused heart attacks and people die that
12 we're aware of. But I think it is a
13 situation of the dog that's not barking; the
14 fact that such dramatic, robust reductions in
15 chorea was not associated by obvious
16 improvement in other scales, is, to me, a
17 yellow flag, but not a red flag. And so I
18 would like to support that.

19 MR. GOLDSTEIN: Very good. Well, I
20 hope you've had a diverse set of opinions,
21 which is the purpose of the exercise for you.
22 We do though have to vote, right, on the

1 second question. The second question, just
2 to remind people was that if not -- and
3 remember we said, no, for the first question.
4 Is the panoply of adverse effects associated
5 with tetrabenazine use sufficient to
6 justifying not approving the application?
7 You guys got to do something with these
8 double negatives in here. Okay. So is there
9 anything in here that -- probably, that we've
10 discussed that would make you not -- want to
11 not approve the application? Okay.

12 SPEAKER: A no vote means you want
13 to approve the application a yes vote means
14 you don't want to approve the application?

15 MR. GOLDSTEIN: Is there anything
16 --

17 SPEAKER: That's correct, right,
18 yeah.

19 MR. GOLDSTEIN: A yes vote means
20 that you would not want -- the -- yes, means
21 that you're concerned -- just like the first
22 one.

1 SPEAKER: Just like the first
2 question?

3 MR. GOLDSTEIN: Yes, means, no.
4 Yes, means that you're concerned. Okay. So
5 no means you're okay with it, okay. And
6 again, we each have to raise our hands first
7 -- first for the no, which means that you're
8 okay with it. Okay. And then you have to
9 say your name, read it into the record.

10 MS. MILEK: Karen Milek, no.

11 MR. HOLMES: Greg Holmes, no.

12 MS. RUDNICKI: Stacy Rudnicki, no.

13 MR. COUCH: James Couch, no.

14 MR. ANDERSON: Britt Anderson, no.

15 MR. GOLDSTEIN: Chair votes no.

16 MS. JUNG: Lily Jung, no.

17 MR. GREEN: Mark Green, no.

18 SPEAKER: Matthew? Dr. Rizzo?

19 MR. GOLDSTEIN: Dr. Rizzo?

20 MR. RIZZO: Matt Rizzo, vote no.

21 MR. GOLDSTEIN: Yes.

22 MR. LU: Ying Lu, vote no.

1 MR. HURTIG: Howard Hurtig, no.

2 MR. GOLDSTEIN: Excuse me. No, she
3 didn't, she only -- so for those present, it
4 is again unanimous, no. Okay, the third
5 question was that if the committee determines
6 that for any reason the application should
7 not be approved, so at this time we don't
8 have to deal with it, it was said if it
9 wasn't, and we've said the opposite. And
10 then the last question, if the committee
11 determines that the application should be
12 approved, are there any studies the sponsor
13 should perform post approval? And this gets
14 to the question that was being raised before
15 in some of the issues that we've been talking
16 about. Open for discussion.

17 MR. HURTIG: So --

18 MR. GOLDSTEIN: Yes.

19 MR. HURTIG: A quick question about
20 the question.

21 MR. GOLDSTEIN: Yes --

22 MR. HURTIG: It says, if the

1 committee determines the application should
2 not be approved --

3 MR. GOLDSTEIN: No, it says should
4 be approved; this one doesn't have the "not"
5 in it.

6 SPEAKER: We skipped through it.

7 MR. HURTIG: Oh, we skipped number
8 3, I'm sorry.

9 MR. GOLDSTEIN: This is the only
10 one with the "not".

11 MR. HURTIG: Sorry about that.

12 MR. GOLDSTEIN: Yeah, we skipped 3.

13 (Laughter)

14 MR. GOLDSTEIN: Actually, you know
15 what, let me start this way. Dr. Hurtig, why
16 don't you start first. I've gone around this
17 way, my right sided -- handedness, but let's
18 go left to right this time.

19 MR. HURTIG: I think we've all said
20 that there are a number of interesting
21 questions that need to be looked at further
22 with more research. I'm not sure that I

1 would say that we need to require the sponsor
2 to perform them, but I think they certainly
3 should do it.

4 MR. GOLDSTEIN: And do you have any
5 specific recommendations, because that's what
6 the agency is asking us for?

7 MR. HURTIG: Well, I think it's
8 important to try to get a better handle on
9 the functional assessment than we now have
10 and I'm sure that's in the works. Any -- I
11 think further research on other side effects,
12 including the ones that we've highlighted,
13 particularly those that are more important
14 than others such as depression, parkinsonism,
15 et cetera, that might help to determine the
16 difference between disease related symptoms
17 and drug related symptoms.

18 MR. GOLDSTEIN: Dr. Lu.

19 MR. LU: Yeah, I think that this --
20 if -- you know, once it's approved it's not
21 ethical to conduct any placebo, because this
22 is only treatment that patient will have. So

1 maybe there should be some dose range study
2 that look for dose related -- and I think the
3 key endpoint should focus on the overall
4 performance of patient, not just single
5 index. I -- I'm not expert -- and we hope
6 there are -- you know, there's a way that you
7 can work out a composite endpoint.

8 MR. GOLDSTEIN: Dr. Green -- Dr.
9 Rizzo, sorry. Dr. Rizzo?

10 SPEAKER: Dr. Rizzo.

11 MR. GOLDSTEIN: -- lost --

12 MR. RIZZO: Nothing to add. Hello?

13 SPEAKER: Yeah.

14 MR. GOLDSTEIN: Yeah.

15 MR. RIZZO: Yes, I'm here, I've
16 nothing to add, thanks.

17 MR. GOLDSTEIN: Okay. Dr. Green.

18 MR. GREEN: I don't -- I'm not sure
19 this is sensible, but the concern -- some
20 concern would be, would there be any evidence
21 of any rebound effect from stopping the drug,
22 in other words, should we identify what we

1 think might be an adverse event and we stop
2 it, is there any evidence that symptoms --
3 some symptoms like depression, could they be
4 worsened by a rapid discontinuation? I'm not
5 sure I've formulated that in my mind, but
6 something in that order.

7 MR. GOLDSTEIN: Dr. Jung?

8 MS. JUNG: I think we've talked
9 about the questions of long term separation
10 of cognitive function from the chorea as well
11 as validation of some of the functional
12 scales. I think that having argued pretty
13 loudly that we shouldn't put the burden on
14 the company to do this, I would also urge
15 that the patient advocacy groups who've
16 stepped up to advocate for this drug being
17 approved should work with the companies to do
18 these post marketing studies.

19 MR. GOLDSTEIN: Dr. Anderson.

20 MR. ANDERSON: Yeah, I've nothing
21 at the moment to add from my earlier
22 comments.

1 MR. GOLDSTEIN: Dr. Couch.

2 MR. COUCH: I'd already mentioned
3 my comments about long-term follow-up, and I
4 think that that's really where it's going to
5 be. I agree with Lily's comments about
6 bringing the patient advocacy groups and --
7 in to help us out, but I think we'll -- the
8 longer term follow- up over 5, 10, 15, 20
9 years, which was about the -- 20 years about
10 the average survival, and that it is
11 important to know how long the patient can
12 take it, and if -- when the patient has taken
13 a 10 years -- after 10 years, do you start
14 seeing really adverse effects. We --
15 certainly, with L-dopa we began to see that
16 after a time, the effect -- there was a
17 longer term effect of L-dopa -- we may see
18 the same thing here, and that will only be
19 identified by having some kind of good long
20 term follow- up.

21 MR. GOLDSTEIN: Dr. Rudnicki.

22 MS. RUDNICKI: One comment about

1 just having a better -- is somehow being able
2 to develop something better to follow people
3 in terms of function, because if we ever get
4 to the point of looking at a disease
5 modifying drug, we're going to run into the
6 same question. So using this opportunity to
7 develop a better functional scale for chorea
8 patients would be a benefit long term.

9 MR. GOLDSTEIN: And that's
10 certainly, I think, a common challenge that
11 we've all talked about here, as we're facing
12 this; it's a common challenge for the disease
13 advocacy community also to advocate for it.
14 Dr. Holmes.

15 MR. HOLMES: I don't think I would
16 require them to do any follow-up, but I agree
17 they should, and I think the -- what people
18 have mentioned already, I'd be quite
19 interested in the long term cognitive
20 effects. How -- when people went on this
21 drug, how long they stayed on, and why they
22 came off, I think we should collect that

1 data, and also try to get a better handle of
2 cognition. Although, I recognize it's very
3 difficult if you don't have a placebo group
4 at this point.

5 MR. GOLDSTEIN: Milek?

6 MS. MILEK: I have nothing to add,
7 I agree with everyone.

8 MR. TWYMAN: I would expect that
9 doses up to 100 milligrams might be used in
10 practice and so a QTc study at this high dose
11 is something I would be -- I would think
12 would be important to obtain. The other
13 aspect of the labeling is modified to have a
14 slower titration rate. It would be great if
15 that were backed up by data, but I think a
16 head to head comparison between a slower
17 titration and the current titration would
18 have a sample size that will be excessively
19 formidable and really not tell you very much
20 objectively. So I don't think that's
21 feasible. As to long term follow-up, I think
22 the companies or the sponsors proposed a

1 registration program, and this should
2 actually be adequate to provide data into an
3 --

4 MR. GOLDSTEIN: Yeah, and I -- my
5 only -- my recommendation would be some form
6 of registry, especially looking for things
7 like drug-drug interactions, the depression
8 issue, to see whether that seems to be
9 greater than would be expected, and some of
10 the other side effects, given -- knowing that
11 we don't have a placebo group that's going to
12 be limited. But especially things like
13 drug-drug interactions, looking for adverse
14 events. Oh, yes, Dr. Temple.

15 MR. TEMPLE: I don't agree that
16 placebo controlled trials can't be done with
17 this drug, once it's approved, it's a
18 symptomatic treatment, it doesn't delay
19 anything permanent that we know about, so you
20 could; whether anybody would enter them is
21 another question. But I want to throw out a
22 study design that might be informative, it

1 will not answer all of your questions about
2 why we don't see benefits in some of the
3 places we would expect to, but it might help
4 and that's this.

5 Given that a fair number of people
6 will be on the drug, it should be possible to
7 identify patients who by history -- and we
8 heard some people here today report on this
9 -- have had major changes in their quality of
10 life, ability to do things that they couldn't
11 do before; it might be different from average
12 person, but that's okay. You can then do
13 what's called a randomized withdrawal study.
14 The virtue of which is you don't have to wait
15 around for people to come in, they're all
16 identified, they exist and the second virtue
17 of which is as soon as there's any
18 deterioration, you stop the trial, because
19 the person have had the endpoint of concern.

20 If you put into that study people
21 who had had unequivocal, what appeared to be,
22 quality of life benefits, you then get to see

1 whether those benefits go away rapidly, and
2 you can get an answer on that question. It
3 still won't explain why there isn't a more
4 general improvement, but at least it would
5 show -- and this would get in the label if it
6 was successful, which seems to me is
7 worthwhile, that at least some people have a
8 substantial benefit in their quality of life.
9 We've seen these kinds of studies in a number
10 of areas, that are relatively easy to do, if
11 people are willing to do them, and it would
12 help enormously if the community it interest
13 -- was interested in finding this out.

14 MR. ANDERSON: Don't you think
15 you'd have a problem with actually blinding
16 that withdrawal since the effect on chorea is
17 so prominent and fast, that the minute you
18 stop the drug within, I mean, 12-24 hours,
19 there's marked increase in chorea, that
20 patients themselves and their family members
21 would be unblinded almost immediately.

22 MR. TEMPLE: Well, you have the

1 same problem when you do a randomized trial,
2 giving the drug in the usual way.

3 MR. ANDERSON: Right. So that --
4 there's -- we never discussed the issue there
5 probably was significant interference with
6 the blind just because of the therapeutic
7 affect on chorea.

8 MR. TEMPLE: You know, all I can
9 say is when people have looked at unblinding
10 and trials of beta blockers and organic
11 nitrates, which have obvious effects, you'd
12 think it turned out people didn't really know
13 so well what drug they were on.

14 MR. GOLDSTEIN: Yeah.

15 MR. TEMPLE: But you're right,
16 there probably would be some unblinding. I
17 think the hope is that the dramatic
18 difference that's described would be so
19 large, it would be reasonably persuasive
20 anyway, but a lot of drugs have side effects
21 that unblind them, and what can you do.

22 MR. GOLDSTEIN: You know, that --

1 well, that's actually why I was asking way
2 back when -- about whether the assessments in
3 the study was done by somebody who wasn't
4 doing the treatment, that's the way you get
5 around that, is that the guy given the drug,
6 who said, "Oh my God, it went away," he's not
7 the one -- he or she is not the one doing the
8 assessments, it's somebody who's never seen
9 the patient before, they're coming in just
10 doing the scales.

11 MR. TEMPLE: Right. And I didn't
12 try to describe what assessment would be
13 done, it could be done by a third party. The
14 main point is you identify people who are
15 absolutely positive their lives have been
16 changed by this, and then you -- you know,
17 you could use a visual analog scale, there's
18 a lot of ways to do it, but we'll probably
19 talk with the company about this. But if
20 everybody -- if any -- if everybody thinks
21 it's a really dumb idea, you should tell me.

22 MR. GOLDSTEIN: Really dumb now.

1 MR. TEMPLE: Okay.

2 MR. KATZ: Yeah. We didn't ask
3 this specifically, but maybe now is the time,
4 and a number of people have commented on
5 already, which -- and this is the question of
6 the RiskMAP, which of course -- it can mean a
7 lot of things to a lot of people, the company
8 has proposed a very specific, a very detailed
9 plan. I don't think we need to go through or
10 need to hear or have you go through -- going
11 through every detail of that plan, but there
12 were some sort of major elements of that plan
13 that we would like to get some view from the
14 committee on, like limited distribution.

15 I believe, they proposed some sort
16 of a standard for limited distribution,
17 frequent contacts, this sort of thing. So
18 we'd just like to hear your thoughts, whether
19 or not you think that that's necessary, do we
20 need that, is there anything -- and again,
21 there have been some comments already about
22 this, is there anything that you think that

1 they should do, or we should ask them to do
2 in the -- in Phase 4 as far as minimizing
3 risk education, that kind of thing.

4 MR. GOLDSTEIN: Sure. Well, I
5 don't want to talk to that. Dr. Jung?

6 MS. JUNG: The problem with using a
7 limited distribution is that you penalize
8 patients depending upon their payers, and
9 we've encountered that with other drugs that
10 we won't mention, because we've already
11 talked about them enough here, but it's not
12 -- you don't have a open fair playing field,
13 and I don't think it's fair for the patients.

14 MR. GOLDSTEIN: Dr. Rudnicki.
15 Rudnicki, I got to get your name right.

16 MS. RUDNICKI: Rudnicki, that's
17 okay.

18 MR. GOLDSTEIN: I got it close.

19 MS. RUDNICKI: One of the things I
20 think they had up there was no refills
21 initially, and I think that's a reasonable
22 thing, where you have to have contact with

1 the physician, I can't remember how long
2 they've -- I think they said 4 weeks, and I
3 don't remember what they said after that, but
4 I don't think that would have to be ad
5 infinitum, but perhaps, initially, for the
6 first 3, you either had to have one on one
7 contact, or at least phone contact with the
8 physician to have an approval. Not unlike,
9 you know, with scheduled narcotics.

10 MR. GOLDSTEIN: Yeah.

11 MR. HOLMES: Yeah, I would not be
12 in favor of RiskMAP, I don't -- again, I
13 haven't seen anything presented that makes me
14 that concerned about this drug, and why --
15 it's just going to limit access to patients,
16 and I don't think there's going to be any
17 tangible gain to it. It was really a
18 dangerous drug, I could see that, but I'm
19 just not buying this argument that you have
20 to have nurses call people and all this and
21 that; that's a job of the physician, the
22 physician prescribed in the drug should be

1 the one monitoring the patient, not the
2 pharmaceutical company.

3 MR. ANDERSON: And --

4 MR. GOLDSTEIN: Dr. Anderson.

5 MR. ANDERSON: -- I was sort of, I
6 guess, one of the people who've been sort of
7 -- have -- addressing more concern for it,
8 and even I wouldn't feel that the RiskMAP
9 that I heard outlined was what I thought
10 would really address the concerns that I had,
11 and it does seem overly restricted for
12 providers and for the patients.

13 MR. GOLDSTEIN: Other comments?

14 You know, something reasonable might be an
15 online education thing for the prescriber,
16 that the prescriber would have to go through
17 first, to assure that they understood what
18 the drug was, how it worked, what the
19 potential upsides and downsides were, what we
20 know and what we don't know about it, that --
21 and that way, at least there'd be -- the
22 issue of the level playing field is

1 addressed, anybody can do that, and at the
2 same time we'd have some evidence that at
3 least they've gone through that level of
4 education. Other comments in response to Dr.
5 Katz's question? Very good. If Dr. Katz,
6 Dr. Temple have -- the committee addressed
7 all of the questions adequately for you, is
8 there anything else -- Dr. Hurtig, you just
9 had another comment?

10 MR. HURTIG: I just have one
11 question, and I want to know if it's
12 appropriate to ask what this drug is going to
13 cost if it gets approved and goes out into
14 the marketplace.

15 MR. GOLDSTEIN: No.

16 MR. HURTIG: No?

17 MR. GOLDSTEIN: I don't know --

18 MR. KATZ: Well, certainly, we
19 don't ask that question or get to ask that
20 question, it's not part of our decision.

21 MR. HURTIG: So it's not
22 appropriate, thank you.

1 (Laughter)

2 MR. KATZ: Not appropriate to ask
3 me.

4 (Laughter)

5 MR. GOLDSTEIN: As cheap as
6 possible, all right. Okay. If there are no
7 -- if the FDA has no other questions for us
8 or anything else you'd like us to expand on,
9 I think we have done the job that you've
10 asked us to do. So the meeting is adjourned,
11 I thank again, all the members of the public
12 that have come, that have come and spoken to
13 us, the sponsor, the FDA and my follow
14 members of the committee, thank you all.

15 (Whereupon, at 4:05 p.m., the
16 PROCEEDINGS were adjourned.)

17 * * * * *

18

19

20

21

22

