

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

CARDIOVASCULAR AND RENAL DRUGS ADVISORY COMMITTEE  
98th MEETING

Tuesday, January 7, 2003

8:00 a.m.

Kennedy Ballroom, Holiday Inn  
8777 Georgia Avenue  
Silver Spring, Maryland

PARTICIPANTS

Jeffrey Borer, M.D., Chairman  
Jayne E. Peterson, R.Ph., J.D., Acting Executive Secretary

Members:

Michael F. Artman, M.D.  
Thomas Fleming, Ph.D.  
JoAnn Lindenfeld, M.D.  
Paul Armstrong, M.D.  
Alan T. Hirsch, M.D.  
Steven D. Nissen, F.A.C.C.  
Beverly H. Lorell, M.D.  
Susanna L. Cunningham, Ph.D, Consumer Representative

Consultants (Voting):

Thomas G. Pickering, M.D., DPhil  
Marc Pfeffer, M.D., Ph.D.

Acting Industry Representative (Non-voting):

John F. Neylan, M.D.

FDA:

Douglas Throckmorton, M.D.  
Robert Temple, M.D.

C O N T E N T S

Call to Order and Opening Remarks	4
Introduction of Committee	4
Conflict of Interest Statement	5
sNDA 20-297/S-009, Coreg (carvedilol), GlaxoSmithKline	
Sponsor Presentation	
Introduction	
Clare Kahn, Ph.D.	8
Background to the CAPRICORN Trial	
Mary Ann Lukas, M.D.	12
CAPRICORN Trial	
Primary Endpoints	
Henry Dargie, M.D.	27
Why Are We Here?	
Milton Packer, M.D.	67
CAPRICORN Trial	
Effect on Non-Fatal Events	
Henry Dargie, M.D.	88
Safety and Concluding Remarks	
Milton Packer, M.D.	126
Committee Discussion and Review	161
(Committee Reviewer: Marc Pfeffer, M.D., Ph.D.)	
Open Public Hearing	195
Committee Discussion and Review (Continued)	195

1 P R O C E E D I N G S

2 Call to Order and Opening Remarks

3 DR. BORER: Okay. Everybody has had his  
4 or her three minutes grace period. We are going to  
5 try and get this show on the road.

6 Today, we are going to be reviewing NDA  
7 20-297 from GlaxoSmithKline, which is based on a  
8 supplement that was submitted requesting an  
9 indication for the use of carvedilol in patients  
10 with left ventricular dysfunction after myocardial  
11 infarction.

12 We will introduce the committee again. As  
13 I noted yesterday, we have for the first time an  
14 Acting Industry Representative who is non-voting,  
15 that is John Neylan, and today, two SGE consultants  
16 who are voting, Tom Pickering and Marc Pfeffer, and  
17 they will all introduce themselves as we go around.

18 Why don't we start from your side today,  
19 John.

20 Introduction of Committee

21 DR. NEYLAN: John Neylan, Wyeth Research.

22 DR. PFEFFER: Marc Pfeffer, Brigham &  
23 Women's Hospital.

24 DR. PICKERING: Tom Pickering, Mount  
25 Sinai, New York.

1 DR. NISSEN: Steve Nissen, Cleveland  
2 Clinic Lerner School of Medicine.  
3 DR. HIRSCH: Alan Hirsch, University of  
4 Minnesota Medical School.  
5 DR. FLEMING: Thomas Fleming, University  
6 of Washington.  
7 DR. LORELL: Beverly Lorell, Beth Israel  
8 Deaconess Medical Center, Boston.  
9 DR. BORER: Jeff Borer, Weill Medical  
10 College of Cornell University.  
11 MS. PETERSON: I am Jayne Peterson, the  
12 Acting Exec. Sec. of the committee.  
13 DR. LINDENFELD: JoAnn Lindenfeld,  
14 University of Colorado.  
15 DR. ARMSTRONG: Paul Armstrong, University  
16 of Alberta.  
17 DR. CUNNINGHAM: Susanna Cunningham,  
18 University of Washington.  
19 DR. ARTMAN: Mike Artman, New York  
20 University.  
21 DR. THROCKMORTON: Doug Throckmorton. I  
22 am the Director of the Cardio-Renal Division.  
23 DR. BORER: Jayne, can we have the  
24 conflict of interest statement, please.  
25 Conflict of Interest Statement

1 MS. PETERSON: The following announcement  
2 addresses conflict of interest with regard to this  
3 meeting and is made a part of the record to  
4 preclude even the appearance of such at this  
5 meeting.

6 Based on the submitted agenda for the  
7 meeting and all financial interests reported by the  
8 committee participants, it has been determined that  
9 all interests in firms regulated by the Center for  
10 Drug Evaluation and Research present no potential  
11 for an appearance of a conflict of interest at this  
12 meeting with the following exceptions.

13 Dr. Michael Artman has been granted a  
14 waiver under 18 U.S.C., 208(b)(3) for his  
15 employer's contract with the sponsor on an  
16 unrelated matter. Funding received is less than  
17 \$100,000 a year.

18 Dr. Jeffrey Borer has been granted a  
19 waiver under 18 U.S.C., 208(b)(3) for consulting  
20 for the sponsor on an unrelated matter. He  
21 receives between \$10,001 to \$50,000 a year.

22 Dr. Tom Fleming has been granted a waiver  
23 under 18 U.S.C., 208(b)(3) for his consulting for  
24 the sponsor on unrelated matters. He receives  
25 between \$10,001 to \$50,000 a year.

1           A copy of these waiver statements may be  
2 obtained by submitting a written request to the  
3 Agency's Freedom of Information Office, Room 12A-30  
4 of the Parklawn Building.

5           In addition, we would like to disclose for  
6 the record that Dr. John Neylan, a full-time  
7 employee of Wyeth Research Labs, is participating  
8 in this meeting as an Acting Industry  
9 Representative, acting on behalf of regulated  
10 industry.

11          In the event that the discussions involve  
12 any other products or firms not already on the  
13 agenda for which an FDA participant has a financial  
14 interest, the participants are aware of the need to  
15 exclude themselves from such involvement and their  
16 exclusion will be noted for the record.

17          With respect to all other participants, we  
18 ask in the interest of fairness that they address  
19 any current or previous financial involvement with  
20 any firm whose products they may wish to comment  
21 upon.

22           Thank you.

23           Dr. Borer.

24           DR. BORER: Thank you, Jayne.

25           Again, the supplemental NDA from

1 GlaxoSmithKline for carvedilol proposes an  
2 indication to reduce mortality and the risk of  
3 infarction in clinically stable patients who have  
4 survived the acute phase of myocardial  
5 infarction--I am sorry--the supplemental NDA was  
6 based on a proposal to reduce mortality and the  
7 risk of infarction in clinically stable patients  
8 who have survived the acute phase of a myocardial  
9 infarction and have a left ventricular ejection  
10 fraction less than or equal to 40 percent.

11 The sponsor's presentation will be  
12 introduced by Dr. Kahn.

13 sNDA 20-297/S-009, Coreg (carvedilol),

14 GlaxoSmithKline Sponsor Presentation

15 Introduction

16 Clare Kahn, Ph.D.

17 DR. KAHN: Good morning, ladies and  
18 gentlemen, Dr. Borer, members of the Advisory  
19 Committee and FDA. My name is Clare Kahn and I am  
20 the Vice President for U.S. Regulatory Affairs  
21 responsible for cardiovascular, urogenital, and  
22 metabolic products at GlaxoSmithKline.

23 The meeting today is focused on  
24 carvedilol. It is a beta-blocker which  
25 nonselectively inhibits both beta-1 and beta-2



1 receptors and, in addition, blocks alpha-1  
2 receptors. The drug's action on beta receptors is  
3 far more potent than on the alpha receptor and it  
4 has no intrinsic sympathomimetic activity at any of  
5 these receptors.

6 Carvedilol was first approved for the  
7 treatment of hypertension in 1995 and in 1997, the  
8 drug was approved in patients with mild to moderate  
9 chronic heart failure. In 2001, the indications  
10 for carvedilol were expanded towards the end of the  
11 heart failure continuum to include the treatment of  
12 patients with severe chronic heart failure and to  
13 include prolongation of survival.

14 Today, we are proposing that the current  
15 labeling for carvedilol be modified to include  
16 experience towards the beginning of the heart  
17 failure continuum, specifically the treatment of  
18 patients who have recently survived a myocardial  
19 infarction and who have left ventricular  
20 dysfunction.

21 GSK met with the FDA, Cardio-Renal  
22 Division, and Dr. Temple in May of 2002 to review  
23 the data that the panel will see today. FDA  
24 advised GSK to submit the file and was subsequently  
25 granted priority review.

1           Just to give you an overview, the scope of  
2 today's presentation, we will review the use of  
3 beta-blockers in patients who have recently  
4 experienced an acute myocardial infarction and the  
5 favorable effect on reducing subsequent risk of  
6 death and recurrent MI.

7           Then, there will be two trials discussed -  
8 CHAPS, which was actually conducted by Boehringer  
9 Mannheim, and CAPRICORN, conducted by Roche, but  
10 the data submitted by GSK for the supplement.

11           CHAPS is a pilot trial of about 150  
12 patients which supported our decision to proceed  
13 with the large pivotal trial CAPRICORN, which is  
14 the focus of today's presentation.

15           Now, at the outset, the primary endpoint  
16 for CAPRICORN was all-cause mortality. However,  
17 following a recommendation of the DSMB, the primary  
18 endpoint was changed to include a co-primary of  
19 death or cardiovascular hospitalization in addition  
20 to the all-cause mortality. You will hear about  
21 that later, the reason for that.

22           Now, although this co-primary was not met,  
23 there was a 23 percent reduction in mortality, and  
24 we are here today to discuss the merits of these  
25 findings and their inclusion into labeling.

1           The proposed indication statement that Dr.  
2 Borer already alluded to is as follows. Coreg is  
3 indicated to reduce mortality and the risk of  
4 infarction in clinically stable patients who have  
5 survived the acute phase of a myocardial infarction  
6 and have a left ventricular ejection fraction of  
7 less than or equal to 40 percent.

8           Now, the language includes the indication  
9 of a reduction in mortality since this was a  
10 primary endpoint of the trial, however, we believe  
11 there is also support for an indication of  
12 reduction in the combined risk of death and  
13 reinfarction, and you will see data to support this  
14 during the course of the presentation.

15          To adhere to the agenda, we will begin  
16 with a background presentation by Dr. Mary Ann  
17 Lukas of GlaxoSmithKline, and this is followed by a  
18 tandem presentation. Dr. Henry Dargie will present  
19 the primary endpoints of CAPRICORN and Dr. Milton  
20 Packer will describe the implications of these  
21 results.

22          Now, this will be followed by a  
23 presentation of the effects on non-fatal events by  
24 Dr. Dargie, then concluding with safety data and  
25 concluding remarks from Dr. Packer.

1           We are being assisted today by four  
2 consultants, all of whom played an important role  
3 in the CAPRICORN trial. Two are from the University  
4 of Glasgow. These are Dr. Henry Dargie, the  
5 principal investigator for CAPRICORN, Dr. Ian Ford,  
6 the principal biostatistician, and two other  
7 consultants from Columbia University, Dr. Milton  
8 Packer, who was an original member of the CAPRICORN  
9 Steering Committee before leaving to become the  
10 primary investigator for the sister study,  
11 COPERNICUS. Dr. Jonathan Sackner-Bernstein was on  
12 the Endpoint Committee for the CAPRICORN trial.

13           Now, I would like to introduce Dr. Mary  
14 Ann Lukas to provide some background presentation  
15 to today's topic.

16                   Background to the CAPRICORN Trial  
17                           Mary Ann Lukas, M.D.

18           DR. LUKAS: Good morning, Dr. Borer,  
19 members of the Advisory Panel and FDA, ladies and  
20 gentlemen. My name is Mary Ann Lukas and I am  
21 Senior Clinical Director for carvedilol for  
22 GlaxoSmithKline.

23           Currently, there are three other  
24 beta-blockers approved for the long-term use in the  
25 post-infarction patient: timolol, propranolol, and

1 the immediate release formulation of metoprolol.  
2 While atenolol also carries an indication for use  
3 in post-MI patients, that indication is primarily  
4 based on the seven-day follow-up data from ISIS-II.

5 The major large-scale, long-term trials  
6 that were conducted with these drugs are listed on  
7 this slide. All were landmark studies when they  
8 were carried out 20 years ago, and despite some  
9 limitations as to their conduct and analysis, the  
10 totality of the data from these studies clearly  
11 established the efficacy of beta-blockers in  
12 reducing mortality in survivors of an acute  
13 myocardial infarction.

14 However, specific cohorts of patients were  
15 not well represented in these early studies and, in  
16 particular, high-risk patients were generally not  
17 enrolled. Patients with heart failure were either  
18 excluded or were enrolled in small numbers and only  
19 if they had no or minimal evidence of pulmonary  
20 congestion.

21 Many currently available treatments for  
22 the immediate management of the post-infarction  
23 patient were either not available or not used  
24 including ACE inhibitors, I.V. nitroglycerin,  
25 heparin, and thrombolytics.

1           In addition, many currently available  
2 treatments for the long-term management of the  
3 post-infarction patient were not allowed because of  
4 the effect that they might have had on showing a  
5 benefit of beta blockade.

6           For these reasons, physicians now are  
7 uncertain about the role of beta-blockers in the  
8 management of the post-infarction patient in the  
9 modern era. Many wonder whether beta-blockers are  
10 still needed if a patient is already receiving  
11 drugs that reduce infarct size, reduce the process  
12 of cardiac remodeling, decrease the risk of  
13 infarction, and minimize the adverse effects of  
14 neurohormonal activation.

15           Others are concerned about the safety of  
16 beta-blockers in high-risk patients, particularly  
17 the risk of worsening heart failure in patients  
18 with a low ejection fraction and the risk of  
19 hypotension in patients who would be receiving ACE  
20 inhibitors or vasodilators.

21           Complicating matters further is the fact  
22 that beta-blockers that are approved for use in  
23 post-infarction patients are not approved for  
24 patients who have overt heart failure and, in fact,  
25 they currently carry a contraindication for use in

1 these patients.

2         Conversely, the beta-blockers that are  
3 approved for use in chronic heart failure are not  
4 approved for use following a recent myocardial  
5 infarction.

6         Specifically, timolol, propranolol, and  
7 the immediate release formulation of metoprolol are  
8 indicated for use in the post-infarction patient,  
9 but their use is currently primarily focused on  
10 patients at low risk, whereas carvedilol and the  
11 sustained release formulation of metoprolol are  
12 indicated for use in patients with chronic heart  
13 failure. However, no beta-blocker is currently  
14 indicated for the patients in the middle,  
15 specifically, those with left ventricular  
16 dysfunction that is recognized early in the  
17 post-infarction period.

18         Therefore, these patients are least likely  
19 to receive such treatment even though, given their  
20 high risk, they are most likely among  
21 post-infarction patients to benefit from such  
22 treatment. They are also the most likely to  
23 develop an approved indication for beta blockade in  
24 the following months and years when symptoms of  
25 heart failure develop.

1           Now, carvedilol has been formally  
2 evaluated in controlled clinical trials across the  
3 entire continuum of patients from those within 24  
4 hours of an acute infarction to those with  
5 post-infarction left ventricular dysfunction to  
6 those with mild, moderate, or severe chronic heart  
7 failure.

8           The U.S. Carvedilol program and the  
9 COPERNICUS trial focused on patients with advanced  
10 left ventricular dysfunction, all of whom had heart  
11 failure, but only about half of whom had a history  
12 of a myocardial infarction, and that generally  
13 occurred years before enrollment in the trial.

14          In these two studies, carvedilol  
15 significantly reduced the risk of death, as well as  
16 the combined risk of death and cardiovascular  
17 hospitalization, and the direction and the  
18 magnitude of these benefits were similar and  
19 remained significant if the analyses focused only  
20 on the patients in those trials with a history of  
21 myocardial infarction, which as I said represented  
22 about half of the patients in these trials.

23          Now, the Australia-New Zealand or ANZ  
24 study was a moderately sized study of patients with  
25 mild chronic heart failure who had moderate left



1 ventricular systolic dysfunction. All of these  
2 patients had an ischemic cardiomyopathy and nearly  
3 all had a history of a prior myocardial infarction.

4 Carvedilol significantly reduced the  
5 combined risk of death and cardiovascular  
6 hospitalization in the ANZ study during a follow-up  
7 of 18 to 24 months both when all patients were  
8 analyzed, as well as when the analysis was confined  
9 to those patients who had a previous MI.

10 It should be noted that the ANZ trial was  
11 not a survival study and that only about 50  
12 mortality events were recorded in the trial.

13 So, the two trials that are the focus of  
14 today's discussion were conducted with the  
15 intention of evaluating the effects of carvedilol  
16 in post-infarction patients even earlier in the  
17 disease process.

18 The CAPRICORN trial evaluated patients who  
19 had survived an acute MI an average of 10 days  
20 earlier, all of whom had left ventricular  
21 dysfunction, but about half of whom had heart  
22 failure. The mean ejection fraction in this trial  
23 was higher than those in the trials that were  
24 conducted in patients with chronic heart failure.

25 The CHAPS study evaluated patients who

1 were within 24 hours of their acute myocardial  
2 infarction, most of whom had preserved left  
3 ventricular function and no heart failure.  
4 Therefore, the CHAPS and the CAPRICORN trials were  
5 carried out with the intention of determining if  
6 carvedilol would be beneficial if initiated far  
7 closer to the time of myocardial injury than had  
8 earlier trials evaluating post-infarction patients.

9 The main focus of today's discussion will  
10 be on the CAPRICORN trial, but before turning our  
11 focus to that study, I will briefly review for you  
12 the results of the CHAPS trial.

13 Now, CHAPS, which stands for the  
14 Carvedilol Heart Attack Pilot Study, was a  
15 single-center trial which was designed to evaluate  
16 in a preliminary manner the effects of carvedilol  
17 in the immediate peri-infarction setting.

18 The purpose of the study was to gain  
19 comfort about the use of carvedilol in this setting  
20 since the drug had not been used early post-MI  
21 before. Patients were enrolled if they had an  
22 acute myocardial infarction within the preceding 24  
23 hours, but they were excluded if they had an  
24 indication for, or a contraindication to, treatment  
25 with a beta-blocker.

1           Patients who fulfilled these entry  
2 criteria were randomly assigned in a 1-to-1 ratio  
3 to placebo or carvedilol. Treatment with the study  
4 drug was initiated with an intravenous bolus of 2.5  
5 mg of carvedilol or placebo, after which patients  
6 received 6.25 mg/twice daily of the study drug  
7 orally beginning four hours later.

8           This was increased to 12.5 mg/twice daily  
9 after 2 days. The dose of the study drug was not  
10 further increased in most patients although if  
11 after 12 days, patients taking 12.5 mg/twice daily  
12 met the blood pressure criteria and heart rate  
13 criteria that you see on the bottom of this slide,  
14 their study drug could be increased to 25 mg/twice  
15 daily.

16           Treatment with either carvedilol or  
17 placebo was maintained for a total of 24 weeks  
18 following randomization.

19           Now, the primary endpoint of CHAPS, as  
20 defined in the original protocol, was timed to a  
21 prespecified cardiovascular event, which included  
22 death, heart failure, recurrent MI or unstable  
23 angina, stroke, ventricular arrhythmia requiring  
24 medical therapy, emergency revascularization, or  
25 the use of a new cardiovascular drug with the

1 exception of nitrates or diuretics administered  
2 within 72 hours of the onset of their chest pain.

3 A total of 151 patients were randomized,  
4 74 to placebo and 77 to carvedilol. Of these, a  
5 total of 5 patients, 3 in the placebo group and 2  
6 in the carvedilol group, were found to have  
7 violated one of the exclusion criteria. These  
8 patients either never received study drug or had  
9 their study drug withdrawn within 4 days.

10 So, the remaining 146 patients entered  
11 long-term treatment and most received 12.5 mg/twice  
12 daily. Only 87 of these patients continued to  
13 receive study drug for 24 weeks and by far the most  
14 common reason for withdrawal from study drug was  
15 the occurrence of the primary endpoint.

16 The baseline characteristics of CHAPS are  
17 shown on this slide for the 146 patients who were  
18 randomized, had a confirmed myocardial infarction,  
19 and received at least 1 dose of study medication.

20 As you can see, this is largely a study of  
21 patients who were experiencing their first  
22 myocardial infarction, who had received appropriate  
23 therapy for their infarction including  
24 thrombolytics, aspirin, and intravenous heparin,  
25 and who had a normal left ventricular ejection

1 fraction.

2 Overall, the two groups were similar with  
3 respect to the majority of the baseline  
4 characteristics.

5 This slide tabulates the events that  
6 contributed to the occurrence of a primary endpoint  
7 in the two groups. A primary endpoint event was  
8 achieved in 31 patients in the placebo group and 18  
9 patients in the carvedilol group.

10 All categories of the events were less  
11 common in the carvedilol group especially those  
12 related to the occurrence of myocardial ischemia.

13 This slide shows the Kaplan-Meier plots  
14 for the primary endpoint. The difference between  
15 the two groups was apparently early and was  
16 maintained for the duration of follow-up. The  
17 difference between the curves was statistically  
18 significant at a p value of 0.01.

19 On this slide, we show you that if the 5  
20 patients who were randomized into the trial, but  
21 who did not receive long-term treatment with the  
22 study drug because of their failure to meet the key  
23 entry criteria, if those patients are included in  
24 the analysis according to the intention-to-treat  
25 principle, the effect of carvedilol remains

1 significant.

2 The mortality results from CHAPS are shown  
3 on this slide. Mortality was a secondary endpoint,  
4 and there were a total of 6 deaths that occurred  
5 during the 24-week planned duration of the trial.  
6 Four deaths occurred in the placebo group, 2 deaths  
7 occurred in the carvedilol group, and this slide  
8 shows the reason for deaths and the time that the  
9 deaths occurred following randomization.

10 Overall, the drug was well tolerated in  
11 the study, as described in the briefing document  
12 that was distributed to the committee.

13 I would like to say in summary that the  
14 data from this pilot study support the ability of  
15 carvedilol to reduce the risk of death,  
16 reinfarction, and arrhythmias in the  
17 post-infarction patient, and, in addition,  
18 demonstrate the tolerability of carvedilol in the  
19 immediate post-infarction period.

20 However, CHAPS was a small trial which  
21 observed few cardiovascular events and in which a  
22 large proportion of the patients did not continue  
23 double-blind treatment for 24 weeks due to the  
24 protocol requirement that patients achieving a  
25 primary endpoint stop study drug.

1           For this reason, at this point, we would  
2 like to turn our attention away from the CHAPS  
3 trial to a much larger and more definitive trial  
4 known as CAPRICORN. I would call Dr. Henry Dargie  
5 to the podium to describe the primary results of  
6 CAPRICORN to you, but would be happy to take any  
7 questions that you might have.

8           DR. BORER: Does anyone have any questions  
9 about study design at this point?

10          I have one. It is just a question for  
11 information only, there is no suggestion that there  
12 is anything wrong with having done it. The  
13 starting dose and up-titration schedule in  
14 CAPRICORN and also in CHAPS where the ejection  
15 fraction was a little bit higher, of course, starts  
16 at a higher dose and moves up faster than what is  
17 labeled for the use of carvedilol chronically.

18          I have no problem with doing that, I just  
19 want to understand how you came to the rapid  
20 up-titration and the higher starting dose for this  
21 trial of patients with heart failure.

22          DR. LUKAS: I can answer that specifically  
23 for CAPRICORN primarily, because GSK was not  
24 involved in the design of the CHAPS study back in  
25 1992, but the feeling at the time was that it was

1 important to achieve beta-blocking levels in these  
2 patients as rapidly as could be within the bounds  
3 of safety, which was why the up-titration period  
4 itself was shorter than what was used in the heart  
5 failure trials.

6 For the same reason, the initial starting  
7 dose was higher, and the safety of that was  
8 assessed as will be described later by the Data  
9 Safety Monitoring Board looking at the data from  
10 the first 100 patients or so to make certain that  
11 was not--

12 DR. BORER: Was there some retrospective  
13 review of data from the prior trials that suggested  
14 you could do this safely? You know, it wasn't just  
15 picked out the air. I assume that there was some  
16 experience that suggested it was okay to do this,  
17 and it turned out to be okay.

18 DR. LUKAS: Yes. I don't want to say that  
19 I can remember exactly a retrospective analysis to  
20 support this point, but I do remember that the U.S.  
21 carvedilol trial data were looked at and that  
22 particularly the dose-related information from  
23 MOCHA and from the overall program to make certain  
24 that we--all of those trials, by the way, started  
25 at 6.25 in the U.S. carvedilol program, so we got



1 a lot of our sense that the starting dose would be  
2 safe from those trials.

3 DR. BORER: Paul.

4 DR. ARMSTRONG: Could you clarify two  
5 points for me? In Table 3, reporting on CHAPS, the  
6 death is 3 placebo, 2 carvedilol, and you said 4  
7 placebo in your presentation. Did I misunderstand?

8 DR. LUKAS: No, there were 5 deaths that  
9 were counted as a primary endpoint, and there were  
10 6 deaths that occurred during the follow-up period,  
11 so 1 patient who died had a primary endpoint event  
12 prior to their death, so that by the time to first  
13 event analysis, 5 deaths are included in the  
14 primary analysis, but the 6 deaths are reported for  
15 occurring in the entire follow-up period.

16 DR. ARMSTRONG: The issue of the  
17 concurrent use or lack of use of ACE inhibitors in  
18 this population and the instructions, there were at  
19 least 10 episodes of heart failure, but very low  
20 usage of ACE inhibitors here by design.

21 Could you help me understand that issue?

22 DR. LUKAS: Again, with apologies for not  
23 knowing all of the details of the design when it  
24 was first put together back in 1992, the use of ACE  
25 inhibitors, as you said, was excluded from the

1 beginning although there were 5 patients I believe  
2 in total who actually entered the trial and were  
3 receiving an ACE inhibitor, but the feeling was  
4 that, in fact, the establishment of the use of  
5 beta-blockers in the protocol and in the report was  
6 still felt to be deserving of further confirmation  
7 by the investigator. That is how they put it in  
8 the rationale, and they did not address the  
9 desirability or need to use an ACE inhibitor.

10 DR. ARMSTRONG: So, we should interpret  
11 the safety and efficacy of this study in the  
12 absence of ACE inhibitors which would now be, of  
13 course, background therapy.

14 DR. LUKAS: Absolutely true, and, of  
15 course, the CAPRICORN trial, as you will see, the  
16 majority of patients did receive an ACE. The only  
17 other thing I would remind you is, although I can  
18 bring up an exclusion criteria slide for you, but  
19 the exclusion criteria for CHAPS were Killip IV  
20 heart failure, and Killip II and III heart failure  
21 were allowed, but as you said, those patients were  
22 not receiving anything.

23 DR. BORER: Okay. Why don't we move  
24 ahead.

25 DR. LUKAS: Thank you.

1 CAPRICORN Trial - Primary Endpoints

2 Henry Dargie, M.D.

3 DR. DARGIE: Good morning, Dr. Borer,  
4 members of the advisory committee and the FDA,  
5 ladies and gentlemen.

6 My name is Henry Dargie. I am from the  
7 University of Glasgow. I was the principal  
8 investigator for the CAPRICORN study.

9 The primary objective of the CAPRICORN  
10 trial was to evaluate the effects of carvedilol on  
11 all-cause mortality in patients with left  
12 ventricular dysfunction who had recently suffered  
13 an acute myocardial infarction.

14 CAPRICORN was a multi-center, randomized,  
15 placebo-controlled parallel group study in patients  
16 with left ventricular ejection fraction equal to or  
17 less than 40 percent, with or without heart  
18 failure, and the trial was conducted worldwide in  
19 163 centers in 17 countries.

20 The trial was conducted under the auspices  
21 of the Steering Committee, of which I was the  
22 chairman, an Endpoint Committee chaired by John  
23 McMurray, and a Data and Safety Monitoring Board  
24 chaired by Desmond Julian.

25 Now, all patients in the CAPRICORN trial

1 had had an acute myocardial infarction during the  
2 previous 3 to 21 days. The use of all modern  
3 evidence-based treatments for myocardial infarction  
4 are including thrombolytics, aspirin, heparin,  
5 lipid-lowering drugs, et cetera, was encouraged.

6 Patients were required to have an ejection  
7 fraction of equal to or less than 20 percent, and  
8 importantly, to be receiving an ACE inhibitor for  
9 at least 48 hours and to have been stable for 24  
10 hours. In all, about 80 percent of patients were  
11 hospitalized at the time of study entry.

12 Patients were excluded if they had  
13 unstable angina or various other unstable features,  
14 but it is important to emphasize that patients may  
15 have had primary edema or even cardiogenic shock  
16 during their index MI, but they were required to be  
17 clinically stable at the time of entry into the  
18 study.

19 Patients were not enrolled, however, if  
20 they had an indication for, or a contraindication  
21 to, treatment with a beta-blocker.

22 Now, patients who fulfilled all the entry  
23 criteria were randomly assigned in a double-blind  
24 manner to carvedilol or placebo, carvedilol  
25 beginning at a dose of 6.25 mg/twice a day,

1 increasing every 3 to 10 days to a target dose of  
2 25 mg/bid.

3 Should the initial dose of 6.25 not be  
4 tolerated, the patients could then be challenged  
5 with a dose of 3.125 mg/bid. Patients were then  
6 maintained on their maximum dose of treatment study  
7 drug until 630 patients had died.

8 If the patient's condition deteriorated  
9 during the study, the investigator could, of  
10 course, utilize any interventions that were  
11 clinically indicated, however, investigators were  
12 instructed not to institute open-label treatment  
13 with a beta-blocker unless there was a compelling  
14 and unequivocal reason for doing so.

15 The original primary endpoint of the study  
16 was all-cause mortality. The protocol originally  
17 also specified three secondary endpoints: (1) the  
18 combined risk of all-cause mortality or  
19 cardiovascular hospitalization; (2) sudden death;  
20 (3) progression of heart failure.

21 The original protocol anticipated that the  
22 study would enroll 2,600 patients based on the  
23 assumption that the 21-month mortality in the  
24 placebo group would be 29 percent, the risk of  
25 death would be altered by 20 percent as a result of

1 treatment with carvedilol, and the study would have  
2 90 percent power to detect a significant difference  
3 between the treatment groups with an alpha of 0.05.

4 The protocol specified the trial would  
5 continue until 630 deaths had occurred with a  
6 minimum follow-up of 12 months to allow the effects  
7 of carvedilol to become apparent.

8 This number of events did not allow for  
9 any dilutional effect created by patients who  
10 discontinued the study medication or who were  
11 treated with open-label beta-blocker, however,  
12 fatal or non-fatal events were recorded and  
13 included in all analyses whether or not they  
14 occurred while the patient was on study medication.

15 Enrollment in the CAPRICORN trial began in  
16 June of 1997. Nearly two years later, in March of  
17 1999, the DSMB notified the Steering Committee that  
18 it was recommending a change in the protocol.

19 As you recall, the original protocol for  
20 the CAPRICORN trial had strongly discouraged the  
21 use of open-label treatment with a beta-blocker,  
22 however, public announcements in late 1998 and  
23 early 1999 that beta-blockers had been found to  
24 prolong life in trials of chronic heart failure,  
25 namely, CIBIS II and MERIT-Heart Failure had raised

1 ethical concerns within the DSMB about our policy  
2 of withholding treatment with a beta-blocker until  
3 the completion of the study.

4 The DSMB believed that patients who  
5 developed heart failure during the course of the  
6 CAPRICORN trial should now be actively considered  
7 for treatment with a beta-blocker even though it  
8 fully recognized that a high frequency of  
9 open-label beta-blocker use would impair the  
10 ability of the study to detect a difference between  
11 the two groups.

12 As a result, and in view of the fact that  
13 the mortality rate in the trial at that time was  
14 lower than anticipated, the DSMB felt that the best  
15 approach would be to expedite completion of the  
16 trial by changing the primary endpoint to one that  
17 would allow a critical number of events to be  
18 achieved as soon as possible.

19 I must stress that this recommendation was  
20 made prior to having conducted any analysis of  
21 unblinded data by treatment group.

22 This slide summarizes the changes made in  
23 the protocol by the Steering Committee in response  
24 to the DSMB's recommendation. All-cause mortality  
25 or hospitalization for a cardiovascular reason was

1 added as a new co-primary endpoint. Of the  
2 studywise alpha of 0.05, 0.045 was allocated to  
3 this new endpoint and 0.005 to the original and  
4 retained primary endpoint of all-cause mortality.

5 All-cause mortality or cardiovascular  
6 hospital was added as a co-primary endpoint because  
7 it had been the first prespecified, the first  
8 listed prespecified secondary endpoint in the  
9 original protocol, and because the critical number  
10 of 630 events would be reached rapidly if either  
11 death or any cardiovascular hospitalization were to  
12 count as events.

13 Now, a total of 1,959 patients were  
14 randomized into the CAPRICORN trial, 984 to the  
15 placebo group and 975 to the carvedilol group.

16 As you can see, the two groups were rather  
17 similar with respect to their baseline  
18 characteristics. It is interesting, however, to  
19 note that even though about 30 percent of the  
20 patients had a history of a previous myocardial  
21 infarction, most of these patients were receiving  
22 neither a beta-blocker or an ACE inhibitor prior to  
23 their index MI, and the index MI was complicated by  
24 the development of primary edema in nearly 20  
25 percent of patients.



1           The next slide tabulates other baseline  
2 characteristics, many of which distinguish these  
3 patients and those enrolled in the previous  
4 beta-blocker trials, beta-blocker post-infarction  
5 trials.

6           About half the patients received  
7 thrombolytics or a primary coronary intervention  
8 during their index MI. A large majority received  
9 aspirin, heparin, intravenous nitrates, and about a  
10 quarter received a lipid-lowering drug.

11          Furthermore, about half of the patients  
12 had heart failure, many of whom had recently  
13 received an I.V. diuretic and, of course, all of  
14 the patients or virtually all of the patients were  
15 receiving an ACE inhibitor or, in a very small  
16 number of cases, an angiotensin receptor blocker.

17          The mean ejection fraction, as you can  
18 see, was 33 percent in both groups. The mean  
19 systolic blood pressure was about 120 millimeters  
20 of mercury, but in nearly 25 percent of cases, the  
21 systolic blood pressure was, in fact, less than 110  
22 millimeters of mercury.

23          Now, it should also be noted that patients  
24 generally were initiated on treatment with placebo  
25 or carvedilol more than 1 week after the qualifying

1 event, so that this trial was not an evaluation of  
2 carvedilol for the immediate treatment of an  
3 evolving myocardial infarction, rather, it was an  
4 evaluation of carvedilol in the early management of  
5 post-infarction survivors who had heart failure or  
6 were at high risk of developing it.

7 Now, of the 1,959 patients randomized into  
8 the CAPRICORN study, 10 were randomized, but didn't  
9 receive any study medication. They were, of  
10 course, included in all analyses.

11 The target doses were achieved in 84  
12 percent of placebo and 17 percent of the carvedilol  
13 patients within 12 weeks. Generally, this level of  
14 medication was maintained for the duration of the  
15 study, and the duration of follow-up ranged from 3  
16 to 33 months with a mean of 15 months.

17 This slide illustrates compliance with  
18 study medication. During the course of follow-up,  
19 24 percent of the patients permanently discontinued  
20 study medication, very similar in both the placebo  
21 arm and carvedilol groups, but importantly to  
22 notice in this study is that 12 percent of the  
23 patients received open-label therapy with  
24 beta-blocker. This occurred more often, earlier,  
25 and for a longer time in the patients in the

1 placebo group than in the carvedilol group.

2 I would like now to review the results of  
3 the CAPRICORN trial on the two co-primary  
4 endpoints.

5 DR. BORER: Henry, before you get to that,  
6 let me ask if anyone has questions about design  
7 issues at this point.

8 I have one. The beta-blocker used before  
9 randomization, how was withdrawal from  
10 beta-blockers handled in the patients who were  
11 already receiving beta-blocker post-MI?

12 DR. DARGIE: The patients admitted to  
13 coronary care units who were already on  
14 beta-blockers, these are sometimes withdrawn by the  
15 discretion of the physician, but it is the case  
16 that some patients who were on beta-blockers and  
17 appeared to be stable, on being counseled or  
18 discussed, the trial being discussed with them,  
19 could have had that beta-blocker withdrawn then to  
20 be subsequently randomized.

21 This occurred in a relatively small  
22 percentage of patients because as you can see, not  
23 that many patients were receiving beta-blocker  
24 prior to admission.

25 DR. BORER: It said 35 percent.

1 DR. DARGIE: Yes.

2 DR. BORER: So, there would have been some  
3 formal withdrawal period and then you would have  
4 randomized.

5 DR. DARGIE: Yes.

6 DR. BORER: Okay. Tom?

7 DR. PICKERING: It was basically the same  
8 question. You said 3 percent had beta-blockers  
9 before their MI, and then it went up to 35 percent,  
10 so there were some who were put on it just  
11 temporarily, is that correct?

12 DR. DARGIE: That is absolutely right.

13 DR. TEMPLE: Tom can tell me if this is a  
14 silly question, but did you consider different  
15 allocations of the alpha--

16 DR. DARGIE: Sorry?

17 DR. TEMPLE: What was done, would be  
18 appropriate if the two endpoints had no  
19 relationship, weren't correlated at all? Sort of  
20 equivalent to a Bonferroni, but oddly distributed,  
21 but, in fact, one of the endpoints is included in  
22 the other, so those numbers seem a little more  
23 conservative than necessary, right? I just  
24 wondered if you had thought about other ways of  
25 arranging that.

1 DR. DARGIE: Other ways of expressing the  
2 alpha?

3 DR. TEMPLE: Yes.

4 DR. DARGIE: Well, we did certainly  
5 consider that. We could have split the alpha  
6 evenly.

7 DR. TEMPLE: My point is that, for  
8 example, if there were two endpoints and you did a  
9 Bonferroni, you test each at 0.025, but what I have  
10 learned is that that is over-conservative if the  
11 two endpoints are correlated.

12 You didn't divide it equally, so the  
13 numbers aren't that way, but maybe they didn't have  
14 to be as conservative as you chose. It is a little  
15 late to fix that, but I was just curious.

16 DR. DARGIE: I wonder if, with your  
17 permission, since I am not a statistician, I could  
18 perhaps get some statistical advice from my  
19 advisor, Dr. Ford, Professor Ford.

20 DR. FORD: Hello. My name is Ian Ford  
21 from the University of Glasgow. I think that is an  
22 interesting statistical point, as you said, but  
23 whatever the answer to that question would have  
24 been, we would still be having the same discussion  
25 today.

1           We did consider it, but we thought it  
2 would be better to take a conservative approach to  
3 the problem.

4           DR. TEMPLE: We plan for future meetings  
5 and events at the same time, so I was just curious.

6           DR. BORER: JoAnn?

7           DR. LINDENFELD: An amendment was made I  
8 noticed to decrease the follow-up from 12 months to  
9 3 months to complete the study more rapidly. Can  
10 you tell me how many patients had a follow-up of  
11 less than 6 months?

12          DR. DARGIE: Not off the top of my head.

13          DR. LINDENFELD: Or just approximately?

14          DR. DARGIE: Would it be possible to  
15 answer that question a little later on in the  
16 presentation when we have established that figure?

17          DR. LINDENFELD: Yes.

18          DR. BORER: Steve.

19          DR. NISSEN: I have a couple of questions.  
20 The ejection fraction had to be less than 40  
21 percent.

22          DR. DARGIE: Equal to or less than.

23          DR. NISSEN: Yes. How was that measured  
24 and when was it measured in the time course of the  
25 infarction?

1 DR. DARGIE: Ejection fraction could be  
2 measured by any of the established techniques for  
3 ejection fraction, so it could conceivably be  
4 measured also by invasive technique, so that was  
5 very uncommon.

6 The most common method of measuring  
7 ejection fraction was echocardiography. This was  
8 generally measured at a time when the patient was  
9 stable following their admission for their index  
10 MI. So, it was very close obviously to  
11 randomization.

12 DR. NISSEN: Right, not necessarily in the  
13 very acute phase of their infarction.

14 DR. DARGIE: That's right. The ejection  
15 fraction was measured generally at the time when  
16 people were stable, not in the hyperacute phase.

17 DR. NISSEN: And they had to have ST  
18 elevation MI, is that correct?

19 DR. DARGIE: No, they didn't. They had to  
20 have a definite diagnosis of myocardial infarction.  
21 They could have non-ST segment elevation myocardial  
22 infarction.

23 DR. NISSEN: Do you have any sense of what  
24 the distribution was between ST elevation and  
25 non-ST elevation MI?

1 DR. DARGIE: Yes, about 25 percent were  
2 non-ST segmental elevation, the majority were ST  
3 elevation.

4 DR. NISSEN: Does it surprise you that  
5 there was such a--I mean usually, non-ST elevation  
6 MI's don't result in ejection fractions of less  
7 than 40 percent. I presume most of those were  
8 people with a second infarction, is that right?

9 DR. DARGIE: Well, it is very interesting  
10 question. Of course, patients could have had a  
11 previous MI and therefore have a depressed ejection  
12 fraction prior to coming in, but that is probably  
13 the most common reason.

14 DR. BORER: John.

15 DR. NEYLAN: I was curious about some of  
16 the deliberations that went into the calculation of  
17 the sample size since this is relevant to an  
18 important element of the submission, I believe,  
19 with regard to the strength of the signal.

20 You describe a roughly 25 percent dropout  
21 rate, roughly equally distributed between the two  
22 treatment groups and I was wondering if you could  
23 share with us some of the thoughts in the original  
24 design as to why a dropout rate was not taken into  
25 account when considering the original sample size.



1 DR. DARGIE: What wasn't taken into  
2 account was the dilutional effect of open-label  
3 beta-blocker. That was the thing that wasn't taken  
4 into account. I think that we probably anticipated  
5 the dropouts would occur probably early in either  
6 group, but the sample size was simply an arithmetic  
7 calculation from the power and the reduction in  
8 mortality and the alpha and power that we wanted  
9 for the study.

10 DR. BORER: Ian, did you want to comment  
11 about that?

12 DR. FORD: Yes, I think it would be useful  
13 to say something. There are two ways you can do  
14 power calculations. You can either start with a  
15 theoretical benefit assuming everyone will continue  
16 on study medication and then adjust that down on  
17 the basis of an assumed withdrawal rate, or you can  
18 start with an effective treatment effect after  
19 taking into account the patients will inevitably  
20 withdraw from medication.

21 We took the latter approach assuming there  
22 had been no untrivial withdrawal rate in the study  
23 and we adjusted the effect size down to 20 percent  
24 to adjust for that.

25 DR. BORER: Marc.

1 DR. PFEFFER: While we are on this topic,  
2 so there must be some table of your projections at  
3 the time you made the change, and we are talking  
4 about drop-ins, so that would influence the  
5 process. Somehow you must have had some estimate  
6 of what the events would be and what your drop-ins  
7 would be.

8 Is there a table that one could look at to  
9 say this is what we thought in 1997?

10 DR. DARGIE: I don't think we have one.

11 DR. BORER: Henry, I would like to ask one  
12 question, and I will ask it again later because you  
13 may not have the information now.

14 A number of people were censored at the  
15 point where they received beta-blockers, and that  
16 is perfectly understandable and that is fine. I  
17 saw nowhere in the briefing document--all right,  
18 then, they weren't censored, that is just as well,  
19 even better, even better.

20 The point is that people received  
21 beta-blockers and they received beta-blockers for  
22 some reason, and I didn't see in the briefing  
23 document or the FDA review any discussion of  
24 specifically why the beta-blockers were given.

25 Now, you may not know, you may not have

1 collected documentation sufficient to answer this  
2 question, which I think would be unfortunate in a  
3 way because there is such a clear skewed  
4 distribution of administration of beta-blockers.

5 If, for example, people received  
6 beta-blockers because they were developing heart  
7 failure, and that was happening more in one group  
8 than another, that might well strengthen the case  
9 for the efficacy of the drug.

10 So, I am wondering, number one, do we know  
11 why they got the beta-blocker and was an analysis  
12 done, even though it wasn't given to us to account  
13 for that, and, if not, why there was no effort to  
14 do that.

15 DR. DARGIE: Dr. Lukas perhaps might be  
16 the best person to answer that.

17 DR. LUKAS: Yes, thank you, Dr. Borer.  
18 The answer I am going to give you may not be  
19 satisfying on all levels. The information on the  
20 patients who received an open-label beta-blocker  
21 was taken from the concomitant medication records  
22 and did include an indication for why the drug was  
23 given.

24 However, when the amendment was made in  
25 August 1999, suggesting that people should consider

1 putting patients on a beta-blocker if they had  
2 developed heart failure, the investigators did not  
3 really receive specific instructions as to indicate  
4 that that was the reason why they were being put on  
5 a beta-blocker.

6 So, when we looked in detail at the  
7 records for these 400 and some-odd patients who  
8 received a beta-blocker across the two groups, we  
9 really have a mixture that is difficult to  
10 interpret in a very clear way.

11 Most of the people who received long-term  
12 open-label beta-blocker, the indications that the  
13 investigator gave were post-myocardial infarction  
14 or ischemia, but there was no effort made--because  
15 this was not given, I will just tell you, it was  
16 not given a lot of importance at the time the  
17 analysis was being done. In retrospect, likely it  
18 should have been.

19 But we cannot give you based upon the  
20 information that we have in hand an answer that  
21 says 30 percent of the people were for heart  
22 failure, 40 percent were for other reason, and so  
23 on. I can only tell you from looking at the data  
24 in general that most of the information from the  
25 investigators said post-MI use.

1 DR. BORER: Thank you.

2 Tom.

3 DR. FLEMING: A few questions. The  
4 study's enrollment began in June of '97 and by our  
5 notes here, was completed, the follow-up was  
6 completed on February 3rd of 2000, is that correct?

7 DR. LUKAS: On March the 1st, 2000, the  
8 investigators received a fax saying that the 633  
9 endpoints had been accrued and that they should  
10 contact their patients, bring them in, begin  
11 down-titration, do the end of study assessments,  
12 and so on.

13 The actual last dose of study medication  
14 including the down-titration was given on May 30th,  
15 2000, so that was the actual end of the medication  
16 being administered. The last follow-up of patients  
17 actually went out to about July, August of 2000 to  
18 get the vital status on all of the patients for  
19 whom the vital status was not known at the time of  
20 the end.

21 DR. FLEMING: So, the last survival data  
22 that exists is through June of 2000?

23 DR. LUKAS: Actually, the last death  
24 recorded was July 18th, 2000.

25 DR. FLEMING: So, there haven't been any

1 survival updates beyond that.

2 DR. LUKAS: No.

3 DR. FLEMING: A design question. Just as  
4 you look at this philosophically, you have given us  
5 the history of development of evaluations of  
6 carvedilol. Among those trials were the  
7 Australia-New Zealand, the U.S. Carvedilol trial,  
8 and COPERNICUS, where you referred to the time  
9 frame there being two to five years post-MI.

10 From a scientific perspective, the  
11 question that CAPRICORN would be addressing would  
12 be whether it would be useful to use carvedilol  
13 earlier in the process, initiating it earlier. So,  
14 the logical question then is really not one of  
15 carvedilol, yes versus no, but immediate versus  
16 delay.

17 In essence, you are randomizing people  
18 that are now within 21 days of MI to immediate use  
19 of carvedilol versus a strategy that would delay  
20 initiation of beta-blockers to a time period where  
21 clinical conditions would indicate proper  
22 initiation.

23 That, I assume is, in fact, your  
24 perspective here, you are looking at, by design, an  
25 immediate versus delay design here, intending to

1 find out whether or not there is a 20, 23 percent  
2 reduction in death rate over time from such a  
3 design. Is that correct?

4 DR. DARGIE: Relatively immediate in the  
5 sense that it wasn't given within the first 24  
6 hours as the first medication.

7 DR. FLEMING: Right.

8 DR. DARGIE: And given in the context of  
9 the use of beta-blockers in other LVD and heart  
10 failure circumstances when its limit has already  
11 been on-board, so it was within that time frame.

12 DR. FLEMING: And that makes sense to me.  
13 Why then is it not possible in that framework to  
14 have a mortality endpoint? Why was the Steering  
15 Committee and the sponsor of the perspective that  
16 the endpoint needed to be changed from something  
17 other than mortality?

18 DR. DARGIE: I could go through the  
19 history of that, if you like. I mean basically, it  
20 was considered that because of the use of  
21 beta-blockers further out in the trial, after three  
22 months or so, and that that would have a drop-in  
23 effect, and obviously lead to difficulty in  
24 detecting a difference between the two groups.  
25 That was the reason for that.

1 DR. FLEMING: Because it was thought that  
2 it would be implausible that you could have a  
3 mortality difference that would be meaningful if  
4 you had an immediate versus delay?

5 DR. FLEMING: You know, the power  
6 calculations, of course, are on the 630 deaths  
7 originally, and that obviously wouldn't have  
8 occurred within that time frame.

9 DR. FLEMING: Since you haven't had a  
10 chance to present the data, et cetera, I would like  
11 to return to that theme a little bit later. Let me  
12 move to another question.

13 You referred to the Data Monitoring  
14 Committee, and there are a number of issues that  
15 are perplexing to me in this. First, the  
16 membership of the committee, if I understand one of  
17 the earlier slides, Dr. Ford was a member of the  
18 committee.

19 Normally, we would anticipate that the  
20 Data Monitoring Committee would be an independent  
21 committee that would have no representation from  
22 the sponsor or investigators.

23 Dr. Ford, were you independent of this  
24 process?

25 DR. FORD: My group was the independent



1 statistical center for the study, was responsible  
2 for constructing the report for the Data Safety  
3 Monitoring Committee. I was the person who  
4 delivered the report to the committee, and I was a  
5 non-voting representative on the committee.

6 DR. FLEMING: So, you are what I would  
7 call the liaison statistician between the database  
8 and the Monitoring Committee, but not a member of  
9 the committee.

10 DR. FORD: That's correct.

11 DR. FLEMING: Was there a statistician on  
12 the committee?

13 DR. FORD: Yes, Simon Thompson, who is the  
14 Director of the MRC Biostatistics Unit in Cambridge  
15 University, was the statistician.

16 DR. FLEMING: The second question. In the  
17 materials we had received, there was indication the  
18 committee was blinded. Can you tell us more about  
19 that?

20 DR. FORD: The reports that the committee  
21 received were on an A/B basis or a treatment  
22 1/treatment 2 basis. They received the report on  
23 the subjects who were in what we call the "warm-up"  
24 phase of the first 200 subjects where we got very  
25 detailed information. They got that data on an A/B

1 basis. The more detailed information for the rest  
2 of the study, they got in a treatment 1/treatment 2  
3 basis.

4 For all-cause mortality, where the data  
5 came directly from the sponsor because there was a  
6 significant delay in the adverse event data passing  
7 through the CRO, which was processing the data and  
8 then coming to my group for analysis, the data was  
9 all treatment 1 and treatment 2. For the data from  
10 the sponsor on all-cause mortality, the committee  
11 decided that with the exception of interim  
12 analysis, they only wanted to see completely  
13 blinded data as they saw the data as a single group  
14 for all-cause mortality with the exception of the  
15 single meeting where they carried out an interim  
16 analysis.

17 DR. FLEMING: As an aside, since the  
18 primary responsibility of the Data Monitoring  
19 Committee is to safeguard interests of study  
20 participants, and have serious ethical concerns  
21 about a monitoring committee that is not fully  
22 unblinded to information evolving in the trial.

23 Moving ahead, though the recommendation  
24 now came down from the Monitoring Committee based  
25 on having seen results of CIBIS II and MERIT, and

1 this seems very appropriate that looking at  
2 emerging external information, the Monitoring  
3 Committee, in their judgment, believed that it  
4 would be important for patients who, in fact,  
5 progressed to heart failure, to be provided  
6 beta-blockers.

7 That was the recommendation. I have no  
8 concerns with that, that seems reasonable, but the  
9 sponsor has indicated that it was then the Data  
10 Monitoring Committee's recommendation to change the  
11 endpoint, which is a separate issue.

12 One issue is, is there a need to alter the  
13 way patients are managed, and I understand the role  
14 of the Monitoring Committee in that process. I  
15 don't understand the role of the Monitoring  
16 Committee in the process of changing the endpoint.  
17 That is the Steering Committee and sponsor's  
18 responsibility.

19 But seemingly from what you are telling  
20 us, you viewed it as the Data Monitoring  
21 Committee's responsibility to change the endpoint  
22 in the trial?

23 DR. DARGIE: No. As you say, the  
24 responsibility of the DSMB is to oversee the safety  
25 in the trial. I received a letter from the DSMB in

1 which they advised that we should consider, I think  
2 was the word used, a change in the primary  
3 endpoint, but the decision to implement that, of  
4 course, was entirely the Steering Committee.

5 DR. FLEMING: In the document, it was  
6 worded, and I can find the wording in a bit,  
7 something to the effect that it would have been  
8 extremely difficult for the--yes, the study failed  
9 to achieve its primary endpoint at the prespecified  
10 alpha because of a strong recommendation by the  
11 Data Safety Monitoring Committee to change the  
12 primary endpoint, a recommendation that would have  
13 been difficult for the Steering Committee and the  
14 sponsor to ignore.

15 This really is perplexing to me because  
16 the Data Monitoring Committee, first of all, should  
17 be unblinded, in which case they are the last group  
18 that I want to be intervening in changing my  
19 endpoint, but in this case, you are saying they  
20 were blinded.

21 But even with that being the case, it is  
22 not the Data Monitoring Committee's responsibility  
23 to alter endpoints in trials, so it is perplexing  
24 to me that you have indicated that it would have  
25 been difficult for the Steering Committee and

1 sponsor to ignore a recommendation from the  
2 Monitoring Committee to change an endpoint.

3 That is entirely your purview to decide  
4 what the endpoint is, and it is still not clear to  
5 me why, if you believed that immediate versus delay  
6 could influence survival, why you felt it  
7 compelling to change the endpoint whether or not  
8 you believed it was the Data Monitoring Committee's  
9 recommendation.

10 DR. DARGIE: It is, of course, interesting  
11 for me as the chairman of the Steering Committee to  
12 look back on those events and consider what we  
13 thought at the time.

14 We had appointed what I considered to be  
15 an extremely knowledgeable and very experienced  
16 DSMB. Desmond Julian had experience of previous MI  
17 trials, being on many more DSMBs than probably  
18 anyone in the room. We had experience on the DSMB  
19 in the areas of heart failure and also myocardial  
20 infarction.

21 So, it would be fair to say that, as the  
22 Steering Committee chairman, I was taking very,  
23 very seriously any information that would come from  
24 the DSMB. They didn't tell us that we should do  
25 anything. They didn't tell us that we should

1 change the primary endpoint. They didn't insist it  
2 be any given change, any given endpoint that would  
3 be the new co-primary.

4 They simply advised us to consider the  
5 possibility of a change in endpoint in order to  
6 expedite the completion of the study. At the time,  
7 I considered that was within the role of the DSMB  
8 in their role for the safety of the patients in the  
9 study.

10 I agree with you that it is not their role  
11 to actually decide on those things, but I would say  
12 that it would certainly be within their role to ask  
13 the Steering Committee to consider that in the  
14 interest of the safety of the patients in the  
15 trial.

16 It was in that spirit that I received the  
17 message and we discussed it among the group. It  
18 wouldn't be any surprise to you to know that this  
19 was greeted with a mixture of warmth and otherwise  
20 by the members, many wanting to maintain all-cause  
21 mortality.

22 It was only after quite a lot of  
23 discussion, and not just at one meeting, that we  
24 decided that we would adopt the strategy of  
25 accelerating the completion of the study,

1 maintaining its viability, of course, that was our  
2 primary concern, and also to maintain the identity  
3 of the study as a mortality trial.

4 So, within that framework, I felt not  
5 uncomfortable, if not comfortable, with what the  
6 DSMB had advised, and I didn't think, and still  
7 don't think, that they had overstepped the mark.

8 But insofar as the workings of DSMB are  
9 not the subject of written-down textbooks and  
10 instructions as yet, I think there will definitely  
11 be a spectrum of opinion on that.

12 DR. BORER: Steve.

13 DR. NISSEN: I guess what we were trying  
14 to get at here is there appears to have been, at  
15 least as I read the documents, two things going on.  
16 One was the recognition that in the interests of  
17 safety, that allowing patients to drop in was  
18 appropriate, and I personally find that highly  
19 commendable and the correct decision, and I just  
20 can't find any fault at all with that decision,  
21 period.

22 Obviously, it was a terrible challenge for  
23 you, but I think what some of us have been trying  
24 to understand better as we move forward toward this  
25 decision was there was also the problem of a trial

1 that was enrolling very slowly, so, you know,  
2 changing the primary endpoint, was the primary  
3 endpoint changed because enrollment was slow and  
4 this was a way to get the trial done more quickly,  
5 or were these issues of the drop-in the predominant  
6 issues.

7 It is confusing to us as we read these  
8 documents to understand the thinking. I would have  
9 loved to have seen the actual letter that was  
10 communicated to the Steering Committee. It would  
11 be very helpful to see exactly how this was  
12 communicated.

13 I don't know if that would ever be  
14 appropriate for us to actually see that, but it is  
15 one thing to change a trial for safety, it is  
16 another to change the endpoint because things are  
17 not going well or you are going slowly and you want  
18 to try to move things along, so you use a looser  
19 endpoint.

20 I don't know if I am speaking for anybody  
21 else, but I would like to get a sense of that as we  
22 move forward.

23 DR. DARGIE: I have a series of backup  
24 slides that describes the way in which this process  
25 took place. Would you be interested in seeing



1 those? In a nutshell, one can say that this  
2 process was the result of three things.

3 One was the slow recruitment. One was the  
4 fact that the overall mortality rate was  
5 considerably lower than I expected, but I think, if  
6 you like, the straw that broke the camel's back or  
7 the thing that activated the process at the time  
8 was the announcement of the results of CIBIS II,  
9 which by the way, I was the chairman, and also  
10 MERIT-Heart Failure, and that set the process  
11 going.

12 But there was, of course, concern about  
13 the slow recruitment even at the beginning, but I  
14 think it was that plus the low mortality rate plus  
15 the drop-in of the beta-blockers.

16 DR. NISSEN: What Tom I think was hinting  
17 at or maybe he was really saying it is that you  
18 could have said we will allow the drop-in, but we  
19 are not going to change anything else about the  
20 trial, and that was the alternative decision that  
21 might have been made, so to understand the process  
22 about why the endpoint was changed is really an  
23 independent decision of the decision to allow  
24 drop-in.

25 We are all trying to understand how those

1 things weighed upon the group that was responsible  
2 for conduct of the trial, because it does have an  
3 impact on how much leeway we give on this whole  
4 discovery process.

5 DR. DARGIE: I think it is very difficult  
6 to answer that particular issue about letting the  
7 trial continue with drop-in beta-blockers because  
8 we really didn't know exactly how common that would  
9 be. I mean it could have been very common.

10 It could have been along the lines we had,  
11 we really didn't know, but casting your mind back  
12 to 1998 and 1999 and the publication and  
13 presentation of these data, I think did engender a  
14 sense of urgency at least within the DSMB, which I  
15 suppose it communicates to the Steering Committee.

16 Since many of us have been associated with  
17 those trials and their clear results, we felt and I  
18 think you agreed that that was the appropriate  
19 thing to do.

20 DR. FORD: Maybe it is appropriate for me,  
21 since I was party to the discussions at the DSMB,  
22 to comment on what actually happened. I should  
23 say, first of all, that the DSMB had a charter and  
24 it was very clear to all members of the DSMB that  
25 they were an advisory committee to the Steering

1 Committee, and I think they were acting in good  
2 faith in giving advice when they wrote to the  
3 Steering Committee.

4 The issues that they considered were, as  
5 has been indicated, that at that time it appeared  
6 that the mortality rate was about 50 percent of  
7 what had been predicted. The study was  
8 experiencing difficulties in recruiting in addition  
9 to that, but we had the early stopping of the CIBIS  
10 II trial and then the MERIT trial.

11 At the beginning of this critical meeting  
12 that took place, there was an open discussion with  
13 the chairman of the Steering Committee on the  
14 impact of CIBIS II and MERIT. After that open  
15 discussion, the committee then decided that this  
16 was a very significant finding and that the  
17 investigators and essentially the patients would  
18 have to be informed of the results of those  
19 studies.

20 Because of the poor recruitment rate, the  
21 very low mortality rate, which was particularly  
22 important, it was considered that the impact of a  
23 significant number of patients going on to  
24 open-label beta-blocker treatment would make it,  
25 particularly after subsequent non-fatal events

1 would have occurred during the trial, would make it  
2 extremely difficult to hit the mortality outcome.

3 It was for that reason that they felt  
4 obliged to comment to the Steering Committee that  
5 they thought there was a difficulty that they  
6 should consider, but the decision always was  
7 actually in the hands of the Steering Committee to  
8 make a decision.

9 DR. BORER: JoAnn.

10 DR. LINDENFELD: I have a slightly  
11 different question, so I just want to be sure that  
12 nobody has any more on this one.

13 DR. FLEMING: Maybe if you are going to  
14 change, just to summarize and maybe reinforce a  
15 bit, too, what Steve just said, the role of the  
16 Monitoring Committee here is in looking at emerging  
17 evidence, external evidence, and making a  
18 recommendation about what they viewed to be  
19 important for ethical management of patients, is  
20 entirely appropriate.

21 Ultimately, the recommendation to the  
22 Steering Committee to make such a change is  
23 advisory, and the Steering Committee would act on  
24 that recommendation.

25 A change in the endpoint is entirely in

1 the hands of the Steering Committee and the  
2 sponsor. If the DSMB has no access to emerging  
3 data, then they, in fact, can be in a position to  
4 provide advice on that. Once they have access, it  
5 is very inappropriate for them to be in that  
6 position.

7           Ultimately, one of my objections or  
8 concerns is a statement in the Executive Summary  
9 that seems to suggest that the Data Monitoring  
10 Committee was ultimately limiting the options the  
11 sponsor and Steering Committee had on the endpoint.

12           I don't see that whatsoever, and I am  
13 perplexed in a sense because we are saying here  
14 there are conditions that can emerge  
15 post-randomization that would lead the patient to  
16 be in a position to need beta-blockers, and as a  
17 result--if we call that drop-ins, I don't call that  
18 drop-ins, I call that delayed administration--as a  
19 result, it is not plausible that you could see a 20  
20 percent reduction for immediate versus delay when  
21 we are now being asked today to look at these data  
22 to determine whether or not immediate is better  
23 than delay.

24           So, if you believed that it wasn't  
25 plausible to see a difference, and yet you are

1 coming before us today with data to ask us to judge  
2 whether or not there is evidence that immediate is  
3 better than delay, so it seems rather odd that the  
4 Steering Committee, whether it was a recommendation  
5 from the DSMB or not, reached the conclusion that  
6 mortality wasn't a viable endpoint if we are being  
7 asked today to determine whether immediate would  
8 improve survival.

9 DR. BORER: Marc.

10 DR. PFEFFER: The same topic. I think the  
11 internal knowledge and external knowledge, so that  
12 the external information available is not only the  
13 two studies you mentioned. You had the U.S.  
14 carvedilol trials, which was done even before that,  
15 known to the leaders of the trial.

16 The recruitment rate was known to the  
17 leaders of the trial. Often, the leaders of the  
18 trial know global overall without dividing by  
19 treatment assignment the mortality rate, so did the  
20 Steering Committee know those three ingredients  
21 without the DSMB?

22 In essence, you knew recruitment, you knew  
23 the U.S. carvedilol, you knew CIBIS as the leader  
24 of CIBIS. You didn't need the DSMB to tell you  
25 that. What did you need from them that you didn't

1 have?

2 DR. DARGIE: We didn't need that from  
3 them. Basically, the DSMB exercised their role as  
4 far as the safety of the patients to draw that to  
5 our attention, not insofar as we weren't aware of  
6 it. We discussed it at the open session of the  
7 meeting in March of 1999.

8 Insofar as the U.S. carvedilol trials are  
9 concerned, I mean the CIBIS II, of course, was the  
10 first single randomized, controlled trial to  
11 demonstrate an impact on mortality of beta  
12 blockade, and so the question was still in  
13 discussion, but you are quite right.

14 When CIBIS II and MERIT-Heart Failure came  
15 along, there was no longer any doubt, but you are  
16 right, we were in possession of those facts. It is  
17 just that the DSMB I guess were exercising their  
18 role in drawing that to our attention, and invited  
19 me--we have an open session, that was the plan  
20 before each meeting to discuss matters of concern  
21 and of interest, and it was at that meeting that we  
22 jointly discussed it.

23 DR. LINDENFELD: Could you clarify for me  
24 how the beta-blocker drop-ins were handled? Just  
25 administratively, if an investigator decided to add

1 a beta-blocker, the study was unblinded, or exactly  
2 how was that handled?

3 DR. DARGIE: No, the study was not  
4 unblinded, but the patients then had to be  
5 down-titrated and then up-titrated on the  
6 beta-blocker.

7 DR. PACKER: There are minutes of the DSMB  
8 meeting. I just want to share with the committee  
9 an excerpt of that, just so that there is clarity  
10 as to what the DSMB recommended and what it did not  
11 recommend, just one paragraph.

12 DR. BORER: For the record, that is Dr.  
13 Packer who is speaking. When you are speaking, you  
14 have got to introduce yourself.

15 DR. PACKER: I am sorry. D36?

16 DR. DARGIE: Right.

17 DR. PACKER: This comes from the minutes.  
18 This is a verbatim quote from the minutes of the  
19 Data Safety Monitoring Board that was held on March  
20 10, 1999. This comes from the closed--it was  
21 called "closed section"--this is the section where  
22 the principal investigator was not present.

23 You can read this for yourself. "The best  
24 option was thought to be a change in the primary  
25 endpoint to death or cardiovascular



1 hospitalization, keeping the target number of  
2 events for the primary endpoint unchanged."

3 I will let you read the rest of it.

4 Professor Julian was going to write to the Steering  
5 Committee with this proposal, and I do not want to  
6 say this was the right thing or the wrong thing. I  
7 just want to be able to say that that, in fact, did  
8 take place in precisely the manner, and I wanted to  
9 show you the documentation from the minutes that,  
10 in fact, the process by which this took place.

11 It could be that the Steering Committee  
12 could have figured this out all on their own, and  
13 didn't need the DSMB, but the DSMB did make the  
14 deliberation. They did, in fact, go as far as they  
15 did, and did, in fact, make this particular  
16 recommendation to the Steering Committee.

17 So, I just wanted to make clear exactly  
18 what took place.

19 DR. BORER: Okay.

20 DR. PACKER: For right or wrong.

21 DR. BORER: Why don't you go ahead with  
22 the results.

23 DR. DARGIE: Oh, yes. You stopped me in  
24 the middle. Here we are. Results on the primary  
25 endpoints.

1           This slide shows the effects on the  
2 co-primary endpoints of all-cause mortality or  
3 cardiovascular hospitalization. You can see there  
4 were 367 such events in placebo arm and 340 on  
5 carvedilol. This reflected an 8 percent reduction,  
6 which was not lower than the amendment prespecified  
7 alpha of 0.045.

8           The next slide is the Kaplan-Meier curve  
9 for that combined endpoint. You can see the curves  
10 are virtually superimposable here, but do separate  
11 towards the later phase of the trial, but this was  
12 not statistically significant.

13           This shows all-cause mortality. There  
14 were 151 deaths for all causes in placebo and 116  
15 in the carvedilol group. This was a 23 percent  
16 reduction in the risk of death and at a p value of  
17 0.031, which also was higher than the amendment  
18 prespecified alpha of 0.004.

19           This is the Kaplan-Meier curve which shows  
20 that the curves do separate early, continue to  
21 diverge throughout the course of the study.

22           Now, the annual placebo mortality rate was  
23 12.1 percent in the placebo group and 9.8 percent  
24 in the carvedilol group. For information, that  
25 placebo mortality was nearly twice that observed in

1 the earlier post-infarction beta-blocker trials.

2 At this point, Dr. Borer, I was going to  
3 pause and ask Dr. Packer, because he is going to  
4 elaborate on those primary endpoint data.

5 Why Are We Here?

6 Milton Packer, M.D.

7 DR. PACKER: Dr. Borer, members of the  
8 Advisory Committee, and FDA, ladies and gentlemen.  
9 Today, the Advisory Committee is being asked two  
10 important and interesting questions that have been  
11 discussed I think at various regulatory meetings  
12 for many years, but never have been I think  
13 specifically answered.

14 First, can the findings from a trial that  
15 did not meet its primary endpoint be used as the  
16 primary basis for labeling, and, if so, what  
17 criteria should the data supporting such a finding  
18 fulfill to justify incorporation to labeling?

19 I think it would be fair to say that these  
20 questions are what today's meeting is all about.

21 Let's look at the first question. Can the  
22 findings from a trial that did not meet its primary  
23 endpoint be used as the primary basis for labeling?  
24 I guess if the answer to that is no, I guess we  
25 could simply stop here and end the meeting early

1 and go home, but I think that, in fact, there would  
2 be no reason for me.

3 But the questions from the FDA indicate  
4 that there is a reason for meeting, and that is  
5 because in the past, the FDA has granted an  
6 indication based on trials that did not meet its  
7 primary endpoint because it found the data from  
8 such trials to be credible and persuasive.

9 I want to cite two specific examples. The  
10 first example is digoxin. Digoxin is currently  
11 indicated for the treatment of mild to moderate  
12 heart failure to reduce heart failure related  
13 hospitalizations. This is true even though the  
14 trial that observed this benefit, the DIG trial,  
15 did not achieve its primary endpoint of all-cause  
16 mortality.

17 Current labeling for digoxin contains a  
18 detailed description of the trial including mention  
19 of the fact that the drug did not have an effect on  
20 the primary endpoint.

21 The second example is enalapril.  
22 Enalapril is currently indicated for the treatment  
23 of clinically stable, asymptomatic patients with  
24 left ventricular systolic dysfunction to decrease  
25 the rate of development of overt heart failure and

1 decrease the incidence of hospitalization for heart  
2 failure.

3 This is true even though the trial that  
4 observed this benefit, the SOLVD prevention trial,  
5 did not achieve its primary endpoint of all-cause  
6 mortality. Current labeling for enalapril contains  
7 a detailed description of the trial including  
8 mention of the fact the drug did not have an effect  
9 on the primary endpoint.

10 I should mention that the decision to  
11 approve enalapril for asymptomatic left ventricular  
12 dysfunction was almost certainly favorably  
13 influenced by the FDA's knowledge that enalapril  
14 reduced all-cause mortality in the SOLVD treatment  
15 trial which enrolled patients later in the disease  
16 process. I want to get back to that in just a few  
17 minutes.

18 Therefore, in the past, the FDA has  
19 concluded that data supporting the existence of a  
20 drug effect can form the basis for labeling even  
21 when the measure of benefit that had been  
22 identified a priori to be of primary importance in  
23 the trial, was not significantly influenced by  
24 therapy.

25 Given these examples, I think that the

1 real question today is not whether one can base  
2 labeling on trials that did not meet their primary  
3 endpoint, instead I think the real question before  
4 the committee is what criteria should the data  
5 supporting such a finding fulfill to justify  
6 incorporation into labeling, or as the FDA has  
7 phrased it in its questions to the committee, what  
8 rules should guide the decision to allow inclusion  
9 of a discovery into labeling.

10 To my knowledge, there are no formal  
11 rules, in fact, I don't think that this issue has  
12 ever been fully discussed before at an advisory  
13 committee meeting, but it is a terribly interesting  
14 and important question.

15 Let me propose one answer to the question.  
16 Specifically, I would propose to the committee that  
17 the criteria that will allow inclusion of a  
18 discovery into labeling should have the strength of  
19 evidence comparable to that which would allow  
20 labeling based on a trial or trials that achieve  
21 their primary endpoint.

22 In fact, I would say on a personal level  
23 that the committee needs to set an extremely high  
24 standard here, a standard that would be met only by  
25 the most persuasive of circumstances. So, first,

1 we need to define these criteria and then we need  
2 to determine if these criteria are fulfilled by the  
3 current circumstances with carvedilol.

4 The first step is to define the criteria  
5 and I would begin by proposing that any benefit  
6 being considered for inclusion into labeling should  
7 be an outcome measure of major importance.  
8 Ideally, it should be a reduction in mortality. I  
9 say this because I think everyone would recognize  
10 that death is a very special and unique endpoint.  
11 The finding of a treatment-related reduction in the  
12 risk of death is always compelling since death is  
13 an unbiased endpoint of paramount clinical  
14 importance.

15 Indeed, the FDA reviewer has written that  
16 the FDA has acted as if all clinical trials  
17 implicitly have an alpha of 0.05 assigned to the  
18 analysis of mortality independent of the primary  
19 endpoint.

20 Well, I think we can begin there, but I  
21 think we need to go further because there are  
22 several important examples of how the wrong  
23 decision would have been made if our only criteria  
24 were that all trials implicitly have an alpha of  
25 0.05 assigned to mortality.

1           Here are two examples. In an initial  
2 study of vesnarinone in heart failure, which  
3 enrolled about 450 patients, observed a 62 percent  
4 reduction in mortality, which was highly  
5 significant, but was based only on 46 deaths,  
6 observed in a trial designed primarily to evaluate  
7 exercise tolerance.

8           Subsequently, a larger study, which was  
9 specifically designed to evaluate the effects of  
10 vesnarinone on mortality and which recorded 10  
11 times as many events, concluded that the drug  
12 significantly increased the risk of death.

13           Similarly, an initial study comparing  
14 losartan and captopril, which enrolled about 700  
15 patients, observed a 46 percent reduction in the  
16 risk of death, which was significant, but it was  
17 only based on 49 events, and a trial primarily  
18 designed to evaluate renal function.

19           Subsequently, a larger study, which was  
20 designed specifically to evaluate the effects and  
21 compare the effects of losartan and captopril  
22 mortality, recorded 10 times as many events,  
23 demonstrated that losartan appeared to be somewhat  
24 inferior to captopril.

25           So, I think we need to be very careful



1 before reaching conclusions about mortality effects  
2 of drugs based on data from trials that were not  
3 designed to find them. Such trials generally  
4 observe very few events, and thus, any mortality  
5 risk reduction can only reach statistical  
6 significance if it is probably large and has very  
7 wide confidence intervals.

8         That is why the current example with  
9 carvedilol is so interesting. Mortality was not an  
10 incidental observation or discovery in the  
11 CAPRICORN trial. The CAPRICORN study was designed  
12 and carried out as a survival trial, and it  
13 observed a substantial number of deaths.

14         Furthermore, given an annual mortality  
15 rate that was nearly twice that in earlier  
16 post-infarction trials, the trial could provide a  
17 reasonably precise estimate of the effects of  
18 carvedilol on mortality with relatively narrow  
19 confidence intervals.

20         In fact, the final results of the study  
21 demonstrating the effect on the original primary  
22 endpoint of all-cause mortality, had a p value less  
23 than that specified in the original protocol with a  
24 magnitude of effects similar to that anticipated in  
25 the original protocol.

1           Now, I don't want to ignore the fact that  
2 there was a protocol amendment, but I think it  
3 would be unfair to use the existence of the  
4 amendment to claim that mortality reduction noted  
5 at the end of the CAPRICORN study was an accidental  
6 discovery.

7           There is no doubt that CAPRICORN was first  
8 and foremost a survival study, and it continued as  
9 a survival study even after the protocol amendment.

10          So, there are many characteristics of the  
11 CAPRICORN trial that distinguish its mortality  
12 findings from the experiences with vesnarinone and  
13 losarten, however, the most important distinction  
14 between the results of CAPRICORN and earlier  
15 experiences with vesnarinone and losarten is  
16 reproducibility where the mortality observations  
17 with vesnarinone and losarten were not reproduced,  
18 the mortality effects in the CAPRICORN trial have  
19 been replicated in other post-infarction trials  
20 with other beta-blockers.

21          Now, this last point is really important  
22 because even if one were to agree that all trials  
23 implicitly have an alpha assigned to mortality,  
24 some may argue it isn't 0.05, it is far smaller  
25 than that. That is because if we are to believe in

1 the existence of a therapeutic effect, it must not  
2 only be credible, it must be persuasive.

3 Some may argue that a p of 0.031 for the  
4 mortality finding in CAPRICORN isn't persuasive,  
5 the p value needs to be far smaller than that, say,  
6 0.00125.

7 Now, I want to make note of the fact that  
8 the FDA perhaps has generally not required  
9 mortality effects to have extremely small p values  
10 in order to be persuasive, but I think we need to  
11 set a higher standard here because the alpha  
12 assigned to the mortality analysis in the CAPRICORN  
13 trial was not 0.05, it was 0.005.

14 That means for better or for worse, the  
15 CAPRICORN investigator set an extremely high  
16 standard for reproducibility, one which can be met  
17 by one trial with a very small p value or by two or  
18 more trials with the same finding, each with a p  
19 less than 0.05.

20 Prior to CAPRICORN, there were five trials  
21 with five different beta-blockers that reported a  
22 mortality reduction during long-term treatment with  
23 these drugs in post-infarction patients.

24 These studies were considered sufficiently  
25 persuasive individually and collectively to lead to

1 the approval by the FDA of three of these  
2 beta-blockers - timolol, metoprolol, propranolol,  
3 specifically for the management of post-infarction  
4 patients.

5 Now, this slide summarizes the key  
6 features of the four large-scale randomized trials  
7 that had been carried out with these three  
8 beta-blockers in patients with a recent MI.

9 The first two trials carried out with the  
10 nonselective beta-blockers timolol and propranolol  
11 were large-scale studies that observed about 2- to  
12 300 deaths, and each reported highly significant  
13 effects on mortality. The results of the next two  
14 trials carried out with metoprolol are also  
15 favorable although less impressive.

16 Now, if one combines the data from all  
17 placebo-controlled trials carried out with  
18 beta-blockers in the long-term management of  
19 post-infarction patients--and this analysis is the  
20 most recent analysis that has been done, it is  
21 based on more than 2,400 deaths observed in nearly  
22 25,000 patients enrolled in 31 trials--the evidence  
23 supporting the existence of mortality effect with  
24 beta-blockers in this setting is extremely  
25 persuasive.

1           The magnitude of the effect is a 23  
2 percent reduction in risk of death with fairly  
3 narrow confidence intervals.

4           Now, the results of the CAPRICORN trial  
5 are extremely concordant with those of earlier  
6 trials with beta-blockers approved for the  
7 management of post-infarction patients. The trial  
8 observed a large number of deaths and the magnitude  
9 of the mortality effect with carvedilol observed in  
10 the CAPRICORN trial was identical to that seen in  
11 the meta-analysis of all placebo-controlled,  
12 post-MI beta-blocker trials with relatively similar  
13 confidence intervals.

14           Now, this was true even when the  
15 meta-analysis was restricted to patients who had  
16 clinical evidence of left ventricular dysfunction  
17 or heart failure following their acute myocardial  
18 infarction.

19           So, I think if we look at the concordance  
20 between the mortality data with CAPRICORN and the  
21 results of other post-infarction beta-blocker  
22 trials, it would be fair to say that the strength  
23 of evidence is quite substantial, equivalent to a  
24 very, very small p value.

25           Now, this argument holds only if it is

1 appropriate to consider the results of other  
2 post-infarction beta-blocker trials in gaining  
3 reassurance about the reproducibility of the  
4 results with carvedilol in the CAPRICORN trial.

5 Now, the committee has recently dealt with  
6 this specific issue in another therapeutic area.  
7 Earlier this year, the advisory committee looked  
8 favorably at the results of a controlled trial with  
9 losartan in diabetic nephropathy, but it expressed  
10 skepticism about recommending approval based on the  
11 findings in a single trial, whose primary endpoint,  
12 which included a component of questionable clinical  
13 importance, was achieved at a significant, but  
14 unimpressive p value.

15 However, the committee recommended  
16 approval of losartan when the findings in the  
17 losartan trial were considered together with the  
18 highly concordant findings of a similar trial with  
19 irbesartan in the same disease, a trial which when  
20 considered alone, did not lead the committee to  
21 recommend the approval of irbesartan.

22 So, I think the committee felt comfortable  
23 with this recommendation because they believed that  
24 neither irbesartan nor losartan had effects that  
25 might detract from their ability as angiotensin

1 antagonists to prevent the progression of renal  
2 disease, so we should apply the same criteria to  
3 the current situation with carvedilol.

4 Specifically, does carvedilol have effects  
5 that might detract from its ability as a  
6 beta-blocker to reduce mortality in the  
7 post-infarction setting. Well, not all drugs that  
8 block beta-1 receptors have similar effects in  
9 reducing mortality in post-infarction patients.

10 This trial summarizes the findings of a  
11 recent meta-analysis by Freemantle and colleagues  
12 that explored possible relations between the  
13 pharmacological properties of specific  
14 beta-blockers and their effects on mortality in  
15 long term, post-infarction trials.

16 Overall, long-term treatment with a  
17 beta-blocker reduced the risk of death by about 23  
18 percent, however, the magnitude of the effect  
19 appeared to be attenuated in trials with  
20 beta-blockers that had intrinsic sympathomimetic  
21 activity, and therefore it is possible for  
22 beta-blockers to have ancillary effects that  
23 detract from their mortality benefits, and it is  
24 possible using this kind of analysis to detect such  
25 effects.

1           It is therefore noteworthy that carvedilol  
2 is a nonselective beta-blocker that has no  
3 intrinsic sympathomimetic activity. In fact, if  
4 one adds the data from CHAPS and CAPRICORN to the  
5 data with other beta-blockers, the magnitude of the  
6 effect of carvedilol are precisely what might be  
7 anticipated from its known pharmacological  
8 similarity to timolol and propranolol.

9           However, one could look at this and argue  
10 that it is still possible for carvedilol to exert  
11 an unknown pharmacological effect that might  
12 detract from its survival benefit in a manner that  
13 might not be picked up by this kind of analysis.

14           To address this possibility, we need to  
15 examine the effects of beta-blockers in a disorder  
16 closely related to left ventricular dysfunction  
17 following a recent myocardial infarction, and that  
18 is left ventricular dysfunction following a remote  
19 myocardial infarction.

20           Now, the two disorders are part of a  
21 single disease continuum with patients moving from  
22 one phase of the disease to the next over a period  
23 of weeks, months, or years. In fact, similar  
24 neurohormonal factors are believed to be important  
25 both early and late in the disease process,



1 explaining why both ACE inhibitors and  
2 beta-blockers are effective in improving outcomes  
3 at both time points in the disease continuum.

4 Please remember it is exactly the same  
5 thinking process that was used by the FDA when it  
6 relied on the mortality reduction seen in the SOLVD  
7 treatment trial to gain reassurance about their  
8 decision to approve enalapril for patients in the  
9 SOLVD prevention trial.

10 Now, three different beta-blockers -  
11 bisoprolol, carvedilol, and metoprolol, have been  
12 shown to reduce mortality in patients with left  
13 ventricular dysfunction and chronic heart failure,  
14 and the magnitude of this benefit for each drug is  
15 similar in patients with or without a remote  
16 history of a myocardial infarction.

17 Carvedilol has been shown to reduce  
18 mortality in patients with left ventricular  
19 dysfunction and chronic heart failure, and the  
20 magnitude of this benefit is extremely similar to  
21 that produced by other beta-blockers in this  
22 disorder both in patients with and without a remote  
23 history of an MI.

24 If carvedilol had a pharmacological  
25 property that detracted from its ability to reduce

1 mortality, for example, alpha blockade or an  
2 antioxidant effect, such an action should have been  
3 apparent in trials with the drug in chronic heart  
4 failure and should have negated or diminished its  
5 effect relative to other beta-blockers, and this  
6 was not the case.

7         In fact, just as in the post-infarction  
8 setting, intrinsic sympathomimetic activity has  
9 also been associated with reduced survival efficacy  
10 in chronic heart failure.

11         So, I think we can conclude that long-term  
12 blockade of beta receptors can be expected to  
13 reduce mortality in the post-infarction setting,  
14 that drugs classified as beta-blockers can exert  
15 effects that may detract from their ability as  
16 beta-blockers to reduce mortality, and current  
17 approaches are able to detect such effects; that  
18 the pharmacological properties of beta-blockers  
19 that may diminish their survival effects appear to  
20 be similar in the post-infarction setting and in  
21 chronic heart failure, and that the observed  
22 effects, the observed effects of carvedilol in both  
23 post-MI patients and in chronic heart failure  
24 indicate the drug does not exert effects that might  
25 detract from its action as a beta-blocker to

1 prolong life.

2       Thus, the current situation with  
3 carvedilol, I think fulfills all the requirements  
4 that might reasonably be proposed to allow the  
5 committee to consider the results of other  
6 post-infarction beta-blocker trials in making  
7 judgments about the credibility and persuasiveness  
8 of the mortality findings in the CAPRICORN study.

9       So, let's return to the original question  
10 - is the totality of available data sufficiently  
11 credible and persuasive to conclude the carvedilol  
12 reduces mortality in the post-infarction patient  
13 with left ventricular dysfunction even though the  
14 CAPRICORN trial did not achieve its primary  
15 endpoints at prespecified levels of significance?

16       I think it would be fair to say that the  
17 circumstances surrounding the current application  
18 are fairly unique. First, the benefit of treatment  
19 with carvedilol we are talking about today is not a  
20 surrogate endpoint or a minor clinical effect, but  
21 a meaningful reduction in the risk of death.

22       The mortality benefit of carvedilol seen  
23 in the CAPRICORN trials was not an incidental or  
24 unexpected finding, but seen in a trial that was  
25 specifically designed and carried out to evaluate

1 the effects of the drug on survival and was of a  
2 magnitude anticipated in the original study  
3 protocol.

4 Second, the nature and magnitude of the  
5 mortality effects of carvedilol in this trial are  
6 almost identical to those seen in other  
7 post-infarction trials. This provides external  
8 confirmation within the same class of drugs, an  
9 example very analogous to the situation with  
10 losartan and irbesartan in diabetic nephropathy.

11 Third, experience with carvedilol in  
12 trials of chronic heart failure shows that the same  
13 drug prolongs life when added to an ACE inhibitor  
14 in post-MI patients who are later in their disease  
15 process.

16 This provides yet another type of external  
17 confirmation with exactly the same drug, but in  
18 patients who are treated several years later. This  
19 example is very analogous to the situation with  
20 enalapril, which was evaluated in the SOLVD  
21 prevention and SOLVD treatment trials.

22 So, I think we really do have a fairly  
23 unique situation. We have an endpoint of  
24 unquestioned clinical importance observed in a  
25 trial designed to find it, and in addition, we have

1 two types of external confirmation, confirmation in  
2 the same disease, within the same class of drugs,  
3 confirmation with the same drug later in the same  
4 disease state.

5 This means we not only have persuasive  
6 evidence of a class effect, but we also have  
7 persuasive evidence that this class effect applies  
8 to carvedilol.

9 So, when the committee considers the  
10 questions posed to it this afternoon and discusses  
11 the criteria that need to be fulfilled to allow the  
12 inclusion of a discovery into labeling, let me  
13 suggest one possible set of criteria, in fact, let  
14 me suggest the most stringent criteria that I can  
15 think of.

16 Here they are. The findings should be a  
17 reduction in mortality. The trial should have been  
18 designed to detect the finding, and the magnitude  
19 should have been anticipated in the original study  
20 protocol.

21 The observed magnitude of the benefit  
22 should be both clinically relevant and realistic,  
23 and conclusions about benefit should be based on a  
24 meaningful number of events.

25 There should be substantial evidence of a

1 similar benefit both in nature and magnitude in the  
2 same disease state with other members of the same  
3 class of drug. There should be substantial  
4 evidence the drug produces the same benefit later  
5 in the disease process, and the magnitude of such  
6 benefit should be comparable to that with other  
7 members of the same class.

8 Finally, the findings should be supported  
9 within the trial by additional evidence of clinical  
10 benefits without overriding safety concerns,  
11 something which you will hear about in the final  
12 two presentations.

13 Now, I realize that these criteria fit  
14 precisely the current situation with carvedilol,  
15 but can anyone think of more stringent criteria  
16 than these?

17 In my own view, the only way someone could  
18 reject these criteria would be to insist that a  
19 trial must meet its primary endpoint to be  
20 incorporated into labeling. That would mean the  
21 concept of discovery as defined in the FDA  
22 questions would be impossible.

23 Now, later today the committee will be  
24 asked how much it is willing to inflate the false  
25 positive rate by accepting data in a clinical trial

1 that failed to meet its primary endpoint. I do not  
2 know how the committee will answer this question,  
3 but my own personal response would be zero.

4 I do not think the committee should accept  
5 any inflation in the false positive rate in making  
6 clinical or regulatory decisions. So, in my view,  
7 today's discussion should not be about how much the  
8 committee should be willing to inflate the false  
9 positive rate.

10 The real question is, in making regulatory  
11 decisions based on trials that missed their primary  
12 endpoint can one reduce the false positive rate to  
13 acceptable levels given the opportunity  
14 considering, not just the results of one trial, but  
15 the totality of available data.

16 If one rejects the concept of discovery  
17 entirely, it would mean one would be giving great  
18 weight to concerns about an increase in the false  
19 positive rate in the single trial while at the same  
20 time giving little weight to the totality of  
21 available data which in the case of carvedilol  
22 should lead to a marked decrease in the false  
23 positive rate.

24 It is up to the committee to determine  
25 whether the balance of concern and reassurance that

1 I think are unique to today's discussion is in  
2 favor of approval.

3 I would be happy to take any questions the  
4 committee might have.

5 DR. BORER: Not at this point. What we  
6 will do since this actually is a philosophical  
7 regulatory discussion that is handled in the  
8 questions, is to table that discussion until we get  
9 to that point and maybe we can hear the remainder  
10 of the results now and proceed with the sponsor's  
11 presentation.

12 CAPRICORN Trial  
13 Effect on Non-Fatal Events  
14 Henry Dargie, M.D.

15 DR. DARGIE: Thank you.

16 I am now going to talk on the effect of  
17 carvedilol on non-fatal events in the CAPRICORN  
18 trial. We have focused so far on concordance of  
19 the mortality results in the CAPRICORN trial with  
20 the mortality results of other studies.

21 But looking for concordance, it is  
22 important to look not only at mortality, but at  
23 non-fatal endpoints across the studies. For  
24 example, there were two co-primary endpoints in the  
25 CAPRICORN trial, the effect on all-cause mortality



1 and the effect on all-cause mortality or  
2 cardiovascular hospitalizations.

3 The effect on mortality was very similar  
4 to that seen in the earlier post-myocardial  
5 infarction trials, as Dr. Packer has said, but was  
6 the observed effect of carvedilol on the combined  
7 risk of death or cardiovascular hospitalizations  
8 similar to the earlier post-infarction beta-blocker  
9 trials.

10 Well, of course, this question is  
11 difficult to answer because this endpoint was never  
12 assessed in early beta-blocker trials, which did  
13 not record the recurrence of hospitalizations as  
14 endpoints.

15 We can attempt to answer this question,  
16 however, by looking at the specific events that  
17 were responsible for a cardiovascular  
18 hospitalization. Now, in the CAPRICORN trial, a  
19 cardiovascular hospitalization was defined as an  
20 admission for any cardiovascular reason except for  
21 an elective procedure.

22 As you can see from this slide, which is  
23 the time to first event analysis of the combined  
24 endpoint, you can see many of these admissions were  
25 for the occurrence of a major cardiovascular event,

1 such as death, myocardial infarction, worsening  
2 heart failure, cardiac arrhythmia, or stroke.

3 The frequency of these admissions was  
4 generally lower in the patients randomized to  
5 carvedilol, however, about 30 percent of the  
6 admissions were not related to a major  
7 cardiovascular event, and the frequency of these  
8 admissions did not seem to be affected by  
9 carvedilol.

10 I think this is important because in  
11 comparing the CAPRICORN trial and interpreting the  
12 results, we have to realize that previous  
13 large-scale trials have focused only on admissions  
14 for major cardiovascular events.

15 For example, the primary and secondary  
16 endpoints prespecified in earlier post-infarction  
17 beta-blocker trials were the occurrence of a  
18 non-fatal infarction or arrhythmia, and no analysis  
19 was ever carried out of the effect of treatment on  
20 all cardiovascular admissions.

21 In fact, if such an analysis had been  
22 performed, it is unlikely that effect of  
23 beta-blocker would have been found because in the  
24 beta-blocker group, there were consistent reports  
25 of increased frequency of heart failure,

1 hypotension, bradycardia, et cetera, in most of the  
2 trials as you can see. Such events were included  
3 in the cardiovascular hospitalization endpoint in  
4 CAPRICORN, but not in these previous trials.

5       Probably the most detailed information we  
6 have about the occurrence of non-fatal  
7 cardiovascular events comes from the beta-blocker  
8 heart attack trial. In that study, the proportion  
9 of patients reporting cardiovascular events other  
10 than reinfarction were similar in the placebo and  
11 propranolol groups with respect to heart failure,  
12 angina, and so on.

13       Now, in all recent large-scale  
14 post-infarction trials of patients with left  
15 ventricular dysfunction, which were carried out  
16 with ACE inhibitors and more recently with  
17 aldosterone antagonist eplerenone, the prespecified  
18 endpoints that reflected the effect of treatment on  
19 fatal and non-fatal cardiovascular events always  
20 focused on major cardiovascular events,  
21 specifically, the occurrence of myocardial  
22 infarction, heart failure, arrhythmia, stroke, or  
23 varying combinations of these events.

24       So, it is important to note, and this  
25 slide is important in that respect, that if the

1 effects of carvedilol in the CAPRICORN trial were  
2 to be reanalyzed using any of the definitions of a  
3 cardiovascular endpoint used in any of these  
4 earlier trials, treatment with carvedilol would  
5 have been associated with a clinically and  
6 statistically significant result.

7 This slide lists the various definitions  
8 of a cardiovascular event used in earlier trials  
9 from the most selective at the top with diffuse  
10 events to the most comprehensive with the largest  
11 number of events at the bottom.

12 Regardless of which definition is used,  
13 carvedilol would have reduced the risk of a  
14 cardiovascular event by 17 to 30 percent, all with  
15 nominally significant p values.

16 There is an important point to make here,  
17 is that we are not showing you these data to  
18 conclude that carvedilol does reduce the risk of  
19 these non-fatal cardiovascular events.

20 We are showing you these data to provide a  
21 credible explanation as to why the expected effect  
22 on the combined risk of death or cardiovascular  
23 hospitalization was not met, so the committee need  
24 not necessarily give great weight to such failure  
25 in its deliberation of the persuasiveness of the

1 mortality finding in CAPRICORN, which is the  
2 preeminent event.

3 I would like now to show some additional  
4 data showing the concordance of the results of the  
5 CAPRICORN trial with the results of other  
6 post-infarction beta-blocker trials specifically  
7 with respect to subgroup analyses, mode of death,  
8 recurrent myocardial infarction, and cardiac  
9 arrhythmias.

10 First of all the mode of death. This  
11 slide shows the prespecified subgroup analyses for  
12 the effect of carvedilol on all-cause mortality.  
13 The magnitude of the treatment effect across all  
14 subgroups was similar to that seen in the analysis  
15 of all randomized patients.

16 Any trend towards a different response in  
17 a specific subgroup for all-cause mortality was  
18 not, in fact, confirmed when that same subgroup was  
19 analyzed for the combined endpoint of all-cause  
20 mortality or cardiovascular hospitalization.

21 Now, of the prespecified subgroup analyses  
22 of which this is a list, only one of them suggested  
23 the possibility of heterogeneous effect.  
24 Specifically, for both of the primary endpoints,  
25 carvedilol appeared to have an adverse effect in

1 patients who were in Killip class III at baseline.

2 These were the patients, I stress, who had  
3 pulmonary rales more than halfway up on physical  
4 examination, however, there were only 65 patients  
5 and 21 deaths in this subgroup, so one needs to  
6 interpret this fairly cautiously.

7 Nevertheless, even the possibility of a  
8 finding here raised our interest since these  
9 patients had been systematically excluded from  
10 earlier post-infarction beta-blocker trials. So,  
11 we went back and carried out two post-hoc analyses  
12 looking for patients who were similarly  
13 under-represented or were shown to respond less  
14 well to beta-blockers in earlier post-infarction  
15 trials.

16 This slide, in green, shows two post-hoc  
17 analyses based on the presence or absence of  
18 elevated cardiac enzymes and based on the  
19 pretreatment systolic blood pressure.

20 For both primary endpoints, carvedilol  
21 exerted its most marked effects in patients who had  
22 enzymatic confirmation of their index myocardial  
23 infarction, and for both endpoints, the higher the  
24 baseline blood pressure, the better the response to  
25 carvedilol or vice versa.

1           Again, this is interesting because  
2 patients without enzymatic confirmation of their  
3 index infarction and patients with lower systolic  
4 blood pressures were either excluded from or were  
5 shown to respond less well to beta-blockers in  
6 earlier post-infarction beta-blocker trials.

7           So, we submit that these analyses provide  
8 additional evidence that the results of CAPRICORN  
9 are, in fact, similar to the results of these  
10 earlier beta-blocker trials.

11           Now, let's move on to analysis of the mode  
12 of death. In all earlier post-infarction  
13 beta-blocker trials that showed a reduction in  
14 mortality, there was also reduction in  
15 cardiovascular death and in sudden death.

16           This was also true in the COPERNICUS  
17 study, a trial of carvedilol in patients with left  
18 ventricular dysfunction and chronic heart failure.

19           This slide shows the hazard ratios and  
20 corresponding 95 percent confidence intervals for  
21 the risk of cardiovascular death, sudden death, and  
22 death due to worsening heart failure in the  
23 CAPRICORN trial.

24           Patients in the carvedilol group had a 25  
25 percent lower risk of a cardiovascular death, a

1 lower risk of sudden death, 26 percent lower risk  
2 of sudden death, and a 40 percent lower risk of a  
3 pump failure death. Now, each of these effects was  
4 normally significant or nearly so.

5 This slide shows Kaplan-Meier curves for  
6 the analysis of time to sudden death. Although  
7 this was prespecified as a secondary endpoint, the  
8 CAPRICORN study, however, I must note, was not  
9 powered to detect a significant effect on any  
10 particular mode of death.

11 Nevertheless, this effect on sudden death  
12 is also concordant with that seen in earlier  
13 post-infarction beta-blocker trials.

14 The earlier post-infarction trials of  
15 beta-blockers not only showed a reduction in  
16 cardiovascular death and in sudden death, but they  
17 also showed a reduction in the risk of a non-fatal  
18 reinfarction.

19 Indeed, in several meta-analysis of these  
20 early studies, low-term beta blockade reduced the  
21 risk of a non-fatal myocardial infarction by 26  
22 percent.

23 This slide shows the effect of carvedilol  
24 on the risk of non-fatal recurrent myocardial  
25 infarction and combined with fatal events in order



1 to address the issue of competing risks.

2 Carvedilol reduced the risk of a non-fatal  
3 myocardial infarction by 41 percent, the combined  
4 risk of a fatal or a non-fatal myocardial  
5 infarction by 40 percent, the combined risk of a  
6 cardiovascular death or a non-fatal myocardial  
7 infarction by 30 percent, and the combined risk of  
8 all-cause mortality or non-fatal myocardial  
9 infarction by 29 percent.

10 All of these effects, as you can see, were  
11 nominally significant and concordant with those  
12 seen in earlier post-infarction beta-blocker  
13 trials.

14 I should note here that there is a  
15 question to the committee concerning the effect of  
16 carvedilol on the risk of recurrent non-fatal  
17 infarction. The question suggested there were only  
18 45 recurrent MI's in the placebo group and 27 in  
19 the carvedilol group.

20 This appears to be the result of some  
21 confusion because these are the correct numbers of  
22 recurrent infarctions only if one were to look at  
23 the fraction of first cardiovascular  
24 hospitalizations that were due to recurrent  
25 infarction, but there are many patients who had

1 recurrent infarction after first being hospitalized  
2 for some other reason.

3       So, all together there were 60 recurrent  
4 infarctions in the placebo group and 37 in the  
5 carvedilol group. However, none of these analyses  
6 account for the fact that patients who die cannot  
7 experience a recurrent infarction, and so the most  
8 appropriate analysis is one that combines mortality  
9 and recurrent infarction.

10       This slide shows a Kaplan-Meier plot for  
11 the combined risk of death or recurrent myocardial  
12 infarction in the CAPRICORN trial, which I should  
13 note is the least biased. We are looking at the  
14 risk of recurrent infarction.

15       There are 331 events represented in this  
16 analysis. The curves separated almost immediately  
17 following randomization and continued to separate  
18 for the duration of follow-up.

19       Finally, in all earlier post-infarction  
20 beta-blocker trials, long-term beta blockade  
21 reduced the frequency of cardiac arrhythmias.

22       This slide shows the effect of carvedilol  
23 in the CAPRICORN trial on the risk of  
24 supraventricular arrhythmias or ventricular  
25 arrhythmias reported as an adverse event.

1 Carvedilol reduced the risk of any supraventricular  
2 arrhythmia, atrial flutter, or atrial fibrillation,  
3 any ventricular arrhythmia, and ventricular  
4 tachycardia or ventricular fibrillation, all with  
5 very small p values.

6 This slide shows a Kaplan-Meier plot for  
7 the analysis of time to the first occurrence of  
8 atrial flutter or atrial fibrillation on the left,  
9 and the time to first occurrence of ventricular  
10 tachycardia or ventricular fibrillation on the  
11 right, and in both cases you can see the difference  
12 between placebo and carvedilol. Again, these  
13 effects are concordant with those seen in earlier  
14 post-infarction beta-blocker trials.

15 Therefore, the effects of carvedilol in  
16 the CAPRICORN trial are not only very similar to  
17 the effects of other beta-blockers in other  
18 post-infarction trials with respect to all-cause  
19 mortality, but also with respect to all other  
20 reported benefits of beta-blockers in the clinical  
21 setting.

22 Specifically, like other beta-blockers,  
23 carvedilol reduced the risk of cardiovascular death  
24 and sudden death, reduced the risk of fatal and  
25 non-fatal reinfarction, and reduced the risk of a

1 clinically significant atrial or ventricular  
2 arrhythmias.

3 In summary, it is also important to  
4 observe that all of these benefits were observed in  
5 patients already taking an ACE inhibitor and  
6 receiving all the other appropriate treatments for  
7 the immediate and long-term management of  
8 post-infarction patients.

9 I would like now to pause there and ask if  
10 the committee has any questions on anything that I  
11 have presented in this section.

12 DR. BORER: Beverly.

13 DR. LORELL: Thank you very much. That  
14 was a very thorough summary. I do have one  
15 question relating to the Killip class III patients  
16 and the original all-cause mortality curve, slide  
17 58, that was presented.

18 One of the things that is very interesting  
19 to me about the survival curve admitting the risk  
20 of teasing apart time points in survival curves,  
21 which I recognize, is that to my knowledge,  
22 previous trials in chronic heart failure with both  
23 carvedilol and Toprol XL have not shown this early  
24 dip, if you will, in mortality that was seen here,  
25 which raises the question that there might be a

1 little problem.

2 Was early mortality confined to the  
3 patients who were Killip class III?

4 DR. DARGIE: No.

5 DR. LORELL: No, okay.

6 DR. DARGIE: That wasn't the reason. This  
7 slide certainly has been the subject of some  
8 debate. We know that the curves do separate early.  
9 There appears to be a little blip here, as you have  
10 said, and then they continue to separate, but the  
11 number of deaths during this period, during the  
12 first 30 days, was significantly less on carvedilol  
13 than on placebo just at that particular point that  
14 the curves do appear to come together.

15 DR. LORELL: My second question related to  
16 your Killip class III data. Admittedly, that was a  
17 fairly small fraction of the overall experience.

18 Has that led you to think about any  
19 specific recommendations or thoughts as to whether,  
20 at this point in time, early post-infarction  
21 carvedilol should be started in patients who are  
22 Killip class III at least using this protocol of  
23 dosing?

24 I would like your thoughts and maybe Dr.  
25 Packer has some thoughts on that.

1 DR. DARGIE: I think it is a very  
2 important point. As you have observed and as I  
3 stated, the numbers in this classification were  
4 very small, but reminding ourselves that these are  
5 patients who have rales more or less all over their  
6 chest.

7 The question is how does that reconcile  
8 with the requirement in the protocol that the  
9 patients were to be clinically stable. I suppose  
10 that one could also address that issue to anyone  
11 other than in Killip class I, because in Killip  
12 class II, there were 600 patients or so who, in  
13 fact, experienced the benefit.

14 I think one would have to regard that  
15 event, that adverse trend as a safety signal and  
16 that in the management of the patient with heart  
17 failure either post-infarction or chronic heart  
18 failure, one would want those patients to be  
19 clinically stable, would include absence of  
20 evidence of fluid retention, so I interpret that as  
21 a signal for a greater emphasis on that approach,  
22 but I don't think it negates the use of carvedilol  
23 in the post-infarction period provided the patients  
24 correspond to those requirements.

25 DR. PACKER: Bev, I just wanted to clarify

1 a point that you made about the similarity or no  
2 similarity between this curve and COPERNICUS. We  
3 truly looked at these curves, as you might imagine,  
4 very carefully, and to non-statisticians, I am not  
5 certain what the curves separating and coming  
6 together and separating mean.

7 We have been told by statisticians that  
8 there is a certain amount of wobble that occurs in  
9 curves and that we shouldn't make too much out of  
10 these things. Having said that, in the first month  
11 in CAPRICORN, there were 33 deaths in the placebo  
12 group and 19 deaths in the carvedilol group. That  
13 is the first month after randomization.

14 The other thing that is worthwhile looking  
15 at, I do this only for entertainment purposes. If  
16 you look at this curve in CAPRICORN, and can we  
17 have the corresponding survival curve for  
18 COPERNICUS. It's the survival curve for  
19 COPERNICUS.

20 Look at the early separation. It comes  
21 together at about, oh, three four months, and then  
22 it separates again. C3. We haven't blown up  
23 COPERNICUS in a similar way, but I think you will  
24 get the impression.

25 DR. BORER: While we are waiting for the

1 slide, Bob, did you have a comment to make about  
2 this particular issue?

3 DR. TEMPLE: Yes. I am sorry I missed the  
4 first few minutes of this, but these patients were  
5 randomized on an average of 10 days after their  
6 infarct. That is quite different from most of the  
7 other post-infarction trials, which were later,  
8 BHAT and timolol, I think you had to be 25 days or  
9 something like that.

10 It reminds me that in acute studies like  
11 ISIS I, there was some early damage in patients  
12 especially those who got low blood pressure, so I  
13 wonder if you want to comment on whether the  
14 earlier nature of it might have been  
15 disadvantageous to some of the patients.

16 DR. DARGIE: That really--

17 DR. LORELL: Before you answer Dr.  
18 Temple's question, I actually think, Dr. Packer,  
19 your comment about the very early mortality is  
20 quite helpful, at least to me, because I think one  
21 of the really different important points of this  
22 trial is can you give a beta-blocker early  
23 post-infarction in people with very depressed  
24 ejection fraction, many of whom have clinical heart  
25 failure.



1           So, I think knowing what that signal is in  
2 the first month, the first 30 days after starting  
3 it, mortality is very important.

4           DR. PACKER: I only put up this slide  
5 because the committee has asked the question to try  
6 to compare mentally the curves with COPERNICUS and  
7 the curves with CAPRICORN, so I just want to show  
8 you that although the scale here is different, and  
9 I need to emphasize that, there is an early  
10 separation in COPERNICUS that comes together at  
11 three months and then separates again.

12           We have done extensive analyses in the  
13 first month and two months of therapy in  
14 COPERNICUS, and the difference in mortality seen in  
15 CAPRICORN is exactly superimposable in what is seen  
16 in COPERNICUS in the first month, and I don't know  
17 why the curves come together at three months and  
18 separate. I just wanted to show you the  
19 parallelism.

20           DR. TEMPLE: If I could just add to my  
21 question. With ISIS I, we analyzed the response in  
22 relation to initial blood pressure, and although  
23 there was considerable debate about noodling with  
24 subsets, people whose blood pressure was initially  
25 low clearly did fairly badly in that trial.

1           It makes you wonder whether there are some  
2 people who are more vulnerable to early beta  
3 blockade than others, and perhaps that is what you  
4 are picking up in this relatively sick population.

5           DR. DARGIE: I am sure that must be  
6 correct. We did show in the subsequent analysis  
7 that the lower the blood pressure, the effect of  
8 carvedilol was less, but just to stress that since  
9 we consider the most important outcome here to be  
10 mortality, the mortality during that early period  
11 was not in any way increased on carvedilol, indeed,  
12 quite the opposite.

13           In fact, if we combine that with important  
14 other events arguably, recurrent myocardial  
15 infarction in that period, there is still also that  
16 very clear, even clearer separation of the curves  
17 at an early stage.

18           So, I don't think insofar as these major  
19 events are concerned, that this early  
20 administration addressed the outcome, quite the  
21 opposite.

22           DR. BORER: Steve.

23           DR. NISSEN: Could you put up slide 105,  
24 please.

25           This is probably more of a rhetorical

1 question than a question, but these data here were  
2 available to the Steering Committee when they  
3 redesigned the trial. They knew what the  
4 precedents were for choice of endpoints, and yet  
5 they didn't choose those endpoints.

6 I think it is important that we understand  
7 that, that they chose a different set of endpoints,  
8 yet, these data were in the public domain, all but  
9 I think EPHEBUS were in the public domain, and so  
10 just so we have the record straight, you guys could  
11 have chosen this group of endpoints or any  
12 constellation of them when you redesigned the  
13 trial, but you didn't do so.

14 You need not respond unless you want to,  
15 to that maybe rhetorical question.

16 If I may continue and then I will yield,  
17 unless you want to say something.

18 DR. FLEMING: Before you do, I had a  
19 similar thought. It is easy after the fact to say,  
20 well, these other endpoints are the ones that  
21 obviously matter the most and look at how  
22 significant we would expect, but why did you not  
23 consider these?

24 DR. DARGIE: Well, it is certainly very  
25 salutary. I mean had we chosen death and MI, then

1 perhaps we wouldn't be having this discussion,  
2 however, I think I should just go through the  
3 process of why we chose that particular endpoint  
4 for cardiovascular hospitalizations.

5 If I could have backup slide D42. This is  
6 our way of summarizing exactly why we chose that  
7 endpoint for cardiovascular hospitalization. Now,  
8 the original protocol didn't pay a huge amount of  
9 attention to the definition of a cardiovascular  
10 hospitalization because it was originally a  
11 secondary endpoint.

12 The Steering Committee, and I as the  
13 chairman of it, assigned the responsibility for  
14 defining the cardiovascular hospitalization, what a  
15 cardiovascular hospitalization was to an Endpoint  
16 Committee. Dr. Jonathan Sackner-Bernstein here,  
17 who is a member, is here if there are any  
18 supplementary questions.

19 Now, our Endpoint Committee defined  
20 cardiovascular hospitalization, I am sure you will  
21 agree, in a very broad and strict way, which was a  
22 hospitalization for which there was no definite  
23 known cardiovascular cause, and it didn't target or  
24 specify components of that, that previously weren't  
25 or thought might be influenced by beta blockade.

1 You may consider that to be a tactical problem.

2 That is how the Endpoint Committee defined  
3 cardiovascular hospitalizations, which is not an  
4 unreasonable definition for something which is a  
5 cardiovascular hospitalization.

6 The further issue was at the time of  
7 changing the primary endpoint, I suppose there was  
8 another opportunity at that point to make a further  
9 change in the endpoint in order to perhaps better  
10 characterize what the effect of carvedilol was  
11 doing in this population.

12 But I have to tell you quite honestly and  
13 openly, at the time the Steering Committee and I  
14 were reluctant to make too many changes. We had  
15 already made a significant change in the primary  
16 endpoint, which Dr. Fleming and others have alluded  
17 to, is a relatively unusual thing to do and one  
18 that is only done for the most compelling of  
19 reasons, which we have discussed.

20 So, when we changed the primary endpoint,  
21 and we simply elevated the secondary endpoint, we  
22 were reluctant to change the definition of that  
23 endpoint. We stuck with it. That is the history of  
24 the endpoint.

25 DR. NISSEN: If I may continue, I wonder

1 if you could put up slide 109. I just had a  
2 question. I am surprised that 300-plus of these  
3 patients had no increase in cardiac enzymes. I  
4 would have thought that would have been part of the  
5 definition of an acute myocardial infarction for  
6 purposes of the trial.

7 So, now I am really confused. What I am  
8 saying is, Jeff, even if you have got  
9 thrombolytics, whatever you get, how do you  
10 diagnose an acute MI if you don't have elevated  
11 enzymes, I mean does that mean it was only  
12 diagnosed by electrocardiographic criteria? Is  
13 that what happened?

14 DR. DARGIE: You and other members are  
15 fully aware that the new definition of myocardial  
16 infarction depends, first of all, on there being an  
17 elevation in enzymes, but we use the WHO definition  
18 of acute myocardial infarction, which was at the  
19 time the standard way of defining myocardial  
20 infarction, which was two out of three count.

21 One was a typical clinical presentation,  
22 one was a typical change in electrocardiogram, and  
23 the third was cardiac enzymes, which of course by  
24 definition means that you can diagnose a myocardial  
25 infarction by that definition without cardiac

1 enzymes.

2 Of course, in the early phase of  
3 myocardial infarction, ST segment elevation, and so  
4 on, one obviously proceeds to treatment before  
5 knowledge of the cardiac enzymes, but that is an  
6 aside.

7 But that was the definition we used, and  
8 as a result, for those patients entered into the  
9 trial, this number of patients were diagnosed  
10 without enzyme change.

11 DR. NISSEN: I accept that, but I guess  
12 that would mean then that those no cardiac enzymes  
13 patients, did they all have to have ST elevation or  
14 could they also just have ST depression?

15 DR. DARGIE: Good point. I am not sure.  
16 But, nevertheless, that wouldn't be necessarily the  
17 reason why it wasn't done. You simply adhere to  
18 the definition, which was the WHO one.

19 DR. NISSEN: A final question was slide  
20 114. Again, this is maybe more of a rhetorical  
21 question than a question, but to me, this is not  
22 discovery, this is data mining. I mean you have  
23 taken two endpoints, put them together, that were  
24 never prespecified, and show us a bunch of p values  
25 for them. I can't let that go unchallenged.

1           When you are talking here about discovery  
2 today, this is not what discovery means. The term  
3 for this, the nomenclature is not discovery. It is  
4 called data mining. It just doesn't contribute  
5 here, to me, in my view, to our thinking process.

6           DR. DARGIE: Essentially, the reason for  
7 doing this was to explain why the definition we  
8 used of non-fatal cardiovascular events didn't  
9 succeed, and also it demonstrates, I believe,  
10 excellent concordance with previous trials.

11           We are not suggesting that this was not in  
12 any way a post-hoc trial, but also describes what I  
13 say happened in the trial.

14           DR. NISSEN: But you see the label that is  
15 being asked for is for death and recurrent  
16 infarction, and this is the data to support that,  
17 but to buy this, we have to allow you to take two  
18 endpoints from a bunch of endpoints, put them  
19 together, and say, well, these are the two that  
20 worked, so that is the label we are going to give  
21 you.

22           We will get to the discussion later, but  
23 just so we all understand how these things came to  
24 be.

25           DR. BORER: Paul.



1 DR. ARMSTRONG: I have got three lines of  
2 questioning, Mr. Chairman. It will take a little  
3 time, but before starting them, Steve, the  
4 definition of infarction in the trial design on  
5 page 40 actually indicates that ST elevation or  
6 evolving Q would be the ECG criteria if enzymes  
7 weren't present, just on a point of clarity.

8 My first set of questions relates to  
9 definitions and, in particular, the definitions of  
10 reinfarction, unstable angina, and heart failure,  
11 the extent to which there was concordance between  
12 the investigator ascertainment and the Endpoint  
13 Committee with particular emphasis on reinfarction  
14 because you, of course, are emphasizing it and we  
15 will be discussing it later, so could you clarify  
16 those definitions and the extent to which there was  
17 concordance with the investigator and the  
18 committees?

19 DR. DARGIE: Yes, I can do that. I don't  
20 know whether Dr. Bernstein might feel that he could  
21 be in a better position to do that as a member of  
22 the Endpoint Committee.

23 DR. SACKNER-BERNSTEIN: Hi. Jonathan  
24 Sackner-Bernstein from Columbia.

25 In terms of the definitions, it is

1 reported in the briefing document how we defined  
2 myocardial infarction. Unstable angina was  
3 similarly defined in the--

4 DR. ARMSTRONG: Would you just remind us  
5 what your definition of reinfarction was, please?

6 DR. SACKNER-BERNSTEIN: Reinfarction was  
7 the two out of three criteria as has been  
8 previously cited, and in terms of the clinical  
9 presentation, enzymes with elevation at least two  
10 times the upper limit of normal, and the third  
11 criteria was the EKG changes.

12 The EKG changes could have either been ST  
13 segment elevation or EKG changes with evolution  
14 including Q waves, could also have been a new left  
15 bundle branch block if the patient went to  
16 Angiography and an intervention on an acute lesion  
17 was performed.

18 So, you needed two out of three.

19 DR. ARMSTRONG: Sorry, the definition that  
20 I have in front of me is the definition of acute  
21 myocardial infarction, which was the index event.

22 My question is what was the definition of  
23 reinfarction?

24 DR. SACKNER-BERNSTEIN: I am sorry I  
25 wasn't clear. That is what I was just defining.

1 Reinfarction was when a patient was hospitalized  
2 for more than 24 hours and met two of three of the  
3 criteria consistent with the WHO criteria.

4 So, it was the clinical presentation was  
5 one of the three components, the increase in  
6 cardiac enzymes, a CPK greater than two times the  
7 upper limit of normal was the second component.  
8 The third component was ECG changes, which I  
9 described, either with the ST segment elevations or  
10 other EKG changes that included development of new  
11 Q waves, or also, part of that could have been a  
12 bundle branch block, a new bundle branch block that  
13 was associated with an acute intervention at that  
14 time.

15 So, that is the definition of  
16 reinfarction. The definition of unstable angina  
17 was the typical ST Q wave changes along with  
18 parenteral therapy in a hospitalization that lasted  
19 24 hours.

20 DR. ARMSTRONG: And the definition of  
21 heart failure, worsening heart failure?

22 DR. SACKNER-BERNSTEIN: Typical symptoms  
23 or signs of volume overload associated with  
24 parenteral therapy for an admission that lasted  
25 more than 24 hours.

1 DR. ARMSTRONG: And the concordance  
2 between the information you received and your  
3 ultimate decision?

4 DR. SACKNER-BERNSTEIN: I actually don't  
5 think we have that analysis here looking at those  
6 endpoints. So, that is something that we would  
7 have to perform, that analysis.

8 DR. ARMSTRONG: Then, honing in on  
9 reinfarction, since about 75 percent of  
10 reinfarction that is hospitalized is within the  
11 first 72 hours, help us understand what the  
12 frequency of reinfarction in this population was  
13 after their index infarction, before they commenced  
14 therapy, and whether it was balanced.

15 DR. SACKNER-BERNSTEIN: Well, the way that  
16 non-fatal events were adjudicated was as follows.  
17 The patient had their index MI, they were  
18 stabilized, they were randomized.

19 While they were still in the hospital  
20 between randomization and before they went home,  
21 any non-fatal events weren't counted as part of  
22 this reinfarction and other endpoint event  
23 analysis, so that mortality was counted as soon as  
24 people were randomized, but these non-fatal  
25 cardiovascular events, particularly reinfarction,

1 were counted, were adjudicated, were analyzed from  
2 the point in time when the patient left the  
3 hospital, because part of the definition was a  
4 hospitalization for an event.

5 DR. ARMSTRONG: So, do we know or do we  
6 not know the frequency of reinfarction prior to  
7 commencement of study drug after the index  
8 infarction in this population? That is the  
9 question.

10 DR. SACKNER-BERNSTEIN: I do not know the  
11 number or nature of infarctions that occurred while  
12 the patients were still in the hospital after being  
13 randomized, but there is a relatively small period  
14 of time between randomization and commencement of  
15 therapy, if that is the period you are trying to  
16 hone in on.

17 DR. ARMSTRONG: Well, the day 10 was the  
18 average time to commencement of therapy, which is  
19 after the majority of reinfarction, which is the  
20 point I am honing in on.

21 DR. DARGIE: Could Dr. Lukas comment?

22 DR. LUKAS: I certainly understand the  
23 concerns about the time frame. I just wanted to  
24 point out--which I believe it states in the  
25 briefing document--that even given the time frame,

1 80 percent of patients were randomized while they  
2 were still hospitalized, so that to Jonathan's  
3 point, we cannot tell you today how many of those  
4 80 percent of the patients may have had an  
5 extension of their index MI, I guess would really  
6 be the best way to characterize it, after they were  
7 randomized, before they went home.

8 DR. ARMSTRONG: Without wanting to  
9 persevere on this point, Mr. Chairman, we are  
10 going to be asked about an indication for  
11 reinfarction in a population whose major risk of  
12 reinfarction will have passed before the study drug  
13 was commenced, and I just want to be clear about my  
14 understanding of the population that we are looking  
15 at and our knowledge of the intercurrent likelihood  
16 of the event of interest from the time of the index  
17 infarction to the time of commencement of study  
18 therapy. That, for me, remains a black box.

19 DR. LUKAS: I apologize if I  
20 misinterpreted your question, but we would have to  
21 go back and see if the information was available to  
22 answer that specifically.

23 DR. ARMSTRONG: My second line of  
24 questioning relates to slide 107, if we could see  
25 that, please.

1           I think, Dr. Dargie, when you presented  
2 this slide, you suggested that the only subgroup of  
3 interest to drill into, that looked like it was  
4 heterogenous, was the Killip III, but I was  
5 attracted to the patients with the inferior  
6 myocardial infarction, a rather larger sample than  
7 the patients in Killip class III, which impressed  
8 me as being somewhat to the opposite of the others.

9           You obviously had a reason for  
10 prespecifying this subgroup. I wondered to what  
11 extent your examination of that subgroup led to  
12 better understanding of why they might have had a  
13 different response.

14          DR. DARGIE: I think we prespecified it in  
15 order to define the population as clearly as  
16 possible into inferior, anterior, and others. As  
17 you say, there appears to be less a response in the  
18 inferior group than in the others.

19          DR. PACKER: Mr. Chairman, if I could just  
20 clarify the answer on this?

21          DR. BORER: Do you want further  
22 clarification?

23          DR. ARMSTRONG: I would be delighted.

24          DR. PACKER: Paul, I actually had exactly  
25 the same question that you did and asked further

1 about how this, you know, what could explain this.

2 The other category includes a substantial  
3 number of people with inferior other, inferior  
4 lateral, inferior, posterior, you know, this is  
5 pure inferior, the other is a hybrid category which  
6 includes many inferiors, so that one could, in  
7 fact, if one wanted to go back and look at the  
8 inferiors by pulling out the inferior combined with  
9 something out from the other, and if you look at  
10 the point estimate, my sense is that the point  
11 estimate will shift back to the left. I don't know  
12 if that helps.

13 DR. ARMSTRONG: We have heard some  
14 discussion about hypotension as a potential marker  
15 in an inferior MI, issues around bradycardia,  
16 block, hypotension, that we are all familiar with,  
17 whether the time course and the events in these  
18 patients would shed any light on it, but it does  
19 strike me as being somewhat heterogenous with the  
20 other population although I recognize the  
21 confidence limits are wide.

22 My third line of questioning. All of us  
23 who have shared your opportunity to enroll patients  
24 in large trials--if you could leave that slide up  
25 because it's germane--from Russia recognized that



1 these patients are different, and you obviously  
2 were concerned about that because you prespecified  
3 a look at these patients.

4 I presume, but I don't know, that you  
5 capped them at 600 or 30 percent of your  
6 population, that is why there is an exact number of  
7 600 from that part of the world.

8 Could you tell us a little bit about the  
9 frequency of their events and their behavior as it  
10 relates to some of these issues that relate to  
11 surveillance, concomitant medications, and  
12 outcomes, and how homogeneous versus heterogenous  
13 they were?

14 DR. DARGIE: I could just begin with a  
15 description of what went on in Russia and the fact  
16 that as the chairman of the Steering Committee, I  
17 visited a number of the countries to hold  
18 investigator meetings.

19 I would say that the investigator meeting  
20 experience in Russia, that was held in Moscow, was  
21 an extremely valuable one because I was extremely  
22 impressed by the interest and knowledge of the  
23 investigators from Russia who were at that meeting.

24 Perhaps that was one of the most  
25 interesting experiences in the trial, going to

1 Russia. We, because of this issue, because again  
2 it is your right to prespecify, do we have concerns  
3 about it and how would it would be extrapolated to  
4 the rest of the world, indeed, to the U.S.

5 We did carry out an audit in the Russian  
6 patients, and it appears that good clinical  
7 practice in the Russian centers was excellent.  
8 Really, here in this slide, one doesn't really see  
9 any sense of a difference. The confidence interval  
10 is perhaps a little bit wider, but looking at the  
11 same analysis for hospitalizations, we have the  
12 same effect, i.e., no obvious difference between  
13 the Russian centers and elsewhere.

14 So, I am not saying I was necessarily very  
15 surprised, but the visit to Russia was extremely  
16 valuable and one had the impression and confidence  
17 that the trial was going to be carried out okay  
18 there. That was backed up by our audit.

19 DR. ARMSTRONG: Could you just--last  
20 point--clarify what was the mortality rate and the  
21 reinfarction rate amongst the Russian patients as  
22 opposed to the others?

23 DR. DARGIE: I think I would have to get  
24 that number for you.

25 DR. BORER: Henry, there is one issue that

1 was highlighted by the FDA reviewer, and I would  
2 appreciate your comment about it.

3 That is, that the time to hospitalization,  
4 to cardiovascular hospitalization, in the  
5 carvedilol group was shorter than the time to  
6 hospitalization in the comparator group, which  
7 might be counterintuitive.

8 Can you discuss this possible  
9 inconsistency?

10 DR. DARGIE: I don't think I can. The  
11 time to hospitalization in the carvedilol group was  
12 shorter than in the placebo group.

13 DR. FORD: Can I comment?

14 DR. BORER: Sure.

15 DR. THROCKMORTON: I think that we are  
16 referring to something that we had actually sent a  
17 correction around to the committee about, Jeff. If  
18 you didn't get a chance to look at it, that was an  
19 analysis that the FDA conducted.

20 We had a discussion with the sponsor about  
21 it, and I think in the fairness of time, I think in  
22 brief we concluded that our analysis was, in fact,  
23 not appropriate, we were misunderstanding a bit of  
24 it, so I don't think that is a thing that we need  
25 to really go any further on.

1 DR. BORER: I will withdraw that question  
2 then.

3 Marc.

4 DR. PFEFFER: I have one point I just want  
5 to make sure I understood the answer to Dr.  
6 Armstrong. So, all the non-fatal events that  
7 occurred--now, I am talking about after  
8 randomization--and were not part of the non-fatal  
9 events, so when Dr. Lorell is concerned about  
10 worsening the heart failure, we are not seeing that  
11 when we are looking at the non-fatal events, if  
12 they occurred during the initial hospitalization,  
13 just a clarification.

14 DR. SACKNER-BERNSTEIN: You have that  
15 correct. Non-fatal events that are included in the  
16 analyses that you are seeing and that are in the  
17 documents are events that occurred after discharge  
18 from the index hospitalization.

19 DR. PFEFFER: And a follow-up on Dr.  
20 Armstrong, one of the differences across countries  
21 is the lengths of stay. In some of the countries  
22 where you are doing this, there is a rather  
23 protracted length of stay, which is just their  
24 standard of practice. They monitor patients  
25 longer. So, we are not seeing events during a

1 period that I don't know.

2 Now, there are two safety issues that came  
3 up that I didn't see in either the FDA's report or  
4 the sponsor's. One is the 30 percent of the people  
5 that were on a beta-blocker. So, do we have that  
6 subgroup the beta-blocker yes, beta-blocker no?  
7 That is one subgroup.

8 The other subgroup I would like to see  
9 came up in the discussion today is a function of  
10 time of randomization. There was a wide window to  
11 randomize. Some people could have been randomized  
12 in the early period, some people in the late, so  
13 whether it be the median or tertiles of time to  
14 enrollment, I would like to see those as safety  
15 issues.

16 DR. DARGIE: We do have the first of those  
17 analyses, which is just coming.

18 DR. BORER: While we are waiting for that,  
19 I am going to peremptorily cancel the break that is  
20 listed here and we will stop a little earlier than  
21 is scheduled for a lunch break in the interest of  
22 keeping the committee together as long as we can,  
23 so that we can complete the deliberation as a  
24 committee because we have one non-U.S. member who  
25 needs to leave at a certain time.

1 DR. PACKER: This is the analysis that was  
2 requested. This is a subgroup analysis based on  
3 whether patients had received an oral or an I.V.  
4 beta-blocker during the index MI or whether they  
5 hadn't. You see the number of patients in each  
6 group. You see the hazard ratios. They are almost  
7 superimposable for both co-primary endpoints, and  
8 you see the number of events that are analyzed in  
9 each of those subgroups in brackets.

10 DR. BORER: Are there any other questions  
11 for Dr. Dargie?

12 DR. PFEFFER: Will we get the time to  
13 randomization after lunch?

14 DR. BORER: I am sorry, the time to  
15 randomization.

16 DR. DARGIE: We will get that after lunch.

17 DR. BORER: We can go on to the discussion  
18 of safety issues then. Thank you very much, Henry.

19 DR. DARGIE: Thank you.

20 Safety and Concluding Remarks

21 Milton Packer, M.D.

22 DR. PACKER: I would like to conclude with  
23 some brief remarks about safety and end with some  
24 brief concluding comments.

25 The committee has already seen the strong

1 concordance of the effects of carvedilol in the  
2 CAPRICORN trial with the effects of other  
3 beta-blockers in other post-infarction trials.

4       You have seen this with respect to  
5 all-cause mortality including the pattern of  
6 subgroups effects, which is very parallel to that  
7 seen in earlier studies, the mode of death, the  
8 risk of recurrent infarction in cardiac  
9 arrhythmias.

10       It therefore is appropriate to ask whether  
11 such concordance also exists with respect to the  
12 safety of carvedilol in the CAPRICORN trial.

13       This slide lists the safety issues that  
14 were identified in earlier post-infarction  
15 beta-blocker trials. In these earlier studies,  
16 patients randomized to timolol, propranolol, or  
17 metoprolol had an increased risk of heart failure  
18 and pulmonary edema, hypotension and dizziness,  
19 bradycardia and heart block, and peripheral  
20 vascular symptoms.

21       Exactly the same pattern was seen with  
22 carvedilol in the CAPRICORN trial. In fact,  
23 neither the sponsor nor the FDA identified any new  
24 safety issues with the use of carvedilol in  
25 post-infarction patients that had not been

1 previously identified in earlier post-infarction  
2 beta-blocker trials or in earlier trials with  
3 carvedilol and heart failure.

4 In addition, in the CAPRICORN trial, there  
5 were many adverse cardiovascular events  
6 specifically those related to worsening of the  
7 underlying disease, which occurred less frequently,  
8 less frequently with carvedilol than with placebo.

9 In fact, if one focuses only on adverse  
10 events deemed to be serious by the investigator,  
11 nearly all such events were less common in patients  
12 randomized to carvedilol.

13 These safety data, together with the data  
14 on non-fatal events that you have just heard about,  
15 strongly reinforce the concordance of the results  
16 of CAPRICORN with the results of earlier trials.

17 First and foremost, we have a mortality  
18 finding of unquestioned clinical importance  
19 observed in the trial designed to find it, and in  
20 addition, we have many different dimensions of both  
21 internal consistency and external confirmation.

22 The mortality finding is supported within  
23 the CAPRICORN trial by the effect of the drug on  
24 non-fatal events. The pattern of benefits is  
25 identical to that seen with other beta-blockers in



1 the same disease state and specifically with  
2 carvedilol later in the same disease.

3 I think it would be fair to say the  
4 totality of available data make it extremely likely  
5 that the benefits of carvedilol would be reproduced  
6 if it were evaluated in a confirmatory trial.

7 But even if the committee were to agree  
8 that the mortality finding in the CAPRICORN trial  
9 were credible and persuasive, it might still be  
10 wondering why it should recommend incorporation of  
11 the results of CAPRICORN into current labeling for  
12 carvedilol. After all, carvedilol is already  
13 approved for the treatment of post-infarction  
14 patients albeit those with a remote history of a  
15 myocardial infarction and after they have developed  
16 symptoms of heart failure.

17 Other beta-blockers are already approved  
18 for use in survivors of acute myocardial  
19 infarction, and these other beta-blockers could be  
20 used in the immediate post-infarction period, and  
21 patients could be switched to carvedilol if deemed  
22 appropriate when the acute phase has passed and  
23 heart failure has become apparent.

24 This may all be true, but I think it would  
25 be important to remember that there are

1 insufficient data to recommend the addition of any  
2 beta-blocker currently approved for infarct  
3 survivors to an ACE inhibitor or to other  
4 treatments, such as thrombolytics, aspirin, or  
5 lipid-lowering drugs, in patients who have left  
6 ventricular systolic dysfunction following an acute  
7 myocardial infarction.

8 All beta-blockers currently approved for  
9 use in infarct survivors carry a contraindication  
10 for use in patients with heart failure, and as a  
11 result, the frequency of use of any beta-blocker in  
12 patients with left ventricular dysfunction  
13 following acute myocardial infarction is low  
14 especially outside of academic medical centers.

15 My sense is that such use will remain low  
16 unless physicians are educated about the earlier  
17 administration of beta-blockers in patients likely  
18 to require treatment with a beta-blocker in the  
19 future.

20 I think there is a real need to start  
21 treatment with these patients as early as possible,  
22 and perhaps the best opportunity that we have is  
23 when patients are in the hospital after they have  
24 been stabilized following their acute infarction,  
25 and of all the beta-blockers currently approved for

1 use, I think it would be fair to say the most  
2 persuasive data in post-infarction patients with  
3 left ventricular systolic dysfunction receiving an  
4 ACE inhibitor exists for carvedilol.

5 So, I believe based on the totality of  
6 available evidence that there are very good  
7 reasons, both from the point of view of strength of  
8 evidence and from the point of view of public  
9 health, to allow description of the results of the  
10 CAPRICORN trial to be incorporated into current  
11 labeling for carvedilol.

12 I would be pleased to take any questions  
13 the committee might have.

14 DR. BORER: At this point, we will limit  
15 the questions specifically to issues of fact about  
16 the safety. We can get into the more general  
17 philosophical issues a little bit later.

18 Are there any specific questions about  
19 safety concerns for Dr. Packer? Beverly.

20 DR. LORELL: Going back to the issue that  
21 Dr. Armstrong raised, I just want to make sure I am  
22 very clear and others on the committee may have  
23 this query, too.

24 Adverse events were reported completely  
25 from the time of randomization?

1 DR. PACKER: Yes.

2 DR. LORELL: So, that the sort of black  
3 box period that Dr. Armstrong was referring to in  
4 terms of adjudication of endpoints, that period  
5 between randomization and leaving the hospital  
6 would include adverse events.

7 DR. PACKER: Yes. The data that you have  
8 seen is complete from the point of randomization  
9 with respect to mortality and with respect to  
10 adverse events. The blackout period that you are  
11 referring to, maybe that's not the right term,  
12 applies only to the adjudication of  
13 hospitalizations that could have contributed to the  
14 combined endpoint.

15 DR. LORELL: So, that does afford us an  
16 understanding in totality.

17 DR. PACKER: Yes.

18 DR. LORELL: Including very early start  
19 time.

20 DR. PACKER: Absolutely.

21 DR. LORELL: About risks including  
22 bradycardia, hypotension, and acute pulmonary  
23 edema.

24 DR. PACKER: And we have complete data  
25 sets from the point of randomization for all of

1 those.

2 DR. LORELL: Thank you.

3 DR. BORER: Alan.

4 DR. HIRSCH: I have two questions for you,  
5 Dr. Packer. One, I just want to bore into two of  
6 the adverse effects a little more deeply because I  
7 do believe that beta-blockers are helpful in this  
8 class of patients in general from the totality of  
9 the data.

10 The first one is bradycardia. Obviously,  
11 there is increased incidence when the drug is  
12 administered early in about 6 1/2 percent of the  
13 population. Nadir heart rates, need for pacing,  
14 major bradycardic episodes, can you make a comment  
15 beyond what we have seen in the packet?

16 DR. PACKER: Actually, I have a little bit  
17 more information, but probably if you need it, we  
18 could get more information. The bradycardia  
19 generally resulted in a reduction in dose without  
20 the need to stop treatment, so the AE's that you  
21 see are AE's that were reported, that then led to a  
22 dose reduction, didn't lead to discontinuation of  
23 therapy if you look at the withdrawals.

24 The withdrawals, bradycardia was not a  
25 feature that led to withdrawal with any imbalance

1 between the two groups, and literally, the issue of  
2 bradycardia was almost absent if one looked only at  
3 serious AE's.

4 DR. HIRSCH: The second question relates  
5 to a small subgroup, which may not surprise you  
6 from my perspective, which was the peripheral  
7 vascular symptoms group with beta-blockers. I keep  
8 looking at this small group.

9 I presume that the peripheral vascular  
10 symptoms, usually ignored in these hearings,  
11 were--well, seriously, were potentially one of  
12 three things. They are either a complaint of  
13 claudication, development of critical ischemia or  
14 potentially even amputation, not usually measured  
15 in a heart failure trial.

16 The reason it comes up is because there is  
17 a signal again of an increase that is twofold in  
18 this group. In a global database of beta-blockers,  
19 which is not adverse when these drugs are  
20 administered in chronic disease states, patients  
21 with arterial disease are going to be increasingly  
22 part of these heart failure and ischemic event  
23 arenas in the future.

24 So, my question is, do we know anything  
25 more about what these peripheral vascular events

1 really were, my concern being that without defining  
2 that, there may be a small population that really  
3 is at some risk.

4 DR. PACKER: We obviously could try to  
5 explore that better. I don't have any more detail  
6 other than what you have seen. We could go back  
7 and look at the actual descriptions. What you see  
8 here are code terms that get translated from what  
9 the patient says.

10 Again, there is no evidence that these led  
11 to serious problems like amputation or anything  
12 like that, but what you see is really pretty much  
13 what I can provide information about in terms of  
14 this. We can go back and get the actual  
15 descriptors.

16 DR. HIRSCH: I realize descriptors are  
17 often quite vague in these trials, I have  
18 participated, as well.

19 Do we know, as well, the population with  
20 pre-existing lower extremity arterial disease  
21 entered into CAPRICORN?

22 DR. LUKAS: We do have that information,  
23 but I just wanted to comment on your first  
24 question.

25 In agreement with what Milton had said,

1 among the hospitalization endpoints that were  
2 recorded, there were a group called Other. There  
3 were about 34 to 40 in each group. We went back  
4 and looked specifically--it's backup E5, I believe  
5 it is, just to provide a little bit more  
6 information--that among the others, Dr.  
7 Sackner-Bernstein was able to provide us more  
8 information on the classification.

9 Five in the placebo group and 2 in the  
10 carvedilol group of the actual endpoint were  
11 related to peripheral vascular disease, and they  
12 were exactly what you said, claudication, one or  
13 two amputations, one of two fempot bypasses, et  
14 cetera. So, that is the totality of information  
15 that we have.

16 Then, in terms of were these patients  
17 included, peripheral vascular disease was not an  
18 exclusion criteria unless they had disabling  
19 symptoms.

20 DR. HIRSCH: I know it was not an  
21 exclusion criteria, but it did represent 2 percent  
22 of the inclusion population at 30 percent if were  
23 to amplify this in a more real-world setting.

24 DR. LUKAS: Right. We will go back and  
25 look into that.



1 DR. HIRSCH: At the end of the day, these  
2 symptoms dividing them into claudication or loss of  
3 leg are just as important as infarction/worsening  
4 angina, it is too vague.

5 DR. BORER: Paul.

6 DR. ARMSTRONG: In the interests of  
7 clarity, as the sponsor tries to get some of these  
8 timing issues back to us early this afternoon, in  
9 their document on page 48, I have just come across  
10 a paragraph which states, "It should be noted that  
11 although some patients were randomized and received  
12 their study medication one day following their  
13 qualifying infarction, patients generally were  
14 initiated on treatment with placebo or carvedilol  
15 more than one week following their qualifying  
16 event."

17 So, as we try to get clarity, if you could  
18 give us the information on when patients were  
19 randomized relative to their index MI, and what the  
20 window of time was between randomization and the  
21 commencement of study medicine, was that, in fact,  
22 symmetrical or asymmetrical, and how did it play  
23 out across these issues, because I think it really  
24 is quite germane to some of the questions that are  
25 being addressed, so I have just appreciated that

1 there is some ambivalence here in this paragraph  
2 that we need clarity on.

3 DR. PACKER: Just to provide one point of  
4 clarification now, and we will try to get some more  
5 information, we will try to get as much information  
6 as we can on the precise distribution in the  
7 patient populations in both treatment groups from  
8 the point of index MI to the point of  
9 randomization.

10 With respect to the point from the time of  
11 randomization to the commencement of therapy, in  
12 almost all patients, it was the same day, and we  
13 have some data on it, it was fractions of a day  
14 essentially, and it was the same in the two groups.

15 DR. BORER: Mike.

16 DR. ARTMAN: I am still a little confused  
17 about the issue of recurrent MI. As I understand  
18 it, and correct me if I am wrong, to count as a  
19 recurrent MI, that had to happen after hospital  
20 discharge, after your index MI, yet myocardial  
21 infarction was also recorded as an adverse event.

22 So, what I am trying to get at I guess is  
23 back to what Paul was alluding to. I am trying to  
24 understand how much of this MI as an adverse event  
25 occurred early in that initial hospitalization.

1           Do you have that information or can you  
2 get that for us?

3           DR. PACKER: Yes, actually, we do have  
4 that information. We need to just find the slide.  
5 Do we have the early AE's in the first 30 days?

6           While we are trying to find this, it is  
7 not unusual to have a discrepancy between an event  
8 reported as an endpoint and an event reported as an  
9 AE. One of them is a directed event, the other is  
10 a spontaneous event.

11          It is very common in clinical trials to  
12 see discrepancies between those two ways of  
13 recording events at the end of the study, but it is  
14 a particularly relevant question in this study  
15 because of the "blackout" period, for better or for  
16 worse.

17          Can we have slide S7.

18          This is all adverse cardiovascular events  
19 with a frequency more than 1.5 percent, greater or  
20 equal to 1.5 percent during the up-titration phase.  
21 Let me emphasize this is from the point of  
22 randomization, there is no blackout period here.

23          You can see what is happening early. Let  
24 me just direct you to MI, 28 in the placebo group,  
25 13 in the carvedilol group, and part of the

1 up-titration phase is in hospital, part of the  
2 up-titration phase is post-hospital. If you would  
3 like, we can go back and see how many of these were  
4 actually in the hospital, but you can see the early  
5 events are going in the right direction, and this  
6 is from the point of randomization.

7 DR. BORER: JoAnn.

8 DR. LINDENFELD: Just a quick question  
9 about the hospitalizations. You can help me a  
10 little bit with this.

11 The hospitalizations for MI clearly were  
12 more in the placebo group, but hospitalizations for  
13 unstable angina, chest pain or angina, and other  
14 cardiovascular reasons were pretty much exactly the  
15 same.

16 Do you find that at all unusual? I know  
17 it is back and forth, but there is such a big  
18 difference in MI, I would sort of think that  
19 unstable angina and angina and chest pain would  
20 follow the MI.

21 DR. PACKER: I personally wondered about  
22 that myself. It is interesting if we could have--

23 DR. LINDENFELD: It is table 21 in the  
24 briefing book on page 68.

25 DR. PACKER: Let me just hold on, if I

1 might. Could we have--well, let me just summarize  
2 it instead of looking for it. JoAnn, you may  
3 remember a finding, a slide in Henry's presentation  
4 that looked at the frequency of angina,  
5 claudication in other trials, other beta-blocker  
6 trials, including angina, including, by the way,  
7 although it is not broken up in the slide, unstable  
8 angina.

9 For whatever reason, in all other post-MI  
10 beta-blocker trials for which there are data, the  
11 frequency of angina and unstable angina is the same  
12 in the placebo group and in the beta-blocker group.  
13 Let me clarify my own thinking process here.

14 When you look at these tables, the only  
15 thing that you are looking at is the proportion of  
16 patients who report an event. One is not looking  
17 at when these events occurred and one is not  
18 looking for how often they happened in an  
19 individual patient, so it is perfectly conceivable  
20 that a beta-blocker could be anti-anginal, and not  
21 be picked up by that kind of analysis.

22 DR. BORER: In that regard, the FDA  
23 reviewer did an interesting analysis on page 11,  
24 because I was caught by that same observation,  
25 JoAnn, and it turned out it seems that the initial

1 cardiovascular hospitalization, the non-fatal MI's  
2 tilted in favor of carvedilol by a margin of 18  
3 events, and the unstable angina or angina was the  
4 other way by 18 events, so the two types of  
5 problems actually showed no net gain, but if you  
6 looked at causes for all hospitalizations rather  
7 than the initial hospitalization, the apparent skew  
8 was less apparent.

9 Angina still, for whatever that is worth,  
10 was less frequent as a cause for hospitalization in  
11 the placebo group, but unstable angina, the margin  
12 narrowed a little, an MI was very much more  
13 frequent in the placebo group, so the net, the  
14 total cardiovascular events, if they are considered  
15 just as angina, unstable angina, and MI, were less  
16 frequent in the carvedilol group.

17 I don't know if that helps very much  
18 because it is the same issue that Steve raised  
19 earlier, looking at smaller and smaller subgroups  
20 to find something, but it is interesting that if  
21 you look at all hospitalizations rather than the  
22 initial hospitalization, the intuitively  
23 inappropriate result seems less than appropriate.

24 DR. PACKER: Can we have a backup slide  
25 just to illustrate that, I think it is E39. It is

1 total number of hospitalizations E39.

2 Jeff, this is what you are referring to?

3 DR. BORER: Something, that shows those  
4 data, yes.

5 DR. PACKER: Again, this is not  
6 considering which are first events, and Ian was  
7 just going to come to the microphone and make the  
8 point that sometimes in a time to event analysis,  
9 time to first event analysis, a minor event will  
10 trigger the Kaplan-Meier tick and suppress the  
11 occurrence of the event that occurs after the minor  
12 event.

13 So, one way of trying to get information  
14 about all events is to look at the total number of  
15 hospitalizations for various reasons, and these are  
16 the total number of hospitalizations. This is, by  
17 the way, where the 60 and 37 came in, what we  
18 showed you earlier, and you can see all the others  
19 on your own.

20 In order to make this kind of table, one  
21 has to make some arbitrary decisions as to what the  
22 hierarchy is. If someone comes in with an MI and  
23 heart failure, like which one counts more. You can  
24 see the arbitrary decisions that were made here.  
25 MI counted above heart failure, unstable angina.

1           This is not supposed to be a clinical  
2 judgment. This is just a classification scheme.

3           DR. BORER: Were there any other issues,  
4 JoAnn? Okay. Tom.

5           DR. PICKERING: Yes, I have a question  
6 related to that actually, if you could leave that  
7 slide up, please.

8           It seems that what screwed up the revised  
9 primary endpoint was the hospitalizations. If you  
10 compare CAPRICORN and COPERNICUS, the number of  
11 patients was approximately similar and the  
12 follow-up length of time was a little bit longer in  
13 CAPRICORN.

14          The COPERNICUS patients were sicker, they  
15 had lower ejection fraction, they all had heart  
16 failure, so I would have guessed that the  
17 hospitalization rate would be higher. Yet, for  
18 COPERNICUS in the paper, you have, in the placebo  
19 group, 395 cardiovascular deaths and 432  
20 hospitalizations for any reason. In CAPRICORN, you  
21 have I think 139 cardiovascular deaths and here you  
22 have 693 hospitalizations.

23          There seems to be a disproportionately  
24 higher number of hospitalizations in CAPRICORN from  
25 what you would expect. Again, I wonder if this has



1 anything to do with Russia, was Russia part of  
2 COPERNICUS. When I went there 20 years ago, I had  
3 the impression that patients actually rather liked  
4 being in the hospital because it was nicer than  
5 being outside.

6 So, was the hospitalization rate higher in  
7 Russia than elsewhere?

8 DR. PACKER: Russia, Poland, and several  
9 other countries in Eastern Europe participated  
10 actively in COPERNICUS. There was no heterogeneity  
11 of the response in those countries in a manner  
12 similar to what you saw in CAPRICORN. I am talking  
13 about the COPERNICUS study.

14 Ian Ford and I were talking about this  
15 last evening. The only explanation that I think is  
16 credible as to why the frequency of  
17 hospitalizations is higher in a patient population  
18 that is earlier in their disease state. Again, one  
19 has to be careful because the duration of follow-up  
20 is different and the way these are calculated are  
21 somewhat different, is that when patients are  
22 further on in their disease state, they tend to be  
23 hospitalized for more and more disease-specific  
24 related reasons.

25 Whereas, patient following an acute

1 myocardial infarction, the sensitivity to bring  
2 them back in the hospital for relatively minor  
3 reasons, atypical chest pain, can be very, very  
4 high. One is almost never hospitalized for  
5 atypical chest pain when one has severe heart  
6 failure.

7 I think that accounts for a big difference  
8 in what you are observing.

9 DR. HIRSCH: Can I follow up on that?  
10 Another thing that happens is that we give people  
11 medications earlier in the disease state, which  
12 also cause hospitalization, so it is both a disease  
13 and what we do to patients, both things that are  
14 part of the protocol, things that aren't part of  
15 the protocol.

16 Are early hospitalizations again  
17 different? Have you broken this up by the first,  
18 one week, two weeks between the two groups? I  
19 haven't seen that data.

20 DR. PACKER: We do have that. One moment,  
21 please.

22 DR. HIRSCH: While you are pulling that  
23 up, you know, one of the things that Tom said  
24 earlier which struck me was, you know, we are  
25 really looking at the use of beta-blockers early in

1 this disease state, which I think we believe is  
2 probably a good thing, but the question is when is  
3 early appropriate.

4 The drug effects obviously, the beneficial  
5 ones, accrue over months to years. I think as we  
6 reach towards the discussion, the question here is  
7 are there signals that are beneficial from the very  
8 beginning of randomization or is there again this  
9 blip in dichotomy, does it really matter whether we  
10 start at day 1 or day 10, parallel to the  
11 discussions we had years ago about ACE inhibitor  
12 initiation.

13 DR. PACKER: I am very sorry. We do have  
14 that slide. I need to go and find it for you, and  
15 I am afraid I didn't hear the second part of your  
16 question.

17 DR. HIRSCH: The second part is simply to  
18 look at that data vis-a-vis the time course of  
19 rehospitalization, looking at that as a  
20 risk-benefit analysis for time of initiation of  
21 study drug.

22 DR. PACKER: The only thing I would say is  
23 that--and I need to perhaps pull up that slide--but  
24 for issues related to, for example, recurrent MI,  
25 et cetera, what is seen in the first 30 days is

1 what is seen later on.

2 If you want, I can try to find that slide,  
3 but I just don't have my index right now that would  
4 allow me to do it immediately.

5 DR. BORER: We can see it after lunch.

6 JoAnn.

7 DR. LINDENFELD: Milton, the thing that we  
8 are a little bit worried about are the events that  
9 weren't counted in the index hospitalization.

10 Can you reassure me that the time of the  
11 index hospitalization was the same in the placebo  
12 and carvedilol groups?

13 DR. PACKER: Yes, it was identical in the  
14 placebo and carvedilol groups. The slide that  
15 shows that, which I think I can pull up in a  
16 second, is D33.

17 This is a breakdown in two ways. One is  
18 the duration of the index hospitalization, and the  
19 second is the number of patients who had an event  
20 that prolonged their index hospitalization. This  
21 was specifically asked for. You can see there is  
22 no signal that causes concern with respect to  
23 carvedilol.

24 DR. BORER: Milton, I want to perhaps  
25 close this session on safety with one--I am sorry,

1 did you have something to add, JoAnn?  
2 DR. LINDENFELD: I would just add 17,  
3 almost 18 days, that's a long time.  
4 DR. PACKER: This was not a trial that was  
5 carried out in HMO-guided therapy in the United  
6 States.  
7 DR. NISSEN: It is absolutely astonishing  
8 to me, I mean almost unbelievable. I can't for the  
9 life for me understand this--third, fourth day  
10 typically.  
11 DR. THROCKMORTON: Do you have the  
12 distribution?  
13 DR. PACKER: It is pretty impressive,  
14 isn't it.  
15 DR. NISSEN: Do you know the median?  
16 DR. PACKER: I think that's a mean.  
17 DR. NISSEN: If anybody has the median, we  
18 would be interested.  
19 DR. LORELL: I think it would be  
20 interesting to have, if you have it, you might not,  
21 the U.S. data because there clearly are profoundly  
22 different practices.  
23 I think many people in Eastern Europe  
24 would consider our discharges--  
25 DR. PACKER: I know we don't have it

1 broken down, but I would be very surprised if the  
2 U.S. data looked like this.

3 DR. PFEFFER: To follow up on that, I just  
4 wanted to hear the reason that there were 83 U.S.  
5 patients, and usually, Canada outdoes the U.S. by a  
6 factor of 2 and 5 in Canada. Is that because it  
7 wasn't an emphasis in the trial here? I would just  
8 like to know the reason for that.

9 DR. PACKER: I think it would be fair to  
10 say that the biggest emphasis in this trial was on  
11 Europe. The Steering Committee was primarily a  
12 European Steering Committee. This was really a  
13 European trial, and that includes Eastern Europe.  
14 The number of sites in the United States was very,  
15 very low.

16 DR. BORER: Milton, if this drug were  
17 going to be made generally available for patients  
18 post-MI, I want to come back to the issue of the  
19 Killip class III patients and the titration  
20 schedule.

21 Can we have some sense of what your  
22 thought might be about directions for use of the  
23 drug or limitation of use of the drug given the  
24 apparent problem in patients with Killip class III  
25 - obviously, a small number of events, not

1 significant, but a concern that is biologically or  
2 clinically plausible, and a relatively rapid  
3 up-titration schedule of this drug compared with  
4 how it is has been used clinically in the past?

5 DR. PACKER: I want to echo what Henry  
6 said because I think he said it very well. I think  
7 it would not be appropriate for a patient who had  
8 rales more than halfway up to be initiated on  
9 therapy with this drug even if there were no  
10 subgroup with Killip class III that went in the  
11 wrong direction.

12 This is a clinical judgment, it is not a  
13 data-dependent judgment. In COPERNICUS, we didn't  
14 allow patients who had rales related to heart  
15 failure in the trial even though that was a very  
16 sick patient population. We required patients to  
17 be euvolemic.

18 The present label for carvedilol, the  
19 present package insert which is approved for  
20 carvedilol clearly instructs physicians that  
21 patients should be euvolemic prior to initiation of  
22 therapy. I think that applies very strongly in  
23 this sense.

24 I do not think that patients who have  
25 pulmonary Killip class III pulmonary rales, I think

1 they should be diuresed or treated in whatever way  
2 is needed to stabilize them and then considered for  
3 long-term therapy.

4 DR. BORER: If there are no other  
5 questions about safety, we will break now for lunch  
6 and come back exactly at 12 o'clock, which is 45  
7 minutes from now.

8 [Whereupon, at 11:16 a.m., the proceedings  
9 were recessed, to be resumed at 12:00 Noon.]



## AFTERNOON PROCEEDINGS

[12:06 p.m.]

1  
2  
3 DR. BORER: We are six minutes over our  
4 limit here. We are never going to stay on schedule  
5 if we lose six minutes.

6 DR. LUKAS: Dr. Borer, I have three  
7 answers for you.

8 DR. BORER: Dr. Lukas, why don't you go  
9 ahead and present those pieces of data, and then we  
10 will move on.

11 DR. LUKAS: Thank you. There may be a few  
12 things that were asked for that we were not able to  
13 provide in the time since we broke up the meeting.

14 The first thing I would just like to tell  
15 you is that in terms of the duration of the index  
16 MI, what you did see were the mean values of 18 and  
17 17. The median values were 14 in the placebo  
18 group, 15 days in the carvedilol group. The  
19 standard deviations were comparable. They were 14  
20 in the placebo group and 11 in the carvedilol  
21 group.

22 So, there were clearly some outliers which  
23 contributed to this. What I don't have is a  
24 histogram showing how many people had a normal  
25 length of stay and how many had an extended length

1 of stay, but we can certainly provide that to the  
2 Division.

3 The second thing that I have an answer for  
4 is Dr. Lindenfeld's earlier question about how many  
5 people had the relatively short exposure to the  
6 trial medication after the amendment.

7 In the placebo group, it was less than 12  
8 percent of patients who had a follow-up that was 42  
9 days or less, so that is as exact as I can get  
10 right now. So, on the order of 10 percent of  
11 patients were limited to 1-month follow-up.

12 For the 6-month follow-up, we have 9  
13 percent in the placebo group and 9 percent in the  
14 carvedilol group. So, in the middle there is the  
15 3-month data that you were asking for, so about 10  
16 percent of patients were limited to a follow-up of  
17 about 3 months.

18 The only last piece of information  
19 regarding the time from index MI to the date of  
20 randomization, 35 percent of the placebo patients,  
21 39 percent of the carvedilol patients were  
22 randomized between day 1 and day 7 after their MI,  
23 with 46 percent of placebo, 42 percent of  
24 carvedilol randomized in the second week, day 8 to  
25 day 14, and the remainder randomized between day 15

1 and day 21 in the groups.

2 The only other thing we want to ask Dr.  
3 Borer is Dr. Dargie has one thing he would like to  
4 share with the committee related to the DSMB before  
5 you reconvene, if that is all right.

6 DR. BORER: Sure.

7 DR. DARGIE: Thank you, Dr. Borer. The  
8 question of the letter from the DSMB was raised and  
9 I wasn't certain whether I had it with me, but I  
10 did. Although I can't distribute it because it  
11 hasn't been made public, I would like to read the  
12 essential paragraph, which I think will help.

13 There was considerable concern with the  
14 implications of the beta-blocking trials, such as  
15 CIBIS II and MERIT-Heart Failure, both because of  
16 the ethical issue of giving placebo rather than a  
17 beta-blocker to patients with heart failure, but  
18 also because of the possibility that investigators  
19 would be less likely to recruit patients and more  
20 likely to discontinue trial therapy.

21 The committee noted the relatively slow  
22 rate of recruitment, the low event rate, and the  
23 somewhat higher than anticipated discontinuation  
24 rate. It seemed most unlikely that the target  
25 number of events, 630 all-cause deaths, would be

1 reached within a reasonable period of time. It  
2 therefore suggested that the Steering Committee  
3 should consider making the first secondary endpoint  
4 all-cause death and cardiac hospitalization a  
5 co-primary endpoint.

6 Thank you.

7 DR. BORER: Thank you very much.

8 DR. PACKER: Essentially, I think Tom's  
9 point on this issue was that the Steering Committee  
10 could have, in fact, allowed the trial to continue,  
11 and not only allow it, but perhaps even encourage  
12 or even mandated the use of open-label  
13 beta-blockers when people developed heart failure,  
14 essentially, therefore, in some ways converting the  
15 trial from what it was designed to be, which was a  
16 placebo-controlled trial of carvedilol post-MI, to  
17 an early versus late intervention trial.

18 I think that was the point that Tom was  
19 trying to make, and I think it is clear that the  
20 investigators didn't do that because that wasn't  
21 the trial that they had, in fact, envisioned doing,  
22 that the trial they envisioned doing was a post-MI,  
23 placebo versus carvedilol, not an immediate versus  
24 late intervention.

25 DR. NISSEN: I would have phrased it a

1 little differently. I would say that it would  
2 become a committed early beta-blocker versus usual  
3 care, because what happened was when those other  
4 trials became available, it became usual care to  
5 give a beta-blocker for heart failure, so the test  
6 would have been to giving beta-blockers before  
7 heart failure had occurred, to waiting until it  
8 occurred and then starting beta-blockers.

9 DR. PACKER: I totally agree. I just  
10 wanted to make the point that that is a different  
11 trial than the one that was envisioned, and it was  
12 a trial given the fact that the treatment effect  
13 would be smaller, would be a much larger study in  
14 the trial that was already having considerable  
15 difficulties.

16 DR. FLEMING: It has been stated, but it  
17 might be worthy of being reiterated one more time.  
18 A clinical trial should be designed to evaluate an  
19 experimental intervention against a standard of  
20 care where the control regimen is delivered in a  
21 way that is within a range of what would be an  
22 ethical acceptable standard of care.

23 If the Data Monitoring Committee or an IRB  
24 or anybody else that has oversight responsibility  
25 for a trial at its initiation or during its conduct

1 has serious ethical concerns, then, it is, in fact,  
2 their responsibility, and it would be the Data  
3 Monitoring Committee's responsibility, to note such  
4 and make recommendations.

5 On my part at least, there is no concern  
6 about how this process was carried out in that  
7 regard. The issue is does that require a change in  
8 the primary endpoint and was it the Data Monitoring  
9 Committee's responsibility to initiate such a  
10 change.

11 It may be the sponsor's or the Steering  
12 Committee's perspective that if standard of care  
13 does, in fact, require sufficiently early access to  
14 beta-blockers, that it is not plausible to achieve  
15 the targeted reduction in mortality, it is then  
16 within their purview to determine whether or not  
17 mortality could remain as the primary endpoint.

18 The study was designed, by my calculation,  
19 for a 23 percent reduction in mortality by the  
20 sponsor's indication of 20 percent reduction, and  
21 what I am perplexed about is it seems to me, at  
22 this point, the sponsor is still of the perspective  
23 that it is not only plausible to achieve a 23  
24 percent reduction, I think they are claiming they  
25 have established such a reduction, hence, it seems

1 difficult for me to understand why, at mid-course  
2 in the study, they backed away from that as being  
3 something they thought they could achieve. That is  
4 the concern.

5 DR. HIRSCH: Let me speak to that with a  
6 contrary view, Tom, and this idea that you get one  
7 trial result, whether it is CIBIS or MERIT, and you  
8 have to immediately adjust because the standard of  
9 care instantly changed I think is problematic,  
10 whereas, we are always obliged to make sure we  
11 change our trial design in response to clear,  
12 unambiguous data, there is this moral obligation.

13 When you have a multi-center trial in many  
14 countries, there are problems that arise when you  
15 immediately change trial design because you assume  
16 the standard of care is instantly changed in every  
17 country. Sometimes it is best to stick to one's  
18 guns with a trial design that is ideal and to prove  
19 the point more unambiguously as one originally had  
20 designed the trial.

21 I have one more question, though, if I  
22 can, before we move on.

23 You presented the data for time from the  
24 index event to randomization and I appreciate that.  
25 I was one of the members that asked for that.

1           Do we have efficacy and safety broken down  
2 again in tertiles by those times, one week, two  
3 weeks, three weeks, to know whether there is equal  
4 benefit and risk?

5           DR. PACKER: No, but obviously, that could  
6 be done.

7           One last comment. I think this trial,  
8 this post-MI beta-blocker trial is a lot closer to  
9 present standard of care than any previous post-MI  
10 beta-blocker trial, and that is a very important  
11 point because if one is talking about bringing this  
12 up to current standard of care, this is a lot  
13 closer than anything that exists in the past.

14           Second, although the mortality effect of  
15 23 percent was an observation in this trial, Tom,  
16 it is not clear that would have, in fact, been the  
17 result if this trial had continued all the way and  
18 there had been large-scale use of open-label  
19 therapy.

20           DR. FLEMING: And, in fact, because you  
21 are right about that, there is a lot of uncertainty  
22 as to whether this agent should be approved because  
23 we don't know if this had been carried out to its  
24 proper numbers of events, would we still see what  
25 we are seeing now. You are right, Milt.



1 DR. BORER: JoAnn.

2 DR. LINDENFELD: Maybe my numbers are  
3 wrong, I did this quickly, but I am still bothered  
4 by the endpoints in this, what has been called  
5 blinded phase, this hospitalization phase, because  
6 as Paul said, we would expect three-quarters of  
7 MI's to occur early.

8 So, there were 60 MI's that were counted  
9 after the hospitalization. That would mean that we  
10 ought to have seen a total of 240, and yet in that  
11 early in-hospital period, there were only 2 percent  
12 or about 18, so clearly, there was a huge  
13 discrepancy in the MI's that were picked up in the  
14 hospitalization, I mean as adverse events.

15 DR. PACKER: Let me just emphasize, if I  
16 remember what Paul said, I think the point that  
17 Paul made was that a substantial number of  
18 reinfarctions occurred within the first 72 hours of  
19 the initial MI at periods of time that was not even  
20 part of this trial.

21 This is not a blackout issue. This is the  
22 fact that the patients were randomized on the  
23 average of 10 days later, so that the largest  
24 period of reinfarction, the first 72 hours was  
25 never even studied. It was pre-randomization, it

1 wasn't even part of the trial, so the trial missed  
2 its greatest opportunity to have an impact on  
3 reinfarction because it didn't start therapy in the  
4 first 24 hours.

5 DR. LINDENFELD: Right. I overestimated,  
6 but 35 percent were randomized day 1 to 7, so that  
7 is still a fair number of patients in that 72-hour  
8 time period.

9 Committee Discussion and Review

10 DR. BORER: I am going to set a couple of  
11 ground rules here as we enter the committee  
12 discussion. First, is that at 1 o'clock we are  
13 going to take a pause of public comment if there is  
14 any.

15 Second, is that there is a great  
16 temptation when an issue as important as the one  
17 raised by this supplemental NDA comes up, there is  
18 great temptation to try to define rules for dealing  
19 with this kind of situation.

20 That is not what we are here for today.  
21 Today, we are here to determine whether this  
22 supplemental NDA in the opinion of this committee  
23 is approvable, to give advice to the FDA.

24 The questions are written such that one  
25 might draw more far-reaching conclusions and that

1 may be reasonable, but we are not going to spend a  
2 great deal of time discussing those philosophical  
3 issues today and I would strongly suggest, because  
4 they are so important, if the FDA wants the opinion  
5 of this committee about those issues in a really  
6 comprehensive fashion, that we schedule a workshop  
7 meeting as we have on some other issues in the  
8 past.

9 DR. THROCKMORTON: Jeff, before we leave  
10 that--

11 DR. BORER: We haven't left it yet, but go  
12 ahead.

13 DR. THROCKMORTON: We do need some of that  
14 discussion today.

15 DR. BORER: We will, we will get it. I  
16 will get to that. I am concerned about that in  
17 part because one of the comments that was made  
18 earlier today as a precedent for the current  
19 deliberation was the approval of losarten in a  
20 setting of prevention of renal dysfunction for an  
21 indication of prevention of renal dysfunction.

22 In fact, that approval was not based on  
23 the data from the irbesarten trials. There were a  
24 number of data that were considered, and the  
25 approval was highly circumscribed and a strong

1 statement was made by the committee that this  
2 should not be considered a precedent for approval  
3 of future drugs, that we had to consider each on  
4 its own merits.

5 In any event, with that in mind, because I  
6 understand what Doug is saying, and I think of  
7 course he is absolutely right, these questions were  
8 written for a reason, and there does need to be  
9 some understanding of how each of the committee  
10 members thinks about these issues in order to  
11 understand the advice that we give when we come to  
12 voting for the record on Question 6.

13 We will have some comments, but I am going  
14 to request at least at the outset on Questions 1,  
15 2, 3, and 4, that we limit our comments to  
16 relatively succinct statements from each of the  
17 committee members and that we move on to the voting  
18 issues and then we can come back and speak more  
19 broadly if we want to or we can wait and have a  
20 really broad discussion at some later date.

21 With that having been said, we will begin  
22 with discussion of the questions. Our committee  
23 reviewer is Marc Pfeffer, and he will take the lead  
24 in discussing the responses to these questions and  
25 particularly for some of them, I think it would be

1 very important to have Tom Fleming's opinion  
2 because there is an important issue of  
3 replicability that underlies some of these issues.

4 The Cardio-Renal Advisory Committee is  
5 asked whether an observed mortality difference can  
6 be a compelling finding far out of proportion to  
7 its place in a study's formal hypothesis testing.

8 Carvedilol is indicated for the reduction  
9 of mortality and the reduction of hospitalization  
10 in patients with mild to moderate heart failure.  
11 With the results of the CAPRICORN study, the  
12 sponsor seeks to extend the indication for  
13 carvedilol to patients with left ventricular  
14 dysfunction subsequent to myocardial infarction.

15 In CAPRICORN, 1,959 subjects with left  
16 ventricular ejection fraction less than or equal to  
17 I think it is 40 percent and no heart failure,  
18 within 21 days of myocardial infarction, were  
19 randomized to placebo or to carvedilol 6.25 mg/bid,  
20 titrated as tolerated to 25 mg/bid over several  
21 weeks, and then followed for a mean of 15 months.

22 I would like a clarification there to  
23 start with. It was not my impression that the  
24 people had no heart failure, but rather that their  
25 heart failure had been reasonably stabilized within

1 the hospitalization, so this study did include  
2 people with heart failure. In fact, 47 percent in  
3 one group and 49 percent in the other had heart  
4 failure at the time they were randomized, which I  
5 think is important in considering the primary  
6 question here.

7 The primary endpoint was overall  
8 mortality, but as a result of a protocol amendment  
9 late in the study, there were two primary  
10 endpoints, time to cardiovascular hospitalization  
11 or death from any cause, assigned alpha of 0.045,  
12 and time to death alone, assigned alpha of 0.005.

13 After a single interim analysis conducted  
14 after the change in endpoint, the final results  
15 were as follows, and we have the chart and, of  
16 course, we have seen these results several times  
17 today in the briefing book.

18 Basically, the finding, as we know, was  
19 that death or cardiovascular hospitalization sort  
20 of tended to favor carvedilol with a hazard ratio  
21 of 0.92 and a p value of 0.297, whereas, death  
22 alone favored carvedilol with a hazard ratio of  
23 0.77 and a p value of 0.031, neither coming close  
24 to the alpha that had originally been allocated.

25 So, our first question. Studies are

1 designed to test a formal hypothesis. We usually,  
2 but arbitrarily, say a study is successful if the  
3 null hypothesis is rejected at  $p$  less than 0.05,  
4 meaning that on average and without considering  
5 other internal data from this study or data from  
6 other studies, no more than once in 20 times, or  
7 once in 40 times for a favorable result--I am not  
8 sure what that is meant to mean--will we be misled  
9 into believing a result that is not reproducible.

10 Can you tell us what you meant by "once in  
11 40 times for a favorable result?"

12 DR. FLEMING: I would like to add to that.

13 DR. TEMPLE: Going the wrong way doesn't  
14 count.

15 DR. FLEMING: Right. What has  
16 traditionally evolved as the standard for strength  
17 of evidence for a single trial to be considered  
18 positive is a two-sided 0.05, but we know that the  
19 false positive error rate with a two-sided 0.05 is  
20 2.5 percent. You are not going to approve an agent  
21 that hits two-sided 0.05 in the wrong direction.

22 So, what we are really doing in most  
23 settings is a one-sided 0.025. So, what we  
24 recognize as the standard for strength of evidence  
25 of a positive study is something that would occur

1 by chance alone only once in 40 times. That is  
2 essentially the standard.

3 DR. BORER: So, no more than once in 20  
4 times or once in 40 times for a favorable result  
5 will we be misled into believing a result that is  
6 not reproducible.

7 Furthermore, to consider a finding to be  
8 compelling, we usually expect evidence equivalent  
9 to more than one study successful at  $p$  equals 0.05.

10 Let's define discovery, that is our  
11 definition here, as any opportunity to declare a  
12 finding to be compelling outside of formal  
13 hypothesis testing. Discovery comes at the cost of  
14 increasing the false positive rate, therefore, how  
15 much are you willing to inflate the false positive  
16 rate in order to enable discovery?

17 For every potential discovery one can make  
18 in a study, the risk of a false positive result  
19 increases. How many opportunities should a study  
20 have for discovery?

21 When should a discovery be confirmed in a  
22 separate formal hypothesis test?

23 Do you believe it is always possible to  
24 discover something about mortality, i.e., is  
25 mortality always a primary endpoint? If so, of



1 what value is making it a formally tested  
2 hypothesis?

3 Interesting questions. Marc, do you want  
4 to begin?

5 DR. NEYLAN: Mr. Chairman, could I ask for  
6 a point of clarification?

7 DR. BORER: Yes.

8 DR. NEYLAN: In the early portion of this,  
9 it states that the endpoint was changed from  
10 overall mortality to two primary endpoints, time to  
11 cardiovascular hospitalization or death from any  
12 cause, and time to death alone.

13 I just want a clarification. Is that  
14 indeed time to the event or are these the  
15 summations of those events? My understanding was  
16 that it was the latter, it was overall mortality  
17 and cardiovascular hospitalizations rather than  
18 time to.

19 DR. BORER: We would have to look back.  
20 Tom?

21 DR. FLEMING: It was the log-rank test, I  
22 believe, Cox regression. It is time to.

23 DR. NEYLAN: It is time to, thank you.

24 DR. TEMPLE: But that is a point that is  
25 frequently obscured. I mean one describes the

1 endpoint as if it is the total number of events,  
2 but what is usually looked at is time to. That is  
3 probably something for another discussion sometime.

4 DR. FLEMING: Yes, and there are settings  
5 where a fraction of people with events may be  
6 preferable to time to events. We often say if it  
7 is an acute setting, what I really care about is  
8 the fraction of people that have the event. Severe  
9 sepsis, I don't care if you delay time to death  
10 over 28 days if you are going to be dead anyway by  
11 28 days, but in a longer chronic setting, time to  
12 carries a lot more relevant information than just  
13 percent with.

14 While we often represent in deaths the  
15 number that died, the summary statistic is the  
16 relative risk and the confidence intervals and the  
17 p values, and those are all from time to analyses.

18 DR. TEMPLE: I mean I must say this is for  
19 a different discussion. I am not sure that is  
20 necessarily optimal, and I think the disparity in  
21 presentation comes because it is easier for  
22 clinicians to deal with the total number of deaths  
23 than to look at those curves and try to figure out  
24 what they mean.

25 So, we measure one thing and we translate

1 it in something that is easier to understand, which  
2 is sort of funny, but another day.

3 DR. PFEFFER: These comments have nothing  
4 to do with this study. These questions have  
5 nothing to do with this study.

6 I think, in general, the sanctity of a  
7 clinical trial is just that, that you define things  
8 before you start and you define how you are going  
9 to make your test and what you are going to make  
10 these tests on, so, in general, I think to continue  
11 clinical trials as we know and love them, and to  
12 make them better and better, we need to keep the  
13 standards.

14 To allow discovery would erode some of  
15 that confidence you have in a clinical trial. Now,  
16 the mortality issue is a very big one because a  
17 data safety monitoring committee and now that we  
18 have more and more trials comparing active  
19 therapies, looking at combined endpoints, data  
20 safety monitoring committees in general are saying  
21 we will monitor mortality even if your endpoint is  
22 the combination of four different things.

23 So, I think we have to be cognizant of  
24 monitoring plans before studies start, asking what  
25 the Data Safety Committee said they would monitor,

1 and if they said they are monitoring mortality,  
2 then, we do have to use that because they have the  
3 authority to call a halt to a trial if they reach  
4 some prespecified limits, some of which Tom has  
5 very importantly defined.

6 So, I think it is very important to keep  
7 trials within the confines. I also believe trials  
8 have to have some breathing room. A chronic study  
9 that will go over the course of five years is going  
10 to run up against changes in the outside world, and  
11 that is what you have leadership for.

12 Leadership has to be able to work with  
13 that and make an adjustment as needed, but make an  
14 adjustment as needed that doesn't hurt the  
15 integrity of the trial.

16 DR. BORER: Let me go to Tom Fleming next  
17 and then we will go back around the table, and  
18 maybe you can make specific comments about the  
19 specific questions here in the context of your  
20 remarks, Tom.

21 DR. FLEMING: Yes, I think maybe there are  
22 two or three main issues within this first question  
23 that I wanted to address. Milt Packer said  
24 something that I would like to reinforce. I think  
25 he said, in principle, what we would like to do is

1 work in a way that we don't inflate the false  
2 positive error rate, and I would endorse that, that  
3 in principle, we should be doing the best we can in  
4 design, conducting, analyzing, and interpreting  
5 data in a way to try to maintain the integrity of  
6 the study, both false positive and false negative  
7 error rates should be controlled.

8 So, the standard for strength of evidence  
9 of a single positive study is a 2.5 percent false  
10 positive error rate, and typically, we allocate  
11 alpha to the primary endpoint and say if we achieve  
12 that, the study is positive.

13 Does that leave some room for judgment?  
14 Absolutely. Statistical measures should be  
15 guidelines and obviously, they don't make decisions  
16 about whether a study is positive or negative  
17 solely on whether you achieve that statistical  
18 strength of evidence.

19 There has to be judgment, but that  
20 judgment has to be very carefully implemented in a  
21 way to maintain these error rates. What that  
22 means, I believe, is that we should have  
23 prespecified in the trial, not just the primary  
24 endpoint and the primary analysis of that endpoint,  
25 but what would be the key, most important

1 supportive results, so that the study is largely  
2 confirmatory even though there is an exploratory  
3 element, any trial, it is important to distinguish  
4 the confirmatory element from the exploratory  
5 element, but that cannot be perfectly done in any  
6 setting, even in the best planned trial.

7         So, we have to use judgment. Secondary  
8 endpoints clearly have to be taken into account and  
9 especially those that are profoundly important.  
10 Survival clearly comes to mind as the classic  
11 example. Debilitating stroke might be another good  
12 example of a profoundly important endpoint.

13         Generally, I would like to see those as  
14 the primary, but there are reasons that are  
15 legitimate in cases not to make them the primary.  
16 If you don't make them the primary, clearly, they  
17 should influence your judgment.

18         Now, my belief is there are settings when  
19 you can achieve a conclusion of positivity on a  
20 secondary endpoint such as mortality, but it has to  
21 be done extremely cautiously. Now, doesn't that  
22 inflate your error rate? I would say no, not  
23 necessarily if you are doing this in a very careful  
24 manner in the following sense.

25         If I have a non-mortality primary

1 endpoint, and mortality looks unfavorable, I am not  
2 going to call that study positive even if I hit  
3 significance in my primary endpoint. So, I am not  
4 spending all of my alpha on the primary in that  
5 sense. I am using judgment that could go in either  
6 way.

7           As I look at the totality of data, there  
8 are settings where I may hit my primary, but  
9 judgment says totality of evidence on benefit to  
10 risk does not provide conclusive evidence that I  
11 have established benefit.

12           In the same sense, if you have not  
13 achieved significance on the primary endpoint, I  
14 believe that there are settings where you could, in  
15 looking at the totality of the data, judge that  
16 favorable benefit to risk has been conclusively  
17 established. At the same time, I think this has to  
18 be done extremely cautiously.

19           Now, the last part of this question  
20 relates to the specifics of mortality itself. I  
21 have already mentioned, I think, even if mortality  
22 isn't a primary endpoint, I think it is an endpoint  
23 that does merit very special consideration.

24           I would agree--I think again Milt had said  
25 this--that saying mortality automatically gets a

1 two-sided .05, hence, 2.5 percent false positive  
2 error rate allocated to it, is a gross  
3 oversimplification of what should be the case.

4 Generally, my own personal sense is if  
5 mortality hasn't been allocated to the primary  
6 endpoint, it is going to have to be much stronger  
7 evidence if it were a secondary endpoint than if it  
8 had been the primary endpoint.

9 My own personal sense about this  
10 is--again, this is just a general guideline--is if  
11 it took a two-sided .05 for mortality at the  
12 primary for the strength of evidence of a single  
13 positive study, I am generally looking at a  
14 two-sided 001 to 005, i.e., at least 10-fold more  
15 in terms of strength of evidence if this is a  
16 secondary endpoint.

17 Now, if I am going to be using this as the  
18 basis of judging positivity, that is just a  
19 gestalt that, in fact, is not always the case, but  
20 a general sense of what it would be in order to  
21 address the first issue that I mentioned, which is  
22 if we are going to go beyond the primary, we have  
23 to do it in a way that addresses, as Milt said, the  
24 goal of still maintaining the overall 2.5 percent  
25 false positive error rate.



1           So, in summary, my sense is we should be  
2 maintaining the error rates. It does require,  
3 however, judgment in looking at benefit to risk.  
4 Secondary measures are important particularly those  
5 that are profoundly important, and it is entirely  
6 possible that mortality could be of such a nature  
7 that it could be a basis for concluding positivity  
8 of a trial, but it requires much stronger evidence  
9 than if it had been the identified primary  
10 endpoint.

11           DR. THROCKMORTON: Tom, if I could, I want  
12 to ask a couple things.

13           First, I would like you to comment just a  
14 little more and then I will ask sort of for other  
15 people to comment. This question was framed around  
16 mortality and what I heard you say was there are  
17 other things, and you gave a single other example  
18 of a thing that was so hard or debilitating I think  
19 is the word you used, that they could also  
20 potentially be discoveries, if you will, something  
21 so fixed that you might allow a finding from a  
22 single trial to form the basis of adequate  
23 evidence.

24           The second part of it, the second question  
25 I had for you is regarding that aspect, that is,

1 that this first question revolves around a single  
2 trial providing the sole basis for a decision of  
3 efficacy on a particularly hard endpoint.

4 You will be asked later about other  
5 things, but were the comments that you made, were  
6 they directed at the trial and its sufficiency to  
7 form the sole basis for a decision, or were they  
8 mixed in some way or another?

9 DR. FLEMING: Doug, I am delighted you  
10 asked for that clarification. I should have made  
11 that. I was referring to the strength of evidence  
12 from a study that would give this, what I call the  
13 strength of evidence of a single positive trial.

14 There is a whole additional set of issues  
15 here that have to be considered in general - is the  
16 strength of evidence of a single positive study  
17 adequate for approval. Generally, we strive toward  
18 achieving strength of evidence of two adequate and  
19 well-controlled trials, and that leads to some of  
20 us saying what is 0.25-squared as a two-sided p  
21 value 0.01.

22 If, and this is an if, if we said for  
23 mortality we require the strength of evidence of  
24 two positive trials, then, as a primary endpoint  
25 from a single trial, you would be talking the 0.05

1 to 001 just for the strength of evidence of two  
2 studies as the primary endpoint.

3 What I was referring to in my comments,  
4 Doug, was what if mortality wasn't the primary  
5 endpoint in a trial, what would be the result you  
6 would need to see to judge this as a positive  
7 study, the strength of evidence of a single  
8 positive study, and I am saying basically, you are  
9 going to need, in my own heuristic judgment, an  
10 additional zero in front of that p value because  
11 you didn't designate it as the primary endpoint.

12 Now, if you are saying do I need the  
13 strength of evidence of two studies, then,  
14 obviously, a much stronger criterion would be  
15 required. This is another entire discussion, and  
16 that is, for mortality for debilitating stroke, for  
17 profound endpoints, could something less than  
18 0.25-squared be adequate.

19 In my judgment, the way we proceed is  
20 frequently we would consider that, we would require  
21 stronger evidence for something like  
22 hospitalization, two positive studies, but in my  
23 experience there has frequently been accommodations  
24 made for mortality, so that it didn't have to have  
25 that strength of evidence. But I am glad you asked

1 for this clarification because all of my initial  
2 comments related purely to what it would take to  
3 judge this study as meeting the standard for  
4 strength of evidence of a single positive study.

5 DR. BORER: John, do you have some opinion  
6 about this?

7 DR. NEYLAN: Sure. I would be happy to  
8 chime in on the questions if you so desire. Taking  
9 this from a clinical background rather than a  
10 statistical, I would look on the issue of discovery  
11 in a perhaps more narrow and slightly less  
12 regulatory definition to encompass the opportunity  
13 to advance understanding in science.

14 With that definition, I would consider  
15 that discovery should not be constrained, but, in  
16 fact, be unbridled, but taking that a step further  
17 and using that as the basis for forming new  
18 regulatory opinion.

19 I would agree with both of the preceding  
20 speakers, as well as Dr. Packer, that one would not  
21 do so at the risk of increasing the false positive  
22 rate, so my answer to No. 1 would be none.

23 Then, following on what I said about the  
24 importance of discovery in advancing clinical  
25 understanding, certainly I would see that there

1 should be as many opportunities as is practical and  
2 feasible for studies to make explorations, and so  
3 again I am speaking a bit more narrowly in my  
4 definition of discovery.

5 For that answer, then, I don't set a  
6 limit, but then going to No. 3, when should  
7 discovery be confirmed, if you will, as a pilot  
8 observation, I think in most cases it should always  
9 be confirmed in some sort of separate analysis that  
10 is typically done as a prospective trial.

11 Finally, do you believe that it is  
12 possible to discover something about mortality and  
13 the value of making that a formally tested  
14 hypothesis, and I defer to I think the very cogent  
15 arguments that Tom has made, that one can't  
16 underestimate the importance, but I draw the line  
17 at making this a de facto component of each trial.

18 DR. BORER: Tom, do you have any  
19 additional thoughts about Question 1?

20 DR. PICKERING: No, really, I would just  
21 say that if discovery is an unanticipated mortality  
22 finding that is going to lead to approval that  
23 otherwise wouldn't be there, I would say it should  
24 be very much the exception rather than the rule,  
25 and it should be judged in the light of the numbers

1 involved and the plausibility as in this case.

2 DR. BORER: Steve.

3 DR. NISSEN: Just a couple of additions.

4 I think Tom was implying this, as well, that  
5 obviously, the answer I am about to give is out of  
6 the context of any specific application because,  
7 you know, one of the things I have learned from  
8 this committee is there often is ancillary  
9 information available that allows us to modify up  
10 or down how much strength of evidence we require,  
11 and that is always changing, that is always  
12 different for everything that comes before the  
13 committee.

14 There is some other precedent or something  
15 we know, and so out of context, I would argue that  
16 05 is not stringent enough, and the reason I would  
17 argue that it is not stringent enough is that at  
18 the very least, I would propose that, at a minimum,  
19 if you look at another endpoint, at the very  
20 minimum you have got to split the alpha between the  
21 originally designated primary endpoint and some new  
22 endpoint.

23 I mean I don't think you can go below 025  
24 very safely because the minute you add a second  
25 endpoint, you have got to make a correction, it

1 seems to me, statistically. But I also don't want  
2 to tie one on behind our backs and say that we are  
3 going to be a slave to statistics.

4 The reason I say that is that ultimately,  
5 our job as physicians and regulators and everybody  
6 else is to save lives and reduce suffering, and  
7 sometimes that means that the rules have to be  
8 shaded a bit.

9 So, you know, I think if we say implicit  
10 in every trial that an 05 p value for mortality is  
11 approvable, that is just too low a standard, but  
12 how much lower we are willing to go will depend a  
13 lot on the context, and I would argue that going  
14 below 025 is very risky just because it really does  
15 increase those error rates substantially.

16 DR. BORER: Alan.

17 DR. HIRSCH: I think most of the important  
18 points have been made, but just to re-caution the  
19 balance of what Dr. Pfeffer stated, that respecting  
20 the benefits of a pre-hoc, well-defined hypothesis  
21 is worth keeping in mind because it avoids, as you  
22 said earlier, Steve, the difficulty of discovery  
23 being data mining.

24 So, the same thing, I don't know how to  
25 balance exactly which alpha to confer to more than

1 one multiple hypothesis, but that caution is  
2 obviously always kept in play.

3 DR. BORER: Beverly.

4 DR. LORELL: I agree with what has been  
5 said and I also would like to emphasize that  
6 interpreting mortality, I think one should be very  
7 influenced as to whether it was a predefined  
8 hypothesis or derived very late after the fact.

9 Secondly, as Steve emphasized, the context  
10 in which that observation is made, and that  
11 includes not only the context of whether there are  
12 other well done studies that are consistent and  
13 supportive, but also the data from within the trial  
14 as to whether other endpoints, which are also of  
15 great clinical importance and merit, go in the same  
16 direction.

17 DR. BORER: Mike.

18 DR. ARTMAN: I really don't have anything  
19 else to add other than the fact that as I am  
20 listening and thinking about this, I think we do  
21 this discovery thing a lot when we look at safety  
22 data, and when we are looking at safety data,  
23 sometimes there are signals, they are not part of  
24 formal hypothesis testing, but there is something  
25 there that worries us and concerns us.



1           I would sort of think about this--and  
2 perhaps this is all upside-down--but kind of in the  
3 same way, so that yes, maybe there is a signal  
4 here, but I think we have to then verify that, we  
5 have to confirm it, and I think we have to be very  
6 rigorous in these standards.

7           So, I would agree with the other members  
8 who have answered these questions.

9           DR. BORER: Susanna.

10          DR. CUNNINGHAM: I really do believe it  
11 all has been eloquently stated, so I will just  
12 agree.

13          DR. THROCKMORTON: Jeff, I am going to  
14 just break off with this. Everybody has been  
15 talking about mortality, that is, that the only  
16 thing available is mortality, and that is fine. I  
17 mean obviously, you could make an argument that  
18 other things, or an argument has been made in the  
19 past that other things are less final, let's say,  
20 so that, in fact, you are in more equipoise and  
21 that you can potentially want to have to repeat it  
22 to minimize your risk of a false positive or  
23 drawing a false conclusion.

24          Other things, stroke, something like that,  
25 are there other examples of things that are so

1 fixed or irrevocable that anyone on the committee  
2 wanted to sort of put those forward, it is just a  
3 request for some help.

4 The other thing is, I guess we are going  
5 to get to this in Question 2, but it seems to me  
6 that the difference between looking at safety, at  
7 data in safety assessments and what we are calling  
8 discovery here is that when we are looking in the  
9 safety, we are sort of bringing our own priors, if  
10 you will.

11 In some sense, we bring to our priors the  
12 things that we see there and we apply that to  
13 whether or not a signal that may or may not show up  
14 is a relevant thing, a thing to be sort of paid  
15 attention to. I am not sure about that.

16 DR. HIRSCH: Doug, just to quickly answer  
17 your question. You keep asking us what is like  
18 mortality. Any irreversible end organ function is  
19 like mortality, so stroke, which Tom mentioned,  
20 amputation, I tried to bring up earlier. When you  
21 have lost part of your body, that is irreversible,  
22 is equivalent. There are probably others.

23 DR. BORER: Two things before you comment,  
24 Bob.

25 With regard to the safety issue, I am not

1 sure I could fully agree with what you have said,  
2 Doug. I mean I see the safety concern as being the  
3 potential for doing harm where we actually have  
4 statistically a less stringent standard because we  
5 certainly don't want to do harm as opposed to the  
6 standard for showing benefit of an intervention  
7 compared with not intervening where the standard  
8 might be different.

9 I don't want to state what the standard  
10 should be in the benefit category yet, but I think  
11 there is a difference.

12 DR. TEMPLE: I think you are absolutely  
13 right. We have sent drugs back for more work on  
14 the basis of evidence that if it were presented as  
15 effectiveness, would never pass muster because we  
16 feel we have no choice, you don't want to hurt  
17 people.

18 I just have one question for everyone  
19 about all of this. It may be that the way of  
20 dealing with mortality findings usually involves  
21 data like we are going to discuss in 2 and 3, so it  
22 doesn't turn out to be a problem, but I still have  
23 a question for Tom and others.

24 Imagine a finding that was not  
25 particularly expected and is not supported by other

1 trials and things like that, and it is a 0.02. I  
2 take it you all feel that you could actually invite  
3 people to be in another placebo-controlled trial in  
4 that setting and that everybody would feel  
5 comfortable, the patients fully informed about the  
6 results would be willing to enter.

7 I just wonder if you think that is really  
8 true.

9 DR. BORER: Let's continue around the  
10 table and then we can come back to everyone else.

11 DR. TEMPLE: I just want to make one  
12 point. Milton showed vesnarinone, and I don't  
13 believe he represented it entirely. There was  
14 great suspicion about the results of that trial,  
15 not because the numbers were small, the difference  
16 between treatments was almost as large as we are  
17 talking about here, but because there were other  
18 data that went the other way and there was a lot of  
19 concern about whether it was true.

20 That is different, that is not the same.  
21 What would one feel if one really thought it was  
22 true and there were no reservations, could you  
23 really get people to enter this thing? It seems  
24 like a fix problem.

25 I would just be interested in comments.

1 DR. BORER: Paul, in your response, maybe  
2 you can incorporate an answer to that question.

3 DR. ARMSTRONG: Maybe a slightly different  
4 perspective. On 1.1, my openness to discovery  
5 depends very much on the natural history of the  
6 disorder that one is evaluating, what available  
7 therapy exists.

8 We have heard about orphan diseases and  
9 other circumstances, the risk of the new therapy  
10 that is under investigation, and the weighting of  
11 the endpoints vis-a-vis this issue of splitting  
12 alpha and how to handle the statistical issues that  
13 Tom so eloquently has discussed.

14 So, my threshold under 0.12 would depend  
15 on those issues and might be quite liberal under  
16 selected circumstances. As it relates to No. 3,  
17 again, the risk of therapy, the existence of  
18 external validity, and the potential for disconnect  
19 as opposed to connect regarding surrogates and more  
20 defined endpoints would modify my thinking.

21 Under 0.14, the answer is most certainly  
22 yes. I am involved in trying to understand the  
23 disconnect between a reliable surrogate and  
24 mortality at the moment that will probably end up  
25 here, so I would put those other things on the table.

1 DR. BORER: JoAnn.

2 DR. LINDENFELD: Again, I agree with most  
3 of what has been said. I would add to this p value  
4 that Tom talked about in mortality, that it is not  
5 just the p value, it is numbers, and Dr. Packer  
6 showed us data, so it is critical that it can't  
7 just be a p value. There have to be a reasonable  
8 number of events.

9 In terms of hard endpoints, I think  
10 mortality is the one that I am most confident with.  
11 A p value that we might acquire in discovery seems  
12 to me to be larger, that is more significant, the  
13 harder it is to document the event.

14 So, mortality is very easy, but as events  
15 become more difficult to very clearly document,  
16 that that p value has to get smaller. For  
17 instance, I know Alan mentioned amputation, but we  
18 had a discussion several years ago. Amputation is  
19 not nearly as hard an endpoint as we might believe.

20 So, I am not sure I would be willing to  
21 give amputation as an endpoint. I think disabling  
22 stroke, though, would be an important one.

23 DR. BORER: To cover that last point  
24 first, because you have specifically asked about  
25 it, Doug, I would agree that mortality is an

1 overwhelmingly important endpoint and it is very  
2 difficult to argue about its definition, but I  
3 would be hard put in the context of an off-the-cuff  
4 discussion like this to give you a strong  
5 statement, a firm conclusion about other endpoints  
6 that might be equally important because as JoAnn  
7 just said, every single one of them that I can  
8 think of as I am sitting here is open to some  
9 interpretation.

10 Disability depends upon the perception of  
11 the disabled person, so I don't think any other  
12 endpoint can have the weight for me that mortality  
13 has as an irrevocable problem, but in certain  
14 situations, others might depending upon how the  
15 definition was constructed.

16 With regard to the other aspects of this  
17 specific question, just like everyone else, I don't  
18 think that it is appropriate to inflate the false  
19 positive rate and therefore, for endpoints in  
20 general, I don't think that the opportunities for  
21 discovery should be very wide if the result of  
22 discovery is to recommend approval of a drug for  
23 remediation of what you have discovered the problem  
24 to be.

25 So, virtually, in every situation, what

1 you have defined as the discovery should be  
2 confirmed in a separate formal hypothesis test,  
3 virtually every one except perhaps for mortality,  
4 and there I completely agree with Steve and with  
5 Tom and with everybody else around the table, that  
6 the standard, if the mortality benefit is to be  
7 discovered, that is, it comes out of a single trial  
8 and hasn't been declared the primary endpoint,  
9 then, there has to be a stronger group of evidences  
10 to support a belief that this finding is correct  
11 than merely a p value of 0.05.

12 Having said that, I think that Beverly's  
13 point is very important. If the mortality endpoint  
14 was prespecified, well, if you expected it, that  
15 would be important. How important I don't know, I  
16 don't want to put numbers on this.

17 If the mortality benefit was unexpected,  
18 if it wasn't prespecified, then, I would give very  
19 little weight to a p value of .05. So, with those  
20 things having been said, Steve, you have the last  
21 word and then we will move on to the next.

22 DR. NISSEN: I wanted to directly answer  
23 Bob's question, which as I think a very tough one.  
24 I mean suppose an unexpected finding of reduced  
25 mortality without a lot of other supporting



1 evidence, and an 0.01 level of significance were  
2 found, could you get physicians and patients to  
3 enroll in a trial that would be the definitive  
4 trial with that as a prespecified, and I think it  
5 has to be looked at on a case-by-case basis.

6         There will be cases where we might agree  
7 that the statistics are not strong enough to  
8 support giving that label to a drug, and yet the  
9 definitive trial is just impossible to conduct. I  
10 hope we don't get caught in that, we probably will  
11 some day, and if we do, I think we are going to  
12 have to really think it through very carefully  
13 because the fact is it is one thing to prove  
14 something, it is another thing to actually be able  
15 to then conduct such a trial, and some trials are  
16 not conductible.

17         DR. TEMPLE: Just one last observation.  
18 This question, quite appropriately, is artificially  
19 narrow. It really says you have got no hint from  
20 anywhere else, you have got no priors, you didn't  
21 mention it in your protocol. It is not that you  
22 thought you couldn't quite do it, you really didn't  
23 think it was going to happen.

24         So, for that case, it all sounds pretty  
25 sensible, but most cases aren't like that, which is

1 why the next two questions come up.

2 DR. BORER: I was going to say all my  
3 answers and I think everyone's answers with regard  
4 to 1.4, would depend in part on how much additional  
5 information we might have from other sources about  
6 this drug, about other effects of this drug, et  
7 cetera, et cetera.

8 Tom.

9 DR. FLEMING: Yes, just briefly to add  
10 that I agree that Bob's question is a very  
11 important one that regulatory authorities,  
12 sponsors, and the scientific community would have  
13 to carefully discuss, which also I think brings us  
14 back to gee, it would be great to avoid getting  
15 into this situation.

16 So, as we plan trials, thinking ahead to  
17 what it would be that we would like to have,  
18 because if we get in a position of having equivocal  
19 results, it can be very difficult to know how to  
20 proceed.

21 It definitely would require consideration  
22 of the strength of evidence as to whether or not  
23 this is something that we could replicate. We can  
24 give examples.

25 What comes to mind immediately to me is in

1 my own experience, the 5-FU/levamisole and  
2 levamisole colon adjuvant trials that were done in  
3 the early 1980's showed survival differences for  
4 both levamisole and 5-FU/levamisole, and yet we  
5 started over with a completely confirmatory trial  
6 that took another six, seven years. Nice that we  
7 did, because 5-FU/levamisole was proven to be  
8 effective in a confirmatory way, and levamisole was  
9 proven to do nothing in that confirmatory trial.

10 So, it is certainly possible to do so, but  
11 the likelihood that we can do so depends on how  
12 strong the results are in that first trial and what  
13 the global sense of uncertainty is in the clinical  
14 population about this intervention's effects.

15 DR. BORER: Marc.

16 DR. PFEFFER: Well, Tom is using cancer  
17 examples. It is a little different because those  
18 drugs were not generally available, they were used  
19 in protocols only. Now, we are talking about  
20 agents that are on the market, so it is much harder  
21 to do trials when the every-day physician has  
22 access to these drugs. It makes it much different.

23 DR. TEMPLE: There is another difference.  
24 Most cancer drugs delay death by a month or two on  
25 a good day. Here, you are talking about death

1 yes/no.

2 DR. FLEMING: But the example I gave,  
3 granted it was cancer, but it was reducing death  
4 rate by a third from 50 percent down to 33 percent  
5 in a curative fashion, so that is pretty profound.

6 DR. TEMPLE: Okay, I will buy that.

7 Open Public Hearing

8 DR. BORER: Let's go on to No. 2, but  
9 before we do that, it is 1 o'clock, so I want to  
10 ask if there is any comment that any member of the  
11 public wants to make at this meeting.

12 [No response.]

13 DR. BORER: If not, then, we will go on.

14 Continuation of Committee Discussion and Review

15 DR. BORER: I think No. 1 has generated  
16 probably the longest discussion we will have before  
17 we get to the vote, so let's go on to No. 2.

18 Without formally specifying how we do so,  
19 and that is important, without formally specifying  
20 how we do so, we may be comforted or dis comforted  
21 about a finding by other information derived from  
22 the study.

23 In considering the mortality effect  
24 discovery in CAPRICORN, how do the following affect  
25 your confidence?

1           The effect on cardiovascular  
2 hospitalization.

3           Consistency of the mortality effect across  
4 prespecified groups.

5           Consistency of the mortality effect across  
6 non-prespecified subgroups.

7           Other secondary endpoints suggestive of a  
8 mechanism for the mortality effect.

9           Marc, why don't you give us an answer and  
10 then we will see if there are any additional  
11 opinions.

12          DR. PFEFFER: So, now the blinders are  
13 just for within the study information, this  
14 question, so you can't know about anything outside.

15          Okay. I would say let's not talk about  
16 this study for a second, but if you were  
17 overwhelmed by the consistency of non-fatal events,  
18 that would help you in terms of looking at a  
19 discovery of fatal events.

20          I would have to say in this particular  
21 study, although the trends were all there, it  
22 wasn't an overwhelming, the non-fatal endpoint, so  
23 I am neither comforted nor not comforted. I feel  
24 kind of neutral about the support from that.

25          The consistency across the subgroups, I

1 felt best with the additional one of the  
2 beta-blockers because I was very worried about a  
3 withdrawal of beta-blockers, and that was helpful  
4 to me to see that that was there. It did make me  
5 feel better.

6         The mechanistic studies, we had more  
7 mechanistic studies presented in our brochure than  
8 presented here. In general, I think these are  
9 important, discovery, new studies, but without  
10 having the protocol, you have to look at a  
11 mechanistic study the same way you did the overall  
12 one, and all too often, clinical trials, and I  
13 don't know if that is the case here, have 16  
14 mechanistic studies with outcomes that have  
15 multiple outcomes and you don't know what you are  
16 seeing.

17         So, I don't know how to evaluate some of  
18 the echo studies that weren't presented here, but  
19 were in our booklet. It would have been helpful to  
20 me if there was a rigorous echo study and I knew  
21 how many people were randomized, they intended to  
22 be randomized, what the one primary endpoint was,  
23 how many people actually had the measurements.

24         That would have been helpful to me, but  
25 what I had in the packet was not.

1 DR. BORER: Let's go to our statistician  
2 again next and then we will go around the table.  
3 Tom.

4 DR. FLEMING: Looking at supportive  
5 evidence, I try to follow the directions that the  
6 study team and the protocol laid out by their  
7 intentions, and we had co-primary endpoints and we  
8 had two secondary endpoints.

9 We obviously have talked a lot about  
10 survival. Survival certainly shows a favorable  
11 trend, not hitting the specified strength of  
12 evidence, cardiovascular hospitalization, death  
13 shows a very modest trend, but a p value of 0.297,  
14 and the two secondary endpoints were 0.1 and 0.276,  
15 so the negative view of all of this is we failed on  
16 the two primaries, so we failed on the two  
17 secondaries.

18 However, there certainly are some  
19 favorable sides. The secondary endpoints and the  
20 mortality endpoint were favorable trends and  
21 suffered from sample sizes or overall amount of  
22 evidence that was inadequate to discern whether  
23 these trends were chance trends or whether they  
24 were, in fact, a true signal that we were simply  
25 unable to conclusively establish because of

1 inadequate sample size.

2 Other supportive measures, which I would  
3 have given a lot more credence to, in the spirit of  
4 Steve's earlier questions, endpoints such as death,  
5 MI, arrhythmias, et cetera, those actually showed  
6 more signal. They are certainly clinically  
7 relevant.

8 I run into a lot more trouble, though, in  
9 understanding how to weigh those when they hadn't  
10 been specified as either primary or secondary, so  
11 essentially, what were some of the more interesting  
12 positive signals were tertiary endpoints.

13 DR. BORER: Let's start from this end this  
14 time. Mike.

15 DR. ARTMAN: I really don't have anything  
16 to add to that. I think that Marc summarized my  
17 feelings, so I have nothing to add.

18 DR. BORER: Susanna.

19 DR. CUNNINGHAM: The only thing I have to  
20 say is that the effect on hospitalization is hard  
21 to evaluate when the systems are so different, so  
22 that we are looking across many different countries  
23 and obviously very different from ours, so I don't  
24 really know how to read that.

25 DR. BORER: Paul.



1 DR. ARMSTRONG: I would agree on the  
2 hospitalization and I would also have had greater  
3 comfort if the Killip III and the inferior MI's had  
4 been on the other side of unity.

5 DR. LINDENFELD: I don't think I have  
6 anything to add to those points.

7 DR. BORER: John.

8 DR. NEYLAN: The mixture of internal  
9 consistency was not sufficient to provide comfort.  
10 I would also add the length of stay for the index  
11 hospitalization raises questions in my mind about  
12 applicability to U.S. practice.

13 DR. BORER: Tom.

14 DR. PICKERING: I agree. Obviously, the  
15 incorporation of hospitalization was a very  
16 unfortunate choice in retrospect. I was somewhat  
17 reassured that when the COPERNICUS criteria were  
18 used, there would have been, had they used the  
19 COPERNICUS criteria, there would have been a  
20 significant primary endpoint, I believe.

21 DR. BORER: Steve.

22 DR. NISSEN: For 2.1, I don't find the  
23 effect on cardiovascular hospitalization at all  
24 persuasive. As I said earlier, I just don't accept  
25 that you can post hoc pick those endpoints that

1 went in the right direction and lump them all  
2 together and say that worked.

3 So, to me, it has no effect on my thinking  
4 at all. It is almost really a neutral one. I do  
5 think, however, for 2.2, there appears to be pretty  
6 solid consistency of the mortality effect across  
7 subgroups, so 2.2 is reinforcing and 2.3 is  
8 reinforcing.

9 I actually think the secondary endpoints  
10 are also actually really tertiary, if you can use  
11 Tom's language here, you know, the issue on sudden  
12 death and arrhythmias, and the things that one  
13 might expect that carvedilol would have an impact  
14 on, all seem to kind of consistently go in the  
15 right direction.

16 So, I do think they are tertiary, but I do  
17 think they are reinforcing.

18 DR. BORER: Alan.

19 DR. HIRSCH: It is hard to add much more  
20 than what has been said, but I think for the  
21 cardiovascular hospitalization, it is intriguing  
22 how popular that has become as an added-on outcome  
23 variable, so here is the study where it actually  
24 hurt the study outcome.

25 It is worth reflecting on that. It is

1 important. We have added it to many, many  
2 cardiovascular trials because of both the real  
3 quality of life impact, as well as cost impact, but  
4 I think future steering committees will take heed  
5 of this.

6 Just regarding the other things, like  
7 Paul, I always like internal consistency across the  
8 other prespecified, nonspecified subgroups, but the  
9 IMI, low blood pressure, and Killip class III  
10 groups, I found somewhat discomfoting in the whole  
11 framework. I have nothing else to add for 4.

12 DR. BORER: Beverly.

13 DR. LORELL: Yes, I agree with what has  
14 been said about cardiovascular hospitalization. I  
15 think it was extremely unfortunate that it was such  
16 a gamisch of components.

17 I found some mild comfort in the breakdown  
18 data about hospitalization for worsening heart  
19 failure in non-fatal myocardial infarction. I was  
20 comforted by the consistency of the mortality  
21 effect across prespecified subgroups and actually I  
22 differ a little in my interpretation of the Killip  
23 class data.

24 To me, that data is actually supportive of  
25 consistency. It makes sense in what we know about

1 giving beta-blockers in heart failure and in  
2 patients who have acute and still decompensated  
3 heart failure. So, I actually found that data  
4 reassuring.

5 As with I think Dr. Pfeffer, I was  
6 reassured by the data breakdown that we saw that  
7 wasn't in our original pamphlet of information  
8 regarding the previous beta-blocker use.

9 I thought that not so much in secondary  
10 endpoints, but actually in the adjudicated  
11 breakdown of causes of sudden death, and here I am  
12 going to bring in what I know about other heart  
13 failure trials, that it was reassuring that the  
14 data consistently went in the right direction for  
15 sudden death and death due to worsening heart  
16 failure since I think a huge concern raised by  
17 previous beta-blocker trials, early post-MI, was  
18 that there might be a risk of worsening heart  
19 failure including death from worsening heart  
20 failure.

21 So, that is what I have to add.

22 DR. BORER: I don't have anything major to  
23 add to what has been said. Just to summarize, I  
24 have some slight differences. I didn't find the  
25 effect on cardiovascular hospitalization to be

1 particularly comforting because I am concerned, as  
2 Steve articulated earlier, about the potential for  
3 data dredging with the subanalyses that were done.

4 On the other hand, all the subanalyses  
5 were consistent with what I would have expected and  
6 even the overall effect sort of tended in the right  
7 way, so while I wasn't particularly comforted, I  
8 wasn't discomforted at all and at least there was a  
9 little bit of support there.

10 The consistency of mortality effect across  
11 prespecified groups was certainly an important  
12 point to me and I agree completely with Beverly  
13 about the Killip class.

14 I would have been a little surprised to  
15 see a benefit in the Killip class III patients, and  
16 I think an important safety issue has been raised,  
17 but I am not concerned with regard to the effect of  
18 the drug because the Killip class III's  
19 dissimilarity and the inferior MI doesn't bother me  
20 quite so much either because I can't possibly  
21 understand it, and there were many, many  
22 comparisons done in that subgroup chart, so that  
23 one of them might go the wrong way unexpectedly  
24 doesn't bother me as much as perhaps it might.

25 I, too, believe that the secondary or

1 tertiary endpoints are supportive and I would add  
2 to that I was happy to see that the beta-blocker  
3 distribution, the non-protocol beta-blocker  
4 administration, skewed in the direction of more  
5 being given to people on placebo.

6 I am sorry there is no explanation of it.  
7 It certainly can't be used as strong evidence of  
8 anything, but I would have been very unhappy if  
9 more beta-blocker were given to the carvedilol  
10 group than the non-carvedilol group.

11 So, in general, there are some comforting  
12 findings here and some neutral findings, nothing  
13 particularly negative.

14 Without formally specifying how we do so,  
15 we may be comforted or dis comforted about a finding  
16 by information derived from other studies. In  
17 considering the mortality effect discovery in  
18 CAPRICORN, how did the following affect your  
19 confidence?

20 We have a list here - COPERNICUS.

21 How relevant and supportive are the  
22 COPERNICUS data for establishing a mortality effect  
23 on the post-MI population given the relationship  
24 between the two populations? The types of deaths  
25 apparently affected by treatment in two settings?

1 The time course over which the effects on mortality  
2 were manifest? How concordant are the findings on  
3 cardiovascular hospitalization?

4 Also similar questions for CHAPS.

5 Again, Marc, maybe you can summarize a  
6 response to that.

7 DR. PFEFFER: Now, we are broadening it  
8 and allowing prior carvedilol experience, not just  
9 COPERNICUS, I guess, so that reminds me of being on  
10 this committee when the U.S. carvedilol program was  
11 first here, the first time, and it is very much  
12 like the first question, because they found a  
13 mortality difference combining, so we are almost  
14 ignoring history here with this particular agent.

15 I was not particular comforted with that  
16 until COPERNICUS, and COPERNICUS was a very well  
17 done trial which indicated in the syndrome of heart  
18 failure with LV dysfunction that the drug had  
19 benefit and rather profound benefit, so that is  
20 very helpful to me to now talk about the carvedilol  
21 experience and then as we move into the infarct  
22 population, I have to step back and say this had to  
23 be a very difficult study to do.

24 There was a little window of opportunity  
25 of who could be studied. If you actually read the

1 ACC AHA guidelines from '99 and for the  
2 beta-blockers it was probably the same in the '92  
3 edition, there is a little schizophrenia there  
4 where people should be on beta-blockers, but not  
5 the really low risk people and not the really high  
6 risk people.

7 That is what this trial was trying to do.  
8 Tom, as opposed to the cancer trials, and  
9 beta-blockers are out there, so physicians could  
10 use them, so it was really a tough niche shoehorn  
11 to put a trial in, and I guess maybe that is why it  
12 was difficult to do in the United States. I don't  
13 know that.

14 Having said that, you then have the  
15 information and I think it is very consistent  
16 with--I won't use the other beta-blocker trials  
17 until the next question--I think it is very  
18 consistent with what you had with COPERNICUS and  
19 the carvedilol experience, that in people with an  
20 impaired heart, this did lead to improved outcomes.

21 Then, if you look at the relationship of  
22 the two populations, it is somewhat arbitrary.  
23 Most heart failure trials have these people, after  
24 they raise their hand and convince their doctor  
25 that they have heart failure, you know, the patient



1 two days before, they convinced their doctor that  
2 they had heart failure, this is the same human  
3 being.

4 So, I think we have that distinction we  
5 make and trials have to live within that. The  
6 modes of death reductions appeared quite similar  
7 and I think the time course didn't particularly  
8 help me. The cardiovascular hospitalizations we  
9 talked about. The beta-blocker trials in general  
10 have difficulty, sometimes this, sometimes that,  
11 but mortality is clearly reduced.

12 So, overall, I was very comforted by the  
13 prior experience. CHAPS, single-center,  
14 interesting observation is again a safety  
15 experience with even earlier use with the  
16 intravenous, so I would use that as a safety  
17 experience, so overall we are getting more safety  
18 information and we are getting efficacy information  
19 which is consistent in people with impaired LV  
20 function.

21 DR. BORER: Tom.

22 DR. FLEMING: Let me just briefly add to  
23 CHAPS. I think what we are getting here obviously  
24 as it relates to mortality is clearly very limited.  
25 We have what I think a six-month control time frame

1 and when we look at CAPRICORN, the survival  
2 differences don't emerge until roughly after that  
3 time point.

4 The relevant information here to me as I  
5 look at it is deaths, cardiac deaths, 4 versus 2,  
6 heart failure 7-6, MI's 8-5, strokes 1-1, so it is  
7 obviously very limited additional evidence, so the  
8 essence of what would be relevant external  
9 information is what Marc was referring to coming  
10 from COPERNICUS.

11 DR. BORER: Let's start with Beverly and  
12 go back around the other way.

13 DR. LORELL: I agree with what has been  
14 said about COPERNICUS. I would add that in  
15 contrast to Dr. Nissen's point about data dredging,  
16 as if one were looking for an indication for  
17 cardiovascular hospitalization, the separate issue  
18 of concordance of findings on cardiovascular  
19 hospitalization, looking at the COPERNICUS  
20 indications in comparison with the CAPRICORN data  
21 experience, I did find reassuring.

22 I think it is worth mentioning that one  
23 important difference between the experience in  
24 COPERNICUS and CAPRICORN that takes Marc's comment  
25 one stage further is that to my knowledge, this is

1 the first large prospective trial that has actually  
2 looked at patients who haven't raised their hand,  
3 without clinical symptoms or signs of the syndrome  
4 of heart failure and low ejection fraction that has  
5 been tested and shown a benefit, so in that sense I  
6 would say this is an important difference and may  
7 be an adjunctive piece of information.

8 I would also say I actually found CHAPS  
9 helpful only as a safety experience. I thought the  
10 efficacy data is really not comparable because if I  
11 understood CHAPS correctly, and correct me if I am  
12 wrong, ACE inhibitor use was not permitted in that  
13 study, so that that study is really not relevant to  
14 current best practice in the United States.

15 DR. BORER: Alan.

16 DR. HIRSCH: Well, I found COPERNICUS and  
17 CAPRICORN to be two chapters in the same book and I  
18 think that how the sponsor laid these out was clear  
19 in that we are trying the same disease with the  
20 same intervention that alters the natural history  
21 in a comparable way, so I will just jump and say  
22 that to Item 3.1.1.3, looking at the time course  
23 data, I think there are implications of that, which  
24 is that treatment with a beta-blocker obviously  
25 must be sustained over a long enough period of time

1 to accrue benefit, so assuming that we look  
2 favorably at these two trials as showing evidence  
3 of beta-blocker benefit, I think my caution, when  
4 this is translated to practice, is that we find  
5 ways of maintaining adherence, so that those  
6 benefits really are accrued in real life as they  
7 are in clinical trials. Very helpful, they are  
8 concordant.

9 DR. NISSEN: Yes, this is where we really  
10 get down to the crux of it. I mean was this  
11 finding a bolt out of the blue, you know, something  
12 one just wouldn't have expected. I mean that is  
13 what discovery is a little bit all about.

14 I would be the first one to say that the  
15 development program for carvedilol has been  
16 exemplary. I mean it has been really an  
17 outstanding one and I think that the whole advance  
18 of using beta-blockers in heart failure, I mean 10  
19 years ago, none of us were doing it and now we are  
20 all doing it, and I think all of these trials that  
21 contributed to this have played a huge role in  
22 improvement in the standard of care for patients  
23 with cardiovascular disease, but they have also  
24 contributed to a comfort level with this particular  
25 drug carvedilol that you can give it to pretty sick

1 people and they actually get better.

2 So, I think COPERNICUS is relevant, it has  
3 the impact of what Tom and I were talking about  
4 earlier of beginning now to shade the requirements  
5 in terms of how much strength of evidence we want  
6 for a discovery in another trial.

7 It begins to have a real impact on my  
8 thinking, so I consider it highly relevant, CHAPS  
9 perhaps a bit less relevant, but it is a second  
10 trial. I mean no matter how you cut it, whether it  
11 is in fact contemporary standard of care or not, it  
12 nominally sort of looks like a second trial which  
13 has some impact also on kind of lowering the  
14 threshold, so I think the two together have pretty  
15 significant impact on my thinking about how high  
16 one sets the threshold for the discovery of this  
17 finding in CAPRICORN.

18 DR. BORER: Tom.

19 DR. PICKERING: I would say this is sort  
20 of filling in the missing pieces of a jigsaw  
21 puzzle, that if you take all the data on  
22 beta-blockers post-MI in heart failure and  
23 carvedilol, that this is consistent with the other  
24 data, and I found COPERNICUS very reassuring and I  
25 guess with CHAPS, they got lucky.

1 DR. BORER: John.

2 DR. NEYLAN: I do find comfort, the  
3 external consistency here is very strong. I think  
4 the sponsor should be commended for doing a  
5 landmark study with COPERNICUS and CAPRICORN is  
6 certainly a logical extension of the development  
7 program.

8 CHAPS is a supportive study that, by and  
9 large, is useful for its safety data.

10 DR. BORER: JoAnn.

11 DR. LINDENFELD: I agree. I think that  
12 COPERNICUS is very comforting here and really  
13 lowers my requirement for a p value for CAPRICORN.

14 DR. BORER: Paul.

15 DR. ARMSTRONG: COPERNICUS is helpful to  
16 me, as well, and notwithstanding the erudition of  
17 my two distinguished colleagues, the Killip class  
18 III issue, these were sick patients in COPERNICUS  
19 and although I still don't know when beta-blocker  
20 therapy was started in CAPRICORN in sick patients,  
21 I am still troubled about what has happened and  
22 what would be reasonable expectation there.

23 I was, in fact, looking for benefit and  
24 stretching it from COPERNICUS and wondering when  
25 the therapy was started.

1 CHAPS actually reassures me on two points,  
2 since the therapy was started early, that both  
3 re-MI and unstable angina go in the right way in  
4 therapy that started within 24 hours of the index  
5 event, so I felt that was helpful from a timing  
6 issue.

7 DR. BORER: Susanna.

8 DR. CUNNINGHAM: I have really nothing  
9 extra to add except I am about maxed out on acute  
10 acronyms, although I guess it does make discussion  
11 more straightforward.

12 DR. BORER: Mike.

13 DR. ARTMAN: Well, I agree that COPERNICUS  
14 was supportive and reassuring, and the issue from  
15 COPERNICUS to me was really the time course, and  
16 sort of supported my interpretation of the  
17 CAPRICORN data, as well.

18 It is at about three months that I think  
19 things begin to happen and the curves begin to  
20 diverge. It gets to this issue of timing, you  
21 know, do you need to start it early or not, and I  
22 think the studies are concordant in that the effect  
23 begins at about three months, where you begin to  
24 really see differences.

25 The CHAPS study I pretty much discounted.

1 I really saw that just as a pilot study that showed  
2 you it probably wouldn't hurt a lot of people if  
3 you gave them carvedilol.

4 DR. BORER: Bob.

5 DR. TEMPLE: The study is interesting  
6 because it is a study of two things that have been  
7 separated to a degree in the past. The previous  
8 beta-blocker post-infarction studies for the most  
9 part didn't study people with heart failure  
10 although some were included. They studied people  
11 who were characterized as having had a heart attack  
12 two or three weeks ago.

13 COPERNICUS, of course, didn't study people  
14 who had a recent heart attack although they had a  
15 distant one. That studied people with heart  
16 failure. It is not so easy, I guess, to say what  
17 this is a study of. It is a study of people with  
18 sort of incipient heart failure who have also  
19 recently had a heart attack.

20 What I am hearing people say--I just want  
21 to confirm this because we have got to grapple with  
22 all of this--is that you find COPERNICUS helpful at  
23 least on the aspect of the trial related to poor  
24 ventricular function and heart failure, but  
25 presumably not particularly informative with



1 respect to people who have had a recent infarction  
2 because they didn't, although the next question may  
3 get at that.

4         So, maybe I am being arbitrary and trying  
5 to break things into pieces that really are a  
6 continuum, but I would be interested in comments  
7 about that. But I take it COPERNICUS seemed  
8 supportive in a population of people with poor  
9 ventricular function and therefore it makes some  
10 sense. Is that right?

11         DR. BORER: Since I am the one left here,  
12 I was going to agree with everybody about  
13 COPERNICUS, but now you have focused the question.  
14 I was happy to see that the benefit of carvedilol  
15 for people with heart failure wasn't lost in the  
16 CAPRICORN study.

17         I would have expected a benefit of some  
18 sort in people with heart failure. Because of  
19 COPERNICUS, people who enter with severe heart  
20 failure were benefited, I was happy to see that  
21 there was some consistency about that, but  
22 COPERNICUS, by itself, wouldn't be sufficient  
23 support to cause me to say that CAPRICORN was, by  
24 itself, sufficient for approvability.

25         I must say I agree with what I think that

1 Steve and Paul were both saying about CHAPS. I  
2 find that more comforting than perhaps some of the  
3 other people on the committee found it.

4 I think it certainly does suggest, as has  
5 been said, it does provide some additional safety  
6 comfort with regard to the early administration of  
7 the drug, but I was happy to see that for all its  
8 inadequacies as a definitive trial, it was small,  
9 it was a pilot study, it didn't give this, didn't  
10 give that, that the results of benefit in a global  
11 sense looked the same as the global benefits that  
12 one sees from COPERNICUS.

13 So, forgetting for a moment about the  
14 specific issue of mortality, in a setting of acute  
15 myocardial infarction, I was happy to see that  
16 there was a second experience that suggested  
17 benefit from giving this drug early in the course  
18 of acute myocardial infarction.

19 Steve.

20 DR. NISSEN: Yes. There is also implicit  
21 in what you just asked, a question that I was a  
22 little surprised that you didn't ask in here, and  
23 that is the question of how much weight do we put  
24 on the prior knowledge from trials albeit 15 years  
25 ago and older on the use of beta-blockers in the

1 post-MI setting. Oh, I see, it is coming up, I  
2 haven't seen that yet.

3 DR. TEMPLE: It's all in a perfect order,  
4 you will see.

5 DR. NISSEN: I did not read that No. 4 in  
6 that way. That is why I missed that. But just to  
7 answer your question, what we have coming to the  
8 table is we have COPERNICUS, which tells us  
9 something about the population who developed overt  
10 heart failure, and we also know something about the  
11 patients that have had a recent infarct from those  
12 older trials, so there is prior knowledge for those  
13 two populations albeit from very different sources  
14 that allow us to think about this.

15 DR. BORER: Okay. Without formally  
16 specifying how we do so, we may be comforted or  
17 discomfited about a finding by information  
18 described from studies of related drugs.

19 If one were to do that with post-MI use of  
20 carvedilol, would one include any drug with any of  
21 its pharmacological properties - beta-blocker,  
22 alpha-blocker, free radical scavenger,  
23 antihypertensive, or only drugs with all of these  
24 properties?

25 Would one be interested in survival trials

1 only, any trials with survival data, or other  
2 endpoints, as well?

3 Are there relevant results with other  
4 drugs?

5 Marc.

6 DR. PFEFFER: Following the line of  
7 questioning now, we are allowed to go even broader,  
8 and I think this is very important especially in  
9 the context of discovery and the context of what  
10 could be done.

11 So, I want to just step back for a minute.  
12 The past beta-blocker trials are now almost, well,  
13 they are over 20 years old, from the time they  
14 started, a quarter of a century. The rules have  
15 changed. The concomitant medications have changed,  
16 but the lessons have stood up, that these are good  
17 therapies in the patients that were studied, which  
18 in general were the lower risk patients.

19 Discovery was made in those trials by  
20 looking within those at the risk groups, and it was  
21 found that even though the highest risk patients  
22 were excluded, the discovery was that the most  
23 benefit was seen in the fringe of patients at the  
24 higher risk.

25 Now, that was not approvable, that is

1 discovery, and medical practice actually was driven  
2 by that without coming through this agency, without  
3 a new trial, but that was always speculation, and  
4 that was before the ACE inhibitors, so we really  
5 didn't know if these findings would be redundant on  
6 top of an ACE inhibitor.

7 Then, you get, during this 25-year period,  
8 the development of ACE inhibitors, which were used  
9 first in hypertension, but then in severe heart  
10 failure, and then post-MI.

11 In the post-MI studies, there were about  
12 30 percent of people on a beta-blocker, add all the  
13 studies together, those people did better than the  
14 others, highly selected for who got a beta-blocker,  
15 but the effects of the ACE inhibitor that was  
16 randomized was about the same in the beta-blocker  
17 or not.

18 So, there was the beginning of some  
19 comfort in saying they are both producing benefits.  
20 Then, enter the beta-blockers in heart failure on  
21 top of an ACE inhibitor showing benefit, so we have  
22 got that type of picture emerging that these are  
23 two therapies that work independently and give  
24 additive benefits to the best that we can show  
25 within the realm of clinical trials.

1           Then, that little area that was  
2 understudied is studied in this particular trial.  
3 Now, we can talk about how well it was studied, we  
4 can talk about what did they do with their  
5 endpoints, but this is the study, there won't be  
6 another, and it did show what we have been talking  
7 about all day. Within the alpha level, we will  
8 have to argue about.

9           But I do think it is a very important  
10 piece to a very difficult puzzle that says the  
11 question we have with the therapy for human beings  
12 is not is this a good therapy or not, but can it  
13 improve upon what we are already doing, and that is  
14 the most difficult thing in a clinical trial.

15          I found some comfort in that, and I think  
16 it falls into place. Somebody used the analogy of  
17 a puzzle. This piece does fit in the puzzle of 25  
18 years worth of work. So, that was helpful.

19          Would you be interested in survival trials  
20 only? Basically, if we agree, I think it would be  
21 very difficult to do a beta-blocker trial post-MI  
22 in this population. Is this one of the properties?  
23 Well, you know, this is where I think--

24          DR. BORER: Can I just add? I think the  
25 importance of that particular clause, 4.2, is if

1 you are looking at other studies, would we be  
2 interested in survival trials only or can we look  
3 more broadly.

4 DR. PFEFFER: Maybe you can help me. What  
5 other studies would we be--in this field of  
6 beta-blockers post-MI? Give me an example.

7 DR. BORER: Of anything post-MI.

8 DR. PFEFFER: Of anything post-MI.

9 DR. BORER: Studies of related drugs.

10 There are maybe alpha-blocker studies, free radical  
11 scavenger studies, antihypertensive studies, any  
12 studies you might refer to.

13 DR. PFEFFER: I think any other studies I  
14 might refer to out of the context of what is  
15 approved therapy for people as of now, I think  
16 would have to start from scratch and show that it  
17 is of value over and above what we should be doing  
18 including revascularization procedures and things  
19 like that, aspirin, lipid-lowering. Maybe I missed  
20 your question.

21 DR. BORER: It may be the way the question  
22 is worded. I think what the FDA is asking here is  
23 if we have a trial, a single trial with the  
24 limitations that we have talked about all day, can  
25 we derive any comfort by looking at trials that

1 have already been done using some other drug that  
2 somehow we perceive as being in some way relevant  
3 to, or similar to, carvedilol.

4 Carvedilol has beta-blocking properties,  
5 alpha-blocking properties, free radical scavenger  
6 properties, antihypertensive effects. To gain  
7 comfort, can we look at studies of beta-blockers,  
8 can we look at studies of alpha-blockers in acute  
9 MI, free radical scavengers, antihypertensives in  
10 people with acute MI or any other related disease?

11 DR. PFEFFER: I now understand your  
12 question, Jeff. I was answering this as a  
13 beta-blocker without intrinsic sympathomimetic  
14 activity. That was the answer I was giving.

15 DR. THROCKMORTON: Jeff is exactly right.  
16 We are now giving you full flight. You have an  
17 opportunity to bring in whatever pieces you feel  
18 like. It is just a matter of defining of what  
19 pieces you think you can bring in. What I am  
20 hearing you say is that this puzzle is  
21 beta-blockers.

22 DR. PFEFFER: I was only using the  
23 beta-blocker/ACE inhibitor experience and both  
24 experiences.

25 DR. THROCKMORTON: So, beta-blockers and



1 ACE inhibitors.

2 DR. PFEFFER: Yes, ACE inhibitors and  
3 beta-blockers adding value in heart failure. Now,  
4 beta-blockers adding value on ACE inhibitors.

5 DR. THROCKMORTON: How about ARB's?

6 DR. PFEFFER: I was not using that at all.  
7 I think that would have to be proven.

8 DR. TEMPLE: I still didn't understand  
9 that. Were you looking at the effects on  
10 beta-blockers, but noting that some of the studies,  
11 people were already on ACE inhibitors, as well, or  
12 were you actually looking at post-infarction ACE  
13 inhibitor data?

14 DR. PFEFFER: I was using both, and I went  
15 historically that in one field, one started with  
16 the ACE inhibitor and added the beta-blocker, and  
17 the way trials were done in the other field,  
18 post-MI, you started with the beta-blocker, and ACE  
19 inhibitor trials were done with a background of  
20 beta-blocker therapy, and the results have been  
21 consistent.

22 Now, this is one that tests specifically  
23 beta-blocker, I am calling it a beta-blocker on top  
24 of an ACE inhibitor, and gives consistent findings.

25 Now, it is discomfoting to me, but the

1 sponsor didn't start with that this is a unique  
2 antioxidant. You know, if they did that, I would  
3 have had trouble, but that isn't what they were  
4 presenting today.

5 DR. TEMPLE: I mean how many documented  
6 benefits of antioxidants do we actually know about?

7 DR. BORER: We will get to that.

8 JoAnn, why don't we start with you and we  
9 will go around in a totally different direction.

10 DR. LINDENFELD: I, as Marc, are comforted  
11 by a number of other studies, ACE inhibitors with  
12 beta-blockers, in this sense. The other thing I  
13 think that I find comforting is that we all want to  
14 know if beta-blockers in the current era with all  
15 this new therapy that we do are important, but  
16 there isn't any reason to believe that they  
17 wouldn't be.

18 There is nothing to make me think no,  
19 gosh, I don't think beta-blockers will work today,  
20 so I find all of that data and even the older data  
21 with beta-blockers comforting in this sense.

22 DR. BORER: Paul.

23 DR. ARMSTRONG: I think there is some  
24 comfort in knowing some of the information from  
25 other trials, indeed, I failed to point out that in

1 CHAPS, there was uniform reperfusion, and one of  
2 the central issues of the day is whether all  
3 infarcts are the same, and they are not, and the  
4 notion that this apparently applies to both those  
5 who did and didn't get lysis.

6 Only about 50 percent of the population  
7 that we have been asked to look at received some  
8 form of reperfusion, and as Steve brought out, we  
9 know that over 3- or 400 of them didn't have an  
10 enzyme elevation, so the issue of heterogeny within  
11 the trial is to some extent handled by reassurance  
12 from some of the other trials. So, that would be  
13 my additional comment.

14 DR. CUNNINGHAM: I think there is comfort  
15 from the other studies. I think, though, one thing  
16 I would say is there is a continuum of similarity,  
17 and the more similar the drugs were that were used  
18 in the other studies, the more comfortable I am,  
19 and the more you get out in the dissimilar, if they  
20 only have one property in common, then, I am much  
21 less comforted.

22 So, I think if we get out to the free  
23 radical scavengers, I would be very uncomfortable,  
24 so there is no absolute answer.

25 DR. BORER: Mike.

1 DR. ARTMAN: I really don't have anything  
2 to add.

3 DR. BORER: John.

4 DR. NEYLAN: Yes.

5 DR. BORER: Tom.

6 DR. PICKERING: One point. We have  
7 generalized a lot from results of other  
8 beta-blockers that have beta-1 blockade, but not  
9 intrinsic sympathomimetic activity. I think if it  
10 is approved for this particular population, we  
11 should be cautious that the findings are not  
12 generalized to other beta-blockers that don't have  
13 the additional properties like the vasodilation  
14 that carvedilol appears to have.

15 DR. BORER: Steve.

16 DR. NISSEN: Let me just slightly disagree  
17 with JoAnn and say that in this contemporary era  
18 where everybody is getting reperfused, and so on, I  
19 mean something that I actually hear is people say,  
20 well, in the reperfusion era, we are not so sure  
21 beta-blockers have much to offer.

22 So, one of the reasons why I viewed this  
23 trial as so terribly important is to understand  
24 whether, in the ACE inhibitor era with  
25 thrombolysis, statins, aspirin, and all the

1 therapies that weren't even thought about back in  
2 the early 1980's, whether or not, in fact, there  
3 was an additional benefit.

4 So, while the prior information is very  
5 useful, this trial obviously adds to our  
6 understanding, and that is why it is incremental  
7 information.

8 DR. LINDENFELD: I agree with that, and  
9 you can correct me if I have the data wrong, but  
10 even prior to lytic therapy, half of people  
11 reperfused at two weeks. With lytic therapy, we  
12 agreed that there is not 100 percent reperfusion,  
13 so I think that difference in who really reperfuses  
14 is actually quite small.

15 DR. NISSEN: Actually, at the Cleveland  
16 Clinic, everybody gets reperfused.

17 DR. LINDENFELD: But that is not this  
18 trial, I mean it is not angioplasty.

19 DR. NISSEN: Sorry, just had to say that.

20 DR. LINDENFELD: This trial was  
21 thrombolytic based. But I think that the  
22 difference is at two weeks, in the absence of  
23 thrombolytic therapy, 50 percent of people are open  
24 again.

25 DR. NISSEN: But I am talking about the

1 myocardial salvage era.

2 DR. LINDENFELD: Right.

3 DR. NISSEN: In the myocardial salvage  
4 era, there is still something to be gained--we are  
5 trying to ask that question--is there still  
6 something to be gained by giving, you know,  
7 beta-adrenergic blocking agents, and it is a very  
8 important question.

9 DR. BORER: That reminds me of 20 years  
10 ago when I was stupid enough to answer a question  
11 posed to me by Mason Sones on a big public panel,  
12 which was, "How much does it cost to do a  
13 catheterization at New York Hospital," and when I  
14 gave a number, he said, "Everybody should come to  
15 Cleveland, we do it cheaper."

16 Paul, did you want to make another comment  
17 about this?

18 DR. ARMSTRONG: I just wanted to pick up,  
19 and you may want to wait on this, but Tom Pickering  
20 really signaled for me an issue which I don't think  
21 we can let pass, those of us who are lumpers or  
22 splitters on this beta-blocker issue.

23 I think we have accepted that ISA is off  
24 the board here, but at one point we talked about  
25 the special properties of carvedilol. Today, we

1 have lumped it with metoprolol and with  
2 propranolol, and, in fact, the sponsors made a key  
3 point that it is very like propranolol.

4 Tom has suggested that he is not prepared  
5 to extend the observations today to metoprolol, and  
6 I would have a different view, so I guess at some  
7 point we are going to have to return to that  
8 discussion.

9 DR. BORER: Alan.

10 DR. HIRSCH: I concur with what has been  
11 said before. I will make it simple.

12 DR. BORER: Bob.

13 DR. TEMPLE: We would certainly not lump  
14 in the sense of giving this claim to a drug that  
15 hadn't been studied, but I do want to ask about  
16 some of the things people have just said.

17 Carvedilol has alpha-blocking activity in  
18 addition to beta-blocking activity. Why would  
19 anybody imagine that that is a good thing? There  
20 have been formal studies in heart failure of alpha  
21 blockers. They don't help at all.

22 The results of drugs post-infarction, as  
23 was just shown totally, seem very similar perhaps  
24 if you don't have ISA for drugs that don't have  
25 these extra properties and drugs that do. It looks

1 like it is the beta blockade that seems to be doing  
2 most of the job.

3 So, I am not sure why everybody is so  
4 worried about it.

5 DR. BORER: We will get to that. Hold  
6 your response to that, Steve, and let's finish up  
7 with Tom.

8 DR. FLEMING: Just to reinforce some of  
9 the general principles here. Philosophically, in  
10 answering Question No. 4, I would say I would  
11 certainly give attention to results on studies  
12 evaluating members of the same class in the  
13 targeted setting that we are interested in.

14 Under 4.2, I would find relevant, not just  
15 studies that are primarily focusing on survival,  
16 but any study that would provide substantial  
17 survival information would be relevant.

18 Having said that, what I worry about, and  
19 I know all of us have thought about this, is the  
20 relevance of such information depends heavily on  
21 how confident we are that the other agents that are  
22 being studied would not have any favorable  
23 mechanisms of action that our specific agent in  
24 this case, carvedilol, wouldn't have.

25 You would want to avoid overestimating



1 survival benefits because other agents have  
2 mechanisms that carvedilol doesn't, and similarly,  
3 you would want to make sure they wouldn't have any  
4 unfavorable mechanisms that carvedilol would have  
5 that could influence survival.

6 In my own view, this is what leads me to  
7 be thinking at least in focusing on members of the  
8 same class, but even within that, you are not fully  
9 reassured that those criteria are met.

10 In the targeted settings, I go back to the  
11 sponsor's penultimate slide where they said there  
12 are no data on any beta-blocker currently in  
13 infarct survivors being provided ACE inhibitors  
14 where these people have had LV dysfunction  
15 following acute infection.

16 So, we don't have any perfect situation  
17 here even with members of the same class studied in  
18 exactly this manner, so we are left with need for  
19 some extrapolation and yet that extrapolation in  
20 this setting obviously, as Milt has summarized,  
21 there is considerable experience, more so than we  
22 would typically have.

23 My own sense, though, is that each of  
24 these cases have to be individually considered, and  
25 I am reluctant to have certain actions by this

1 committee viewed as precedent-setting, and I would  
2 like to just thank Jeff for pointing out that the  
3 losartan example in the renal trial in type II  
4 diabetic renal disease that this committee had  
5 considered over the last year certainly shouldn't  
6 be viewed as a precedent.

7 I certainly didn't look at the irbesartan  
8 data and view that to be particularly substantive  
9 in that decision, and I worry a little bit about  
10 what views will come forward in the future as this  
11 committee considers this specific application and I  
12 would just urge that the principle is indeed other  
13 experiences with sufficiently closely related  
14 agents studied in sufficiently closely related  
15 settings should be considered, but that is very  
16 much on an application-by-application basis in  
17 terms of how much weight that would be given.

18 DR. BORER: Beverly.

19 DR. LORELL: I have nothing to add to the  
20 previous comments that experience with this drug  
21 seems to be very congruent and to fit into a  
22 continuum of experience of the use of beta-blockers  
23 in heart failure, as well as the use of  
24 beta-blockers in the context of ACE inhibitors from  
25 ACE inhibitor trials where one looked

1 retrospectively at beta-blocker use.

2 I think Question 4.1 is a very important  
3 one and I think this trial in the context of other  
4 studies provides no data about the helpful negative  
5 or neutral effect of alpha blockade. I mean to my  
6 knowledge, there are no other large trials that  
7 have tested the addition of alpha blockade on top  
8 of either beta-1 selective or nonselective use in  
9 myocardial infarction.

10 Similarly, I think this experience does  
11 not speak in any way, nor can any prior trials be  
12 used to raise conclusions about free radical  
13 scavenging or antihypertensive effects.

14 So, I wanted to answer that a little more  
15 directly than others have.

16 DR. TEMPLE: There is a big study of  
17 alpha-blocker alone.

18 DR. LORELL: About what?

19 DR. TEMPLE: Of an alpha-blocker alone.

20 DR. LORELL: Yes.

21 DR. TEMPLE: But not on a beta-blocker.

22 DR. LORELL: To my knowledge, there is no  
23 experience whatsoever of adding alpha blockade to  
24 beta blockade in this setting.

25 DR. TEMPLE: Can I mention one other

1 thing? The timolol study actually had about a  
2 third of its population with acute heart failure at  
3 the time of the infarction although by the time  
4 they were randomized, they no longer were.

5 I don't think anybody had ejection  
6 fractions on those people or anything like that,  
7 but there is some experience in a group that at  
8 least was at somewhat higher risk, and the effects  
9 were the same in that group or better perhaps in  
10 the rest.

11 DR. BORER: I gain some comfort from other  
12 trials using drugs that have beta-blocking  
13 properties, but not a heck of a lot. It helps me a  
14 little bit and as Tom said, in the parallel of  
15 irbesarten and losarten, it may have helped a  
16 little bit there, but that wasn't the basis for a  
17 decision, nor would it be the basis of a decision  
18 for me here.

19 I have said this many times before and I  
20 may be a bit of an iconoclast in saying it, but I  
21 have heard both Toms and Paul say something very  
22 similar just now. This is a unique molecule,  
23 carvedilol.

24 When we were hearing about this drug for  
25 its approvability for treatment of patients with

1 heart failure, the sponsor presented a great deal  
2 of information suggesting that the uniqueness of  
3 the molecule, because of its alpha blockade and  
4 because of its free radical scavenger properties,  
5 that these properties were very important in  
6 mediating the benefits that we saw.

7 Now, I didn't think much of that then, and  
8 I don't think much of the argument that it's a  
9 beta-blocker and other beta-blockers do this,  
10 therefore, this one works. I don't think all that  
11 much of that now.

12 I think that provides me with some  
13 comfort, but this is a unique molecule. I doubt  
14 very much that we know all of its pharmacological  
15 effects, in fact, I am sure we don't, and I am sure  
16 that nobody in this room can tell me the mechanism  
17 by which other drugs with beta-blocking properties  
18 have improved mortality after myocardial  
19 infarction. That is not known.

20 There aren't even a whole heck of a lot of  
21 good hypotheses. So, this provides me with some  
22 comfort, but the reason for my going through that  
23 discussion a moment ago is to support exactly what  
24 Tom said and exactly what Beverly said and exactly  
25 what Tom Pickering said, that is, that I think that

1 we have to look at the body count here and decide  
2 whether we believe it or not.

3 We will get some comfort, more or less,  
4 from all of these other sources of data, and then  
5 we are going to make a decision about this drug,  
6 and it shouldn't be widely extrapolated to other  
7 drugs.

8 Now, Steve, you wanted to make one other  
9 comment?

10 DR. NISSEN: No, I just wanted to respond  
11 to Bob. Bob asked a theoretical question, why might  
12 it be beneficial.

13 I mean at least hypothetically, when one  
14 gives a beta-blocker in a setting with a depressed  
15 LV function, the problem, of course, is the  
16 negative inotropic effects may, in fact, make  
17 patients worse before they make them better. At  
18 least theoretically, a drug that has some inherent  
19 vasodilator properties might reduce wall stress,  
20 unload the ventricle, you know, mitigate against  
21 the adverse effects.

22 Now, whether that happens here or not, I  
23 have no idea, but you asked theoretically, could  
24 the alpha blockade have any therapeutic  
25 implications, and the answer is it might.

1 DR. TEMPLE: But I am just pointing out  
2 that in a heart failure study done by the VA,  
3 prazosin did not have any benefit.

4 DR. NISSEN: Say that again.

5 DR. TEMPLE: There is a major heart  
6 failure study with prazosin, and it didn't show any  
7 benefit. It's an alpha-blocker.

8 DR. NISSEN: No, but I mean I think there  
9 are other things that happen when you give more of  
10 a pure vasodilator.

11 DR. ARTMAN: And that is a different  
12 question.

13 DR. NISSEN: Yes, and also I think there  
14 is all kinds of issues about reflux increases and  
15 sympathetic tone when you give vasodilators. I  
16 mean it is very different to give a mixed  
17 beta-blocker or alpha-blocker than it is to give a  
18 pure alpha-blocker.

19 DR. TEMPLE: No one has documented that  
20 one is actually better than another at anything.  
21 It is all speculation.

22 DR. NISSEN: I agree, but you asked is  
23 there any theoretical reason. You asked for a  
24 theoretical reason, and I can tell you there is a  
25 theoretical reason why one might expect that.

1 DR. BORER: Let's move on. May I, Doug  
2 and Bob, combine 5 and 6? It doesn't appear that  
3 there is an important difference between 5 and 6.

4 We are going to get to the point where we  
5 actually have to vote for the record.

6 No. 5 is: All things considered, how  
7 likely is it that the mortality effect in CAPRICORN  
8 represents an effect attributable to carvedilol,  
9 which is another way of saying should carvedilol be  
10 indicated to reduce mortality in patients with left  
11 ventricular dysfunction after myocardial  
12 infarction.

13 May I request that we refine that just a  
14 little bit. It is not left ventricular  
15 dysfunction, it's left ventricular dysfunction the  
16 way it was defined here, which is an ejection  
17 fraction of less than or equal to 40 percent. That  
18 is moderately severe or however you want to  
19 qualitatively define that term. It was with or  
20 without heart failure.

21 But given all those caveats, should  
22 carvedilol be indicated to reduce mortality in  
23 patients with left ventricular dysfunction. We  
24 don't have to go into all the reasoning, we have  
25 done that already.



1           Marc, you are the committee reviewer.

2           DR. FLEMING: I don't know whether Doug or  
3 Bob will wish to provide any clarification, but in  
4 the event that you would, I would be interested in  
5 hearing FDA's perspective on strength of evidence  
6 that we would generally like to have on a mortality  
7 endpoint.

8           I had mentioned earlier on we talk about  
9 two adequate and well-controlled trials, and we  
10 talk about 025-squared, and we realized that for an  
11 endpoint such as mortality, that is something that  
12 might not be required.

13          I realize, of course, lots of issues will  
14 have to be taken into account as we think through  
15 is this the strength of evidence of two studies,  
16 one study, it doesn't just have to be the evidence  
17 from this trial as we have discussed in Questions 3  
18 and 4, it could be evidence from other studies.

19          As FDA looks at this, when we look at the  
20 totality of evidence that is relevant to a given  
21 consideration, are we talking about the strength of  
22 evidence of 1.5, two studies, anything in general  
23 you want to say about this?

24          DR. TEMPLE: These questions are all bound  
25 up together, that is the difficulty, however, just

1 a couple of observations. You saw data on what it  
2 took to get metoprolol a claim for post-infarction  
3 beta blockade.

4 The p value wasn't as long as your arm, it  
5 was a one-study value, so the strength of evidence  
6 that was needed there probably, although I have to  
7 say not explicitly in light of previous experience,  
8 was that one study would confirm what you sort of  
9 thought about the class.

10 We presented to you what we have done with  
11 ACE inhibitor heart failure claims. It is very  
12 clear we are using one study at a reasonable p  
13 value standard even though I would say we were not  
14 explicit in thinking that through, but the weight  
15 of evidence from SOLVD on made us think that one  
16 confirmatory study that was reasonably persuasive  
17 was good enough.

18 It depends on how you think of that here.  
19 As I was trying to point out, you have got a little  
20 bit of heart failure and you have got a little bit  
21 of post-infarction here.

22 So, my view would be that you need a total  
23 amount of evidence that is as persuasive as usual,  
24 but that you can get it from more than one place,  
25 one piece of which comes from the study at hand,

1 the other comes from the other studies of the same  
2 drug, the other comes from the studies of the other  
3 drug, all of which should add up to approximately  
4 the usual standard.

5 But as I have pointed out for metoprolol,  
6 we thought it met the usual standard, but it did it  
7 with one study at a p of 0.02 or whatever it was,  
8 because we thought we had other relevant  
9 information. You know, we are all being bayesian  
10 here, but we are not admitting it.

11 You saw similar behavior with the  
12 irbesarten/losarten. Each study was a reasonable  
13 study, nobody had any doubts about that, but it was  
14 the combined data that made the persuasive case,  
15 and I think that is the situation you are in here.

16 Is that too squiggly or is that good  
17 enough?

18 DR. THROCKMORTON: I guess I will just add  
19 one thing. I think we are in a place we are not  
20 going to find ourselves a lot when we think about  
21 the sort of strength of evidence that we have for  
22 this class of drugs, for these classes of drugs  
23 versus other sorts of therapeutic areas. I mean you  
24 can think of other places like, I don't know,  
25 GP2B3a antagonists where we have had surprises when

1 we tried to extend what we thought we understood  
2 from single trials.

3 Here, we have a relatively robust effect  
4 seen across a lot of different drugs and a lot of  
5 different therapeutic areas, the patient  
6 populations that makes it an uncommon place, I  
7 think, with regard to most of the therapeutic areas  
8 we think about in cardiovascular medicine.

9 DR. BORER: Marc.

10 DR. PFEFFER: Well, I found the leading  
11 from the agency helpful in this case. Sometimes it  
12 is not, this time I think it was. Really, it boils  
13 down to there were some other issues that came up,  
14 do you think you could do another study in this, or  
15 do you think we have enough information now to  
16 apply to people.

17 I don't think I could personally be  
18 involved in a beta-blocker post-MI trial unless it  
19 were a very small group that we still have yet to  
20 define, and that is what I think we also need to  
21 say of the few places that more information is  
22 needed, I think we need an answer to Dr.  
23 Armstrong's question about these Killip class III  
24 patients, what were they like at the time they were  
25 randomized, were they cleared and then randomized.

1           The onset, we have now heard that there  
2 was a long period and even within two weeks could  
3 be divided. I would like to see some information  
4 about the safety, so I am now talking about harm,  
5 potential for harm of giving this very early. I  
6 just don't know enough about that, and that is what  
7 is happening in post-MI especially beta-blockers.

8           So, I would hope we can have the agency  
9 dissect out the information on day 1, day 2,  
10 randomization and events in those people.

11          But overall I think we have a benefit here  
12 that will help patients, and I think getting this  
13 out, regulations will talk about this drug, but  
14 guidelines will then talk about beta-blockers, and  
15 I think that will help people.

16          DR. BORER: So, that's a yes.

17          DR. PFEFFER: That's a yes.

18          DR. NISSEN: I have to make a few comments  
19 before I answer. First of all, notwithstanding the  
20 nearly heroic efforts of the sponsor to shoot  
21 themselves in the foot, which continued right up  
22 through today, and there are some things I have to  
23 say.

24          First of all, Tom and I are, I think, and  
25 he will speak for himself as I know he will, you

1 know, I don't think the Data Safety Monitoring  
2 Board acted entirely properly here and I think it  
3 ought to be said for the record, that, you know,  
4 there are roles for each of the constituencies  
5 involved in the trial, and those roles should be  
6 carefully defined and observed.

7       There is a penalty to be paid for not  
8 following those rules. Now, it turns out the rules  
9 weren't broken as much as it seemed, and this is  
10 where some more shooting in the foot occurred. I  
11 mean when I read this statement in the executive  
12 summary that said the Data Safety Monitoring Board  
13 strongly recommended that they change the primary  
14 endpoint, I was extremely uncomfortable, and then  
15 you read the letter, and that wasn't what the  
16 letter said.

17       The letter didn't say that. It said  
18 consider. It didn't say do this, it said you ought  
19 to think about this, and that is a little bit, you  
20 know, it made me more comfortable, but I think we  
21 ought to say from the beginning what the roles are  
22 of these various committees, the charters ought to  
23 say them, and they ought to follow those rules, and  
24 the extent that those rules are not followed  
25 undermines the credibility of the trial process,

1 and it is something we ought to be careful about in  
2 the future.

3 Similarly, I think the Steering Committee  
4 acted somewhat unwisely in the whole way that the  
5 study was redesigned and not sort of thinking more  
6 carefully about what endpoints they wanted to  
7 choose, so we got into this data dredging problem  
8 later on where now we are talking about which cause  
9 for hospitalization, how you define that, and those  
10 are avoidable problems potentially.

11 So, I think that it is important that we  
12 say that. Having said that, I think that this is an  
13 important observation, that, you know,  
14 beta-blockers are largely forgotten, they get  
15 forgotten periodically. You know, every time we  
16 get a new therapy, everybody is focused now on the  
17 angioplasty era on how fast the door to balloon  
18 time is now the thing that counts the most about  
19 how you treat a myocardial infarction, and they  
20 forget about the fact that the patients have this  
21 period of time afterwards where they are very  
22 vulnerable.

23 The use of beta-blockers post-infarct in  
24 America, and I don't know what it is like in the  
25 rest of the world, it is just abysmal, and we

1 didn't really know before CAPRICORN, we didn't have  
2 really compelling evidence of what happens in the  
3 reperfused patient that has done very well and gets  
4 ACE inhibitors and gets statins and gets aspirin,  
5 and all the contemporary therapies, and I would bet  
6 you there are a lot of people out there that think  
7 that in this era, beta-blockers are passe, and what  
8 CAPRICORN teaches us is that they are not passe.

9         So, the reason I am voting yes is not  
10 because the conduct of the trial was exemplary. I  
11 think there were some terrible dilemmas that you  
12 had to deal with. I am not sure you dealt with  
13 them in the best possible way, but the fact is that  
14 I think that, by and large, lives will be saved if  
15 this label is granted and if the message is  
16 aggressively pursued that even in the contemporary  
17 era, there is still a lot to be gained by giving  
18 beta-blockers post-MI.

19         So, I vote yes because I think the public  
20 health considerations here and everything else make  
21 this a mandatory yes.

22                 DR. BORER: Alan.

23                 DR. HIRSCH: You know, it is very hard to  
24 follow Steve, but I will make an effort here. I  
25 will start with humor, but try to make a clear



1 point.

2 For humor, obviously, the sponsors shot  
3 themselves in the foot. The FDA, according to  
4 Marc, occasionally leads one way or the other. I  
5 think our committee can sometimes opine in more  
6 than one direction, and it gets all very confusing.

7 When I came into this meeting, we talked  
8 about discovery, are we finding something new that  
9 was unique, that wasn't part of a pre-hoc  
10 hypothesis, and I really don't think that that  
11 encompasses where I am going to lead you with my  
12 vote.

13 I don't think that this was about  
14 discovery. What happened here is that we have  
15 demonstrated, I think, that carvedilol has a  
16 positive effect in this somewhat mixed post-MI  
17 heart failure state.

18 We found a gem in a mine discovery here  
19 that has come up with many, many precious stones as  
20 part of a tradition of like-minded beta-blocker  
21 related positive outcomes in a consistent pattern.  
22 So, this isn't discovery to me.

23 It gets back to how Tom looks at spending  
24 alpha, I think there is little doubt that we have  
25 carvedilol causing a positive beneficial health

1 effect in a very specified population. I think it  
2 is also true it is unlikely that if we had any  
3 doubt about that, we could perform a second trial  
4 in the real world to better confirm that.

5 So, the evidence base we have overall,  
6 this isn't discovery, this is I think good data  
7 confirming a reality. My vote is yes.

8 DR. BORER: Tom.

9 DR. FLEMING: In leading up to an answer,  
10 let me begin by thinking about CAPRICORN and  
11 strength of evidence from this key pivotal study.

12 The sponsor presented this and said this  
13 is a mortality trial and very appropriately it  
14 should have been. It was initially a mortality  
15 trial, but a very thought-out decision was made in  
16 mid-course to back away from that mortality  
17 endpoint based on what I as best can understand a  
18 judgment that the plausibility of achieving a  
19 mortality effect of sufficient magnitude in this  
20 setting was not sufficiently high in the context of  
21 the size of the trial that was being conducted, and  
22 as a result, there was a shift to an alternative  
23 endpoint.

24 Hence, prospectively, mortality wasn't the  
25 primary endpoint, and that matters. It matters a

1 lot when we are looking at whether this is a  
2 confirmatory trial or an exploratory trial, and, of  
3 course, life is a continuum, it is not simply that  
4 dichotomous, but clearly, there was a backing away  
5 from the thought that yes, this is an endpoint that  
6 we believe is obviously profoundly important,  
7 highly clinically relevant, and one that we believe  
8 is going to be of sufficient magnitude that in the  
9 context of this size of a trial, we can establish  
10 benefit.

11 So, it does leave me in the position of  
12 interpreting strength of evidence from this study  
13 in the context of this being an endpoint that  
14 wasn't the primary endpoint. Nearly all of the  
15 alpha was assigned to an alternative measure.

16 The target for what was viewed as  
17 sufficient evidence to conclude that mortality has  
18 been proven with the strength of evidence of a  
19 single positive study here was the 0.005, and as  
20 the FDA review indicated, we are about a factor of  
21 6 or 8 away from that.

22 So, I am going to become very quantitative  
23 here for a moment. As a statistician on a log  
24 scale, that is the strength of evidence of  
25 two-thirds of a trial. Okay. I have to be

1 quantitative in a moment because I do think  
2 external data is relevant here, and I have to try  
3 to think of how that is to be considered.

4 My own view, what does it take for  
5 mortality? In my own view, if we are talking  
6 endpoints such as hospitalization, I strongly  
7 endorse the concept that we should have two  
8 adequate and well-controlled trials for the concept  
9 of replication, as well as strength of evidence.

10 For a profoundly important endpoint like  
11 mortality, I have long believed that somewhat less  
12 strength of evidence is acceptable in view of the  
13 profound importance of that endpoint, and have  
14 subjectively in my own mind over time thought of it  
15 in terms of roughly 1 1/2 trials if it is a  
16 mortality endpoint.

17 So, two studies, one of which achieves an  
18 0.03 and a second study that doesn't achieve  
19 significance, but it is close, that is an example,  
20 or one trial where mortality is the primary  
21 prespecified endpoint that achieves an 0.005, that  
22 is also of that strength of evidence.

23 So, we are left here with, in my own view,  
24 we are halfway there roughly in terms of what  
25 strength of evidence I would have wanted to have

1 seen.

2           The external data here are very relevant  
3 and as Milt Packer had described in his  
4 presentation, I believe this is, I think he called  
5 it a unique situation in terms of the magnitude of  
6 evidence that you have from, first, the agent at  
7 hand, carvedilol, in related settings where the  
8 COPERNICUS trial is particularly important, as well  
9 as the magnitude of evidence for other members of  
10 the class.

11           This part is unavoidably very subjective -  
12 does this get us the rest of the way. In my own  
13 view, I think it is very rare to have that much  
14 strength of evidence from supportive studies, but I  
15 think in this case we are in that rare  
16 circumstance.

17           So, with that overall summary, I think  
18 this is a situation where overall evidence is  
19 sufficient to conclude that mortality benefit has  
20 been shown, but I would really emphasize that this  
21 is, in my own mind, a fairly uncommon or I call it  
22 rare circumstance, and not one that I would  
23 consider as precedent setting that would lead to  
24 the expectation that in the future, if studies that  
25 are designed to address the right issue, mortality,

1 are, in fact, redesigned, and don't achieve  
2 unfortunately the real evidence that we would need  
3 to see, that they can be salvaged by looking at  
4 other supportive evidence.

5 I would say, and I know the FDA does this  
6 extremely well, I would just reemphasize the  
7 important role the FDA does play in working with  
8 sponsors creatively prospectively in designing  
9 trials and ensuring that the right designs are in  
10 place, and this also is relevant when the studies  
11 are redesigned, that if ultimately, we expect  
12 mortality as an issue that we want to address, that  
13 when the study is initially designed or redesigned,  
14 we do whatever we can to avoid this type of  
15 circumstance where we end up getting data that is  
16 much less than what we would really want to see to  
17 answer the questions.

18 DR. BORER: That is a yes?

19 DR. FLEMING: That was a yes.

20 DR. BORER: Beverly.

21 DR. LORELL: Thank you. I have nothing to  
22 add regarding the issues of trial redesign. I  
23 think they have been addressed very well.

24 I do vote yes. My vote is based on really  
25 three things. One is that mortality was predefined

1 as a major and initially, the primary endpoint.

2 Secondly, I think this experience, as was  
3 said by others, as well as Tom, fits into and in  
4 congruent with other data regarding the use of this  
5 drug, as well as other beta-blockers, in moderate  
6 and severe heart failure.

7 Third, I think these data are supported by  
8 other studies looking at the use of beta-blockers  
9 after myocardial infarction.

10 DR. BORER: I vote yes. I have nothing to  
11 add to everything that has been said about why, but  
12 I would just reemphasize what Tom said a moment ago  
13 about the extrapolability of my vote, like his, to  
14 any other situation where it just happens that  
15 mortality is considered and there has been one  
16 trial. I think we have to look at the specific  
17 circumstances.

18 That having been said, I vote yes.

19 JoAnn.

20 DR. LINDENFELD: Yes, I also vote yes. I  
21 think that mortality was a prespecified endpoint  
22 and although it wasn't the only endpoint, that was  
23 changed. I don't see any malintent here. I think  
24 just a goof was made.

25 So, I tend to shade this more toward a

1 single good trial, not the two-thirds of a trial  
2 that Tom discussed. I think it moves more toward  
3 that way because I think it was just a little bit  
4 of a goof.

5 This was designed to show a mortality  
6 benefit. It showed exactly the mortality benefit  
7 that was prespecified. So, I would shade this more  
8 toward one trial and vote yes.

9 DR. BORER: Paul.

10 DR. ARMSTRONG: I will vote with the  
11 caveats that I thought Marc Pfeffer brought out  
12 very well, and I would like to reemphasize. I  
13 think there is a lot of work yet to be done with  
14 the sponsor and the agency in terms of what the  
15 label will say if, indeed, they decide to approve  
16 this, so that caveat.

17 The second thing I would like to say, Mr.  
18 Chairman, as someone who has been both the chair of  
19 a DSMB and a member of a DSMB, is a somewhat  
20 contrary view to what has been expressed. I am  
21 satisfied based on the presentation of the chair of  
22 the Steering Committee and the excerpts from the  
23 letter, that the DSMB here acted appropriately.

24 Like many of you, I get a lot of advice in  
25 life and I take some of it, and I think that the



1 DSMB has a responsibility after assuring patient  
2 safety to provide an informed opinion and  
3 suggestions to a steering committee, which they may  
4 or may not take.

5 The issue of blinding, I think has been  
6 much discussed. Tom and I have a different view  
7 about that. I think there is healthy reasons to  
8 think differently about this, but I don't have a  
9 problem with the way the DSMB acted or the Steering  
10 Committee responded in this particular instance.

11 DR. BORER: Susanna.

12 DR. CUNNINGHAM: I am going to vote yes  
13 and I am going to second Bev's very well-stated  
14 reasons.

15 DR. BORER: Mike.

16 DR. ARTMAN: Yes.

17 DR. BORER: Did you want to make a  
18 comment?

19 DR. TEMPLE: I just wanted to support what  
20 Paul said. We have recently written guidance on  
21 what a data monitoring committee is supposed to do,  
22 and one of the things, difficult as it is that they  
23 are supposed to do, is keep a watchful eye on the  
24 world and on the rate of events, and things like  
25 that, and give advice that might in some cases

1 salvage the study.

2           They plainly tried to do that, but the  
3 outcome was contrary, which we now know, but it is  
4 not illegitimate for them to consider those things  
5 or at least we didn't think so when we wrote the  
6 guidance.

7           DR. FLEMING: Just to follow up, my only  
8 concern with the action of a monitoring committee  
9 is given that I believe they should be unblinded  
10 because that is I think critical to being able to  
11 fully carry out their role of safeguarding patient  
12 interests, if they were, if they were then to make  
13 any recommendation about changing an endpoint  
14 clearly is inappropriate.

15           Given they weren't--

16           DR. TEMPLE: Absolutely.

17           DR. FLEMING: Given they weren't, I see no  
18 problem with what they did. My concern is not with  
19 the Data Monitoring Committee, it was with the  
20 Steering Committee and in particular today with the  
21 presentation that indicated it was the Data  
22 Monitoring Committee that said we had to do this.

23           The other thing, something for further  
24 discussions is how conservatively they apportion  
25 their alpha. We have had a lot of discussions of

1 these things. I believe they could have gotten  
2 away with saying 0.03 for both of them and might  
3 have been much better off to have done that,  
4 because they are not entirely separate endpoints,  
5 but that is a discussion for a different time.  
6 This was a very conservative choice for a very  
7 important endpoint.

8 DR. TEMPLE: Because of the correlation,  
9 if we are talking two-sided p value, of course,  
10 they wouldn't have needed 0.025, 0.025. It  
11 probably would have been close to 0.03, 0.03, as  
12 you said, or because they weren't given it equally,  
13 where they were saying 0.045, 0.005, it could have  
14 been probably 0.047, 0.009.

15 DR. NISSEN: Bob, what I was reacting to  
16 was the implication here in the original document  
17 that it was somehow coercive, that basically, how  
18 could we possibly, in the face of this very strong  
19 recommendation not do this, and that was the way in  
20 this document it was stated.

21 Now, it turns out that is not what they  
22 did, and that is why I backed off on that, but if  
23 you read what was said in here, it doesn't look  
24 like it was done the proper way, and the letter  
25 actually, it turns out, is to say we think you

1 ought to consider this, and I don't have any  
2 problem with that at all.

3 But if they had been unblinded, that would  
4 have been inappropriate, and I don't think anybody  
5 should leave the room without understanding why Tom  
6 and I feel so strongly about that, you know, that  
7 is off the table.

8 DR. BORER: Okay. We have one final  
9 question and then we can discuss DSMBs if the FDA  
10 wants us to.

11 The final question is: Regarding the fact  
12 that the sponsor also seeks a claim for reduction  
13 in recurrent MI, based on the observation of 45  
14 adjudicated events on placebo and 27 on carvedilol,  
15 of which 16 and 12 were fatal, do these data  
16 support a claim?

17 Marc, let's have your answer first and  
18 then we will go to the other side of the table.

19 DR. PFEFFER: My answer would be no, and I  
20 was a little disappointed that it was not quite  
21 clear in the document that there was this  
22 relatively long period of silence in terms of  
23 non-fatal events, the patients couldn't express  
24 themselves, and also in terms of the prespecified  
25 criteria for the event itself. I think those

1 things weren't as robust as I would have liked to  
2 have seen.

3 DR. BORER: Mike.

4 DR. ARTMAN: I would agree with that. I  
5 was really disappointed with the data on recurrent  
6 MI. I thought it was murky and not very clear, and  
7 I don't think that what we have seen supports this  
8 claim in any way.

9 DR. BORER: Susanna.

10 DR. CUNNINGHAM: No.

11 DR. BORER: Paul.

12 DR. ARMSTRONG: A clear no for the reasons  
13 that have been stated.

14 DR. BORER: JoAnn.

15 DR. LINDENFELD: No, for the same reasons.

16 DR. BORER: I vote no.  
17 Bev.

18 DR. LORELL: I vote no for the reasons  
19 that have already been said.

20 DR. FLEMING: No.

21 DR. HIRSCH: No.

22 DR. NISSEN: No.

23 DR. PICKERING: No.

24 DR. BORER: Unanimous no.  
25 I think the reasons behind that decision

1 should be clear from what has been said about why  
2 the yes vote was given for the mortality claim.

3 Do you need further clarification from any  
4 of us? Do you want us to discuss anything else? Do  
5 you want us to discuss DSMBs?

6 DR. THROCKMORTON: Please do not discuss  
7 DSMBs.

8 DR. TEMPLE: We are all done with that.  
9 We wrote a guideline and Tom wrote a book.

10 DR. BORER: I continue again to suggest to  
11 the FDA that for a more complete discussion of this  
12 committee's opinion about how to deal with data  
13 about primary endpoints that are seen in only one  
14 trial, we might have a workshop.

15 That having been said, if there are no  
16 other comments, we will adjourn.

17 DR. THROCKMORTON: Thank you very much.

18 [Whereupon, at 2:23 p.m. the meeting was  
19 adjourned.]

20 - - -