

FOOD AND DRUG ADMINISTRATION

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

8:29 a.m.

Thursday, March 13, 2003

Conference Room
5630 Fishers Lane
Food and Drug Administration
Rockville, Maryland 20857

ATTENDEES

COMMITTEE MEMBERS:

ARTHUR H. KIBBE, PH.D., Acting Chair
Chair and Professor
Department of Pharmaceutical Sciences
Nesbitt School of Pharmacy
Wilkes University
176 Franklin Avenue
Wilkes-Barre, Pennsylvania 18766

KATHLEEN REEDY, R.D.H., M.S., Executive Secretary
Advisors and Consultants Staff
Center for Drug Evaluation and Research
Food and Drug Administration (HFD-21)
5600 Fishers Lane
Rockville, Maryland 20857

JOSEPH BLOOM, PH.D.
University of Puerto Rico
School of Pharmacy
4th Floor, Office 416
P.O. Box 365067
San Juan, Puerto Rico 00935-5067

PATRICK P. DeLUCA, PH.D.
Professor, Faculty of Pharmaceutical Science
University of Kentucky, College of Pharmacy
327-H Pharmacy Building, Rose Street
Lexington, Kentucky 40536-0082

MARVIN C. MEYER, PH.D.
1700 SW 6th Avenue
Boca Raton, Florida 33486

NAIR RODRIGUEZ-HORNEDO, PH.D.
Associate Professor of Pharmaceutical Sciences
College of Pharmacy
The University of Michigan
Ann Arbor, Michigan 48109

ATTENDEES (Continued)

CONSULTANTS:

WALTER HAUCK, PH.D.
Professor of Medicine
Department of Medicine
Jefferson Medical College
Thomas Jefferson University
#1770, 132 South 10th Street
Philadelphia, Pennsylvania 19107-5244

(ROBERT) GARY HOLLENBECK, PH.D.
Associate Professor of Pharmaceutical Science
University of Maryland School of Pharmacy
20 North Pine Street
Baltimore, Maryland 21201

MICHAEL S. KORCZYNSKI, PH.D.
Senior Vice President/General Manager
Mikkor Enterprises, Inc.
P.O. Box 573
Lake Bluff, Illinois 60044

WOLFGANG SADEE, DR.RER.NAT.
Chair, Department of Pharmacology
College of Medicine and Public Health
Ohio State University
5072 Graves Hall, 333 West 10th Avenue
Columbus, Ohio 43210

CYNTHIA R.D. SELASSIE, PH.D.
Professor of Chemistry
Department of Chemistry
Pomona College
Seaver North, Room 219
645 College Avenue
Claremont, California 91711-6338

MARC SWADENER, ED.D.
2235 Dartmouth Avenue
Boulder, Colorado 80305-5207

ATTENDEES (Continued)

CONSULTANTS: (Continued)

JURGEN VENITZ, M.D., PH.D.
Department of Pharmaceutics
School of Pharmacy
Medical College of Virginia Campus
Virginia Commonwealth University
Box 980533, MCV Station
Room 340, R.B. Smith Building
410 North 12th Street
Richmond, Virginia 23298-0533

INDUSTRY REPRESENTATIVES:

LEON SHARGEL, PH.D., R.PH.
Vice President, Biopharmaceutics
Eon Labs Manufacturing, Inc.
227-15 North Conduit Avenue
Laurelton, New York 11413

EFRAIM SHEK, PH.D.
Divisional Vice President
Pharmaceutical and Analytical Research and Development
Abbott Laboratories
Dept. 04R-1, Building NCA4-4
1401 Sheridan Road
North Chicago, Illinois

GUEST SPEAKERS:

RICK GRANNEMAN, PH.D.
Divisional Vice President
Center of Clinical Assessment and
Senior Research Fellow
Global Pharmaceutical Research and Development

BO OLSSON, PH.D.
Microdrug Development AB
St. Larsvagen 42B
S-222 70 Lund
Sweden

ATTENDEES (Continued)

GUEST SPEAKERS: (Continued)

LEONARD WARTOFSKY, M.D., M.P.H., M.A.C.P.
Professor of Medicine
Chairman, Department of Medicine
Washington Hospital Center
110 Irving Street, N.W.
Washington, D.C. 20010-2975

FOOD AND DRUG ADMINISTRATION STAFF:

WALLACE ADAMS, PH.D.
BARBARA DAVIT, PH.D.
DALE CONNER, PHARM.D.
AJAZ S. HUSSAIN, PH.D.
STEVEN JOHNSON, PH.D.
LARRY LESKO, PH.D.
NAKISSA SADRIEH, PH.D.
DONALD SCHUIRMANN
SOLOMON SOBEL, M.D.
YI TSONG, PH.D.
HELEN N. WINKLE

ALSO PRESENT:

WILLIAM H. BARR, PHARM.D., PH.D.
Professor and Executive Director
Center for Drug Studies
School of Pharmacy
Virginia Commonwealth University

SANFORD BOLTON, PH.D.
Visiting Professor
University of Arizona

ROSALIND BROWN, M.D.
Children's Hospital, Boston

RICHARD DICKEY, M.D.
The Endocrine Society

CARLOS R. HAMILTON, M.D., F.A.C.E.
American Association of Clinical Endocrinologists

ATTENDEES (Continued)

ALSO PRESENT: (Continued)

BRYAN HAUGEN, M.D.
School of Medicine
University of Colorado

JAMES HENNESSEY, M.D.
Associate Professor of Medicine
Division of Endocrinology
Brown Medical School

IRWIN KLEIN, M.D.
Professor of Medicine and Cell Biology
NYU School of Medicine
Chief, Division of Endocrinology
North Shore University Hospital
Manhasset, New York
Consultant, King Pharmaceuticals, Inc.

JACOB ROBBINS, M.D.
Scientist Emeritus
National Institutes of Health
American Thyroid Association

OMEGA LOGAN SILVA, M.D.
Professor Emeritus of Medicine
George Washington University
Past President, American Medical Women's Association

R. MICHAEL TUTTLE, M.D.
Associate Professor of Medicine
Cornell University
Memorial Sloan Kettering Cancer Center

LAWRENCE WOOD, M.D.
CEO and Medical Director
Thyroid Foundation of America
President, Thyroid Federation International
Clinical Practitioner, Thyroid Unit
Massachusetts General Hospital

C O N T E N T S

AGENDA ITEM	PAGE
MEETING STATEMENT	
by Ms. Kathleen Reedy	8
GMP FOR THE 21ST CENTURY	
by Ms. Helen Winkle	12
by Dr. Ajaz Hussain	26
DOSE CONTENT UNIFORMITY - PARAMETRIC TOLERANCE INTERVAL TEST FOR AEROSOL PRODUCTS	
by Dr. Wallace Adams	36
by Dr. Bo Olsson	48
by Dr. Walter Hauck	65
OPEN PUBLIC HEARING PRESENTATIONS	
by Dr. Lawrence Wood	99
by Dr. Jacob Robbins	102
by Dr. James Hennessey	105
by Dr. Carlos Hamilton	111
by Dr. Omega Logan Silva	114
by Dr. Rosalind Brown	116
by Dr. Bryan Haugen	120
by Dr. Irwin Klein	123
by Dr. R. Michael Tuttle	126
by Dr. Richard Dickey	130
by Dr. Sanford Bolton	133
by Dr. William Barr	139
BIOEQUIVALENCE/BIOAVAILABILITY OF ENDOGENOUS DRUGS	
by Dr. Dale Conner	146
by Dr. Steven Johnson	160
by Dr. Leonard Wartofsky	166
by Dr. Rick Granneman	170
by Dr. Steven Johnson	180
by Dr. Barbara Davit	185
by Dr. Dale Conner	194
RESEARCH IN OPS	
Introduction - by Dr. Ajaz Hussain	217
OPS Rapid Response Projects - by Dr. Nakissa Sadrieh	220
SUMMARY REMARKS	
by Dr. Ajaz Hussain	226

P R O C E E D I N G S

(8:29 a.m.)

DR. KIBBE: Ladies and gentlemen, I want to welcome you to the second day of the meeting.

If the members of the committee will make sure they're in position and we'll get started. We have an extremely busy day. We have lots of presenters during the open discussion. So we need to be efficient, if at all possible.

Ms. Reedy will read a statement on conflict of interest.

MS. REEDY: Acknowledgement related to general matters waivers, Advisory Committee for Pharmaceutical Science on March 13th, 2003, the open session.

The following announcement addresses the issue of conflict of interest with respect to this meeting and is made a part of the record to preclude even the appearance of such at this meeting.

The topics of this meeting are issues of broad applicability. Unlike issues before a committee in which a particular product is discussed, issues of broader applicability involve many industrial sponsors and academic institutions.

All special government employees have been screened for their financial interests as they may apply to

1 the general topics at hand. Because they have reported
2 interest in pharmaceutical companies, the Food and Drug
3 Administration has granted general matters waivers to the
4 following SGEs which permits them to participate in these
5 discussions: Dr. Joseph Bloom, Dr. Patrick DeLuca, Dr.
6 Walter Hauck, Dr. Gary Hollenbeck, Dr. Meryl Karol, Dr.
7 Arthur Kibbe, Dr. Michael Korczynski, Dr. Marvin Meyer, Dr.
8 Nair Rodriguez-Hornedo, Dr. Wolfgang Sadee, Dr. Jurgen
9 Venitz.

10 A copy of the waiver statements may be obtained
11 by submitting a written request to the agency's Freedom of
12 Information Office, Room 12A-30 of the Parklawn Building.

13 In addition, Drs. Cynthia Selassie and Marc
14 Swadener do not require general matters waivers because
15 they do not have any personal or imputed financial
16 interests in any pharmaceutical firms.

17 Because general topics impact so many
18 institutions, it is not prudent to recite all potential
19 conflicts of interest as they apply to each member and
20 consultant. FDA acknowledges that there may be potential
21 conflicts of interest, but because of the general nature of
22 the discussion before the committee, these potential
23 conflicts are mitigated.

24 With respect to FDA's invited guests, Dr.
25 Leonard Wartofsky reports that he has a consulting contract

1 with Abbott Laboratories. Dr. Bo Olsson reports that he is
2 employed full-time by AstraZeneca Pharmaceuticals in
3 Sweden, and Dr. Rick Granneman reports he is employed full-
4 time as Vice President, Center of Clinical Assessment, by
5 Abbott Laboratories.

6 We would also like to disclose that Dr. Leon
7 Shargel and Dr. Efraim Shek are participating in this
8 meeting as acting industry representatives, acting on
9 behalf of regulated industry. Dr. Shargel reports he is
10 employed full-time by Eon Laboratories as Vice President,
11 Biopharmaceutics. Dr. Shek reports holding stock in Abbott
12 Laboratories and Cephalon, Incorporated, and is employed
13 full-time as Divisional Vice President for Abbott
14 Laboratories.

15 In the event that the discussions involve any
16 other products or firms not already on the agenda for which
17 FDA participants have a financial interest, the
18 participants' involvement and their exclusion will be noted
19 for the record.

20 With respect to all other participants, we ask
21 in the interest of fairness that they address all current
22 or previous financial involvement with any firm whose
23 product they may wish to comment upon.

24 DR. KIBBE: Thank you.

25 As is custom, we will ask the members sitting

1 around the table to introduce themselves. Before we get
2 started on that, we've gotten a couple of pieces of paper
3 put out for the members to look at. One is a listing of
4 the members and their expertise, and we would like you to
5 correct that and turn it back in before you leave, if there
6 are corrections, and a list of acronyms for your use. It's
7 only 82 pages long, so you know the alphabet soup in
8 Washington, D.C. has not gone away.

9 Let's start with Ajaz and go around the table
10 and introduce. Yes, I know, Helen gets to talk first, but
11 you get to introduce first.

12 DR. HUSSAIN: Ajaz Hussain, Deputy Director,
13 Office of Pharmaceutical Science.

14 MS. WINKLE: Helen Winkle, Acting Director,
15 Office of Pharmaceutical Science.

16 DR. VENITZ: Jurgen Venitz, Virginia
17 Commonwealth University, representing the Clinical
18 Pharmacology Subcommittee.

19 DR. SADEE: Wolfgang Sadee, Ohio State
20 University.

21 DR. RODRIGUEZ-HORNEDO: Nair Rodriguez-Hornedo,
22 University of Michigan.

23 DR. SWADENER: Marc Swadener, Emeritus from the
24 University of Colorado in Boulder.

25 DR. MEYER: Marvin Meyer, Emeritus Professor,

1 University of Tennessee.

2 DR. KORCZYNSKI: Michael Korczynski,
3 Consultant, Mikkor Enterprises.

4 DR. BLOOM: Joseph Bloom, University of Puerto
5 Rico.

6 DR. SELASSIE: Cynthia Selassie, Pomona
7 College.

8 DR. HOLLENBECK: Gary Hollenbeck, University of
9 Maryland.

10 DR. DeLUCA: Pat DeLuca, University of
11 Kentucky.

12 DR. SHARGEL: Leon Shargel, Eon Labs, Inc.

13 DR. SHEK: Efraim Shek, Abbott Laboratories.

14 DR. HAUCK: Walter Hauck. I'm Professor and
15 Head of Biostatistics at Thomas Jefferson University.

16 DR. KIBBE: Thank you, and I'm Art Kibbe, and I
17 work at Wilkes University, Chairman of the Pharmaceutical
18 Sciences Department and acting Chair of this committee.

19 Our first speaker will be the acting Chair of
20 the Division, Helen Winkle, who's been acting for three
21 years.

22 MS. WINKLE: Good morning, everyone.

23 I'm going to talk just briefly this morning
24 about the GMP initiative for the 21st Century. As I said
25 yesterday, I think that it's important for the committee to

1 have an idea about this initiative because it is such an
2 important part of what we're doing in the center. I want
3 to start off by saying that although the title of it has
4 been in the press and when we started this initiative back
5 in August of 2002, it was titled the Pharmaceutical cGMPs
6 for the 21st Century, we actually look at it as the drug
7 product quality initiative because, as I was mentioning
8 yesterday, this covers far more than just the cGMPs. It
9 covers the review aspect of quality as well. So it's
10 basically a continuum from the day the products come in and
11 how we look at the quality to come in for review for
12 marketing to the day they basically are no longer on the
13 market. So it is a continuum and we like to think of it in
14 those terms.

15 I'm going to talk about the initiative. I'm
16 going to run quickly through the various aspects of the
17 initiative just so you'll have an idea of what it entails,
18 and then Ajaz is going to sort of make the connection
19 between many of the things we're going to be doing here at
20 the advisory committee as well as on the various
21 subcommittees.

22 First of all, just let me talk briefly about
23 the goals of the initiative. It's basically conceived of
24 to incorporate concepts of risk management and quality
25 systems in what we do in our daily activities in the

1 agency.

2 It also includes the latest scientific advances
3 in manufacturing and technology. We often find, as Ajaz
4 talked about yesterday, that we sometimes feel like the
5 industry doesn't move forward in these areas because FDA is
6 sort of standing in their way. As Ajaz says, we don't want
7 to be responsible for that. We're really trying to
8 encourage scientific advances. So this is part of what
9 we've built into the initiative.

10 We want to better integrate the review program
11 with the inspection program which I've already mentioned.
12 It's a continuum across.

13 We want to ensure consistency in standards.
14 It's a very important part of how we do business and how
15 industry and others do business.

16 And we want to encourage again innovation and
17 focus resources effectively to address the most significant
18 health risks that are out there.

19 Just to give you an overview of the initiative
20 so you know what it entails, it basically applies to
21 pharmaceuticals, biological human drugs, and veterinary
22 drugs, and the focus is on the review, as I've already
23 said, of drug product applications and the inspection of
24 manufacturing facilities. The initiative is being
25 coordinated through a steering committee which consists of

1 members from our Office of Regulatory Affairs, our Center
2 for Biologics Evaluation and Research, our Center for
3 Veterinary Medicine, from CDER, the Center for Drug
4 Evaluation and Research, from our Office of the
5 Commissioner with input both from CDRH, which is our Center
6 for Devices and Radiological Health, and CFSAN, which is
7 our Center for Food Safety and Applied Nutrition. So
8 basically everyone in the agency is involved in this
9 initiative in one way or another.

10 We really, when we started this initiative back
11 in August, envisioned that it would take two years to
12 really -- and I won't say finalize the initiative but to
13 put the major part of the work into the initiative.
14 Obviously it's something that will go on for a number of
15 years out to really incorporate all those aspects of the
16 initiative that are really important to ensure that we
17 focus on the right things as far as quality is concerned.

18 We did provide our first six-month report in
19 February, on February 20th, and we have done a lot of work
20 in the six months within the agency, looking at how to make
21 a number of changes, and I'll talk about that more.

22 I just wanted to quote Dr. McClellan here
23 because I think his quotes are very significant when we
24 think about this initiative and where it's going. He
25 specified in his report on this in February that "using

1 state-of-the-art approaches to our review and inspection
2 process means getting important new medications to patients
3 faster." So there's more to this than just the obvious of
4 what the initiative says. This is basically to help
5 improve the whole area of medicine and to help the
6 consumer.

7 Another one of his quotes on that day was, "FDA
8 will focus our attention and resources on the areas of
9 greatest risk with the goal of maximizing public health
10 protection without impeding innovation."

11 Here, I have a chart which I know will be hard
12 to read for you all. The advisory committee does have it
13 in their handout. This is the chart of task groups within
14 the initiative. As you can see, the steering committee
15 oversees the activities of the various task groups. There
16 are 14 of them on this chart. There are actually some
17 other subgroups of these, but I'm going to go quickly
18 through the main task groups again so you will have an idea
19 of what we're doing under this initiative. Every one of
20 you on the advisory committee did get a reference to the
21 website which has the background materials for these
22 working groups in there, what we announced on the 20th of
23 February.

24 The first one I'm just going to touch basically
25 on is the contracts management. This group was set up to

1 expedite external studies of key issues that need to be
2 addressed under the initiative. Basically we're looking at
3 two areas now, and we feel like we need help in the agency
4 to really focus on these areas and that's why we're looking
5 at having them done on contract. We're looking at
6 effective quality systems practices. We want to sort of go
7 out and look at those practices outside because obviously
8 in setting up internal quality systems, we don't have all
9 of the expertise inside of FDA to be able to put quality
10 systems into practice within the agency. So we're going
11 out to look at some of those and also to get a better
12 handle on some of the areas that we need to focus on as far
13 as with the industry on how we handle cGMPs and other
14 product quality methodology.

15 So we'll be doing some contracts on this in the
16 near future and from those contracts, we hope to learn a
17 lot more on how we need to proceed in this area. As the
18 initiative moves along, too, we'll go out for other
19 contracts to help us in the agency in gaining more
20 knowledge.

21 International. When the initiative first
22 started back in August of last year, Dr. Crawford and then
23 later Dr. McClellan, when he came on board, wanted to be
24 certain that we include the scope of international in our
25 thinking as far as this was concerned. He felt like that

1 there's a lot of efforts that take place, especially for
2 industry. There's a lot of confusion sometimes between
3 what we here in FDA do and what's done internationally, and
4 he felt like this was an important part of what we needed
5 to look at as we instituted more quality systems internally
6 and as we looked at how we were going to ensure quality in
7 the future.

8 We felt like it was important to have
9 harmonized approaches as we looked at drug product quality,
10 and we're doing some of that with working with ICH
11 specifically in the realm of technological advances. In
12 Brussels this summer, we'll begin talking about a lot of
13 these areas at ICH. We're looking at other forums for
14 harmonization, and also we want to be able to benchmark
15 with other countries' systems, and we'll be doing that a
16 lot, too, in the future.

17 Part 11, just quickly. This was an area, of
18 course, of a lot of concern to industry and we have spent a
19 lot of effort up front in focusing on this to be able to
20 clarify the scope of FDA's electronic recordkeeping
21 requirements, to provide for enforcement discretion in the
22 areas where interpretation is unclear. We withdrew the
23 draft guidance on the 4th of February. What we hope to do,
24 in order to get more information out to industry and others
25 who have to implement part 11, is we hope to have a webcast

1 where we can go out and provide information probably
2 sometime in June or July.

3 And lastly, which of course will take a little
4 bit longer time, is we're planning to amend 21 C.F.R., part
5 11, the rule and the preamble. So these are things in part
6 11 that we're focused on now.

7 Dispute resolution. One of the things we've
8 heard time and time again from industry is the need to have
9 some type of dispute resolution process where scientific
10 and technical questions come up when we're doing
11 inspections, that there is a route to come into the agency
12 to sort of clarify that science and that's not existed in
13 the past. So we're trying to set up some type of system or
14 forum where we can do this internally within the agency and
15 develop consistent policies and procedures for resolving
16 these issues in the GMP area. Basically, we're looking to
17 be able to have a dispute resolution process between
18 regulated industry and the FDA and also between the
19 components of FDA because there is a lack of consistency
20 from center to center on how we will handle some of the
21 scientific disputes.

22 483 communication. There has been a lot of
23 concern on the part of industry about how we communicate
24 observations on our 483, which is the form that's used
25 during the inspection process. What we're planning on

1 doing is honing the language to communicate deficiencies
2 better, again to be more consistent. Right now in order to
3 ensure that consistency, we're actually combining this
4 particular working group, the working group that's looking
5 at communications on 483 and through inspections in
6 general, with the dispute resolution group. So those two
7 groups are working together to try and ensure that industry
8 is better informed of the observations, that the
9 observations are grounded in good science.

10 Also, the warning letter process is being
11 looked at. We're launching a program to identify any
12 inconsistency across program areas with respect to all drug
13 cGMP letters. It varies now from center to center whether
14 the warning letters, when they go out to industry, are
15 reviewed in the centers and this is what we're working
16 towards, is consistency along that line and planning that
17 those warning letters will be reviewed in the centers
18 before they go out. They'll be reviewed to ensure that the
19 science is strong science, that it's built into the warning
20 letters.

21 Manufacturing science. This is a very
22 important thing. This is part of ensuring the efficiency
23 and quality of pharmaceutical manufacturing and associated
24 regulatory processes. We want to facilitate, as I said
25 earlier, the introduction of modern manufacturing

1 technologies and systems. We also want to, though, be able
2 to enhance FDA's expertise into pharmaceutical engineering
3 and technologies. We ourselves admit that we need to
4 strengthen here some of our knowledge to be able to better
5 understand in some cases what constitutes really good
6 quality of product, and we'll be working on doing that as
7 part of this initiative.

8 Also, I think we talked briefly yesterday, Ajaz
9 talked briefly about the PAT initiative, the process
10 analytical technologies, and this is part of the
11 manufacturing science part of the GMP initiative.
12 I think, too, this is one part that we will see
13 continuously with this advisory committee. We'll bring a
14 number of questions, I think, at least to the Manufacturing
15 Subcommittee and then on to the advisory committee.

16 Changes without prior review. We talked about
17 this yesterday on comparability protocols. This is to
18 identify opportunities to allow postapproval manufacturing
19 changes without FDA review and approval prior to
20 implementation.

21 Risk management work planning. This is an area
22 that we feel like we need to spend efforts on in the
23 agency. We need to have a better way of ensuring
24 systematic risk management approaches throughout. We need
25 to implement risk-based approaches that focus both industry

1 and FDA's attention on the critical areas which we don't
2 always do, either from the review or the GMP aspect, and
3 recently, we have reorganized, at least CDER's Office of
4 Compliance, to better focus on how we can improve our risk
5 management.

6 The pharmaceutical inspectorate. Basically
7 what we want to do in the agency, for at least
8 pharmaceuticals, is to set up a specific cadre of
9 inspectors in the field who can focus and have better
10 knowledge on drugs so that when they go out, they have a
11 better understanding of not only the manufacturing
12 processes but of the products themselves.

13 We're hoping through this to enhance the
14 agency's expertise in pharmaceutical technologies, to
15 ensure state-of-the-art pharmaceutical science. What we'll
16 do is, although we do have staff in our field operations
17 now who will move into this cadre, we're looking to enhance
18 that staff with additional staff and to continue to
19 increase their expertise through better training, maybe
20 even better involvement with the industry, training through
21 the industry facilities as well, and also establish a
22 closer working relationship between the field and the
23 centers.

24 Product specialists. What we're striving to do
25 here is develop highly trained FDA product specialists to

1 basically help in strengthening consistency in regulatory
2 decisions and ensure submission reviews and that the
3 inspections are coordinated and synergistic. Again, we
4 will have people in the centers, in the field, who have the
5 technical information that's really necessary to get into
6 the more complicated areas of manufacturing and understand
7 those as we do inspections and reviews.

8 Team biologics. In the Center for Biologics
9 Evaluation and Research, they do their cGMPs a little bit
10 differently. They have an internal team. The team
11 biologics has been in existence for awhile, and looking at
12 that, how team biologics works and the effectiveness of it
13 has been studied for awhile, and now it's been built into
14 this drug product quality systems initiative. And
15 basically we're looking at improving the operations of team
16 biologics and building on the implementation of a quality
17 management system. And as the CDER/CBER consolidation
18 becomes effective, obviously some things with team
19 biologics are going to change a little bit to align them
20 with how CDER does business. So there are some areas here,
21 too, that we'll have to focus on under the initiative.

22 Quality systems. Basically, we're looking both
23 internally to set up quality systems and externally to
24 understand better the quality systems that exist out there
25 in manufacturing. We hope to improve both review and

1 inspectional processes through implementing these quality
2 systems approaches, and as part of this, too, we'll be
3 looking at our regulations.

4 Training. Basically, this affects all the
5 areas. Everything that I have mentioned here will have a
6 training component to it. So this is a very important part
7 of the overall initiative, and basically we will have to
8 take a look at what we need for training. We'll have to do
9 training both internally and externally, and we're in the
10 process of beginning to develop some of these training
11 courses and determining what we really need to be doing.

12 And lastly, evaluation, which is an important
13 part of any initiative, and we feel this is extremely
14 important to the initiative. In fact, Dr. Woodcock herself
15 is heading up this particular working group. What we hope
16 to be able to do is to develop appropriate metrics and a
17 mechanism for evaluating the entire initiative, so that two
18 years from now, three years from now, four years from now,
19 whatever, we can go back and look at how successful we have
20 been in instituting the changes under the initiative.

21 Basically, next steps is we'll have a workshop
22 in April to begin to vet a number of these initiatives, to
23 get input from the stakeholders. I think this is an
24 important part of the overall initiative. We'll also be
25 vetting a number of the questions, scientific questions

1 that come up in the area of manufacturing before the
2 subcommittee and the advisory committee. As I've said, I
3 think you'll see a number of these issues in the next six
4 months or so.

5 We're getting several draft guidances out to
6 issue for public comment, including the one on comparable
7 protocol and dispute resolution. We'll definitely have
8 additional workshops to focus on a number of the scientific
9 issues under the initiative, probably even have another
10 workshop before the year is over, and again we're in the
11 process of clarifying part 11.

12 So these are just the immediate steps.
13 Obviously, as the initiative continues to gain momentum,
14 there will be a number of other things that will be added
15 to this list of steps, but we've all been very active and
16 busily working on this initiative. And again, I think it's
17 important because I think, as I said yesterday, we're going
18 to start seeing the scientific environment anyway of the
19 agency change and this initiative is really an important
20 part of those changes.

21 So anyway, I thank you. Again, it was a lot to
22 listen to. There is a lot going on here. So I appreciate
23 your attention, and I'm going to hand it over to Ajaz.

24 DR. KIBBE: Thank you, Helen.

25 Is there anybody that has any questions before

1 you sneak away?

2 (No response.)

3 DR. KIBBE: Your presentation must have been
4 perfect.

5 DR. HUSSAIN: I'm going to continue with your
6 advice and not use slides.

7 Let me start where Helen stopped. The
8 workshop, the inaugural workshop for this initiative is on
9 April 22nd to 24th. We anticipate this to fill up quickly.
10 So if you haven't registered, you should register as soon
11 as possible. The registration information is available on
12 the FDA website as well as the PQRI website. This workshop
13 is designed to get input from industry and other
14 stakeholders, and we'll have a very interactive session
15 which will be in four parts, sort of breakout sessions in
16 four different areas. These areas are risk-based GMPs,
17 defining risk and quality, integrated quality systems,
18 focusing more on review inspection, and changes without
19 prior review and manufacturing science. So if you have not
20 registered, please do so quickly, and the number of slots
21 available will be limited. We anticipate this to sell out.

22 As part of this initiative, we have defined
23 from an FDA perspective a vision for the future, what we
24 would like to see or what we anticipate the future to be in
25 terms of manufacturing, and I think it's important to focus

1 on that and how do we get there depends on what we do
2 today. So all the activities, discussions that we had
3 yesterday and we'll have today impact on the future state,
4 and what I would like to do is sort of walk through the
5 future state that we think is a desired state and then try
6 to link yesterday's discussion and today's discussion to
7 that and hopefully connect those dots.

8 I think the drug discovery development paradigm
9 is shifting, and one anticipated outcome is that the trend
10 would be more towards targeted small populations and drugs
11 developed for those, and I think that itself creates a
12 challenge, and manufacturing would have to be flexible to
13 adapt to that. At the same time, I think efficiency of
14 manufacturing processes need to be at a much higher level
15 for many different reasons.

16 So in the drug quality system for the 21st
17 century, we essentially want to recognize that
18 pharmaceutical manufacturing is evolving from an art form
19 to one that is now science- and engineering-based.
20 Effectively using this knowledge in regulatory decisions,
21 not only for establishing specifications but also for
22 evaluating manufacturing processes, can substantially
23 improve the efficiency of both manufacturing and regulatory
24 processes.

25 This initiative is designed to do just that,

1 through an integrated systems approach, to product quality
2 regulation, focused on sound science and engineering
3 principles for assessing and mitigating risk of poor
4 product and process quality within the context of the
5 intended use of pharmaceutical products. And with that
6 sort of a framework, I think what is the desired state for
7 pharmaceutical manufacturing from development and
8 manufacturing?

9 One, product quality and performance achieved
10 and assured by design of effective and efficient
11 manufacturing processes. The emphasis there on design is
12 to sort of emphasize that testing to document quality is
13 not a paradigm which really is the current state of
14 thinking. It has to be by design.

15 Product specifications, based on a mechanistic
16 understanding of how formulation and process factors impact
17 product performance, continuous real-time assurance of
18 quality, regulatory policies tailored to recognize the
19 level of scientific knowledge supporting product
20 applications, process validation and process capability,
21 risk-based regulatory scrutiny that relates to, one, level
22 of scientific understanding of how formulation and
23 manufacturing process factors affect product quality and
24 performance, and two, the capability of process control
25 strategies to prevent or mitigate risk of producing a poor

1 quality product.

2 So this is where we want to be in the future
3 and what we have to do today and how do we get there, I
4 think we will be seeking your input on that in that
5 journey.

6 Yesterday we discussed many topics which I
7 think you can now link this to the future state. For
8 example, yesterday we discussed our system for ensuring
9 therapeutic equivalence of generic drugs and also innovator
10 drugs in the event of postapproval changes. One topic that
11 we discussed yesterday was topical products nomenclature
12 that dealt with pharmaceutical equivalence, bioequivalence,
13 and therapeutic equivalence, for example.

14 I also pointed out yesterday that if we do not
15 look at that from a systems perspective, there is a
16 humongous potential for misunderstanding, and if you just
17 focus on bioequivalence, bioequivalence is never equal to
18 therapeutic equivalence. That's not the mantra we have.
19 That's not our system. Our system starts with an entire
20 assessment of pharmaceutical equivalence, manufacturing
21 process, labeling. These are all components to that that
22 makes a decision whether a product is therapeutically
23 equivalent or not.

24 We also discussed yesterday the concept of the
25 comparability protocol which is directly linked to this,

1 but at the same time, I think when you look at the
2 information base that we use to set specifications and
3 identify critical formulation variables and so forth,
4 there's a lot of information that exists today that is not
5 effectively used.

6 One of the concepts that was discussed
7 yesterday was design your own SUPAC or make your own SUPAC
8 or customized SUPAC, whatever you would like to call that.

9 That is based on an understanding of your manufacturing
10 process variables which are critical in how they impact on
11 product performance. If we effectively utilize that
12 information, I think we can do a much better job in
13 managing changes, and why are changes important? Change is
14 a way of life. In fact, changes are the only way forward,
15 and when there is a change in manufacturing process or when
16 there is a change in the product composition, I think
17 clearly the concern from the public health perspective is
18 that this change should not affect the safety and efficacy
19 profile. And that is the challenge that FDA and the
20 industry have.

21 I think we need to find effective and efficient
22 methods for ensuring that product performance is unchanged
23 and the manufacturing process changes that occur keep
24 improving the efficiency, and that's sort of a continuous
25 improvement model that comes about. So that's a challenge

1 and that's what we discussed yesterday.

2 Today, we'll discuss a proposal on a parametric
3 tolerance interval approach to dose content uniformity. In
4 fact, if you go back and recall, one of the slides Tom
5 Layloff presented in his presentation on content uniformity
6 for tablets, it's a direct link to that. I'm very excited
7 about this proposal. Conceptually, I think we are in
8 agreement that this is the direction we would like to go,
9 and why are we so excited about this proposal?

10 In Tom Layloff's presentation, you saw our
11 current approach to many of the tests that we have, say, in
12 the USP content uniformity are zero tolerance tests. USP
13 tests were essentially evolved as a market standard where a
14 pharmacist or physician can take 10, 20, 30 tablets and say
15 yes, no doubt it is outside 75 to 125.

16 The parametric approach that you will hear
17 today, I think, is an evolutionary step in sort of bringing
18 the current state of statistical science to bear on certain
19 decisions, and you actually take into consideration the
20 variability, the underlying distributions, and actually you
21 can make better decisions with this.

22 That is so critical as we move towards the
23 future. The reason is, if you have now the capability,
24 say, with the process analytical technology to essentially
25 do a test for an entire manufacturing product lot non-

1 destructively, the USP-type specification is not conducive
2 to that sort of an assessment. So you really have to take
3 the next evolutionary step and bring a sound statistically
4 based approach to doing that assessment and you'll hear
5 that proposal after my presentation today.

6 I think one of the challenges there is there
7 are two issues being discussed with that proposal. One is
8 moving towards the parametric tolerance interval criteria.

9 That's wonderful. The other aspect I think where we are
10 struggling internally is how do you establish the
11 acceptance criteria? So if you think about and listen to
12 that presentation, which is an awareness topic -- and
13 you'll have a much in-depth discussion at a subsequent
14 meeting -- think of that as two areas, moving towards the
15 parametric tolerance interval and then establishing what
16 are the acceptance criteria.

17 The other presentation we'll have today is on
18 endogenous substances, bioavailability and bioequivalence
19 of that, and we discussed this yesterday, also. I think
20 many issues remain unresolved with respect to
21 bioavailability/bioequivalence, many are perception issues,
22 many are scientific issues. And I think the
23 Biopharmaceutics Subcommittee will have to prioritize and
24 start moving towards that. This could be a topic, one of
25 the topics, for the Biopharmaceutics Subcommittee, to come

1 up with a general decision tree criteria of how we approach
2 endogenous substances. Today we do that on the basis of
3 each product, each drug, and I think we're very confident
4 that our system works. But I think it would be helpful to
5 move from going for each drug-specific issue to create a
6 framework of a decision tree. So the discussion on that is
7 focused on where do we go from here to a decision tree
8 criteria.

9 We'll end this day with a look at some of the
10 activities, research activities in our immediate office.
11 There are two points that I would like to make with that.

12 One is as we move towards a quality system
13 approach to thinking, there has to be a mechanism for
14 evaluating how good we are. We, for several years, had a
15 committee called Therapeutic Inequivalence Action
16 Coordinating Committee. We talked about it briefly
17 yesterday. What Helen has asked me to do is to take
18 responsibility for that committee and we have taken a step
19 back to evaluate how best do we assess and evaluate and
20 manage that process? What is that process? It is a
21 quality systems process, if you think of it. We get
22 consumer complaints. We get complaints that this product
23 didn't work as it was expected to, and how do we resolve
24 that? How do we distinguish between whether this is a
25 perception issue or whether it's truly a quality issue and

1 truly that we need to change? We took a step back, looked
2 at the whole process, and we will sort of bring some of
3 that discussion to the Biopharmaceutics Subcommittee, also.

4 But some of the research activities at the OPS
5 level are focused on rapid response situations. This is
6 one of the examples of the rapid response things that we
7 do, but there are others. Some of them are related to
8 counter-terrorism issues and Nakissa will give you some
9 examples so that you appreciate the quality systems
10 approach that is evolving, which is also sort of building
11 on what we have today.

12 So that's what we have in store for you today,
13 and I hope it will be a very productive discussion.

14 Thank you.

15 DR. KIBBE: Thank you, Ajaz.

16 Is there anyone who has any questions for Ajaz?

17 (No response.)

18 DR. KIBBE: Okay. We're scheduled to take a
19 break at 9:30 and it is 9:08. There are a few things that
20 we can do during that break and then perhaps we could get
21 started with the next set of speakers a little sooner and
22 that would give us a little more breathing room. We have,
23 I think, 12 or so people who have scheduled to speak during
24 the open public hearing.

25 Those who have scheduled to speak at the open

1 public hearing, if you're here and you're ready to start
2 early, if you'll be prepared to go when we finish with our
3 next topic, that would be greatly appreciated. Also, if
4 you have not already checked in with staff, Kathleen Reedy
5 would like to see you to make sure the slides and
6 everything are all lined up.

7 For the members of the committee, don't forget
8 to fill out your little lunch thing and they'll be around
9 to pick that up.

10 And all the copies of everything that we have
11 that we're looking forward to hearing today are either in
12 your little purple folders or copied for you. If we get
13 additional stuff, we'll get it out to you.

14 That being said, why don't we take a 15-minute
15 break and come back at 9:23.

16 (Recess.)

17 DR. KIBBE: If we could start to settle down or
18 settle down to start or whichever way you want to put it.

19 I have been informed that I cannot start the
20 open hearing sooner to try to fit more time in for our
21 speakers because of the way it is announced in the Federal
22 Register, and so it has to start at exactly 11:30, no
23 sooner, which means that Dr. Adams and his colleagues will
24 have additional time to more completely describe for us
25 dose content uniformity, parametric tolerance interval test

1 for aerosol products, and I think we'll benefit from that,
2 as soon as the electronics are ready.

3 Dr. Adams, you're on.

4 DR. ADAMS: Yes. Thank you, Dr. Kibbe.

5 Dr. Kibbe, advisory members, good morning. I'm
6 pleased to be here and have an opportunity to discuss the
7 dose content uniformity work which we have been involved in
8 for a period of time.

9 I'd like to note that this topic, at least my
10 presentation, is called dose content uniformity for aerosol
11 products, and while the approach could apply to other
12 dosage forms as well, why aerosol products? Well, it goes
13 back to mid-1997 when the office and the center formed an
14 OINDP Technical Committee, Orally Inhaled and Nasal Drug
15 Products Technical Committee, and then in 1998, a group of
16 us within that technical committee considered batch release
17 for dose content uniformity and whether a test could be
18 improved. What we were looking at was dose content
19 uniformity in the perspective of orally inhaled and nasal
20 drug products; that is, the entire range of metered-dose
21 inhalers, dry powder inhalers, nasal sprays, and
22 concentrating on that effort.

23 Why aerosol products? It's because these
24 products are a combination -- they're not only formulations
25 but they're formulations with a device. So it's a drug-

1 device combination product, and as such, there can be
2 greater challenges with regard to dose uniformity, both in
3 mean delivery and in variability. So we concentrated on
4 that effort and felt that there was an opportunity to
5 improve the presently used dose content uniformity test.

6 As Dr. Hauck will indicate in his presentation,
7 the current test specifies what constitutes an acceptable
8 sample, but it does not indicate what constitutes an
9 acceptable batch.

10 Now, there are two guidances which are
11 appropriate to this topic. One is the Metered Dose Inhaler
12 and Dry Powder Inhaler Drug Products-CMC documentation
13 draft guidance issued in 1998, and then a second guidance,
14 the Nasal Spray and Inhalation Solution, Suspension and
15 Spray Drug Products-CMC documentation. That's a final
16 guidance and that was published in July of 2002, and both
17 of those guidances cover dose content uniformity
18 recommendations.

19 Now, this slide is simply a nomenclature slide
20 to indicate that the first guidance, the MDI and DPI
21 guidance, refers to dose content uniformity and the nasal
22 spray guidance refers to spray content uniformity.
23 Uniformity of metered doses from an MDI, DPI or nasal spray
24 considers performance within a container for multiple-dose
25 products, among containers, and between batches.

1 The present DCU and SCU tests are essentially
2 nonparametric tests, but they do have a parametric element.
3 They apply to single-dose aerosol products and they apply
4 to multiple-dose products. It's a two-tiered test as it's
5 presented in the guidance, and at tier 1, it says that
6 there's not more than 1 of 10 containers outside of 80 to a
7 120 percent of label claim and 0 outside of 75 to 125
8 percent of label claim. That's what we call the zero
9 tolerance criterion, and it's an attempt to use the sample
10 but to provide some assurance that there will not in the
11 batch be samples with very high variability.

12 The parametric element in that test is the last
13 line indicating that the mean of the 10 samples at the
14 first tier shall not be outside of 85 to a 115 percent of
15 label claim.

16 In addition to that dose content uniformity
17 test, there's an additional test for multi-dose products
18 and that additional test is called the Dose Content
19 Uniformity Through Container Life for Multi-Dose Products,
20 and for metered-dose inhalers, that test says that the dose
21 content uniformity is measured at the beginning, middle and
22 end life stages.

23 Now, for multiple-dose products, like, let's
24 say, albuterol MDI, where the standard product is labeled
25 for 200 doses, it's saying after priming, we want the

1 information in terms of dose content uniformity at the
2 first primed dose, somewhere in the middle, and then at the
3 200th dose. So the goal there is to look at variability
4 within the container. So that's why beginning, middle and
5 end life stages is included.

6 The test calls for that information to be
7 conducted on each of three containers. That's a total of
8 nine determinations at tier 1, and similar to the prior
9 recommendation, not more than one of the nine
10 determinations shall lie outside of 80 to 120 percent of
11 label claim, zero tolerance criterion, 0 outside of 75 to
12 125 percent of label claim, and again the means at each of
13 the beginning, middle and end are not outside of 85 to 115.

14 This test simply indicates that this DCU
15 through container life for the multi-dose products applies
16 also in its essential characteristics to dry powder
17 inhalers and also to nasal sprays.

18 Now, there have been a number of publications
19 talking about parametric tolerance interval tests for
20 various dosage forms, and a parametric tolerance interval
21 approach takes the general form of the criterion indicated
22 here that equals Y plus or minus kS , where we're defining
23 Y , for dose content uniformity specifications, as being the
24 absolute value of the difference between the label claim
25 and the sample mean. And my equation really should be

1 slightly modified in that because I'm talking about an
2 absolute value, it doesn't need that minus sign. It should
3 just be Y plus kS really, if we talk about the absolute
4 value.

5 K is the tolerance interval constant. The S is
6 the sample standard deviation, and the acceptance value for
7 this approach says that the acceptance value is less than
8 or equal to Y plus or minus -- that is, Y plus or minus kS
9 is less than or equal to the tolerance interval limits. I
10 think that will be a little clearer as we proceed.

11 A parametric tolerance interval test, based
12 upon hypothesis testing, is intended to control the ranges
13 of specified coverage; that is, it may say, for instance,
14 85 percent of the doses in the batch fall within 75 to 125
15 percent of label claim at 95 percent confidence, and
16 therefore we're specifying some minimum proportion of the
17 batch that should fall within the limits. That's called
18 the coverage. We're specifying the acceptable tolerance
19 limits, the target interval -- in this case 75 to 125
20 percent is shown -- and the degree of confidence. That's
21 an alpha level of 5 percent or less.

22 Now, a little bit of history in terms of these
23 publications. A tolerance interval approach is official in
24 the Japanese Pharmacopeia for a variety of dosage forms
25 unspecified. That was based upon the work of the Japanese

1 statistician Katori, et al., and it is now official. It
2 has been official since 1996. The pharmacopeia discussion
3 group which consists of representatives of the EP, the JP,
4 and the USP, has published on this topic. The Statistics
5 Working Group of PhRMA has published on this topic. They
6 have three publications in the Pharmacopeia Forum, and
7 ICH/PDG Task Force has published and in fact has the latest
8 article in a year 2002 issue of the Pharmacopeia Forum.
9 All of those applications of the tolerance interval are not
10 based upon hypothesis testing.

11 The first bullet here refers to a publication
12 of Roger Williams, Guirag Poochikian, Walter Hauck and
13 myself, published in 2002, Content Uniformity and Dose
14 Uniformity, Current Approaches, Statistical Analyses and
15 Presentation of an Alternative Approach, with Special
16 Reference to Oral Inhalation and Nasal Drug Products, again
17 with special reference to the OINDP. This paper proposed
18 an approach that clearly states the allowable level of
19 consumer risk and of what constitutes an acceptable batch.

20 It didn't state what constitutes the acceptable batch, but
21 it proposed an approach that allows for specification of an
22 acceptable batch.

23 Then, lastly, on November 15th of 2001, IPAC-RS
24 presented to the agency a lengthy report called A Permit to
25 Tolerance Interval Test for Improved Control of Delivered

1 Dose Uniformity of Orally Inhaled and Nasal Drug Products,
2 and that also is based upon hypothesis testing, and it
3 includes, in addition to the tolerance interval, two side
4 conditions. One is a limit on the standard deviation and
5 another is a limit on the mean, and Dr. Olsson will discuss
6 that in more detail.

7 Now, I've now got a series of four slides
8 outlining OPS issues that has been discussed in earlier
9 meetings between the agency and IPAC-RS, but before I
10 present these four issues, some of which may in fact have
11 been addressed by IPAC-RS and Dr. Olsson will talk to these
12 issues, but before I do that, I'd like to say that OPS is
13 interested in implementing a parametric tolerance interval
14 approach for dose content uniformity. It places the test
15 on a firm statistical basis and by that, I mean, it clearly
16 states the allowable consumer risk; that is, an alpha of
17 not more than 5 percent. It clearly specifies a limiting
18 quality standard. It allows firms to control producer risk
19 through selection of sample size and number of tiers of
20 testing, and as proposed by IPAC-RS, it eliminates the zero
21 tolerance criterion, and we know that the zero tolerance
22 criterion represents a problem as n increases; as the
23 sample size increases, there's more likelihood of finding a
24 particular sample outside of that tolerance limit, and Dr.
25 Hauck will describe that issue.

1 But for the above reasons that I just
2 mentioned, we do view that should such a test be
3 implemented, it would represent a win-win for both consumer
4 and industry.

5 But I want to indicate that there are certain
6 issues that remain to be resolved at this point, and we are
7 simply bringing this topic to the advisory committee as an
8 awareness issue at this time.

9 The first one. Dr. Hussain has spoken to this
10 issue a few minutes ago when he indicated that the
11 definition of limiting quality has not been resolved.
12 There are a number of choices, based upon this parametric
13 tolerance interval approach. One is the approach which
14 IPAC-RS proposes. That's the first bullet. 85 percent of
15 the doses of the batch to fall within 75 to 125 percent of
16 label claim.

17 But there are other definitions of limiting
18 quality which could be used. One is that 85 percent of the
19 doses fall within 80 to 120 percent, a narrower range, of
20 label claim. Another is that even more samples, 90 percent
21 of the doses could fall within 75 to 125 percent of label
22 claim, or 90 percent of doses might fall within 80 to 120
23 percent of label claim. And there may be other options for
24 that. But that is not a settled issue and that is one of
25 the main issues that we continue to work with on this

1 issue.

2 Another issue is robustness of the test. There
3 are questions for non-normally distributed data and, for
4 instance, for short-tailed distributions, and I'm aware
5 that Dr. Olsson will be speaking to this issue of the non-
6 normally distributed data.

7 Properties of the test when the batch is at or
8 below the IPAC-proposed limiting quality of 85 percent
9 coverage.

10 Another issue is the impact of eliminating the
11 zero tolerance criterion. IPAC-RS claims that this
12 criterion increases the producer risk with little
13 improvement in consumer protection, but it may have some
14 value for skewed data; that is, the distribution which is
15 non-normally distributed and some data which are way out.
16 So it may have some value in protecting against skewed
17 data.

18 And lastly, the issue of the alpha level being
19 less than or equal to .05 percent. We did some analyses of
20 this approach in house. Don Schuirmann did this work and
21 found that under certain circumstances, in fact, the alpha
22 level goes considerably higher than 5 percent, and
23 subsequently, IPAC-RS addressed this issue and has now
24 reduced that alpha level closer to 5 percent, perhaps
25 slightly above, but it all depends upon the particular non-

1 normal distribution and the distance between the label
2 claim and the mean.

3 So what approaches are there to assuring an
4 alpha of .05? Dr. Hauck, I believe, is also going to speak
5 to that issue.

6 I'd like to finish up then with two questions
7 to the advisory committee. We will come back to these
8 after hearing the presentations by Dr. Olsson and Dr.
9 Hauck. The first question for the advisory committee -- I
10 think we'll be putting this up on the screen later -- is,
11 does the ACPS agree that a parametric tolerance interval
12 test is conceptually acceptable as a replacement for the
13 agency's non-parametric DCU and DCU through container life
14 tests for OINDPs? And to help the committee answer this
15 question, as I say, we've asked Dr. Bo Olsson, representing
16 IPAC-RS, to describe their approach to us.

17 I'd also emphasize that the IPAC-RS approach is
18 claimed to be based upon the current FDA/DCU acceptance
19 rule, but certainly as we'll see, the operating
20 characteristic curves for the FDA's test and the IPAC-RS
21 test are not superimposable.

22 Then following Dr. Olsson's presentation, OPS
23 has asked Dr. Walter Hauck to provide us with his
24 assessment of the PTIT issues and how the IPAC-RS approach
25 deals with them.

1 And then question number 2 is an issue that was
2 raised by Dr. Hussain, and it has to do with a validation
3 of manufacturing processes issue. It says, does ACPS feel
4 that the DCU quality standards should provide an assurance
5 that batch failure rates do not exceed some specified
6 level, e.g., 10 percent?

7 The genesis of that question comes about from a
8 court decision back in February of 1993, Judge Wolin, who
9 said the following, and I'm paraphrasing. The government
10 first argues that the failure rate associated with the
11 firm's products demonstrate the need to revise the
12 underlying manufacturing processes. To the extent that
13 batches included in retrospective studies exhibit a failure
14 rate of 10 percent or more, the court agrees. So,
15 therefore, we've been looking at this 10 percent issue and
16 trying to determine if somehow this level of protection
17 could be built into this test.

18 Now, we could look at this in a couple of ways.
19 One is to say that the DCU test is only one of a number of
20 tests that these products must meet in order to be
21 acceptable. Another important one for aerosol products, in
22 addition to the dose content uniformity, is the particle
23 size distribution. But it seems to me that very tight
24 specifications could be set on a DCU test and yet tell us
25 nothing about the goodness of the particle size

1 distribution, and so I think they're independent tests. So
2 how does that fit into this issue?

3 And secondly, if we look at this 10 percent
4 level as applying only to the parametric tolerance interval
5 test, is there some way that we might be able to address
6 this 10 percent issue in setting specifications on the
7 parametric tolerance interval test?

8 With that, I'd like to stop and finish up with
9 an acknowledgement slide, acknowledging Dr. Hussain, Dr.
10 Poochikian, Mr. Schuirmann, Dr. Meiyu Shen, Dr. Yi Tsong,
11 all from FDA, to acknowledge Dr. Walter Hauck, who's been
12 involved with this issue when it was first raised under a
13 contract that the agency had with Dr. Hauck, and lastly Dr.
14 Roger Williams, who was the individual who back in 1998 had
15 raised this issue when he was the OPS director and was
16 looking at approaches that may be suitable for improving
17 the statistical basis for dose content uniformity.

18 Thank you.

19 DR. KIBBE: Do you want to take questions now
20 or do you want to take them after your other two speakers?

21 DR. ADAMS: I think it might be appropriate,
22 Dr. Kibbe, if we took them later, but it's up to the chair
23 and it's up to Dr. Hussain.

24 DR. HUSSAIN: I just want to introduce the two
25 individuals to my right. Don Schuirmann and he will

1 participate in the discussion of the committee this
2 morning.

3 DR. KIBBE: Thank you, Ajaz.

4 Dr. Olsson, I think we're --

5 DR. ADAMS: Yes, Dr. Olsson is up next.

6 DR. KIBBE: Good. Thank you.

7 DR. OLSSON: Good morning, ladies and
8 gentlemen, and I think I'd like to start out by thanking
9 the FDA for this invitation to give me the opportunity to
10 speak about the parametric tolerance interval test for
11 improved control of delivered-dose uniformity in OINDPs.

12 I will only, of course, give you an overview
13 here. You have a lot of data in the material that's in
14 your background packages. I will try to address each of
15 the issues that the agency and Wally in his presentation
16 here have raised, and as he indicated, some of the answers
17 to those issues have been recently provided to the agency
18 in a package that I do not think that you have received
19 yet. At the end of this presentation, I do hope that the
20 advisory committee will agree that the PTI test is a step
21 forward.

22 As we heard Wally tell you, the DDU is one of
23 several quality attributes that is tested for OINDPs, and
24 importantly, this one combines the performance of the
25 delivery device and the formulation which makes it a more

1 complex thing, and DDU is there to verify delivered-dose
2 uniformity in the batch, between containers and within
3 containers for a multi-dose product, and, of course,
4 closeness to the target.

5 So there are many types of oral inhalation and
6 nasal drug products: pressurized metered-dose inhalers,
7 dry powder inhalers, nasal sprays, inhalation solutions.
8 All of them are intended to deliver a dose of aerosol to
9 the respiratory tract to treat different diseases.

10 Ever since its introduction in the '50s, the
11 CFC pMDI has been the main formulation type of aerosols.
12 CFCs were linked to ozone depletion and are now being
13 phased out. This phase-out of CFCs forces reformulation
14 and development of new technologies for aerosol delivery.

15 The regulatory requirements for delivered-dose
16 uniformity evolved mainly based on FDA's experience with
17 these CFC pMDI products. Over time, the DDU testing
18 requirements became more stringent. Now, even for the
19 mature technology of CFCs, this poses challenges, and even
20 more so with the new technologies where formulation options
21 are more limited.

22 I don't think I need to go through this slide
23 in any detail because Wally did that for me. Thank you. I
24 just want to highlight this undesirable characteristic of a
25 zero tolerance requirement; namely, that the stringency

1 of that requirement is completely correlated to the sample
2 size. So the more you look, the more certainty you have in
3 failing that requirement. Therefore, it is unsuitable for
4 situations where you do a lot of testing, for example, in
5 stability testing, in validations, and as Ajaz pointed out,
6 in PAT.

7 The reason that IPAC-RS would like to see a
8 change of the draft guidances and the replacement with the
9 PTIT is that because the PTIT is a more powerful test. It
10 uses the data collected in a more efficient way and it does
11 not have this penalty with increased testing. Another main
12 reason is that many of the OINDPs cannot routinely meet
13 expectations in the draft guidances, and this is
14 demonstrated by the fact that for many products, there have
15 been approved exceptions and deviations from the test and
16 acceptance criteria in the published guidances.

17 The statistical design of the PTIT is built on
18 previous work, mainly by Dr. Walter Hauck, but also work
19 performed within the pharmacopoeias and especially the
20 Japanese Pharmacopoeia, but it also incorporates some
21 features of the FDA draft guidance test. The acceptance
22 criteria were designed to match or exceed the statistical
23 consumer protection implied by the published guidances.

24 Briefly, the batch quality definition is based
25 on coverage, which is the proportion of doses in the batch

1 are within a set target interval. This means that batches
2 having the same coverage of a given target interval are
3 considered to be of equal quality, and this provides the
4 simultaneous control of the closeness to the target and the
5 variability around the mean. So when the mean drifts away
6 from the target, then the standard deviation has to be
7 lower in order to maintain the coverage.

8 Similarly to bioequivalence testing where
9 inequivalence is the null hypothesis, we have defined null
10 hypothesis as a batch quality out of specification. This
11 associates the type I error with the practical most
12 important error; namely, the undesirable event that a batch
13 is released but is outside specification. This is yet not
14 the usual approach within the CMC arena as it is in
15 clinical sciences, but it is necessary to provide
16 statistical rigor.

17 Since the quality of batches released to the
18 consumer is of the greatest importance, it is appropriate
19 to set the null hypothesis at out of specification because
20 this then has to be refuted by data with high confidence in
21 order for the batch to pass. And this is key to
22 understanding our approach to the view, and I hope that
23 Walter will touch upon this hypothesis framework a bit
24 more, so it will be crystal clear at the end of the day.

25 Our proposed standard of quality is as Wally

1 indicated. 85 percent of batch coverage of the 75 to 125
2 percent label claim target interval should be covered and
3 this corresponds to the 5 percent acceptance point for the
4 FDA multi-dose product test. Importantly, this means that
5 commercial batches must far exceed the 85 percent coverage;
6 otherwise the reject rate would be unacceptably high.

7 So here's a comparison between the coverage at
8 the limiting quality between the FDA and the PTI tests. So
9 the PTI proposal is the same coverage as with the FDA test
10 for multi-dose products and exceeds that for single-dose
11 products.

12 This is a summary of the actual mechanics of
13 the PTI test. You test a predefined number of units and
14 those are from different portions in the container life, if
15 it's a multi-dose product, one dose from each unit. From
16 this sample, one calculates the mean and the standard
17 deviation, and this is what makes this test a parametric
18 test because these are the parameters of a normal
19 distribution.

20 From these parameters, an acceptance value is
21 calculated, and the acceptance value is the deviation of
22 the mean value from the target, which is a 100, plus the
23 standard deviation scale with the test coefficients.

24 Then the three metrics are compared with their
25 limits, so the acceptance value needs to be lower than 25,

1 which is the target interval, the mean should not deviate
2 more than 15 percent label claim, and the results are the
3 limits on the standard deviation, which is scale with the
4 test coefficients.

5 These test coefficients are listed here, and
6 they vary with the sample size in order to ensure the type
7 I error to be at about 5 percent at the limiting quality
8 for all sample sizes. This means that the consumer
9 protection is the same for all sample sizes by design but
10 that the producer risk varies with sample size and is
11 decreased when the sample size increases. This provides
12 for the opportunity to select the test plan or a sample
13 size that is appropriate for each product.

14 As Wally explained, these test coefficients
15 were recently revised to address some concerns by the
16 agency and that was to make sure that the 5 percent type I
17 error rate was not exceeded when batch means went off the
18 target. And here's a plot to show the acceptance
19 probability versus the batch mean for a number of sample
20 sizes, and this shows that only for batch means at around 9
21 percent deviation from the target does the type I error at
22 the limiting quality approach or slightly exceed 5 percent.
23 So this addresses one of the issues.

24 The other issues are listed here, and I will
25 spend the remainder of my presentation going through the

1 bolded points here.

2 Just a quick note on representative sampling.
3 This is an issue that is as important for any test
4 whatsoever and has nothing specifically to do with the PTI
5 test. And IPAC-RS, we do absolutely agree that
6 representative sampling is a necessary prerequisite for any
7 test.

8 Also a quick note on the topic of differences
9 between product types, to tell you that with the PTI test
10 where the sample size can be adjusted without compromising
11 consumer protection, this test is well suited to take care
12 of differences between different product types yet having a
13 consistent standard.

14 We've had several meetings with the agency to
15 discuss and resolve issues with this test. I think it's
16 fair to say that we have reached an understanding that
17 conceptually the PTI test is acceptable and that the main
18 question that needs resolution is the acceptance criteria
19 to be used with the test.

20 Now, let's talk about the gap which is really
21 about the sameness or comparisons between the PTI test and
22 the FDA draft guidance test. But first, let me go through
23 a generic operating characteristic curve.

24 We have here probability to accept as the y
25 axis and some batch variability measure along the x axis,

1 so that we have low variability here and high variability
2 here. So for low variability, that is really the producer
3 protection region, and I should say that this curve here
4 traces the probability that the sample obtained from a
5 batch of the corresponding batch variability is within the
6 specified acceptance limits. So it's the ability of the
7 batch to provide a sample within the limits that makes up
8 this curve.

9 So in the producer protection region, ideally,
10 the acceptance probability should be a 100 percent for good
11 quality and deviations from a 100 percent. That is what we
12 call the type II error, or beta error. As variability is
13 increased and you come into a region with unacceptably high
14 variability, that is where you need your consumer
15 protection, and ideally here, the acceptance probability
16 should be 0 and deviations from this ideal 0, that is the
17 type I error, or alpha error.

18 Now, as the curve transits from the high
19 acceptance region to the low acceptance region, there is an
20 area of uncertainty which is where the acceptance
21 probability is neither good nor bad. Of course, the
22 steeper the curve, the smaller is this area of uncertainty.

23 This is a very important slide. This shows the
24 comparison between the PTI test curve for a sample size of
25 12/36 with the draft guidance test curve for multi-dose

1 products. Importantly here at 5 percent acceptance rate,
2 which is the same to say 95 percent rejection probability,
3 the two curves tie. So they have the same consumer
4 protection or, in other words, they have the same ability
5 to reject quality of this type.

6 The PTI test is sharper. It's more
7 discriminatory, and that is why this curve is above that of
8 the FDA curve in the producer protection region. So fewer
9 acceptable batches are rejected by the PTI test. This
10 means that the producer risk is lower. The gap is due to
11 this more efficient discriminatory power of the PTI test
12 and it's there by design. This is what we want. The gap
13 is not an incidental feature of the test. Industry needs
14 to be able to approve products, if that product is of
15 acceptable quality.

16 Another important point is that this curve here
17 represents the draft guidance test curve exactly as written
18 in the guidances. That is not to say that it necessarily
19 reflects the OC curves of the specifications for approved
20 products on the market.

21 Now, this plot here shows three theoretical
22 examples of the effects of the types of deviations that
23 have been approved by the agency in the last decade. We
24 can see that the gap between the FDA curve with deviations
25 and the PTI OC curve decreases with such deviations, and

1 also importantly, this is achieved at the expense of
2 eroding consumer protection as can be seen by these curves
3 having a pretty high probability to accept pretty bad
4 batches.

5 Now, we are not complaining that these
6 deviations have been allowed because they have been
7 necessary and well justified; otherwise they would not have
8 been approved. What we are saying is that this
9 demonstrates that the capability of many products is not
10 such that they can live with the current draft guidance
11 curve.

12 Now, the PTI test provides a comparable
13 reduction of consumer risk without compromising consumer
14 protection, demonstrated by the fact that producer risk is
15 reduced, whereas consumer protection is maintained.

16 As I said before, the point is that fewer
17 rejections does not necessarily mean lower quality of
18 accepted batches. I will demonstrate that by showing you
19 two cases of simulated or computer-simulated situations,
20 one for unacceptable quality, where I'll show that the FDA
21 and the PTI test have comparable performance in consumer
22 protection, and the other case is for acceptable quality,
23 where I'll show that the PTI test rejects fewer acceptable
24 batches than the FDA test, yet the quality of those
25 accepted batches are virtually the same.

1 Now, this is a busy slide. I'll try to explain
2 it to you. First of all, each of the panels show batch
3 standard deviation versus batch mean, and each dot on each
4 panel represents a batch with a true standard deviation and
5 mean as merited by its placement on this panel. The upper
6 two panels are for the FDA test, the lower panels are for
7 the PTI test. Panels on the left are for batches. It's
8 the quality of the batches that were accepted by the test.

9 The panels on the right depicts the quality of the batches
10 that were rejected by the test. As you can see, the batch
11 mean and standard deviation vary here, and they vary
12 approximately for the batch mean between a 100 plus/minus
13 14 percent label claim, for the batch standard deviation
14 approximately 20 plus/minus 3 percent standard deviation.

15 The take-home message on this plot is that with
16 this unacceptable quality, the FDA test and the PTI test do
17 a good job of rejecting the absolute majority of these
18 batches, and this just further illustrates my point that
19 the PTI test achieves the goal to maintain consumer
20 protection.

21 The next panel here, which is also a very
22 important slide, shows the case for acceptable quality. So
23 you can see here from the left panels that with the FDA
24 test, 65 percent of these hypothetical simulated batches
25 were accepted, whereas with the PTI test, 95 percent of the

1 batches were accepted, yet the coverage of these accepted
2 batches is virtually the same at about 98 percent coverage.

3 Now, take a look here at the quality of the
4 batches accepted by the FDA test and those rejected by the
5 FDA test, and you will see that the quality is not that
6 much different; whereas with the PTI test, there is a clear
7 distinction in quality between those accepted by the test
8 and those rejected by the test. Now, this is due to the
9 PTI test having a steeper OC curve being more efficient in
10 discriminating between quality.

11 I'd also like to point out that with the 35
12 percent of the batches rejected by the FDA test, as you can
13 see, this does not necessarily mean that the high rejection
14 rate figure here, 35 percent, that these batches have been
15 rejected due to poor quality. Most of these batches have
16 been rejected by the test because the test is not very
17 discriminatory. So it's a feature of the test that gives
18 you the high reject rate. These illustrations show that
19 the gap is of lower relevance than perceived initially from
20 the OC curves.

21 Now, let's move back from producer risk
22 assessments to consumer protection and quality standard.
23 We firmly believe that quality of a batch should be judged
24 against a specific standard. Within the presented
25 hypothesis framework, that standard is the limiting

1 quality, defined as the quality corresponding to 5 percent
2 acceptance probability; that is, a high confidence of
3 rejecting such a batch at the limiting quality. This
4 addresses consumer protection issues, and as I said, a
5 typical batch quality has to far exceed this quality to
6 achieve reasonable acceptance rates.

7 A quality standard should not be simply a
8 decision rule based on some typical batch quality. That
9 would not provide the hypothesis regarding what is
10 acceptable or unacceptable quality in a batch. That is
11 simply a decision rule which is completely inflexible and
12 completely tied to the sample size on which this decision
13 rule is based. So there is no flexibility.

14 It also would not be simple to cater for
15 different products having different typical qualities.
16 There would be no mechanism, except to make exceptions from
17 the decision rule to cater for such a situation.

18 As you remember, the proposal is that the
19 limiting quality is set to 85 percent coverage of the 75 to
20 125 percent label claim interval, and this is the same
21 limiting quality as implied by the draft guidances. And as
22 you remember, this should be demonstrated for each batch
23 with high confidence.

24 FDA has commented that a tighter standard may
25 be needed. We argue that a significantly tighter standard

1 will be problematic. A standard must be compatible with
2 the capability of products it is regulating. So it has to
3 be commensurate with the capability of current and pipeline
4 products and with the associated analytical methodology,
5 and in setting that standard, both producer risk and
6 consumer protection should be considered. If the standard
7 were to exceed capability, that would create difficulties
8 for manufacturing and especially for development and
9 approval of new products and generic versions.

10 Now I'm going to talk about normal
11 distributions and zero tolerance criterion, also one of the
12 issues raised by the agency.

13 The statistics of the PTI test is based on
14 normal distribution. We have a database collected that
15 demonstrates that this assumption of normality is
16 appropriate. To challenge the test, though, we have
17 studied a number of non-normal distributions and recently
18 non-normal distributions that have been suggested by the
19 agency to be very challenging non-normal distributions.

20 Our investigations have revealed that with the
21 revised PTI test coefficients, the PTI test assures less
22 than 5.1 percent type I error at the limiting quality for
23 all normal and for most non-normal situations. For a few
24 extreme distributions, 5 percent is exceeded at the
25 limiting quality. These extreme distributions are not

1 reflective of real products. They are significantly off-
2 target, relatively symmetric distributions with extremely
3 short tails or they could also be significantly off-target,
4 notably asymmetric distributions with the longer tail in
5 the off-target direction. Now, we conclude that the PTI
6 test is appropriate for real products.

7 Zero tolerance has also been a criterion,
8 mostly because it's part of the present guideline test. It
9 has been under consideration whether or not the addition of
10 a zero tolerance criterion through the PTI test would be a
11 benefit or not.

12 A fixed zero tolerance criterion has been shown
13 to degrade parametric tests, and this effect escalates with
14 the sample size. This is simply due to the fact that if
15 you introduce a nonparametric criterion, such as a zero
16 tolerance criterion to a parametric test, that will convert
17 the test from being parametric to being nonparametric and
18 you will lose the efficiency.

19 So a zero tolerance criterion must scale with
20 the sample size in order to avoid degrading the parametric
21 test and to have no effect on producer risk. We have shown
22 that such a scaled zero tolerance criterion has little or
23 no effect on consumer protection, even for the most extreme
24 non-normal distributions. So our conclusion is that zero
25 tolerance does not help control product quality.

1 And this is just to illustrate my point and we
2 can look at the lower row here. First, I'll tell you that
3 this is the acceptance rate at the limiting quality, the 85
4 percent coverage. So the acceptance rate figures are given
5 here with the zero tolerance criterion and without the zero
6 tolerance criterion, and this is for the small test, same
7 thing with the big PTI test.

8 Now, we can take the most extreme non-normal
9 case which is the asymmetric short-tailed beta distribution
10 with alpha equal to 2, beta equal to 100, off target at the
11 worst position. We see, as I've told you, that the
12 acceptance rate exceeds the ideal 5 percent, but we can
13 also see that the addition of this problematic zero
14 tolerance criterion doesn't really materially improve this
15 consumer protection. So the conclusion still is that zero
16 tolerance is not helpful in product quality assessment.

17 Now, I've given you the overview with focus on
18 most of the issues, such as revising the coefficients to
19 make true the 5 percent error rate. I've discussed the
20 quality standard, the perceived gap between the FDA and the
21 PTI OC curve, issues about non-normality and zero tolerance
22 criterion, and I hope that we can all agree that the PTI
23 test is conceptually acceptable as a replacement,
24 parametric without the zero tolerance criterion and with
25 coverage as the quality definition.

1 A desirable characteristic of the test is that
2 it allows product-by-product justification of the sample
3 size, and this, with the same consumer protection, but this
4 is then the mechanism to mitigate producer risk while
5 maintaining consumer protection at a constant level. And
6 this consumer protection then is that implied by the FDA
7 guidance test.

8 Thank you for your patience.

9 DR. KIBBE: Is there anybody who would like to
10 ask a few questions? Efraim?

11 DR. SHEK: Just a clarity. We were talking
12 about that this product is a combination of the formulation
13 and a device. Those proposed tests, do they de-couple both
14 of them? Because you have an actuator, you have a pump and
15 other devices, and that might be the same for all the rest,
16 whether it's the guidance or what you're proposing.

17 DR. OLSSON: No, they do not de-couple the
18 performance of the device and the formulation. These are
19 tested as a unit, as is appropriate, because that is what
20 the patient experiences.

21 DR. SHEK: But we might have different batches.
22 Let's say the actuator is being made and you are using it
23 for various batches of the canister. So we'll repeat those
24 testing, I would assume, and we assume that the actuator
25 passed as a batch.

1 DR. OLSSON: Yes, that is a complication, and
2 as we've said, the test we are now talking about is only
3 one of a number of strategies and tests used in order to
4 ensure quality products.

5 DR. KIBBE: Thank you.

6 We have another presentation already to go.
7 Dr. Hauck?

8 DR. HAUCK: So good morning. Two largely
9 statistical talks in a row, so hang in there. This makes
10 it a tough morning for you.

11 I should also say that there's a certain amount
12 of overlap between the three presentations that you're
13 hearing, and given that we're not tight on time, I'm going
14 to go ahead and sort of proceed as if the overlap is not
15 there and hoping that the same things from three different
16 perspectives will be helpful to you rather than just
17 boring. So let's see how it goes.

18 So I was asked to assess the IPAC-RS proposal,
19 and I should say that the slides had to be made up prior to
20 the receipt of their recent report. So this is largely
21 based on their 2001 proposal and I'll try to remember to
22 indicate, as I go through it, how things have been changed,
23 based on the most recent report and the presentation that
24 you just heard.

25 So I'm going to look at some of the issues that

1 have been raised regarding the FDA draft guidance and how
2 the IPAC-RS proposal addresses those issues and then my own
3 view as to whether the details of what the IPAC-RS proposes
4 support the claims that they make.

5 So this is the FDA proposal. You've seen it a
6 couple of times. It's what's called a two-tier or a two-
7 stage testing proposal: first tier, 10 containers with
8 acceptance criteria that I don't need to repeat. It goes
9 to the second tier, an additional 20 containers for 30
10 total, and then criterion at the second stage. And as has
11 been mentioned a couple of times, we've got a requirement
12 on the mean and a zero tolerance criterion at both stages.

13 So really there are three pieces, as Dr. Adams
14 had alluded to. We've got an inner interval, which is sort
15 of the formal test by attributes as the quality control
16 language uses it; the outer interval, the zero tolerance
17 criterion, sometimes referred to as the safety net; and
18 then the limit on the sample mean.

19 So one of the issues that has been raised
20 regarding the FDA proposal is in front of you. The idea is
21 that what you're looking at is something that very much
22 looks like a statistical hypothesis test. You collect some
23 data. You perform a statistic. If the statistic satisfies
24 certain criteria, you say pass, and if it doesn't, you say
25 fail, and the only thing missing from it is the hypothesis.

1 So there's no statement of what constitutes an acceptable
2 batch, and this is what Dr. Adams was referring to.

3 So the focus, in effect, on the FDA proposal
4 has been on what's an acceptable sample and not on what's
5 an acceptable batch. And I think the original issue raised
6 is to say that seems kind of backwards and inappropriate,
7 that the FDA's role should be to specify what's an
8 acceptable batch and then the sponsor should then get to
9 decide what sample they want to take.

10 So what IPAC-RS does is it essentially accepted
11 that challenge and, as you've been hearing, they set down a
12 specification referred to in the two previous talks as the
13 limiting quality standard. They propose the 85 percent of
14 the batch falling within 75-125 percent of label claim, a
15 number that they obtained by evaluating what the FDA
16 proposal actually was doing.

17 Now, what that looks like is the following. So
18 this is again, as Dr. Olsson had alluded to, based on
19 normal distribution, and it's intended to show you the
20 combinations of means and standard deviations in the batch
21 that correspond to that limiting quality specification.
22 Remember, that's 85 percent of the batch falling within 75
23 and 125 percent.

24 So the idea is that anything that's inside that
25 red line should be acceptable because anything inside the

1 red line satisfies the standard of at least 85 percent of
2 the batch falling within 75 to 125 percent of label claim.

3 Then that gets us into some of the language
4 that you've been hearing already this morning and I'll
5 elaborate a little bit. The term sometimes is called
6 consumer risk. We can call it a false positive. Also the
7 statistical language would be the type I, or alpha error,
8 and that here in this context means that a batch that lies
9 outside the specifications; that is, any batch that lies
10 outside or above the red line here, the probability that
11 that batch would actually pass whatever the rule ends up
12 being. And the producer risk, the converse of that, is
13 that a batch that falls under the red line and meets the
14 specification set, the probability that that batch fails.
15 Now, normally in trying to design studies, we always like
16 both those probabilities to be small. That's always the
17 goal of study design.

18 Now, I should mention here that, as has been
19 alluded to, that the issue of what that limiting quality
20 should be is clearly on the table, and the type I/type II
21 errors are going to very strongly depend on what that
22 choice is. Just to give you a bit of a flavor for that,
23 Dr. Adams had put up some of the alternate choices that at
24 least conceptually could be considered, and this just shows
25 you what happens to that definition of acceptable batches,

1 if you tighten up the IPAC-RS proposal which is the red
2 line going down to keeping the 75-125 but changing the
3 content to 90 percent, which is your green curve, or
4 keeping the content but tightening the limit, the blue
5 curve. You can see a pretty substantial drop, particularly
6 here at the top, in terms of the variability.

7 So a couple of different comments. First of
8 all, when you're approaching it as the IPAC-RS has in
9 setting an acceptance criteria, whether it's this
10 particular acceptance criterion or some other number, what
11 you're really saying is anything that falls inside that
12 curve should be acceptable. Now, this is really like
13 bioequivalence, if you want to go back to that. You set a
14 limit of 80-125. That really means that 124 would be
15 acceptable.

16 Now, in practice, you're not going to see that
17 because the size of the study required to get something 124
18 to pass would be unreasonable. So you're never going to
19 actually see that and actually Dr. Olsson really alluded to
20 that in a different way by indicating that batches really
21 need to be substantially inside that red curve in order to
22 have a good chance of passing.

23 The other comment to make is really relating to
24 the second question that Wally had put up for this
25 committee, and that's really that if you take this approach

1 and say that the role of FDA is essentially to set the
2 stake in the ground and set the quality limit, then the
3 batch failure rate for batches that are acceptable really
4 becomes the problem of the sponsor and not the problem of
5 the agency because they get to choose the sample size.

6 I thought it might be useful for you to see a
7 little bit of the difference between what -- I think there
8 sometimes seems to be confusion going back and forth
9 between batch and sample. So this is just intended to
10 highlight for you, the red curve again being what defines
11 an acceptable batch and the green -- I guess I'll call it a
12 curve but a pentagon or hexagon there -- is samples that
13 satisfy the 2001 IPAC proposal of sample size of 30. You
14 can see it's substantially inside the red curve.

15 The second issue I wanted to talk about that
16 has been raised regarding the draft guidance is that the
17 FDA is fixing the sample size and any time the regulatory
18 agency fixes the sample size, it's really denying the
19 sponsor an opportunity to control their own producer risk.

20 So the IPAC-RS proposal does provide a choice
21 of two-tier designs and, as you heard from Dr. Olsson's
22 presentation, all of which are intended to maintain the
23 false positive rate of 5 percent for each possible sample
24 size they consider. This is sort of a personal opinion.
25 There's nothing special about two-tier designs. There's

1 certainly nothing special about the two tiers having one-
2 third on the first tier and two-thirds on the second tier.

3 That seems to have historical significance but no real
4 scientific significance, and there's really no reason why
5 the number of tiers and how they're split up can't be
6 variable as well.

7 And again, this goes back really to a prior
8 comment. As long as the batch meets the specification of
9 what's an acceptable batch, then any sample size should be
10 acceptable, and this really kind of is going to raise some
11 issues, I guess, that you'll have heard already, and that
12 part I've covered.

13 The third issue that is raised regarding the
14 FDA proposal, and really a bunch of other proposals, is
15 that by using a test by attributes, again the quality
16 control language, it's making inefficient use of the data.

17 Now, that's sort of statistical language meaning that your
18 precision or your hypothesis testing is not being done as
19 well as it could because you're not making best use of the
20 data, and so it moves to parametric tolerance intervals.
21 As Dr. Adams indicated, this really originally was based on
22 the JP proposal. It does eliminate or rather reduce some
23 statistical conservatism that's present with tolerance
24 intervals, and I'll show you a picture of what that looks
25 like in a little bit.

1 The fourth issue I wanted to talk about is the
2 zero tolerance criterion. You've heard that quite a bit
3 already. I think one of the main points there is that
4 there's really a complete disconnect or conflict, if you
5 will, between having a zero tolerance criterion and
6 allowing variable sample sizes because you're really saying
7 that this is something that you're going to have to fail
8 the larger and larger the sample size gets, even without
9 getting into the multiplicity of times that the test is
10 done over the course of a year for the companies.

11 So now that I've seen the new work
12 particularly, I think I could even go a little further in a
13 personal opinion and say that somebody who wants to argue
14 for zero tolerance criterion really has the burden of proof
15 on them at this point.

16 So as you did here, the IPAC proposal does drop
17 it, and the last point was really made for me prior to this
18 morning. I think the zero tolerance criterion certainly
19 does seem to engender a level of comfort and I don't know
20 whether or not that comfort will still be there or whether
21 there's enough data at this point to make people
22 comfortable about dropping it. Clearly, as I'm saying, in
23 my opinion, that's really the way to be going.

24 So summarizing the different issues, so yes,
25 I'm saying I agree that the IPAC proposal does address the

1 issues that have been raised about the FDA draft guidance.
2 And as I add here, and I think Dr. Adams alluded to,
3 although we're talking about the FDA draft guidance,
4 there's actually a number of other proposals that have been
5 out there prior to this and what we're talking about for
6 the FDA applies to them as well.

7 Now, one of the major claims in the IPAC-RS
8 proposal is at the same time as you can maintain the level
9 of consumer risk or even improve the level of consumer risk
10 compared to the FDA criterion, you can still reduce
11 producer risk. So this is one of those "how's that
12 possible" sorts of thing because normally in study design,
13 you think of those two things as being trade-offs. You can
14 have difficulties doing both at the same time.

15 So first of all, this is actually the
16 difference. Again, this is now the 2001 criterion, first
17 stage with an n of 24, so this is out of their report. So
18 the blue curve shows you what would be acceptable samples,
19 based on a standard tolerance interval approach, and then
20 the green curve is the IPAC-RS proposal. You saw me put a
21 limit on the sample standard deviation. That's what puts
22 the flat top. In exchange for that, to maintain the 5
23 percent, they get to add some shoulders to the curve, and
24 then the red lines, which hopefully show up, are the plus
25 or minus 15 percent on the sample mean.

1 So how are they able to deliver? So part of
2 it's the parametric to nonparametric difference. The
3 parametric approach will give you lower producer risk for a
4 given level of sample size and consumer risk. So that's
5 part of it. The elimination of zero tolerance criterion is
6 certainly part of it, and then I bolded the last one
7 because one of the things that -- I don't know if you
8 noticed going by there, the sample sizes in the IPAC-RS
9 proposal are largely larger than were in the FDA proposal,
10 and there's no question that that's going to be part of the
11 package in terms of making it possible to do what they're
12 planning.

13 I also should mention that the FDA's draft
14 proposal is more liberal than it appears. Remember, in Dr.
15 Olsson's presentation, it came up that the implicit
16 limiting quality standard in the FDA proposal is either 75
17 percent or 85 percent coverage within the 75-125. That
18 wasn't in the FDA proposal and this is sort of a reverse
19 engineering issue because, remember, there was no proposal
20 on that. So I think that was more liberal than it was
21 expected.

22 So I think I'd summarize this part of it by
23 saying that yes, the IPAC-RS report does deliver as claimed
24 on this, that this is an improvement in statistical
25 methodology. The only thing added here is you need to be

1 careful in the choice of the constants. I think you've
2 heard some of that already from both Dr. Adams and Dr.
3 Olsson.

4 This sort of weird picture is to give you an
5 idea of what's going on. If we had an ideal test here,
6 we'd have the magenta line in the center. So this is
7 looking at the first tier actually of the two-tier test
8 with 24 samples in the first tier, and the target here is
9 2.5 percent consumer risk, not 5. So ideally, we'd have
10 2.5 percent all the way along as the mean goes from 75
11 percent to 125 percent of label claim. The blue curve
12 shows you the old standard normal theory of tolerance
13 intervals, what it does. It's nicely right in the center
14 but drops off very quickly. And I had alluded earlier to
15 statistical conservatism in the normal theory tolerance
16 intervals and that's what that is. This gap between the
17 blue and the purple is something you'd like to do away with
18 and because you're increasing the producer risk here by
19 making the consumer risk less than it needs to be.

20 Now, the problem is that, depending on your
21 choice of constants, using the approach of the IPAC, you
22 can end up with things that look like this, so this goes,
23 instead of the 2.5 percent where it should be, up above 4
24 percent here and coming back down. Now, again remember,
25 this was all based on the 2001 report and they've changed

1 their constants since then.

2 I did want to throw this one in here just to
3 assure you that the issue of maintaining the level of risk
4 is not the structure or the form of the IPAC-RS proposal.
5 It really is just an issue of the choice of constants. So
6 there's really, if you will, a dose-dependency here. You
7 can change the constants. They have what they call the f
8 factor which limits the standard deviation. So we have .8
9 here. This is the IPAC proposal which has a value of just
10 under .8 at the time, .8, coming down to .9, and then
11 coming down to 1 which is the original regular tolerance
12 interval. I think you can see it's really just an issue of
13 picking the constants right to maintain things
14 appropriately.

15 So I thought I'd also summarize in terms of the
16 IPAC proposal in terms of cost to sponsors because it's not
17 all plus-plus. It's not, you know, just gravy there, if
18 you will. First of all, as I indicated, for the most part,
19 the sample sizes are going to be large, so there is an
20 increased cost in that respect, and the details for the
21 multi-dose products, there is a reduction in cost because
22 rather than testing beginning, middle and end separately,
23 it's combined into a single criterion. And then the
24 biggest cost is not passing when you should pass, and so
25 potentially by giving sponsors control of their study

1 design and hence of their producer risk, there's at least
2 potential reduction in cost there as well.

3 So the bottom line really goes back to where I
4 started in the first issue. The message, if you will, is
5 to not spend time on the statistical issues. At the end of
6 the day, take the statisticians, throw us in a room. If we
7 ever agree on anything, let us out. That might be a long
8 meeting. But I think the primary issue for this committee
9 and for the FDA is really what's the limiting target. What
10 is an acceptable batch once you get to market? And as I
11 said, that's my bottom line for you.

12 Thank you.

13 DR. KIBBE: Questions?

14 DR. KORCZYNSKI: Just so I understand the topic
15 a little better, we've heard of dose uniformity here in
16 these presentations. What's the relationship to aerosol
17 particle size? Because that would influence the
18 availability of the drug relative to uptake by the
19 respiratory system. Is that an independent variable? Is
20 that measured in a separate set of tests, and is that
21 considered in any way related to dose uniformity?

22 DR. HAUCK: Well, I think I should turn that
23 one over to Wally.

24 DR. ADAMS: Yes. That's a good question.
25 We're talking here about dose content uniformity testing,

1 and we are not talking about delivery of the drug to the
2 pulmonary tract or to the nasal passages.

3 This test is based upon the drug ex actuator;
4 that is, after it's fired, it's the dose of active drug
5 that is emitted from the actuator, independent of particle
6 size distribution and independent of delivery to the lungs.

7 Does that answer the question?

8 DR. KORCZYNSKI: Yes.

9 DR. KIBBE: When you eliminate the zero
10 tolerance and you do a statistical analysis, at what point
11 would the batch fail? For instance, if one sample out of a
12 group of samples that were taken, one item had absolutely
13 no material in it, statistically, that might still allow
14 the numbers to come out such that the batch could pass, but
15 I would wonder whether there would be some remedies taken
16 within the company to find out why it was completely empty.
17 At what point do you start to make, I don't know, decisions
18 that go past just the strict adherence to the test?

19 DR. HUSSAIN: Let me try to put another layer
20 of issues here, and I think, as I listened to the
21 presentations, I think it came across as if this is a final
22 test. I would like to sort of remind the committee that I
23 think as we develop your product, as you go through your
24 validation, all these essentially are addressed. In
25 routine production, it's not a hypothesis test. The

1 hypothesis test essentially has occurred in terms of
2 development and validation, and I think the confirmation
3 that you have during routine production is simply making
4 sure you're reproducing your validated products.

5 Now, going back to sort of the issue, Art, you
6 raised, I think today, for example, when we use a zero
7 tolerance criterion, when we reject a batch or when we
8 accept a batch, often, sometimes, there's no difference in
9 the batch quality. It was simply a statistical -- even
10 that sort of triggers that, and I think that's the point
11 that was being made.

12 I think what this proposal does is to enhance
13 the science of manufacturing from a validation perspective.

14 I think, from development to validation runs, you bring
15 variability as an additional measure of your process
16 capability. It sort of opens that door for that analysis,
17 and if you really look at it, as you go through the
18 validation runs, when you start determining whether your
19 samples collected are normally distributed or not, that I
20 think tremendously helps to make sure the samples we
21 collect later on during validation are more representative
22 and actually could be focused on where the high risk might
23 be. And you can take this back and connect it to, for
24 example, the PQRI blend uniformity proposal that went for
25 stratified sampling. So I think that's the part I wanted to

1 make sure we understand.

2 DR. KIBBE: This individual test has to then
3 have additional requirements on when the samples are
4 collected during the run and what happens if there are
5 blanks.

6 DR. HUSSAIN: That's the point I want to
7 emphasize, is process validation is planned to address
8 that. I think we have not discussed that or presented that
9 part of the work to this committee. It was simply focused
10 on the statistical criteria, but there are layers and
11 layers of approaches and then work that is done to
12 eliminate that possibility.

13 MR. SCHUIRMANN: I just wanted to add that
14 looking now just at the dose content uniformity test as
15 opposed to the whole battery of procedures that need to
16 happen for a batch to be released, for the small version of
17 the proposed test, 10 samples in the first tier and then 20
18 additional if you go to the second tier, if there were a
19 single dose with zero content, then it would be impossible
20 to pass the test, regardless of how the other observations
21 came.

22 Now, I think this calculation could be done,
23 and I apologize, I haven't done it. If the sample sizes
24 were larger, there could be a large enough set of sample
25 sizes that there could be a single zero and it would still

1 pass. I can't tell you what that is. I think the sample
2 sizes would be very large indeed.

3 DR. KIBBE: Jorgen?

4 DR. VENITZ: I have two questions. One is
5 probably a stupid one, but what does IPAC-RS stand for?

6 DR. ADAMS: It stands for International
7 Pharmaceutical Aerosols Consortium for Regulation and
8 Science.

9 DR. VENITZ: Okay. Thanks.

10 The second one may be a more intelligent
11 question. It relates to the PTI mechanics and that's a
12 question for Dr. Hauck or Dr. Olsson.

13 I'm working my way through the algorithm, I
14 guess, and it sounds like one of the predefined things that
15 has to happen prior to doing any of this is to agree what
16 those k and f values are. In other words, that's not
17 something that the sponsor prespecified, but that's
18 something that would be part of a guidance because that
19 defines how your alpha distribution looks like relative to
20 the ideal test. Is that correct? Because it sounds like
21 IPAC-RS changed those constants to make the test more
22 amenable.

23 DR. OLSSON: Those test coefficients are the
24 essential motor of the test, so to speak. So what one does
25 is to carefully calculate before what those test

1 coefficients should be in order to give the test the
2 desired characteristics.

3 So yes, those are predefined and it's a lot of
4 work to calculate them. We've calculated them for a number
5 of sample sizes to give this desired coverage of 85 percent
6 as the limiting quality. If that were to change, then it
7 would be different coefficients.

8 DR. VENITZ: So I think what you're saying
9 then, if you assume that you want to maintain the 85
10 percent coverage, 75 to 125, then the only other piece of
11 information that you need is a sample size and then you can
12 calculate the k and the f?

13 DR. OLSSON: Well, we already have done that.
14 So they are already in the public arena.

15 DR. VENITZ: Right. But they would be then
16 part of some guidance if this ultimately evolves into a
17 guidance?

18 DR. OLSSON: I would believe so, yes.

19 DR. KIBBE: Gary?

20 DR. HOLLENBECK: First, I'd like to thank
21 everyone for their presentation. That was very
22 informative. I think we talk about science-based
23 regulatory policy. If you ever wanted to point to an
24 example, I think this is a very powerful one.

25 I'll also ask a couple of stupid questions, I

1 think, here.

2 First of all, it seems that the 5 percent alpha
3 is a fixed given, and I didn't hear a lot of discussion
4 about that. How is that number arrived at? What goes into
5 the thinking that says that's an appropriate level for
6 consumer protection?

7 MR. SCHUIRMANN: Well, I think that it's mainly
8 a matter of tradition. There are a number of FDA testing
9 procedures that have adopted 5 percent as what's called
10 level of significance, maximum tolerable chance of
11 approving something that shouldn't be approved. There are
12 some other situations in FDA regulations where the de facto
13 level of consumer protection is 2.5 percent. There
14 certainly could be and probably have been arguments that
15 that's what we should be using here.

16 Certainly if discussions led to the assertion
17 that not 5 percent but 2.5 percent, or any other number you
18 would care to specify, is the appropriate level of consumer
19 protection, then IPAC-RS could have reverse engineered the
20 FDA proposal, found out what level of quality has a 2.5
21 percent chance of being approved and called that the
22 limiting quality and designed their test to assure that
23 same limiting quality and all those things could be done.

24 But 5 percent has been a traditional level of
25 consumer protection. It's thought approving something

1 unacceptable 5 percent of the time, I suppose, is rare
2 enough that it's not a concern but not so very rare that in
3 order to assure it, you have to do arduous testing, but
4 certainly that number is at the discretion of the
5 regulators. It doesn't come from the statisticians.

6 DR. SWADENER: The 5 percent also has not only
7 been tradition in FDA or those kind of circles. It's other
8 fields as well. I'm from education and it's very common in
9 that field.

10 DR. DeLUCA: Yes. I noticed when Wally had
11 some questions, Dr. Adams, you also listed as one of the
12 options 90 percent of the doses within 80 to 120 percent.
13 Walter, in your treatment, that option wasn't included.
14 The other three were. I guess that's one question. What
15 would the treatment look like if you included that?

16 And then, I guess the rationale for not
17 maintaining the sample mean of 85 to 115 percent -- that
18 was part of, I guess, the FDA draft and the PTI, and I'm
19 wondering why that was not maintained. So I don't know
20 what the treatment would look like if you included these
21 two options in there.

22 DR. KIBBE: Is there an answer?

23 DR. HAUCK: Yes. I was trying to find my copy
24 of my handouts so I could take you through. If I remember
25 right, the 90 percent within 80-120 was, I think, more

1 strict than anything I've put up there. So the curve would
2 be -- no. It's earlier.

3 Now, I wasn't -- I didn't have the 85 thing on
4 the mean in most of what I showed because I was talking
5 about the criterion on the batch and the plus or minus 15
6 percent is just a criterion in the sample. So if you look
7 at the slide 12, the vertical bars on the right side of the
8 green -- well, it was green on the hexagon in the lower
9 piece. Those vertical bars are the plus or minus 15
10 percent on the sample mean. So as long as that piece is in
11 the criterion on the sample, no matter what the sample size
12 or anything else, you'll have those vertical bars at 85 and
13 115, but that's on the sample. It's not a batch criterion.

14 Now, back to your first part of your question,
15 on slide 10, 90 percent within 80-120 would be under the
16 blue curve. It would match in the corners. The blue curve
17 is the bottom of the three curves. So it would match in
18 the corners but be lower in the center.

19 DR. ADAMS: Dr. DeLuca, in addition to that,
20 the 90 percent within 81 to 120 was a more recent
21 suggestion that we had come up with subsequent to Dr. Hauck
22 preparing his slides. It gets to this issue of what Dr.
23 Olsson called the gap which is the distance, the difference
24 in standard deviation at a particular probability level
25 between the FDA curve and the IPAC-RS curve and an interest

1 on our part in trying to possibly move that operating
2 characteristic curve for the IPAC-RS to the left, reducing
3 the gap, and that's where that number was suggested.

4 DR. SADEE: I can see the value of not having
5 the limitation for production purposes. On the other hand,
6 I think that my question would be what is the risk of
7 incurring an adverse event? 5 percent, for instance, would
8 be unacceptable. So if one goes further and further out of
9 the range, then at what point is there a risk of an adverse
10 reaction? If there's too little in a metered inhalation,
11 then there might be a second dose taken by the patient.
12 That might lead to an overdose. If it's too much, it might
13 lead to an overdose. So I think what one should factor in
14 is a statistical analysis of the risk of adverse effects
15 and that should determine where there is a limit.

16 DR. ADAMS: May I comment on that? Just one
17 thought is that with regard to the variability in the
18 products, and you've mentioned about multiple dosing,
19 patient taking multiple doses, you know, one consideration
20 might be, while we are talking here in the context of a
21 single standard across different dosage forms, MDIs, DPIs,
22 nasal sprays, and across all drug classes, that different
23 standards conceivably could be appropriate for, let's say,
24 an inhaled corticosteroid than for a beta agonist where,
25 with the beta agonist being used as rescue medication is

1 important that that drug product on a given dose to deliver
2 the expected dose. Possibly on a chronically administered
3 product, maybe greater variability could be allowed, but at
4 this point, we have not made such considerations.

5 DR. HUSSAIN: I think the question is the right
6 one, but I think the answer, I think I would like to sort
7 of propose is, what happens today and what happens with the
8 current FDA test and what happens with the PTIT? There's
9 no difference.

10 If there is a canister which is 0, has not
11 content in it, what is the probability of finding that with
12 the small sample size that we test today? When it happens
13 with the PTI test, it's going to be caught anyway. I just
14 want to have Don explain that a bit more.

15 MR. SCHUIRMANN: Well, there's nothing much
16 more to explain. Dr. Hussain is particularly talking about
17 a zero content canister, one that somehow didn't get any
18 drug in it. I assume that the adverse reaction you're
19 worried about would come on the opposite end of the
20 spectrum of it has too much in it.

21 If there's a canister lurking out there with
22 200 percent of label claim in it, the chance that it will
23 end up in your tested sample is the same, no matter whose
24 test you're using, the FDA draft test or the proposed
25 parametric test. If a canister with 200 percent of label

1 claim actually did show up in the sample, I suspect that it
2 would cause either test to reject the batch.

3 Now, I've picked 200 percent out of the air.
4 We could play with the numbers and you could eventually
5 come to an amount where the zero tolerance feature would
6 kick out that batch, but the parametric test would let it
7 pass, and then the question is, if the content is low
8 enough that you're in that zone, is that the type of
9 content that would lead to an adverse reaction, and that's
10 not something I can answer.

11 DR. HUSSAIN: I think the point I'm making here
12 is, I think, the thought process that this is a test. This
13 isn't a production run. How representative is the sample,
14 first of all, because you're testing a number of small
15 samples to just make a decision. What I would argue is, I
16 think, a parametric approach, a more rigorous statistical
17 approach reduces the risk of that happening from the
18 current situation and the reason for that is, I think you
19 are using the information more scientifically because you
20 understand your variability, you understand the
21 distribution of your material which we may not be doing
22 today.

23 DR. SADEE: Yes, but we do have to consider the
24 risk for each individual drug which is very different. If
25 there's a therapeutic index that's very narrow, then you

1 have to --

2 DR. HUSSAIN: Definitely.

3 DR. SADEE: -- be much more stringent, so we
4 cannot talk about one standard. You have to reflect that.

5 DR. HUSSAIN: No. That's a very good question,
6 but I think when we talk about two different approaches, I
7 think you have to look at how is this approach protecting
8 that and how is that other approach protecting that. What
9 I would sort of suggest is with a rigorous statistical
10 basis, the proposed test would protect it better. So
11 that's the point.

12 DR. KIBBE: You had something to say?

13 DR. TSONG: Yes. I just had prepared two
14 slides to address the general issue of quality standard.
15 Could I show them?

16 DR. KIBBE: Fine.

17 DR. TSONG: First, I want to get permission
18 from Dr. Olsson because I used your slide and twisted it a
19 little bit to get to my point.

20 (Laughter.)

21 DR. TSONG: First, let's talk about the quality
22 standards. Suppose I'm a drug manufacturer and I have a
23 supplier to supply the material, and so whenever the
24 shipment comes, I have to take a sample to give a quality
25 score of that. Suppose the perfect score is 100, and once

1 I receive a product which scores 75, I know I'm going to
2 reject the batch, turn it back.

3 But the chances are I receive a batch which has
4 a score of 90, which I feel, hey, I could cheat a little
5 bit, so I'll pick up the phone and call the supplier, and
6 say this quality is not really what I expected. I wanted
7 100, you gave me 90 percent, and you have to pull your act
8 together to give me better product.

9 Then if I get a score which is 85, and I
10 probably would tell him from now on every 10th batch, I'm
11 going to reject one of them, turn it back to you as sort of
12 a penalty. I don't need to get a complaint from my
13 customers. So this is a 10 percent rejection which also
14 plays a role in the quality control there.

15 So we have a couple of points there. One is
16 the minimum quality, one is the quality assurance I wanted
17 to have the product to be. So in setting about a quality
18 control procedure, we need to take both of them into
19 consideration.

20 Now, I wanted to show you this slide here.
21 This is slide 4 from Dr. Olsson. Here, it shows that at
22 the lower right-hand which controls the type I, 5 percent
23 type I error rate, which is the consumer protection region
24 but really what it means is it's a not acceptable batch
25 which really we don't want this kind of batch to be

1 released. And on the right-hand side, it has the producer
2 protection region, but this region is going to be changed
3 with the sample size. If the sample size increases, this
4 region can be shifted up to here. That means many of the
5 batches of the area of uncertainty, which it really means
6 for the consumer which is the product, is not totally bad.

7 It's not as totally good as we want. So that means if the
8 sample size increases, many of the uncertain quality
9 batches can be released.

10 So what do we really want to consider? We have
11 to consider the quality assurance region, which means I
12 want the batch to be of this quality, and if it's below
13 this, I'm starting to reject the batch with, say here, 10
14 percent of rejection. If it's worse than that, I'm going
15 to reject more.

16 So we need to fix the level to have a good
17 quality control. That's what is question 2 of Dr. Wallace
18 presentation, what is 10 percent, and I think that's the 10
19 percent interpretation for quality assurance.

20 Now, we have the discussion and some of those
21 iterations are how we going to set up this point. I think
22 the original one is this one. We have this as, say, that's
23 original FDA procedure. You have 10 percent rejection at
24 this point which is about 9 percent of the standard
25 deviation. And that's what is suggested. Probably we need

1 to start looking at this point for the quality assurance
2 region.

3 And the gap here does bother us. The longer
4 the gap, that means the further away from assurance
5 quality, and with the sample size increasing, you have
6 higher protection for the producer risk, but you have less
7 protection for the consumer of those marginal quality
8 products, and I think that's a point regarding to the two
9 questions.

10 What I'm trying to say is that we are not
11 questioning the quality limiting approach, but we are
12 setting up the question, what is the standard we want to
13 put out for the setting up the quality control procedure?

14 DR. KIBBE: Does anybody else have a comment?

15 MR. SCHUIRMANN: Just to expand on what Dr.
16 Tsong was saying. Suppose that I'm a product manufacturer
17 and I have a process that tends to produce batches of
18 metered-dose inhalers that over the whole batch average
19 about a 100 percent of label claim. My process is on
20 target, and my process tends to produce batches that have
21 about a standard deviation of 11. 11 what? 11 percentage
22 points of label claim. So that's the measure of
23 variability of the delivered dose from individual
24 actuations of my product.

25 Well, if I start producing lots of batches and

1 applying the FDA test as described in the guidance, I'm
2 going to only be approving about a little more than 65
3 percent of my batches. 35 percent of my batches are going
4 to be rejected, and as Dr. Adams mentioned, the court
5 decision would lead that to be taken as evidence that my
6 process isn't in proper control.

7 On the other hand, if I apply the proposed
8 parametric test, I'm going to be accepting more than 95
9 percent of my batches, based on this test. Now, as has
10 been often mentioned, there are more than one test that
11 gets done to a batch before it goes out the door, and this
12 test isn't necessarily the gatekeeper.

13 But still, in my hypothetical example of
14 batches that tend to have a standard deviation of 11, I'm
15 going to accept most of my batches and release them, based
16 on this test, using the proposed test, but I'm going to be
17 rejecting an unacceptable percentage of my batches if I use
18 the FDA test.

19 The issue is that the FDA test is doing the
20 wrong thing and the proposed test is doing the right thing,
21 if a batch of standard deviation 11 is acceptable to the
22 public health. On the other hand, the FDA test is doing
23 the right thing and the proposed test is doing the wrong
24 thing, if a standard deviation of 11 is not acceptable to
25 the public health.

1 So we've already heard talk about the limiting
2 quality; that is, defining the batch that anyone would
3 agree is an unacceptable batch, but we somehow need to
4 define an additional value which is the quality, the level
5 of quality that corresponds to, if that's routinely
6 accepted, that's a good thing.

7 I might point out, also, say I have a process
8 that produces a standard deviation of 13. Well, now, with
9 that process, the FDA test is going to be accepting fewer
10 than 50 percent of my batches. Similarly, the parametric
11 test is only going to be accepting about 62-63 percent of
12 my batches. So in either case, I'm in trouble, but this
13 curve, this blue curve is for the proposed test with 12 in
14 the first tier and an additional 24 if you go to the second
15 tier, but if I increase my sample size, I can make the
16 operating characteristic curve for the proposed test go
17 higher and by taking a large enough sample size, I can make
18 it go higher than 90.

19 So the issue that is currently occupying our
20 attention in CDER is whether we need to specify this
21 additional level of quality to be assured and how can that
22 be done.

23 DR. KIBBE: Thank you.

24 DR. HAUCK: If acceptable, I wanted to go back
25 briefly to the question raised about the empty canister and

1 the zero tolerance criterion.

2 DR. KIBBE: Sure. Enjoy yourself.

3 DR. HAUCK: The problem with the zero tolerance
4 criterion in the FDA draft proposal is it really impinges
5 on normal variability. That's what makes it sort of a
6 guaranteed to fail sort of thing eventually. You can
7 imagine setting -- I should put a different name on it.
8 You can imagine setting some sort of, say, clinically
9 acceptable limits or some much wider than that, saying if
10 there really was a canister that had 10 percent in it or
11 300 percent in it, that we don't want that to be in a
12 consumer's hands, and if by some stroke of luck that should
13 show up in a sample, that would be a problem. It would be
14 a much wider type of zero tolerance and that sort of thing
15 would probably not impinge on the producer risk in terms of
16 normal variability.

17 DR. KIBBE: Anybody else? Gary?

18 DR. HOLLENBECK: Is there a concern when the
19 distribution is not normal? Whoever would like to respond.

20 DR. HAUCK: Yes and no, I guess. You've got
21 four statisticians in the room, so you'll get 15 different
22 opinions on this one.

23 Normal theory tolerance intervals can be a
24 problem if you deviate too far from normality and that's
25 what you just saw in Dr. Olsson's presentation, and so we

1 always know when we do parametric methods that you can find
2 some situation that makes it a bad thing to do, but you
3 then have to ask, well, what situations are reasonable and
4 plausible to worry about here, and that part of it, I can't
5 answer. I could turn it over to Don and Bo at that point.

6 And then you'd want reasonable confidence that the alpha
7 level is at least close to 5 percent on reasonable,
8 plausible alternatives to normality.

9 DR. TSONG: Could I answer the question, too?
10 I think if we go back to the original one, which is the
11 statistical paper that proposed the tolerance limit, I
12 think currently used and maybe a little bit modified -- but
13 the original work shows that if you use the original
14 tolerance limit, that really the approach is slightly
15 conservative, which means if we say 5 percent have whatever
16 rate, when you calculate out, it's really lower than 5
17 percent. That means you release less than 5 percent for
18 those you're supposed to release 5 percent. There's also
19 lots of work done that shows that if it's not under normal
20 distribution, what is going to happen.

21 I think that if it's skewed, if it's skewed,
22 but it's a uni-model, that means only one peak, have a
23 distribution, even when it's skewed, it's pretty much
24 robust on that. But when the distribution is really widely
25 different from normal, that could be totally different.

1 DR. KIBBE: Ajaz?

2 DR. HUSSAIN: Just to sort of put an overlayer
3 of an engineering thought process there in a sense, because
4 I do want to link that back to process understanding. If
5 you have a non-normal distribution in your samples and in
6 your content uniformity, now, if that is related to your
7 manufacturing run, is it happening in the beginning of the
8 batch or in the end of the batch, and what is that? I
9 think that provides a level of understanding of process.
10 Is segregation occurring or whatever that mechanism is.
11 And I think this is what allows us to get to the root cause
12 of things and address that because I think the discussion
13 today has been mainly on the statistical aspect of that. I
14 don't think that's a complete picture for discussion.

15 I think the manufacturing process,
16 understanding the physics of that aspect, has to be sort of
17 brought in. So I think that's the reason we wanted to
18 bring this up as an awareness topic and get your feedback
19 so that we can prepare well when we bring this back again.

20 DR. KIBBE: Thank you, Ajaz.

21 I have just a couple of thoughts and that is,
22 the sample size is proposed at 12 and 36, one tier, two
23 tier. That would apply to a batch run of 1,000 samples, a
24 batch run of 10,000, a batch run of a 100,000, and have you
25 looked at the statistical ability to actually detect, with

1 the same confidence, potential outliers and errors in
2 larger batches with a fixed sampling size?

3 MR. SCHUIRMANN: It strikes many as
4 counterintuitive, but the performance of the test really
5 doesn't depend much on the size of the batch, unless the
6 the number in your sample starts to become a non-trivial
7 proportion of the number in your manufactured batch.
8 Certainly if you have a batch that has a thousand
9 containers, I would expect it to perform with these tests
10 almost the same as the type of batch that has a half a
11 million containers.

12 If you had a batch that had a hundred
13 containers, then we might start running up against changes
14 in the performance of the test, owing to the fact that
15 you're sampling a substantial proportion of the batch.

16 DR. HAUCK: I think the only thing to add to
17 that is that if the batch is sitting out there with any of
18 those sizes, it's got 1 percent or less of some funny
19 unusual values in it, neither of these tests are going to
20 do anything for you and nobody wants to propose a 100
21 percent destructive sampling which is the only way you'll
22 find it.

23 DR. KIBBE: We have to start our next little
24 gathering at exactly 11:30 because it is the open public
25 hearing, and we have announced that we would do it at 11:30

1 and so therefore we will do it at 11:30 Mean Greenwich
2 Time. We're going to check with the Naval Observatory
3 downtown to make sure we're right on 11:30.

4 So we get a second morning break.
5 Congratulations, everyone. Ajaz is going to take that away
6 from us with a comment.

7 DR. HUSSAIN: No. Just to wrap up as sort of a
8 conclusion. Conceptually, I think I would guess we would
9 move forward with an in-depth discussion on this and so
10 forth. So you agree with that? Okay.

11 (Recess.)

12 DR. KIBBE: I assume that every one of the
13 speakers has checked in with one of the staff and they are
14 ready to go. We hope that we can move through these with a
15 reasonable amount of alacrity, still allowing time for
16 the speaker to say the important stuff that he or she came
17 to say and allowing some of the members of the committee to
18 comment or ask questions, but remembering that we have an
19 hour to get this all done.

20 I would ask that each speaker identify
21 themselves and the organizations that they are representing
22 or the individuals who have compensated them for their
23 appearance today.

24 Dr. Wood?

25 DR. WOOD: I'm Dr. Lawrence Wood. I'm the CEO

1 and Medical Director of the Thyroid Foundation of America,
2 and I want to acknowledge financial support and in-kind
3 support to help us disseminate our thyroid educational
4 materials and information about the foundation to the
5 patients, the public, and physicians and support for our
6 educational thyroid forums for patients. This support has
7 come from Abbott Laboratories, Jones Pharmaceuticals,
8 Forest Laboratories, EMerck in Europe, and Watson
9 Pharmaceuticals.

10 The Thyroid Foundation of America is the oldest
11 and largest organization devoted to providing education and
12 support for thyroid patients and increasing public
13 awareness about thyroid issues. We educate our members as
14 well as thousands of others who visit our foundation
15 website that the serum TSH is the most effective and
16 precise way to monitor thyroid hormone therapy. Because of
17 the log linear relationship between thyroid hormone level
18 and TSH, for every 2-fold change in the free thyroxine, the
19 TSH level will change one 100-fold.

20 Without the reliability and accuracy of TSH
21 measurements, patients with unrecognized hypothyroidism
22 risk complications, including elevation of total and LDL
23 cholesterol, fatigue, depression, decreased work
24 performance, and an overall decrease in their quality of
25 life. Patients with unrecognized hyperthyroidism are at

1 risk for myocardial infarction, serious cardiac
2 arrhythmias, including atrial fibrillation, anxiety, muscle
3 weakness, diminished productivity, and decreased quality of
4 life.

5 We're particularly concerned about the
6 importance of TSH measurements in evaluating the
7 effectiveness of thyroxine therapy in patients with thyroid
8 cancer. We must be sure that TSH is fully suppressed to
9 minimize the likelihood of growth and spread of residual
10 tumor throughout the life of these patients. A decrease in
11 thyroxine as small as 12 micrograms can cause dangerous TSH
12 elevations in a formerly suppressed patient. TSH
13 monitoring is also critical since changes in TSH levels can
14 occur due to medications, like iron, amiodarone, Zoloft,
15 and lithium. Patients and even some physicians may not be
16 aware of the potential thyroid effects of some of these
17 drugs.

18 The FDA has recommended evaluation of thyroid
19 hormone bioequivalence by giving 600 micrograms of
20 thyroxine to healthy volunteers and studying its metabolism
21 by serial measurements of thyroid hormones in the blood.
22 This is inappropriate because it ignores the critical role
23 of TSH in evaluating the bioequivalence of the far more
24 critical tissue effects of thyroid hormones.

25 We urge the FDA to separately consider this

1 question with experts in the field of biochemical
2 measurements in thyroid disease.

3 Thank you for your attention.

4 DR. KIBBE: Thank you, Dr. Wood.

5 Our next speaker is Dr. Jacob Robbins.

6 DR. ROBBINS: I'm Dr. Jacob Robbins. I'm
7 presenting the statement of the American Thyroid
8 Association. I'm Scientist Emeritus at NIH and former
9 President of the association.

10 The American Thyroid Association is a
11 professional society of 900 U.S. and international
12 physicians and scientists who specialize in research and
13 treatment of thyroid diseases. In fair disclosure, the ATA
14 acknowledges having received unrestricted financial support
15 from companies which produce levothyroxine products, Abbott
16 Labs and Jones-Pharma.

17 Today's review of bioequivalence for
18 levothyroxine products by the FDA greatly interests the
19 members of the ATA. When L-T4 is used to treat thyroid
20 disease, the patient must receive an accurate and
21 predictable amount of hormone and obtain a reproducible
22 biological effect with each dose. In the clinical setting,
23 the dose is determined by a combination of the presence or
24 absence of thyroid-related symptoms as well as results from
25 thyroid blood tests, especially TSH. Multiple factors

1 affect the final dose, including body mass, drug absorption
2 and metabolism, the amount of residual functioning thyroid
3 tissue, interference with absorption or metabolism by other
4 medications or food, and patient compliance.

5 Hormones controlled by a biofeedback mechanism
6 provide a unique situation in which the body provides an
7 indication of whether or not the dosage is appropriate.
8 Close monitoring of TSH concentrations enables
9 practitioners to provide patients with an appropriate
10 amount of medication to ensure that thyroid hormone levels
11 fall within a narrow optimal physiological window.

12 We understand that bioequivalence for
13 levothyroxine products is currently based on the design
14 which requires the administration of 600 micrograms orally
15 to normal subjects, followed by measurement of thyroxine in
16 the blood over 24 to 96 hours, from which the AUC and the
17 Cmax are determined. For many drugs, this may be very
18 appropriate for determining pharmacologic bioequivalence,
19 acting as a surrogate for therapeutic bioequivalence.

20 However, in the case of a hormone like
21 thyroxine, pharmacologic bioequivalence only provides part
22 of the story, since absorption is only one component. The
23 biological effect of the medication must also be assessed.

24 Serum TSH provides measurable and critical feedback for
25 assessing the biologic effect of a particular dose of L-T4.

1 Another important distinguishing factor of L-T4
2 is the prolonged half-life of approximately one week.
3 Presently, measures of bioequivalence are done after an
4 acute dose, thereby overlooking the time required for
5 hormone equilibration in body tissues. Additionally, one
6 can question the comparability of bioequivalence from a
7 superphysiological dose of L-T4 in a normal person with an
8 intact thyroid versus a patient with reduced or even no
9 endogenous thyroid hormone production. The present
10 technique does not allow discrimination between smaller,
11 more appropriate doses of L-T4.

12 In summary, in the case of hormone therapy,
13 particularly with oral T4, we have an instance where one
14 can actually measure biological equivalence; that is, the
15 effect on a tissue of the body, which is what
16 bioequivalence should truly mean. Measurement of serum TSH
17 should be done following an appropriate length of time,
18 four to six weeks, to account for the long half-life of
19 L-T4. This would allow the medication's true biological
20 equivalence to be assessed under clinically relevant
21 conditions.

22 The ATA recognizes the complex nature of the
23 issues being discussed today. Our main interest is to
24 ensure that all L-T4 preparations are reliable sources of
25 thyroxine replacement and that any determination of

1 bioequivalence for such preparations be based both on
2 pharmacologic and therapeutic bioequivalence. Therefore,
3 we feel it imperative that the biological effect of L-T4 as
4 measured by TSH be part of any method the FDA considers for
5 evaluating equivalency of such preparations.

6 Thank you.

7 DR. KIBBE: Thank you, Dr. Robbins.

8 Our next speaker on the schedule is James
9 Hennessey.

10 Dr. Hennessey.

11 DR. HENNESSEY: Thank you. I'm Associate
12 Professor of Medicine at Brown Medical School in
13 Providence, Rhode Island. I've been involved in clinical
14 research with the applications of levothyroxine since 1983,
15 and I have a keen interest in the process to assure that we
16 have reliable and accurate dosing of thyroxine.

17 I've spoken on this subject at the request of
18 both Forest Pharmaceuticals as well as the Knoll
19 Pharmaceutical, now known as the Abbott Pharmaceutical
20 Company, in the past, but I'm here on my own today, and
21 I've been involved in clinical research protocols sponsored
22 by Knoll, now known as Abbott, and King Pharmaceuticals, in
23 the near future.

24 At this point in time, L-thyroxine is
25 clinically essential in the treatment of hypothyroidism and

1 thyrotropin suppression in patients with thyroid cancer, as
2 about 95 percent of those with hypothyroidism have primary
3 hypothyroidism, making the serum TSH a useful and
4 convenient parameter to assure appropriate dose titration.

5 TSH indicates the thyroid hormone action at the tissue
6 level and thus is followed with great attention in the
7 clinical day-to-day management of patients with primary
8 hypothyroidism.

9 Currently, expert recommended target ranges for
10 TSH in those receiving thyroxine is a very narrow range,
11 between .5 and 2 milli-international units per liter. This
12 reflects the approximation of the currently hypothesized
13 normal TSH range that's seen in the majority of normal
14 individuals. Thyrotropin suppressive therapy with
15 thyroxine in thyroid cancer patients is also considered
16 clinically very useful. Again, TSH is the recommended
17 parameter to follow these patients, but here, the
18 therapeutic window is much narrower.

19 Recent information indicates that the normal
20 range observed over one year of monthly sampling is much
21 narrower than the range suggested by observations of cross-
22 sectional populations and therefore published in
23 laboratories. In addition to this, each individual
24 demonstrates a unique set point which is their own
25 personal, far-narrower normal range as indicated by the

1 skew between the patients here.

2 These observations led the investigators in
3 this particular publication to postulate that TSH values,
4 even within that broadly stated normal range of this assay
5 used, might indicate subclinical hypo- or hyperthyroidism
6 in individual patients. These findings emphasize the
7 ability of the serum TSH to provide a very sensitive
8 reflection of the individual's pituitary and thyroidal axis
9 status and point out the narrow target range that most
10 individuals require for precise L-thyroxine treatment.

11 The adverse effects of over-dosage or under-
12 dosage of thyroxine are outlined here, and as they've
13 already been alluded to, I will not dwell on them.

14 We performed a bioequivalency study in patients
15 with hypothyroidism at physiologic doses because there were
16 concerns at that point in time that there were inconsistent
17 clinical outcomes resulting from either changes in
18 L-thyroxine content or absorption characteristics. Our
19 study was conducted immediately after the 1982
20 reformulation of Synthroid and compared typical clinical
21 outcomes after 6-week dosing periods with either Levothroid
22 or Synthroid in a crossover study.

23 Although we detected no statistically
24 significant differences in the total thyroxine and free
25 thyroxine index measured first thing in the morning nor any

1 differences in the total T3 or free thyroxine index
2 measured in the morning, we did, however, demonstrate a
3 statistically significant difference in the response of the
4 pituitary to a stimulus with a thyrotropin-releasing
5 hormone. This difference in the TRH demonstrates that
6 there is a difference in the bioavailability being detected
7 only at the tissue level, in this case the pituitary.

8 Escalante and colleagues reported in 1995 their
9 experience with 31 patients with longstanding primary
10 hypothyroidism considered stable on levothyroxine for at
11 least 6 weeks prior to entering their protocol. Most of
12 these patients were being treated with Synthroid and they
13 were switched to a Levoxine preparation and 8 were treated
14 with Levoxine and then switched to Synthroid. The strong
15 point in this study is that they waited 4 months to achieve
16 equilibrium after switching these doses before re-
17 evaluating thyroid function tests.

18 This slide demonstrates the Synthroid TSH
19 values on the left and the Levoxine TSH values on the right
20 which is the primary illustration from the publication.
21 What that illustration actually obscures is the fact that 6
22 out of 24, or 24 percent, of those that were considered
23 euthyroid while on Synthroid were then measured as being
24 thyrotoxic on Levoxine by suppressed TSH levels.
25 Conversely, 2 of 21 who were considered euthyroid on

1 Levoxine were found to have suppressed TSH levels and
2 therefore were considered thyrotoxic while on the
3 Synthroid. Overall, 26 percent of these people underwent a
4 change in their basal TSH classification, which at least
5 would have stimulated their clinician to change their
6 thyroid hormone dose in order to achieve a euthyroid state.

7 The final study that I would like to show you
8 is the study from Dr. Dong and colleagues which was done in
9 a more sophisticated manner than Dr. Escalante's study or
10 even ours. Patients were recruited into this study to be
11 euthyroid on stable doses of thyroxine at either 100 or 150
12 micrograms daily for at least 6 weeks prior to their
13 randomization. Following recruitment, the patients began
14 their assigned L-thyroxine treatment from the study drugs
15 and after 6 weeks equilibrium, they were admitted for
16 thyroid function testing, whereby a fasting sample prior to
17 the last dose of the study drug was obtained and then
18 frequent sampling was obtained over the next 24 hours.
19 These are the four medications that were utilized.

20 Dr. Dong reported that the area under the
21 curves for thyroxine and T3 were no different among the
22 four products used in these trials. On the left are the
23 thyroxine and free thyroxine index and on the upper right
24 is the T3 levels. My visual assessment of the T3 data
25 underscores the limitations of using the applied

1 statistical methods which are quite similar to the current
2 standards to detect apparent differences in the profiles of
3 this parameter.

4 Scrutiny of the TSH values from Dr. Dong's
5 study, although not clearly delineated in their data set,
6 demonstrates that these basal TSH levels along the left
7 axis, to my visual assessment, may very well be important
8 in light of the narrow therapeutic ranges now being
9 suggested in that very tight target range for TSH
10 titration. I do believe that a TSH of 2, for example,
11 might very well be different than a TSH of 4, and certainly
12 this degree of difference would likely be considered
13 significant if the patient sitting in front of you was
14 giving you symptoms consistent with hypothyroidism.

15 Most importantly, this graph demonstrates the
16 individual patient TSH values from this study and they seem
17 to indicate that a consistent TSH classification, as these
18 various preparations were substituted, was not achieved.
19 In this chart, the TSH colored white is the normal people
20 with TSHs within the normal range. Those in green are
21 those considered hyperthyroid as TSHs are below the normal
22 range, and those in red are considered hypothyroid as their
23 TSH was above the normal range. If these four products
24 were indeed truly interchangeable, the color of all these
25 blocks, of course, would be white as all of these patients

1 should have been euthyroid at the beginning of the study.

2 There is no internal control assessment here to
3 estimate the degree of variability that would have been
4 expected should, for example, a patient be treated with the
5 same product from study period to study period. So, the
6 overall variability observed here is somewhat unclear.

7 What I do know, however, is that all the
8 changes in TSH classification observed here would likely
9 have, again, resulted in clinical action by a clinician
10 with new doses being prescribed followed by biochemical and
11 clinical reassessment necessitating increased cost and
12 patient inconvenience. As these results do show us, these
13 products were not interchangeable. Clearly, we need
14 reliable, consistently potent and absorbed thyroid hormone
15 products in order to meet our patients' precise therapeutic
16 needs.

17 Thank you.

18 DR. KIBBE: Thank you.

19 Dr. Hamilton, you're up.

20 DR. HAMILTON: Thank you. Thank you very much.

21 It's a privilege to be here.

22 My name is Dr. Carlos Hamilton from Houston,
23 and I regret that I do not have any support from any
24 manufacturers of thyroid hormone to report.

25 (Laughter.)

1 DR. HAMILTON: On the other hand, I wouldn't
2 mind having some.

3 (Laughter.)

4 DR. HAMILTON: I am currently supported by my
5 employer, the University of Texas Health Science Center in
6 Houston, and prior to that, my patients that I cared for,
7 most of whom had thyroid disease.

8 I'm actually here representing the American
9 Association of Clinical Endocrinologists. This is an
10 organization representing over 4,000 physicians that
11 specialize in the care of patients with endocrine and
12 metabolic disorders. We're the specialists that are most
13 often called upon by our colleagues for the care of
14 patients with thyroid and other glandular diseases and
15 hence we have an acute awareness of the effects of thyroid
16 replacement medication.

17 We are well aware that minor changes in thyroid
18 hormone levels in the bloodstream can result in significant
19 symptoms on the part of our patients. When there is
20 excessive amount of thyroid hormone in the blood,
21 hyperthyroidism can produce a number of symptoms, including
22 changes in the heart rhythm, accelerated osteoporosis,
23 muscle weakness and weight loss, psychiatric symptoms and
24 others.

25 When the thyroid hormone level in the blood is

1 insufficient and hypothyroidism results, premature ischemic
2 heart disease can occur, high cholesterol levels, abnormal
3 weight gain, menstrual changes, fatigue, lethargy, and
4 other symptoms are rather common.

5 Dosage changes of as little as 12.5 to 25
6 micrograms of oral thyroxine daily can, indeed, have
7 significant effects on serum TSH and on the symptoms that
8 our patients describe. These changes, whether they result
9 from change in the dose or in the brand of thyroid hormone,
10 can have important clinical effects on our patients
11 reducing either hyperthyroidism or hypothyroidism.

12 This chart or this graph demonstrates an
13 experiment that is basically confirmed virtually every day
14 in the offices of clinical endocrinologists; that is, minor
15 changes in the thyroid hormone level, the thyroxine level,
16 in the blood can result in significant changes in the TSH
17 level. Changes of as little as 25 micrograms as shown here
18 can produce significant elevations in the TSH when that is
19 reduced and very low levels of TSH indicating
20 hyperthyroidism when the level is increased.

21 The importance of these observations is very
22 clear. When the dosage, the source, or the brand of the
23 thyroid hormone replacement is changed, one should recheck
24 the serum TSH levels in 6 to 8 weeks to verify the
25 effectiveness of the new preparation. Changes from one

1 brand or manufacturer of L-thyroxine should be followed by
2 a recheck of serum TSH to verify the equivalence of the
3 medications. When the same dose and the same source of
4 thyroid is used, one needs to recheck these patients only
5 at yearly intervals.

6 This information is included in the American
7 Association of Clinical Endocrinologists Medical Guidelines
8 for the Clinical Practice for the Evaluation and Treatment
9 of Hyperthyroidism and Hypothyroidism.

10 That concludes my remarks. I'd be happy to
11 answer either now or later any questions that any of you
12 may have.

13 Thank you very much.

14 DR. KIBBE: Thank you, Dr. Hamilton.

15 Our next scheduled speaker is Dr. Silva, and
16 she is without slides.

17 DR. SILVA: Without slides. I'm Dr. Omega
18 Logan Silva, a past President of the American Medical
19 Women's Association, AMWA, an organization of 10,000 women
20 physicians and women medical students, and as all of you
21 know, endocrine diseases affect women to a much greater
22 extent than men.

23 And I have to let you know that Abbott
24 Laboratories is one of our corporate sponsors and Knoll
25 Pharmaceuticals sponsored Thyroid Gland Central which was a

1 campaign for thyroid disease awareness.

2 I am a board-certified endocrinologist who
3 practiced 29 years at the VA Hospital in Washington, D.C.,
4 most of the time as the Assistant Chief of the Endocrine
5 Division seeing thyroid patients. Also, I served on the
6 FDA's Immunology Panel in the 1980s and spent a number of
7 years doing research in endocrinology at the VA after being
8 a biochemist at NIH.

9 I am here to support having the FDA consider a
10 different methodology for determining bioequivalence of
11 hormonal products, including levothyroxine, by taking into
12 account the endogenous levels of the hormone in test
13 subjects.

14 Please read my statement since there's no time
15 for testimony. I was told I had a minute and a half and
16 although I talk really fast, I couldn't say everything in
17 that minute, but if I do have a couple of more seconds, I
18 would like to tell you a personal story.

19 Over a couple of weeks in the Endocrine Clinic
20 at the VA Hospital, I had several thyroid patients come in
21 that I had controlled perfectly on the dose of
22 levothyroxine that I had administered, and all of a sudden,
23 these patients were not doing well. When I checked their
24 TSHs, they were all high, and I said, what is going on
25 here? So, finally, I marched over to the pharmacy and

1 found out that the pharmacy had substituted another
2 levothyroxine preparation without the knowledge of the
3 endocrine service. So, I had to start all over again on
4 these patients to get them under control.

5 So, it is very important for clinicians to be
6 able to depend on the bioequivalence of these various
7 preparations that are being looked at by the FDA. So, I
8 would urge the FDA to do just that, to use a different
9 methodology so that they all are equivalent.

10 Thank you.

11 DR. KIBBE: Thank you, Dr. Silva.

12 Dr. Brown?

13 DR. BROWN: Good morning. My name is Rosalind
14 Brown, and for 23 years, I was at the University of
15 Massachusetts Medical School, where I was Professor of
16 Pediatrics and Director of the Pediatric Endocrine Group
17 Division, so that unlike the speakers you have heard today,
18 I look after the children with endocrine disorders,
19 particularly thyroid disease, and I have just relocated to
20 Children's Hospital Boston and Harvard Medical School where
21 I'm now the Director of Clinical Trials Research and am
22 developing a program in pediatric thyroidology.

23 My entire professional career has been devoted
24 to the care and study of children with hormonal disorders
25 with particular reference to children with abnormalities of

1 the thyroid gland. I've published numerous original
2 articles and book chapters and have held leadership
3 positions in both the Lawson Wilkins Pediatric Endocrine
4 Society and the American Thyroid Association.

5 I'll echo Dr. Hamilton and say that
6 unfortunately I do not have any financial relationship with
7 any company whose product might be affected by this
8 discussion at the present time. However, I have received
9 research support and honoraria for speaking engagements and
10 have been on the Thyroid Research Advisory Council, a peer-
11 review research committee, sponsored by Knoll
12 Pharmaceuticals in the past.

13 You've heard a lot about the consequences of
14 small dose changes in thyroid hormone in adults. The
15 purpose of my presentation is to emphasize the significant
16 irreversible impact of small dose changes in levothyroxine
17 on the brain development of small babies with congenital
18 hypothyroidism.

19 Just to orient you a bit, congenital
20 hypothyroidism is a disorder caused most commonly either by
21 failure of thyroid gland development or failure of thyroid
22 hormone synthesis. This first slide demonstrates the
23 devastating impact of this disorder on a small infant whose
24 congenital hypothyroidism was undiagnosed and untreated.
25 Because at birth, affected babies have no symptoms and

1 because for the best outcome, treatment must be started as
2 early as possible, screening programs for the detection of
3 congenital hypothyroidism have been developed in the United
4 States and throughout the world.

5 We now know that the incidence of congenital
6 hypothyroidism is 1 in 3,000 babies and as such, this
7 disorder is one of the most common treatable causes of
8 mental retardation. In fact, congenital hypothyroidism is
9 now known to be three to four times more common than PKU
10 for which newborn screening programs were originally
11 developed.

12 The second slide demonstrates some data prior
13 to the advent of newborn thyroid screening, demonstrating
14 the significant decrease in IQ of babies with congenital
15 hypothyroidism indicated in the bottom panel as compared
16 with the control group of normal children in the upper
17 panel. An IQ of less than 85 is considered to be
18 consistent with significant cognitive impairment, and as
19 you can see, a majority of babies with congenital
20 hypothyroidism had an IQ less than 85 indicated by the red
21 arrow, but few of the normal babies had an IQ of 85 or
22 less.

23 The third slide demonstrates the striking
24 improvement and in fact the normalization of IQ in babies
25 with congenital hypothyroidism indicated by the dark bars

1 as compared with control patients when the diagnosis was
2 made by newborn screening and treatment was early and
3 adequate. Unfortunately, the IQ was only normal if
4 treatment is adequate and even small decreases in the dose
5 of thyroxine replacement are associated with a
6 significantly reduced prognosis.

7 The next slide demonstrates a study in which
8 the IQ of babies treated with two different starting doses
9 was compared. It could be seen that babies treated with a
10 higher dose, 10 micrograms per kilogram per day, had a mean
11 IQ that was 21 points higher than that of babies treated
12 with 7 micrograms per kilogram per day, a difference that
13 was highly significant statistically.

14 Similar results have been reported by numerous
15 other investigators. For example, Rovett, et al., have
16 noted a 4 to 5 point increase in IQ of congenital
17 hypothyroid infants when the dose of replacement was
18 increased by as little as 1 to 2 micrograms per kilogram
19 per day, from 7 to 9 micrograms per kilogram per day, to 8
20 to 10 micrograms per kilogram per day.

21 These data clearly show that congenital
22 hypothyroidism is associated with significant irreversible
23 cognitive impairment if treatment is inadequate.
24 Relatively small differences in the dose of thyroxine
25 replacement can have an enormous impact and irreversible

1 impact, I might add, in the outcome of these babies. A
2 potential difference of 33 percent in drug content is not
3 acceptable for the optimal care of our patients.
4 Bioequivalence should be determined by the serum TSH
5 concentration, as you've already heard, which is a much
6 more sensitive and physiologically meaningful assessment of
7 bioequivalence than is the measure currently used to assess
8 pharmacological equivalence.

9 Thank you.

10 DR. KIBBE: Thank you.

11 Our next speaker is Dr. Bryan Haugen.

12 DR. HAUGEN: Yes. Thank you. I'm Bryan Haugen
13 from the University of Colorado Health Sciences Center, and
14 I have to report that I've done past consulting with Abbott
15 Laboratories.

16 What I would like to do is actually put a bit
17 of a patient face to this by showing you one of the
18 patients that has been seen in my clinic. A 62-year old
19 woman presented with classic symptoms of hypothyroidism
20 that you heard from Dr. Hamilton. She had fatigue, weight
21 gain and constipation and her laboratory testing revealed a
22 serum TSH that was elevated -- you can see the normal range
23 in the brackets -- at 28 and a serum T4 that was perfectly
24 within the normal range, which many of us see in many
25 different patients, and we call this mild thyroid failure

1 or subclinical hypothyroidism.

2 She was treated with .1 milligram of
3 levothyroxine once a day. Eight weeks later, she returned.
4 Symptoms had improved, still did have fatigue, and her
5 serum TSH was still slightly elevated, as you can see, at
6 7. Her serum T4 again was perfectly within the normal
7 range and only slightly higher than her previous T4 of 8.
8 The levothyroxine was increased by 25 micrograms, or 25
9 percent in this case, to 125 micrograms a day. Eight weeks
10 later, her fatigue had somewhat improved, but now she had
11 new insomnia, and as you can see, her TSH was now below the
12 normal range at .08 milliunits per liter.

13 This is a slide you just saw from Dr. Hamilton,
14 and I would just like to reiterate that these small changes
15 can have dramatic effects on serum TSH as we have seen in
16 this patient.

17 This also brings the point of the log linear
18 relationship between T4 and TSH. For every linear change
19 in the T4, either free T4 or total T4 level, there is a
20 logarithmic change in the serum TSH, again which was
21 illustrated by this patient, a very dramatic drop in the
22 TSH but a minimal rise in the T4 level.

23 So, what are the long-term effects of this low
24 TSH, say, on this patient with a TSH of below .1? Well,
25 now there are many studies showing that there are ill

1 effects of a low TSH as well as a high TSH. Increased risk
2 of atrial fibrillation which was found to be threefold in
3 subjects over the age of 60 over a 10-year period, reduced
4 exercise capacity and cardiac function, decreased bone
5 mineral density and increased fracture risk, and again a
6 three- to fourfold increased risk of fracture, an increased
7 all-cause mortality in a recent study by Parle and
8 colleagues. So, there can be significant effects even with
9 a moderately suppressed TSH of below .1 if it is suppressed
10 long term.

11 This just shows the study by Sawin and
12 colleagues where a normal thyrotropin -- this is the risk
13 of atrial fibrillation over time, and if someone has a low
14 thyrotropin, which again in this study was less than .1,
15 there is a significantly increased risk of atrial
16 fibrillation.

17 So, the patient was on .125 milligrams of
18 levothyroxine. The levothyroxine was decreased to 112
19 micrograms per day, a decrease of only 10 percent. Seven
20 weeks later, she returned with no complains and her TSH now
21 was in that target range we have talked about between .5
22 and 2. So, you can see that very minor adjustments in
23 levothyroxine of even 10 percent can have dramatic effects
24 on the target that we've been talking about, the serum TSH.
25 So, serum TSH in patients' symptoms, not serum T4, are

1 therapeutic endpoints that we are using in clinical
2 practice.

3 The true normal range for TSH, as was mentioned
4 by Dr. Wood, is quite narrow at .5 to 2. Small changes in
5 administered levothyroxine, as I've shown, 10 to 20
6 percent, can result in significant changes in serum TSH.
7 An abnormal TSH, again as you have heard, has consequences.

8 There's definitely a burden and consequences in the
9 patient if this is not adjusted over a period of time, and
10 there can also be a burden on the health care system by
11 frequent testing, by utility of resources if the TSH is
12 changing and the patient's symptoms are changing.

13 Thank you.

14 DR. KIBBE: Thank you.

15 I believe our next speaker is Dr. Irwin Klein.

16 DR. KLEIN: Yes. Good morning.

17 DR. KIBBE: Good morning.

18 DR. KLEIN: By way of introduction, I'm Dr.
19 Irwin Klein, Professor of Medicine and Cell Biology at NYU
20 School of Medicine, and I'm Chief of the Division of
21 Endocrinology at North Shore University Hospital in
22 Manhasset, New York. I'm here today as an endocrinologist
23 and thyroidologist, and being from New York, we require
24 support, and as such, I serve as a consultant to King
25 Pharmaceuticals.

1 For the past 20 years, I've been interested in
2 the clinical and research aspects of thyroid disease,
3 specifically the effects of thyroid hormone on the heart.
4 I've published over a 150 articles on the subject,
5 including chapters on thyroid hormone in the heart, in the
6 Thyroid Textbook, and the chapter on cardiovascular
7 endocrinology in the upcoming edition of Brownwald's Heart
8 Disease.

9 The issue that I'd like to specifically address
10 deals with the assessment of the therapeutic efficacy of
11 different L-thyroxine sodium preparations when used in the
12 treatment of hypothyroidism. As you're well aware,
13 L-thyroxine sodium is a narrow therapeutic index drug.
14 After a diagnosis of hypothyroidism is established,
15 treatment is initiated and the L-thyroxine replacement dose
16 is titrated to the proper level based on a combination of
17 both laboratory and clinical parameters. The former
18 includes specifically the TSH level which is targeted to
19 return to a relatively narrow normal range.

20 This is because, as you've heard, the effects
21 of both under-treatment and over-treatment are potentially
22 harmful. Specifically, excess T4 replacement producing a
23 low serum TSH, as reported by Sawin in the New England
24 Journal of Medicine in 1994 and as reviewed by us in that
25 journal in February 2001, can produce atrial fibrillation

1 in as many as 30 percent of patients above the age of 60.

2 My review of the FDA guidance of bioequivalence
3 of L-thyroxine sodium indicates that it is possible to
4 consider two preparations bioequivalent, based upon T4
5 pharmacokinetics which fall between minus 80 to plus 125
6 percent of the reference compound.

7 As a physician who cares for many patients with
8 hypothyroidism, I am concerned that the application of the
9 existing guidelines for bioequivalence will yield results
10 which do not properly reflect therapeutic equivalence. It
11 has been well documented that even with a normal blood
12 level of T4, a low TSH level predicts increased
13 cardiovascular risk. This opinion then can demonstrate
14 that any study of bioequivalence must include serum TSH
15 measured at steady state.

16 We have provided a review to the committee
17 which I believe further outlines the basis for this
18 conclusion. If, however, the existing guidelines are not
19 amended to reflect the principles which I've discussed, the
20 resulting effect may be that substitution of non-
21 therapeutically equivalent L-thyroxine preparations will
22 produce unwanted effects among the over 10 million patients
23 currently treated for hypothyroidism in the United States.

24 Switching a patient from one formulation of
25 L-thyroxine sodium to another approved under the current

1 guidelines would require that the physician perform repeat
2 TSH testing and dosage adjustments to assure that these
3 patients remain euthyroid. Otherwise, it could well be
4 expected that as many as 20 percent of these substituted
5 patients would experience a fall in TSH. For the over 60-
6 year-old segment of the population, that change would place
7 10,000 patients each year at risk for iatrogenic atrial
8 fibrillation.

9 Since the cost of treatment of each of these
10 patients is conservatively estimated at \$7,000, the
11 increased health care costs beyond the cost in human health
12 as a result of these actions could well be in excess of \$70
13 million annually.

14 I'd be happy to discuss these opinions with you
15 further. Thank you.

16 DR. KIBBE: Dr. Tuttle.

17 DR. TUTTLE: Thank you very much. I'm Mike
18 Tuttle. I'm one of the endocrinologists from Memorial
19 Sloan Kettering Cancer Center. Unlike most
20 endocrinologists, I see a very skewed view of the world
21 working at a cancer center. On any given month, 80 to 90
22 percent of my patients have thyroid cancer and at least
23 half of them have metastatic disease. My clinic is a great
24 place to come learn to do thyroid cancer. We're not a
25 great place to talk about diabetes.

1 I also need to let you know that I have
2 received Knoll grants in the past before and do a lot of
3 lecturing and speaking about thyroid cancer around the
4 country and have received honoraria for that.

5 Typically when people think about thyroid
6 cancer, it's frequently thought of as one of those really
7 unusual cancers you never see, but if you look at the
8 actual number of cases, the number of new cases being
9 22,000 isn't that much different from other more, I
10 suppose, popular cancers, multiple myeloma, kidney cancers,
11 leukemia and lymphoma. 1,400 deaths this year are expected
12 from thyroid cancer. Fortunately, the overall survival in
13 thyroid cancer is 90 percent which means the vast majority
14 of patients with thyroid cancer will be long-term survivors
15 and will require levothyroxine therapy.

16 Now, I'm a clinician, and to me, what matters
17 is how we take care of patients. Initially in thyroid
18 cancer, we usually start with a total thyroidectomy,
19 surgically removing the entire thyroid. We use radioactive
20 iodine as a very targeted therapy to destroy any residual
21 normal tissue or any metastatic thyroid cancer and that
22 functionally leaves the patient with no thyroid tissue.
23 That is the goal of our therapy.

24 Now, if you think about that at first blush,
25 you'd think the real role for levothyroxine is what you've

1 been hearing this morning, which is just to replace that
2 patient, get rid of the hypothyroid systems and keep them
3 normal, but in fact in thyroid cancer, levothyroxine
4 therapy goes far beyond that.

5 Numerous studies over the last 30 years have
6 shown that if we use what we call levothyroxine
7 suppression, in fact an overdose of levothyroxine, to
8 suppress that TSH, we see a marked decrease in recurrence
9 and better outcomes. So, the goal in thyroid cancer is, A,
10 yes, to replace them, so they don't have the hypothyroid
11 symptoms, but more importantly for us, I frequently call
12 this to my patients, this is our chemotherapy that they're
13 going to be on for the next 20, 30, 40 years, depending on
14 how old they are.

15 If you put this into some perspective, you've
16 heard this morning, our usual goal for primary
17 hypothyroidism is a TSH around 1, a T4 in the normal range.

18 In my clinic, our goal is much different. Our goal is to
19 have a TSH that's very, very low, bordering on
20 undetectable, and to do that, we have to get their T4
21 elevated. On purpose in my clinic, we make folks
22 subclinically hyperthyroid. The goal is to get them on
23 just enough T4 so that they don't feel it clinically but
24 yet we produce the biochemical suppression we want.

25 What that means is very small changes in their

1 dose, as little as missing one thyroid pill a week or
2 taking one extra thyroid pill a week, can tip them over the
3 edge into clinical thyrotoxicosis. This is not just
4 numbers on a piece of paper. This is phone calls to my
5 office from real patients having rapid heart beats and
6 nervousness and not being able to sleep. Alternatively, if
7 the dose is decreased a little bit, they feel perfectly
8 fine, but the TSH is now up into the normal range and
9 they're at risk for recurrence.

10 Now, to try to put this into some perspective,
11 how big a dose change do you need? You've already heard
12 this morning that small dose changes, which is my usual
13 dose increments, of 10 or 12 percent are enough to produce
14 these symptoms, either for the worse, which is thyrotoxic
15 symptoms, or back into the normal range. Unlike most of
16 the TSH measurements you do in hypothyroid patients which
17 may be once a year, in thyroid cancer patients, we maybe do
18 these every four to six months because fine-tuning is
19 critical.

20 So, what I hope to leave you with today is that
21 the goals in levothyroxine suppression in thyroid cancer
22 are much different. This is chemotherapy for us. The
23 implications of having a TSH a little out of the normal
24 range is far more significant in thyroid cancer. The
25 narrow therapeutic window that you already use for thyroid

1 hormone is much smaller when we're dealing with folks with
2 thyroid cancer. These very small changes can have
3 important clinical events. These are not just paper
4 changes that we chase. These are real events in the lives
5 of our patients, and to our mind, product substitution with
6 alternates that vary by really more than 5 to 10 percent
7 would be unacceptable in the treatment of thyroid cancer
8 patients.

9 Thank you.

10 DR. KIBBE: Thank you, Dr. Tuttle.

11 Dr. Dickey. I hope we're in the right order.
12 Richard Dickey?

13 DR. DICKEY: Yes, sir. Thank you. Good
14 afternoon and thank you for inviting us to testify today.

15 My name is Richard Dickey, and I'm a newly
16 retired physician. I practiced endocrinology for over 30
17 years and still practice as a volunteer in a local indigent
18 clinic in Hickory, North Carolina. I also continue to
19 teach at Wake Forest University School of Medicine.

20 I'm pleased to testify before you today on
21 behalf of the Endocrine Society, where I serve on the
22 Clinical Affairs Committee. The Endocrine Society, founded
23 in 1916, consists of over 11,000 physicians and scientists
24 dedicated to research and patient care in the field of
25 endocrinology. Our clinician members are involved in the

1 daily treatment of patients with hormone disorders,
2 including thyroid disease. We publish four peer-reviewed
3 journals, *Endocrinology*, *Endocrine Reviews*, the *Journal of*
4 *Clinical Endocrinology and Metabolism*, and *Molecular*
5 *Endocrinology*.

6 I have no current affiliation, financial or
7 other, with any manufacturer of levothyroxine products.
8 The Endocrine Society receives financial support in the
9 form of unrestricted educational grants from several
10 manufacturers of thyroid drugs, including Abbott, King, and
11 Watson.

12 It is our dedication to the treatment of
13 patients with thyroid disorders that brings us to this
14 hearing today. In the interest of time, I'll not go into
15 the manner by which the FDA tests for bioequivalence, as
16 you've heard from leading thyroid experts today on that
17 matter. Instead, I'll focus our comments on the issue of
18 direct patient care, as have many others today.

19 Testing for bioequivalence is important and we
20 support the FDA in their diligence in this matter.
21 However, when testing hormone-based drugs, bioequivalence
22 data needs to be supplemented by therapeutic or clinical
23 data. Bioequivalence does not equal therapeutic
24 equivalence. Bioequivalence testing does not currently
25 include a mechanism for factoring in a baseline correction

1 for endogenous hormone production in the patients tested
2 and therefore therapeutic differences can be missed. These
3 differences are clinically significant when treating
4 patients with thyroid disorders, such as thyroid cancer and
5 hypothyroidism.

6 Endocrinologists are trained and experienced in
7 caring for patients with complicated thyroid disorders and,
8 regardless of bioequivalence data, realize that
9 levothyroxine products are not interchangeable. Our
10 concern is that without any supplemental information, other
11 physicians without the same level of specialty training in
12 endocrinology may assume that bioequivalence does equal
13 therapeutic equivalence. In the patient, the consequences
14 of important differences in bioequivalence and therapeutic
15 equivalence between products become obvious over time, as
16 demonstrated in the health or ill health of the patient.
17 The differences can even result in serious complications,
18 complications that could have been avoided.

19 We urge you to focus on patient effects and
20 accept that bioequivalence is not therapeutic clinical
21 equivalence for a hormone such as levothyroxine.

22 In conclusion, I would like to again point out
23 that our participation today was in the interest of the
24 patient. For your information, a disclosure statement
25 regarding those clinicians involved in the review of this

1 issue and the development of this testimony, as well as
2 financial relationships to the manufacturers of thyroid
3 products, is included in our written testimony provided to
4 each of you.

5 Thank you.

6 DR. KIBBE: Thank you, Dr. Dickey.

7 Dr. Bolton?

8 DR. BOLTON: I guess I have overheads.

9 First of all, I guess I should tell you some
10 disclosures. I'm speaking here on behalf of Geneva
11 Pharmaceutical Company who has recently developed a thyroid
12 product and gone through some bioequivalence tests. This
13 is the very first time, by the way, I've ever really worked
14 with Geneva. I must disclose, also, that I own stock in
15 Abbott Laboratories and Forest Laboratories, and so that
16 might sort of neutralize some of what I'm going to say.

17 (Laughter.)

18 DR. BOLTON: First, I'd like to tell you what I
19 aim to do here and that is, I aim to show you, in what I
20 consider a very objective and scientific way, a look at the
21 data that's been shown to me by Geneva, and I'd like to
22 defend these studies as demonstrating that these products
23 are equivalent and there's a very consistent measure of
24 performance.

25 First, let's look at the design of these

1 studies and understand that the FDA recognized that there
2 was a great variability in thyroid products. I think we
3 all know that and in recent years have come upon
4 recommending a guidance so that we can overcome some of
5 this variability and put some regulation on the production
6 and design of thyroid products.

7 So, the recommended protocol now for a
8 bioequivalence study is the standard study, but I'd like to
9 point out a couple of things here.

10 Number one is the sample size, 24. When you do
11 a 24-subject bioequivalence study, you're suggesting that
12 you have a relatively low level of variability, which we'll
13 see in the data is true.

14 The other thing I'd like to point out is the
15 dose, a 600-microgram dose. That's a large dose, but
16 because of analytical problems, it's very difficult to do
17 these studies with smaller doses, and we'll talk about that
18 as we go along. So, what we do here is give multiple
19 tablets of lower doses to equal the 600 micrograms.

20 The other thing that is a little different
21 about this is the baseline correction. That's been brought
22 up before. Now, they're asking not only for the total T4
23 but they're asking for baseline subtracted data and then
24 performing a statistical analysis using covariants, and the
25 requirement, as far as I know, is that all three of those

1 methods must result in passing the bioequivalence criteria.

2 So, it puts the onus on this product a little more than it
3 would on a usual product.

4 Also, we understand that the acceptance is
5 based on a confidence interval, not on a statistical test,
6 hypothesis test. The other thing is that we're using
7 subjects and not patients. That's been mentioned before,
8 and I think that's been really bandied about a lot by the
9 FDA and the experts and so on, and we know that subjects
10 are just a way of measuring whether two products are
11 equivalent or not. It's a mechanism or a machine that we
12 put the product into and we look at the output. We're not
13 looking to see whether it's different between normal
14 subjects and patients but just whether the formulations are
15 performing the same way. I think we all understand that.

16 So, let's go to the next slide. You see, my
17 understanding of bioequivalence is that if we have two
18 products where the blood levels are absolutely identical,
19 that any pharmacodynamic or therapeutic effects will be
20 identical and any secondary effects will be identical
21 because if the blood levels are identical, it's very hard
22 to think that therapeutic effects will be different. In my
23 experience, I have known no examples that belie this
24 particular assumption for oral products, particularly.

25 If we don't believe this and we don't go by

1 this assumption, we would have to do clinical studies for
2 most drugs or at least we can make an argument for most
3 drugs, and from my point of view, that would be sort of
4 going against the concept of bioequivalence which is using
5 a bioequivalence study as a surrogate for a clinical study
6 for approval of generic drugs.

7 I'm going to go through some of the studies
8 that I've seen and give you an idea of the results.

9 The first study was a dose proportionality
10 study. First of all, the dose formulations are dose
11 proportional. They're the same formulation, just larger
12 tablets as the dose goes up. The pharmacokinetics show
13 very good dose proportionality, and I think in the next
14 slide, you're going to see the results of the dose
15 proportionality study.

16 These are three different doses just made up to
17 600 micrograms, and I think it was 50, 100 and 300, and
18 they're virtually superimposable. You might say, well,
19 this is just the average results. By the way, the averages
20 were -- if you would look at the ratios there, they're just
21 about a 100 percent exactly, and you might say, what about
22 variability? The variability here was very small. In
23 fact, for the total T4, I think the variability was around
24 10 percent CV which is a really low variability drug, which
25 is very good because we have a narrow therapeutic index

1 drug.

2 Next slide, please. This is a study of the
3 generic or the new preparation prepared by Geneva versus
4 Synthroid. This was the result of the typical study. The
5 top slide gives you the average results for total T4 and
6 the bottom slide is the corrected T4, and I can tell you
7 for the total T4, the CV was less than 10 percent. I'm
8 going to show you more about that in just a moment.

9 Next slide, please. Here's another study done
10 against Levoxyl. Again, this is just a head-to-head study,
11 typical bioequivalence study, virtually superimposable
12 average blood levels. The ratio of Cmax and AUC again for
13 this was very close to 100 percent, like 101, 102,
14 something like that, very low variability.

15 Next slide, please. Here, I'm just going to
16 give you an idea, a little bit of the averages and the
17 variability. Interestingly, the variability was lower when
18 we just used the total T4. In fact, in all studies that I
19 saw there using total T4, the variability was on the order
20 of 10 percent, sometimes a little less, sometimes a little
21 more, but the averages were always very close to 100
22 percent, and these products are very similar. The
23 dissolution for these products are almost 100 percent
24 within 30 minutes. So, we have a relatively simple
25 formulation. There's nothing complicated about this

1 formulation, very rapidly dissolving, and we wouldn't
2 expect to see a lot of variability.

3 Next slide, please. I just did a little
4 simulation or computation to see what we would expect if we
5 tried to do these studies on lower doses lower than 600
6 micrograms. If we are subtracting the baseline and the CV,
7 the coefficient of variation, the variability is due only
8 to the assay, this is the kind of variability that I would
9 expect to see with a 600, 300 and 150 microgram dose
10 because the subtraction of the baseline reduces the values
11 that we see, and if we tried to do, for instance, a 150
12 microgram study, the variability just due to the assay --
13 that's the assay of the active material, nothing to do with
14 biological variation -- would be at least 44 percent.

15 Now, there is one slide missing that I
16 unfortunately did not put up here, but it had to do with
17 the ratios in these studies. You know, it was the old 75-
18 75 rule, which I don't mean to impose on this, but I'd like
19 to point out that 80 to 90 percent of the patients,
20 subjects rather, 24 in each of the studies, had ratios that
21 were between 75 and a 125 percent. Most of them were
22 between 80 and 120 percent. That's individual ratios and
23 somebody can say, well, 80 percent, that's 20 percent off,
24 but when you see 80 to 120 percent, that's including the
25 variability of the assay, the biological variability. So,

1 if we see individual ratios between 80 to 120 percent, we
2 have a terrific product and that's what I saw for this
3 product.

4 Thank you.

5 DR. KIBBE: Thank you, Sanford.

6 Our last speaker, Dr. Bill Barr.

7 DR. BARR: Good morning. I'll try to be brief.

8 I know everybody is hungry.

9 Like some of the other speakers -- by the way,
10 my name is Bill Barr. I'm Director of the Center for Drug
11 Studies at the Virginia Commonwealth University, and as
12 such, I receive money from almost everybody.

13 (Laughter.)

14 DR. BARR: I have received money specifically
15 from MOVA, from Abbott, Vintage, and Alara, all of whom
16 make these products, make levothyroxine products, but would
17 like to emphasize that my views today are my own and
18 haven't been either approved or sanctioned or disapproved
19 by anybody.

20 I'd like to present some data that I think are
21 relevant to the issues today and then present some views
22 which I hope will be useful.

23 This is a study which we ran several years ago
24 and which I'm going to refer to just as test and reference
25 in which we studied two levothyroxine products, a test and

1 a reference product, that were tested in patients that had
2 been stabilized previously on 100 micrograms of
3 levothyroxine. We then switched them over. They either
4 started them with test or reference, and then we switched
5 them over after a month, after they reached steady state
6 again.

7 During this procedure, we did in fact measure
8 TSH. We did it actually for safety reasons, but we did
9 measure TSH, and when we looked at TSH, we did find
10 something that was very interesting. If you look, you see
11 that when we shifted them over, there are some patients
12 that jumped way up in TSH values, that when we shifted over
13 to the reference product, TSH levels in some patients went
14 up quite considerably, and went up in fact above the range
15 in which most clinicians would have begun to question that
16 particular product or that particular result to the point
17 where they may have switched them and actually had to do
18 dose adjustment because the TSH levels at that point were
19 above the 4 to 5 to 6 that most clinicians consider to be
20 relevant whenever they're making dose adjustments.

21 Now, I thought this was very interesting
22 whenever we looked at this. I wanted to see if there were
23 any other products that were done similar that were tested
24 in a similar way and found another study.

25 May I have the next slide, please? This was a

1 study that I found in actually the Virginia Formulary
2 through FOI and it was done by Forest Laboratories. This
3 again was a product in which both products were given to
4 patients and it was done at steady state in which they also
5 measured TSH levels. I apologize for the quality of these
6 slides. But you can see this particular product, the old
7 product, was all below this level.

8 May I have the next slide, please? However,
9 with the reference product again, Synthroid, many of the
10 levels did go well up, not all but a few. What we've seen
11 in both studies is a subset. There appears to be a subset
12 of individuals who take the reference product, in this case
13 Synthroid, who uniformly jump up with the TSH levels and
14 that may be part of the explanation that many of the
15 clinicians have talked about today.

16 May I have the next slide, please? Let me give
17 you a possible explanation for this subset. This is my
18 hypothesis. These are the in vitro dissolution times for
19 the reference product. This was the older Synthroid
20 product. I can't say whether this is relative to today's
21 product or not, but I simply want to give you an example of
22 why these TSH levels changed.

23 About 50 percent of the drug is not dissolved
24 in this in vitro method at about one hour. On the other
25 hand, the other products that I've just talked to you

1 about, almost all of them follow the current USP
2 dissolution definitions, which means that about 80 to 90
3 percent or 100 percent have to be dissolved by, I think, 20
4 minutes or something like that. In fact, almost all of the
5 generic drugs that are made today are made by a dry
6 granulation in which almost 80 to 100 percent are dissolved
7 within 20 minutes. Now, this is not true, unfortunately,
8 of the reference product. The reference product, you can
9 see, is much more slowly dissolved.

10 May I have the next slide, please? By the way,
11 levothyroxine is not absorbed in the colon. It's absorbed
12 only in the small intestine. It's one of these drugs that
13 we consider to be transit time dependent. So, if it's
14 transit time dependent, if you look -- these are some data
15 by Davis that are transit times of the small intestine.
16 All of these dots represent each individual person in all
17 the studies compiled. And this is one hour, and you can
18 see that there's only about probably 5 to 10 percent of the
19 people at any give time that have transit times in this
20 particular study of an hour. It depends on how you do
21 transit times, by the way. But in this particular study,
22 the transit times were only about an hour.

23 Therefore, we would expect that with transit
24 times of about an hour and when only 50 percent of the drug
25 may be dissolved in an hour, that there would be a subset

1 that would probably have TSH levels at some point in time,
2 depending upon their transit times.

3 Now, transit times is a highly variable
4 situation. For example, if you have a drug that is going
5 to fall over into this area, it would seem to me that
6 you're going to have greater variability in this drug as
7 well, and this greater variability could be seen, for
8 example, in the next slide.

9 Women. There are several studies to show that
10 the transit time in women vary within the menses, that the
11 follicular state may be different than other parts. In
12 fact, this is one study. There are some controversies
13 about this data because they were used with lactose which
14 is not the best way to measure transit time, but it does
15 illustrate the example. This is at the follicular phase
16 and this is at the luteal phase at the transit times,
17 almost double the transit times. So, transit time may be a
18 factor.

19 The point that I do want to point out is that
20 there is a subset for whatever reason and it probably is
21 related more to dissolution rates. It is my guess that we
22 probably don't need a lot more complicated studies. I
23 think that in fact you could probably do much simpler
24 studies if all of the products, in fact, had dissolution
25 standards in which everything was dissolved within 20

1 minutes. The transit time would not be a problem.

2 What I think that we will see is that as long
3 as we have two sets of standards -- and at one time, the
4 USP proposed that they were going to have two sets of
5 standards, one for one set of compounds and one for another
6 set of compounds -- if that's true, we will always have
7 problems of interchange. I believe that whenever you look
8 at today's market, which unfortunately, good or bad -- and
9 I'm not sure it's good -- allows widespread interchange,
10 that this will be a continuing problem. I think that
11 probably we need to address the problem in a more complete
12 way and look at all of the factors that may be involved,
13 including transit times, including dissolution.

14 Thank you.

15 DR. KIBBE: Thank you, Bill.

16 Well, that brings our open hearing to a
17 conclusion. We're only 10 minutes late. I did make a
18 statistical analysis and the M.D.s took 4.3 minutes to do
19 their presentations and the Ph.D.s took 10.8 and I think
20 there's a correlation in there somewhere.

21 (Laughter.)

22 DR. KIBBE: But let me assure everyone who came
23 that we do not take this situation lightly. We will take
24 into account all of the information that was presented to
25 us and supplement it with additional information that we

1 can get from valid scientific sources and certainly it will
2 be a high priority item for our Biopharmaceutics
3 Subcommittee to look at. We really do appreciate your
4 interest and your efforts on behalf of the American public.

5 And I think we now stand adjourned for lunch.
6 We're going to open up again at 1:30 with bioequivalency
7 and continue the discussion on endogenous drug substances.

8 (Whereupon, at 12:40 p.m., the committee was
9 recessed, to reconvene at 1:30 p.m., this same day.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (1:30 p.m.)

3 DR. KIBBE: If I could call us all back to
4 order. I've got everybody back, and I know I see over in
5 the corner that our first speaker is here. So if I could
6 call us all back to the meeting and ask Dale to kick off
7 our discussion of bioequivalency with endogenous drugs.

8 Thank you, Dale.

9 Excuse me. Efraim?

10 DR. SHEK: I want to just note for the record
11 that since my employer has an interest in this discussion,
12 I am recusing myself from active participation in this
13 session. But with your permission, I'll continue sitting
14 here because it's a packed house.

15 DR. KIBBE: Thank you, Efraim.

16 Dale?

17 DR. CONNER: I'm sure you're all getting tired
18 of seeing my face, especially going on and on about trying
19 to tell people the basics of bioequivalence which I'm
20 starting, I think, after these many years, to get tired of
21 trying to explain to people and still hearing a lot of
22 misconceptions about it.

23 I'd like to start off, though, on the part of
24 the FDA by saying another vote of thanks to the people that
25 came during the public comment period. I know that they

1 took time out from their busy schedules, sometimes at a lot
2 of expense to themselves to come and give their opinions
3 and concerns, and I'd like to say that we at the FDA take
4 those concerns very seriously and they're of great value to
5 us. And so thank you again, if any of you are still here,
6 that you actually came and gave us your input on that.

7 The topic today that I'm starting off with is a
8 much more general topic than was discussed during the
9 comment period in that it's the bioavailability and
10 bioequivalence of endogenous substance drug products in
11 general and what are the concepts behind generally looking
12 at those things in endogenous drug substances.

13 So I'm again the lead-off person for this
14 topic. You'll be seeing later on a couple of very nice
15 examples of this that we've had some experience with, and
16 we're going to try and work this into a discussion of what
17 are the general principles of dealing with these type of
18 products and what are the variables and things you have to
19 look at in deciding how to determine bioavailability and
20 bioequivalence. So this is again, to use Ajaz's previous
21 term, an awareness topic discussion or it's the first step
22 in the discussion that may follow on this general topic,
23 and the purpose of this whole discussion is to provide
24 information to the committee on the challenges for BA and
25 BE assessment of endogenous drugs in general.

1 Perhaps at later times, we'll take this, after
2 this initial discussion and information sharing, to the
3 Biopharmaceutic Subcommittee meetings or to perhaps another
4 ACPS meeting where we can talk about and debate in general
5 in a more in-depth fashion. So at this meeting, we seek
6 your recommendations on how to develop this information
7 needed to enhance the science in this area.

8 So as you may have figured out already from
9 some of the comments, the bioavailability and
10 bioequivalence of endogenous drug substances needs special
11 considerations. And I'll go over my infamous diagrammatic
12 explanations in a second. These considerations were not
13 addressed in our general bioavailability/bioequivalence
14 guidance, and if you're familiar with that document, which
15 I think we're very proud of, it still left out those
16 considerations for those type of products and hence our
17 need to really discuss what we've done so far successfully
18 on several of the products and how that success can be
19 extended to other products where it's not quite as clear-
20 cut.

21 The specific things that we do have guidances
22 on that relate to this topic are specifically two compounds
23 or two endogenous substances, the first being a
24 bioequivalence guidance on potassium chloride modified
25 release tablets and capsules and that's listed up on my

1 slide. I have to say that the second one for levothyroxine
2 sodium tablets refers only to the bioavailability of those
3 products. It does not address the bioequivalence. There
4 seems to be some confusion amongst a variety of industry
5 people, as well as some of the public comment people, that
6 that in some way was supposed to describe bioequivalence
7 policy for levothyroxine. That's not the case. It's
8 strictly a bioavailability guidance, as stated in the
9 title.

10 Just a short list of some products that might
11 be considered as endogenous substances which may involve
12 special problems in doing bioavailability and
13 bioequivalence. Estrogens, for example, testosterone,
14 progesterone, calcitriol, and someone suggested to me that
15 -- I wasn't even aware of this. Someone who had worked on
16 the NDA said ursidiol. Also, some other products which are
17 not given orally but are given as parenteral non-solution
18 products, such as insulin and human growth hormone, could
19 be said to have some of the same considerations.

20 Again, the next slide or two or three is
21 something that the committee saw yesterday in my other
22 talk. It's just important to point out that these are
23 pharmaceutical equivalents. So we're not dealing with
24 therapeutic substitution or any substitution of different
25 types of dosage forms. When we do these comparisons or

1 bioequivalence comparisons, we're dealing with the
2 pharmaceutical equivalents containing the exact same amount
3 of drug substance in the same type of dosage form.

4 And I think that I went over this particular
5 slide, that we're really in the long run or at the end,
6 we're interested in assuring therapeutic equivalence, and
7 we, through our very extensive experience in a wide variety
8 of drugs, some endogenous, some others, we've arrived at,
9 through many years of experience in assuring TE, or
10 therapeutic equivalence, the most efficient ways to do
11 proper bioequivalence tests with proper analysis and
12 acceptance criteria.

13 I said yesterday this is my favorite slide and
14 I can't be restrained from throwing it into every talk. It
15 actually is relevant, and I have three versions of this.
16 Here's my general. I don't want to call it generic version
17 because I work for generic drugs, but this is the simple
18 version for the usual non-endogenous oral drug product. It
19 simply flows again from this first step where we have a
20 solid oral dosage form and that dosage form, I think we can
21 all agree, needs to release the drug and make it available
22 to the body, and so it seems like a simple concept but the
23 drug has to leave the formulation and get into the body to
24 eventually create a therapeutic effect. And sometimes by
25 therapeutic effects, I mean any effects that a drug caused,

1 both desirable and undesirable.

2 So the first step usually for an oral product
3 is that product has to disintegrate and then go into
4 solution and once in solution pass across the gut wall.

5 So when you look at bioequivalence
6 specifically, what you're really looking at -- and it's an
7 important concept that people get confused about -- is
8 you're looking at formulation performance and some way to
9 adequately assess how these comparator formulations behave
10 when taken by patients, or if you're doing a study by
11 normal subjects, how they behave, and can a formulator make
12 another product that behaves in exactly the same way. So
13 that's the whole point of bioequivalence testing, and if
14 you keep repeating to yourself it's all about the
15 formulation and whether that formulation performs in an
16 identical or close to identical fashion and releases the
17 given drug in the same manner, same rate, and same extent.

18 So how do we infer, how do we measure whether
19 that's actually happening? Through my process here, we go
20 through drug passage through the gut wall. There are
21 plenty of other steps that you could put into this. I've
22 kind of over-simplified it. It passes into the blood. The
23 blood acts as an intermediate transport area, carries it to
24 the site of activity, and one gets therapeutic or
25 pharmacodynamic effects.

1 Then as I mentioned yesterday, we've chosen, I
2 think, as a matter of efficiency to do blood
3 concentrations, when we can, for bioequivalence purposes
4 simply because they are very close to the event we're
5 trying to measure which is the only thing we really have
6 control over which is the formulation. All the rest of
7 these things are patient or subject physiology-related
8 events. The thing that we really have control over is what
9 does the formulation do, and formulation scientists can
10 design it with various properties, release slower, release
11 fast, or so forth, and so this is the both the thing that
12 we're trying to measure and the thing that we actually have
13 control over.

14 So we've chosen to measure in blood for several
15 reasons. Blood is not too far removed from the event that
16 we're trying to measure. It's also related in almost all
17 cases to the therapeutic effects that are eventually
18 achieved by the drug since the blood is thought to be an
19 equilibrium or related to the drug appearance at the site
20 of activity. So in all respects, the blood answers most
21 people's questions very adequately and very efficiently.

22 It also happens that blood levels for regular
23 drugs, not endogenous substances, have some very nice
24 properties. I mean, either it's a straight line
25 relationship between what you're trying to measure and the

1 dose or at worst, it's a nonlinear function where, on this
2 particular graph, a nonlinear elimination would make the
3 curve go upwards which actually increases the sensitivity
4 of the test. And by sensitivity in this respect, I'm
5 saying that a test done in a nonlinear range is much more
6 likely to fail the product. So it becomes extremely
7 sensitive to small differences. So in effect, even a
8 nonlinear drug tends to make products fail rather than
9 passing products that are quite different.

10 The therapeutic or pharmacodynamic effects have
11 different properties. Any clinical effect, just about any
12 clinical effect tends to be more variable because, as you
13 proceed along this scheme of mine, you pick up variability
14 with each step, and so the clinical effects or clinical
15 measures that we usually use -- and I think you saw some of
16 those described yesterday in one of the talks -- tend to be
17 quite variable, and they also have different properties in
18 the blood.

19 Generally with pharmacodynamic or clinical
20 effects, if we remember from our pharmacology textbooks,
21 you usually have an S-shaped dose-response curve. So you
22 have essentially three parts of that curve. You have the
23 part where you're really not giving enough to cause an
24 effect, so you get close to no effect. You have a steep
25 portion in which you can actually see very large changes in

1 your clinical response with very small changes in dose, and
2 I think you saw some of that described in the public
3 comment period. And then at higher doses, you have a
4 plateau where you've gotten the maximum effect. You really
5 can't get anymore. If you're testing for equivalence or
6 testing to products up at the top of the range, you really
7 have no sensitivity or no ability to tell the difference
8 between them simply because when you're on the plateau with
9 a maximal response, you really can have tens or hundreds of
10 times difference in the bioavailability and not see any
11 difference in the response.

12 So it's critical, if you're going to use this
13 type of response to test the difference between
14 formulations, that you do it at the proper dosing range
15 where you're on the steep, sensitive part of the curve. So
16 that's one of the considerations for doing equivalence
17 testing between products using a pharmacodynamic or
18 clinical response.

19 How does this situation change? I mean, it
20 seemed a fairly simple, straightforward, beginning to end
21 process, but how have I changed that to look at endogenous
22 drug substances, such as hormones?

23 Obviously we have now a substance that -- if we
24 try and measure it in blood. In the previous drugs I
25 described, the only source of that drug appearing in blood

1 is from the dosage form that you actually gave. Now, it's
2 not quite so simple. We have not only that dosage form
3 that we gave supplying drug that appears in the blood and
4 throughout the body, but we have the body actually
5 producing that drug. So we have at least two sources or
6 more sources for that substance to appear in blood.

7 And to make things even more complicated,
8 especially with hormones, there's also a feedback process
9 where it isn't simply a steady body production, that as
10 blood concentrations go up and down, that production and
11 that storage of that compound changes with changes in the
12 blood concentrations or the body concentrations. So that
13 adds a level of complexity that really creates certainly
14 technical problems in using our normal methods for doing
15 bioequivalence, and certainly that process and the amount
16 in blood that did not come from our formulation has to be
17 taken into account if one hopes to use pharmacokinetic
18 measures to determine bioequivalence and determine
19 difference between formulations.

20 So I've redrawn this and it's drawn for
21 illustration, not entirely supposed to be accurate or
22 representative of any given product, but I've changed the
23 supposedly nice properties of pharmacokinetic data to say,
24 well, now we're dealing with a baseline or that substance
25 is already there before we start to add the contribution of

1 the dosage form on top of that.

2 Well, that's not the only case. Our other
3 example that I mentioned is potassium chloride, and how
4 does potassium chloride differ from, say, hormones of the
5 system I just described? With potassium, on the other
6 hand, the body actually, strictly speaking, doesn't make
7 potassium. So it more or less shifts it around. It takes
8 it in from the diet. It puts it out in the urine and
9 perhaps the feces, and so you're really looking at an
10 equilibrium process where, if a patient is deficient in
11 potassium and is given supplemental potassium, they tend to
12 take more in and store it, hopefully. But if you deal with
13 normal volunteers with proper and healthy levels of
14 potassium, most of what's taken in is simply put back out
15 again. So the body doesn't really need to hold onto it or
16 to increase stores. It basically comes in one end and goes
17 out the other, so to speak.

18 So the question is, what we do with potassium,
19 on the other hand. Again, we're dealing with the same set
20 of issues in a way in that there's a lot of potassium
21 already in the blood. If we give a single dose of
22 potassium, you really don't see that much of a change in
23 the blood. It's a very, very small change. So even if you
24 were to correctly subtract the baseline, the signal you
25 would end up with is extremely tiny. In effect, probably

1 in the upper 90 percent of the area of a given dose would
2 have to be subtracted which would leave you with a very
3 small signal, very highly variable, very difficult to do
4 studies on. Probably any kind of reasonable size
5 pharmacokinetic study done on the blood would probably fail
6 even on a product against itself.

7 So the blood has proven to be not a very good
8 site for sampling of this. It's good for most products and
9 most types of drugs. However, in this particular one,
10 urine has proven to be a much more effective means of
11 assessing bioequivalence because, as I said, most, if not
12 all, of the potassium you give in the dosage form to a
13 normal healthy person comes out in the urine.

14 However, it's not quite that simple because
15 that's not the only source of potassium that comes out in
16 the urine. You actually, especially with normal subjects,
17 have to eat, and if you have a several-day study and you
18 try not to feed them, they get very angry and cranky. So
19 you really have another source of potassium during your
20 studies that comes from the diet.

21 So the urinary data that we collect also has to
22 be adjusted for baseline and that baseline potassium that
23 it has to be corrected for is basically what you gave in
24 the food during the study. So you still are facing
25 baseline correction in the urinary data for potassium as

1 well, and as I drew it here, although it's definitely not
2 to scale, if you look at the blood concentrations, you're
3 dealing with a much, much higher baseline than my previous
4 illustration and that makes the blood more or less
5 unsuitable for this particular bioequivalence procedure.

6 Again, I was going to just like pass over this
7 slide quickly, but I again notice some people who didn't
8 seem to understand the criteria that we used for
9 bioequivalence, especially this last one, 90 percent
10 confidence intervals must fit between 80 and 125. There's
11 a given misconception in the community that bioequivalence
12 of 80 to 125 allows the mean data of a comparison between
13 two products to vary between 80 and 125 percent. That's
14 absolutely not true. That's a misunderstanding of the
15 criteria.

16 What we're dealing with is the confidence
17 intervals around that data, and that's based on the
18 variability of the products and the variability of our
19 study. Generally, for most products with normal levels of
20 variability, say CVs of 25 percent or as much as 30
21 percent, the mean data or the point estimates that we see
22 in normal bioequivalence studies don't generally fall
23 outside of 10 percent and most of them are around 3 percent
24 either way because essentially the confidence interval has
25 a width around that mean and it doesn't really take much

1 movement away from center to cause the edge of that
2 confidence interval to go over our limit and fail. So if
3 you're really just talking about mean data, the means never
4 really get a chance to get out anywhere close to the plus
5 or minus 20 percent.

6 So the problems that we deal with or the
7 issues, among others, are assay sensitivity which has been
8 mentioned before, that if you do your study and you don't
9 give the assay a high enough signal, then you have some
10 problems with variability and inability to tell the
11 difference between two products. That's one of the
12 reasons, say, for example, with levothyroxine that the
13 original recommendations were for 600 micrograms. So lower
14 than that, based on the data that we had, we really did not
15 think that anyone could really see the difference between
16 formulations at a lower dose simply because of lack of
17 sensitivity of the assays to even detect that in the blood.

18 Obviously, endogenous baselines are always a
19 problem. You need to be able to deal with correcting for
20 the baseline if necessary or deciding whether baseline
21 correction is necessary.

22 The feedback inhibition or feedback control of
23 the endogenous production is an important concept which
24 relates to the baseline still.

25 Some of these under normal conditions have

1 circadian or other types of rhythms or variability
2 throughout the day and that has to be taken into account.

3 And some of these are claimed to be either
4 linear or nonlinear pharmacokinetics which, as I said, is
5 another consideration that controls the sensitivity of the
6 test.

7 So today, as far as the agenda goes, we will
8 have two case studies, the first being a case study on
9 levothyroxine with actually two speakers in that case
10 study. The first is our speakers from Abbott Laboratories
11 who will go over a very interesting study that they did on
12 baseline correction and some other issues. It's an
13 extremely interesting study. Steve Johnson will then speak
14 for the FDA about our experience with levothyroxine
15 bioavailability in quite a few NDAs that we've reviewed
16 now.

17 The second case study is on potassium chloride
18 and more detail will be gone into on our experience with
19 potassium chloride, and finally I'll come back and just
20 kind of wrap things up with a summary.

21 First off, Steve will introduce the topic of
22 levothyroxine.

23 DR. JOHNSON: Good afternoon, ladies and
24 gentlemen, members of the advisory committee. My name is
25 Steven Johnson, and I'm a clinical pharmacology and

1 biopharmaceutics reviewer, collocated with the Division of
2 Metabolic and Endocrine Drug Products.

3 Today I'll be presenting on a very important
4 endogenous drug substance that you've heard a lot about
5 this morning, and this product has come to a focal point
6 here at the Food and Drug Administration within the last
7 several years.

8 My presentation this afternoon will cover two
9 primary topics. The first is a background or a description
10 of why levothyroxine sodium was declared a new drug in
11 1997. I'll discuss specific aspects of the guidance for
12 industry for this product. The second part of the
13 presentation will focus on the FDA's current recommendation
14 for evaluating bioequivalence between these levothyroxine
15 products, and at that time, when I discuss that section,
16 I'll talk about the recommended study design and on the
17 bioequivalence analysis itself.

18 Well, prior to August of 2000, levothyroxine
19 sodium was an unapproved marketed drug. It had actually
20 been grandfathered in. It was introduced in the 1950s as a
21 more pure synthetic form of thyroid, USP, and in 1997, it
22 was estimated that there were at least 37 manufacturers or
23 repackagers of levothyroxine sodium tablets.

24 However, despite the fact that we had more than
25 40 years of clinical experience with this particular

1 product, there was still a high degree of uncertainty about
2 the products themselves and the uncertainty existed with
3 all of the products that were currently on the market.
4 Namely, there were issues about product stability, which
5 has a direct impact on the shelf life or the expiration
6 dating of the product, formulation consistency and content
7 uniformity concerns within a given brand, and then there
8 was the issue of bioequivalence. Bioequivalence had never
9 been formally established between brands.

10 Well, levothyroxine sodium degrades very
11 quickly when it's exposed to light, moisture and oxygen,
12 and when it's combined with a carbohydrate excipient, it
13 undergoes a biphasic degradation process whereby there's a
14 rapid initial decay phase followed by a more gradual
15 degradation phase.

16 These characteristics have a direct or a
17 negative impact actually on the product's stability.
18 Between 1990 and 1997, there were 10 recalls involving a
19 150 lots and over 100 million tablets. These recalls
20 ranged from Class 1 to Class 3 and were initiated because
21 of content uniformity, subpotency, and stability failures.

22 In an attempt to address these issues or these
23 stability problems, many products were manufactured with a
24 stability overage which is very distinct or different than
25 a manufacturing overage. It's a very important distinction

1 because a stability overage is intended to extend the shelf
2 life of the product and we saw a lot of that and that's not
3 acceptable to the agency, whereas a manufacturing overage
4 is sometimes necessary to account for some of the loss
5 during the manufacturing process itself.

6 In 1987, Fish described overages in
7 levothyroxine products as high as 9 percent. The FDA
8 actually has internal documentation that would suggest that
9 in some cases, these stability overages were actually as
10 high as 15 percent.

11 The FDA also has evidence that significant
12 changes were being made to the product formulations in an
13 attempt to improve product stability, and these changes
14 were to both the amounts of the active drug and also to the
15 amounts of the product components.

16 There was also evidence from case reports in
17 the literature that suggested that therapeutic failures had
18 occurred when patients had received a refill of the same
19 product for which they had been previously stable. Of the
20 58 cases of therapeutic failure reported to the FDA between
21 1987 and 1994, nearly half had occurred when patients had
22 received a refill of a product on which they had been
23 stable for years.

24 So in 1997, in an effort to standardize
25 levothyroxine sodium tablets and to reduce the instances of

1 therapeutic failures, the FDA declared levothyroxine sodium
2 tablets a new drug and sponsors wishing to continue to
3 market their particular product needed to submit either an
4 NDA or file a citizen's petition describing why an NDA was
5 not necessary for their product.

6 At about this same time, essentially in concert
7 with the Federal Register Notice, the FDA recognized, in
8 part due to the large number of manufacturers of this
9 product, that we needed to come up with a consistent set of
10 guidelines for this product and so a guidance for industry
11 was put together. This guidance was intended to address
12 issues of bioavailability, as Dr. Conner pointed out
13 earlier, and was never intended to be used on its own for
14 the purposes of bioequivalence.

15 I've chosen three topics here, I've highlighted
16 them in red, to discuss a little bit further from this
17 guidance. The first of the two bioavailability studies
18 evaluated the in vivo performance against an oral solution.

19 Two 300 microgram tablets, the test product, were compared
20 to a 600 microgram oral solution in a single dose to a
21 crossover study design. Pharmacokinetic parameters, AUC
22 and Cmax, were evaluated without an endogenous baseline
23 correction, and total thyroxine was used as the measure.

24 The second study was recommended to evaluate
25 the dosage form proportionality within a particular product

1 line. Three treatments were chosen to represent the low,
2 middle and high ends of the product line and each treatment
3 was administered as a single 600 microgram dose under
4 fasting conditions. Pharmacokinetic analyses again, as
5 with the other study, were conducted using total thyroxine
6 without an endogenous baseline correction.

7 Finally, the issue of formulation which is, in
8 my opinion, perhaps the most important aspect of this
9 guidance. It's a small section in the guidance, but it has
10 a very big impact. In order to be acceptable to the
11 agency, a sponsor's products must target 100 percent of the
12 label claim, something that had never been done before.
13 Unaccountable or stability overages were viewed as
14 unacceptable and would prevent the approval of that
15 product.

16 Between June 1999 and July 2001, nine sponsors
17 submitted stand-alone NDA applications. The first product
18 was approved in August of 2000. There are currently six
19 approved levothyroxine sodium tablet NDAs, and I have them
20 listed here. We've got Lloyd, Jerome Stevens, Genpharm,
21 Jones, MOVA, and Abbott Pharmaceuticals.

22 I'd like to conclude by saying that the process
23 that I've just described has had a major impact in
24 improving the quality and consistency of these six FDA-
25 approved products. Important issues, such as overages,

1 content uniformity, and bioavailability, have been
2 addressed, and product-specific dissolution tests -- I'll
3 repeat that again because it's very important -- product-
4 specific dissolution tests have been conducted. And it's
5 very important that these were specific to the product
6 because it allows for lot-to-lot consistency and quality
7 evaluation.

8 These steps go a long way in addressing some of
9 the historical concerns that were brought up earlier with
10 levothyroxine sodium tablets.

11 Thank you.

12 I'd like to introduce Drs. Wartofsky and
13 Granneman from Abbott Laboratories.

14 DR. WARTOFSKY: I'm Leonard Wartofsky. I'm
15 Chair of Medicine right here in Washington at the
16 Washington Hospital Center, Professor of Medicine at
17 Georgetown University. I'm here as a consultant for
18 Abbott, and I have also received honoraria from virtually
19 every other levothyroxine manufacturer for speaking.

20 For 25 years, I was at Walter Reed Army Medical
21 Center and am now at the Hospital Center, and I've been in
22 leadership positions in the ATA, the American Thyroid
23 Association, and the Endocrine Society. But I'm a
24 practitioner of endocrinology, seeing thyroid patients
25 every day.

1 I'd like to stress that the FDA recommendations
2 you've just had reviewed to determine bioequivalence are
3 not sufficiently sensitive to detect the small differences
4 in thyroxine levels and their physiologic effect that we
5 clinicians are concerned about. These small differences
6 have a significant clinical impact on both safety and
7 efficacy.

8 T4, as you've heard, is the synthetic version
9 of the naturally occurring thyroid hormone. There is no
10 substitute for thyroxine. All our patients require
11 lifelong therapy and the medical community relies on
12 thyroxine as being truly bioequivalent.

13 The decision of the committee here today is
14 extremely important because 13 million Americans rely on
15 thyroxine.

16 You've heard a little bit about TSH this
17 morning. I'd like to review it some more. Here is the
18 pituitary gland that makes and releases TSH, appropriately
19 in the center of the slide. It stimulates the thyroid
20 gland to release T4 and T3 which circulate in the blood,
21 binding to tissue receptor sites where the metabolic action
22 of thyroid hormone is exerted. There's negative feedback
23 back to the pituitary and the hypothalamus turning off TSH.

24 So because we cannot look at all of these other tissue
25 levels effectively, TSH is our window into the body where

1 we can judge the effectiveness of a given level of T4 or a
2 given dose of levothyroxine and its physiologic effects.

3 So we physicians use the TSH level to
4 individualize our patient doses of thyroxine and optimize
5 those doses and clearly, as you heard this morning, small
6 changes in a dose can cause significant clinical effects.
7 Like Dr. Tuttle, who you heard this morning, I specialize
8 in thyroid cancer and it's very important for my patients
9 to have their TSH levels exactly titrated to where we want
10 it. The manufacturers facilitate this need of the
11 clinician by providing 12 different dosage strengths.
12 Differences as little as 9 or 10 percent between these
13 doses can make a big difference for our patients.

14 You heard also this morning of entities of mild
15 thyroid failure or mild hyperthyroidism. In these
16 entities, the serum T4 levels, either free or total, are
17 normal or within the reference range, but in the case of
18 mild thyroid failure, the TSH is slightly elevated, in mild
19 hyperthyroidism, the TSH is suppressed. These two entities
20 are a model and correlate exactly with our patients who are
21 taking exogenous replacement thyroxine.

22 The importance of these slight differences are
23 illustrated by this study that you've seen already twice
24 this morning. This was a study by Carr in the U.K. that
25 looked at a group of hypothyroid individuals and optimized

1 the perfect thyroxine dose judged by their serum TSH levels
2 and TRH tests, as well as thyroid hormone levels and a
3 symptom questionnaire. They then increased the dose or
4 decreased the dose by 25-microgram increments or decrements
5 and you can see the major effect on TSH with a slight
6 reduction or suppression with a slight increase, and these
7 are over the range of again the various dosage strengths of
8 levothyroxine that are available to us.

9 This has an impact on particular populations in
10 our practices. Most patients taking thyroid hormone tend
11 to be older because of the increased frequency of
12 hypothyroidism with each advancing decade, and our older
13 patients have cardiovascular disease, particularly
14 sensitive to excess thyroid hormone. You heard from Dr.
15 Brown this morning about the risk of hypothyroidism on the
16 neonate, on the newborn, and pregnant women who are under-
17 dosed with thyroid hormone will give birth to children with
18 lower IQ, and you've heard about the importance in our
19 patients with thyroid cancer. With insufficient dose of
20 even a mild degree, cholesterol levels go up,
21 atherosclerosis is accelerated, leading to an increased
22 risk of heart attacks, myocardial infarction, as well as
23 the risk in the newborn I've already mentioned.

24 My concern is that the current assessment of
25 bioequivalence is not adequately sensitive to detect these

1 small differences that matter. These are the real concerns
2 and experts need to decide on a new approach that will
3 address these concerns. Anything less, such as continuing
4 the current bioequivalence standard, would be a disservice
5 to we practicing physicians and our patients.

6 I'd like to turn it over now to Dr. Granneman
7 who will demonstrate how the current bioequivalence
8 criteria perpetuate presumptions of bioequivalence that
9 create the potential for the adverse clinical consequences
10 that you heard about from all of the physician speakers
11 this morning.

12 Thank you.

13 DR. GRANNEMAN: I'd like to thank the FDA and
14 the committee for inviting us to talk about the results of
15 our study and various baseline correction procedures.

16 Although we will spend a lot of time talking
17 about the ways that you can correct for endogenous T4
18 products, there's a larger question that we have to
19 consider. Ultimately, we have to ask the question, does
20 bioequivalence translate into therapeutic equivalence?
21 When we look at the new guidance that the FDA has proposed,
22 we fear that with the current criteria, this may not always
23 be the case and that, as a result, there will be some
24 patients who are at risk.

25 I'm going to give you an abstract of the study

1 that we ran and then go through the details of the study,
2 but basically, if you don't correct for endogenous levels
3 of T4, then you cannot detect differences of 33 percent in
4 dose. All the correction factors work actually quite well
5 in terms of detecting 25 percent differences in dose, but
6 they're unable to detect 12.5 percent differences.

7 Beyond that, we looked at some other factors
8 and found TSH particularly good and promising for
9 distinguishing very small differences in dose in
10 bioequivalence studies.

11 Shown here are the results of our study. This
12 is Study 417. It was a typical randomized, three-way
13 crossover comparing doses of 600, 450 and 400 micrograms.
14 The difference between 400 and 600 is 33 percent. All
15 these doses came out of the same lot of Synthroid.

16 Going to the bottom, the FDA has proposed a
17 certain scheduling sampling routine and what we did in our
18 analyses is to go well beyond what they have proposed.
19 Instead of just looking at three samples prior to dosing,
20 we characterized the entirety of day minus 1 and then
21 rather looking out to day 2, we took our sampling all the
22 way out to day 4. Rather than looking at just T4, we
23 looked at T3 and TSH because we have been told that TSH is
24 very critical in assessing the action of thyroid hormones.

25 Now I'll tell you a little bit about the

1 correction procedures that we used in our study. First,
2 these three curves here are for those three very different
3 doses and just looking at the curves, you can see they're
4 very, very close to each other, very little difference
5 between the three curves.

6 Now, to go to the various correction procedures
7 that one might envision using, first, there's the
8 horizontal correction. The premise behind horizontal
9 correction is that that large exogenous dose of T4 has
10 absolutely no effect on endogenous T4. In other words,
11 there's no perturbation of the biology by that large a
12 dose.

13 The next correction procedure takes just the
14 opposite approach. It says that that large dose totally
15 and completely shuts down the production of endogenous T4.

16 So what's left in the body washes out with a half-life of
17 7 days.

18 What are the other approaches? One, we know
19 that biology isn't that constant like the horizontal
20 correction method, that there's fluctuation through the
21 day. So what we did was use day minus 1 data and corrected
22 based on that.

23 Then we had a rather novel approach. Since we
24 collected TSH in the study and since we found that TSH was
25 suppressed, why not marry the good parts of the last two

1 correction procedures and make the wash-out dependent on
2 the suppression of TSH? That's this method? I showed two
3 different curves. Actually this allows every individual to
4 be corrected. So if there's very little suppression of
5 TSH, then it comes very close to the day minus 1 method.

6 Then the last thing we did, as recommended in
7 the open session, TSH is a factor that has to be looked at
8 and we did in our study.

9 Now, in this graphic to the right, I'm going to
10 show the results of our study. Just to orient you, down at
11 the bottom of the graph, what we're going to plot is the
12 area under the curve ratio for a 450 microgram dose versus
13 400. The regulatory goal posts of 80 to 125 are shown in
14 the yellow lines. The magenta vertical line is unity.
15 Now, since we're comparing 450 versus 400 microgram doses,
16 that appears right here, the blue line and it goes
17 vertically. So what we want to do is to look at how well
18 the point estimate and the confidence interval center about
19 this blue line because that's reality.

20 We're going to ask four questions of the
21 methods that we looked at. The first question is, will the
22 method detect 25 percent differences, a rather large
23 difference?

24 And then in the open session, many of the
25 physicians said that, really, it's critical to be able to

1 detect 10 or 12.5 percent difference in dose. So what we
2 have are three questions associated with that. First, is
3 1.125 within the confidence interval? Does it hit this
4 blue line? Second, is the difference between those two
5 doses statistically significant? And third, will the test
6 fail that difference?

7 To go to the results, if you don't do any
8 correction, then everything fails and there's really very
9 little more to be said about that.

10 Now, this is what we understand to be the FDA
11 preferred method of horizontal correction. What we find is
12 that that procedure can detect 25 percent differences but
13 cannot detect 12.5 percent differences.

14 The next method we looked at, 7-day half-life,
15 it's about the same. There's a little bit of improvement
16 in the point estimate but still not very good.

17 The day minus 1 correction method actually does
18 a little bit better. The point estimate is migrating
19 toward the real value and the confidence interval now
20 contains the true value.

21 And last, the TSH method that takes into
22 account TSH suppression does even better, and a new thing
23 appears in the statistic in that the difference now becomes
24 statistically significant between those two doses. But
25 those two doses would still be declared to be

1 bioequivalent.

2 Now, at this point, let me just focus on a
3 couple of things that have already been mentioned before
4 with a couple of the other speakers.

5 First, looking at the confidence intervals,
6 they're quite narrow, and as was mentioned before, this is
7 a narrow therapeutic margin drug. So with such low
8 variability and narrow confidence intervals, do we really
9 need these regulatory goal posts of 80 to 125 when we're
10 thinking about consumer risk?

11 Next, the TSH correction method. It gets four
12 checkmarks. It finds the two doses to be different from
13 each other, but it has some disagreeable characteristics.
14 Number one, it's more sensitive. Actually the point
15 estimate is above the true value, and also the other issue
16 that was talked about by Dale is the confidence interval is
17 relatively broad. So if you were to use TSH alone, then
18 you would have to seriously consider broadening the
19 confidence interval.

20 Now, back to the issue of horizontal
21 correction, a picture was drawn with a perfectly flat line
22 with horizontal correction. Well, in reality, these are
23 data from day minus 1 in our study for the three periods
24 and the curves are not perfectly flat, and in fact, at 18
25 hours, there's a significant decline in levels. So when

1 you use the perfectly flat horizontal correction method,
2 you're making an error due to that data point.

3 Another thing that we noticed in this study
4 that is a testimony to the complexity of the biology of T4
5 kinetics is that with successive periods, this is period 1
6 in green, period 2 in magenta, period 3, the baseline is
7 dropping, despite the fact that it's more than 7 weeks
8 since that last dose. So we've affected the kinetics of
9 endogenous T4 by giving those very large doses.

10 Well, the biology of T4 is very, very complex
11 and this is a schematic that sort of is a testimony to that
12 complexity. I'm not going to go through that schematic,
13 but I want to make a point that Dale mentioned.

14 In the discussion of bioequivalence, there's
15 talk about rate and extent of absorption and appearance of
16 the active principle in the biophase. Well, what we're
17 talking about here as the biophase is the tissue
18 compartment and the active component probably is more T3
19 than it is T4. It's much more active in binding the
20 thyroid receptor. Well, of course, we can't measure T3
21 within cells, but we have a very good surrogate of that,
22 and as has been spoken to before, that surrogate is TSH.

23 A thing that I have to make a point about is
24 that all of these pathways in this diagram, all of those
25 arrows are controlled by the levels of T3 and TSH. As a

1 result, the half-life of T4 can be as small as 4 days in
2 hyperthyroidism, as much as 9 days in hypothyroidism. So
3 it changes. It's a moving target.

4 And the other thing that was mentioned, TSH
5 changes exponentially with small changes in T4, and in
6 fact, you can have a doubling in TSH for only a 12.5
7 percent change in T4.

8 Now, consider the biostudies. Consider normal
9 volunteers. T4 is a very, very unusual drug. Unlike other
10 drugs, if there's too much of it on board, then its
11 clearance increases. If there's not enough of it, then its
12 clearance decreases. Think about that in context of a
13 biostudy when you're administering two non-equivalent
14 doses. The body is going to try very hard to get rid of
15 both of them, but it's going to try harder to get rid of
16 the larger dose.

17 In the briefing document, I've shown you a
18 graph of what happens to TSH. I'm going to show you a
19 little bit of a different orientation about TSH response.
20 We're going to express T4 and TSH as a fold change from
21 baseline in our biostudy. We're going to invert the TSH
22 ratio because TSH and T4 are reciprocally related.

23 So these are the results for those three doses.

24 The thing that you can notice with the high dose, 600
25 micrograms, the ratio is 1.7, in other words, a 70 percent

1 increase, and then looking at the two lower doses, they're
2 superimposable.

3 Now, the question is, how does TSH respond to
4 these relatively small perturbations in T4? That's shown
5 here. It's a very dramatic change. The point we want to
6 make here is for a very small perturbation in T4, TSH is
7 excellent in distinguishing small changes. There is
8 pronounced hysteresis, but the bottom line is that TSH is a
9 very good discriminator and it adds biologic context.
10 After all, why are physicians using TSH in their management
11 of patients?

12 Going back to the horizontal correction
13 procedure, this is a typical dose that I've simulated here.
14 The red line is what we expect is happening to endogenous
15 levels based on a NONMEM fit. Here's the horizontal
16 correction procedure there in blue.

17 The points that we can make here is that it's
18 biologically inconsistent. The baseline is probably not
19 flat and it's not variable.

20 If you use this procedure, you've reduced the
21 true area by 10 to 15 percent and that will result in
22 attenuation of differences between non-equivalent
23 formulations.

24 There are two other characteristics that we
25 really need to think about with this correction procedure.

1 One is it produces negative area under the curve values,
2 and second, the imputed half-life is only 2 to 3 days,
3 whereas we know the real half-life of T4 is about 7 days.
4 So there are some issues with the method.

5 To summarize our study, all the correction
6 methods are good for 25 percent differences. They're not
7 good for 12.5. The horizontal correction method does have
8 some biologic inconsistency. We know the intrasubject
9 variability in T4 is low. We know it's a narrow
10 therapeutic margin drug. If we are to be serious about
11 detecting 12.5 percent differences, then the standard 80 to
12 125 criteria are probably too broad for T4. In using TSH,
13 you get more discrimination.

14 Now, there are many physicians who don't
15 understand or don't trust bioequivalence. What they really
16 want to know is if you can switch two products and pose no
17 risk to the patient.

18 Another option to think about in biostudies is
19 if we have a problem with correcting for baseline, why not
20 get rid of the baseline? Why not study the drug? Why not
21 study bioequivalence in subjects that don't have any
22 thyroid function? There's precedence for this for estrogen
23 products. The study would have to be a multiple dosing.
24 It would have to be steady state, and you would really like
25 to validate it with known differences.

1 Now, what marker to use? Well, physicians use
2 free T4. They also use TSH. If we were to use those,
3 though, you would have to define the maximally accepted
4 changes in TSH are to ensure the physicians of their
5 therapeutic equivalence.

6 So to conclude, small differences matter.
7 Products that differ by 12.5 percent cannot be detected
8 with the current criteria, and we fully believe that we
9 should bring all the scientific prowess in academia, FDA,
10 endocrine societies, and industry to consider the issues of
11 how to construct proper evaluation of bioequivalence in
12 these T4 products.

13 That concludes my presentation.

14 DR. JOHNSON: Well, this part of the
15 presentation will now focus on the FDA's current
16 recommendation for evaluating levothyroxine sodium
17 bioequivalence. However, before I begin, I want to make a
18 couple of comments with regard to some of the slides that
19 we just saw from Abbott Laboratories.

20 First of all, we want to thank Abbott
21 Laboratories for conducting their correction method study.

22 This data was confirmatory and very useful when the FDA
23 decided to adopt a baseline correction method for
24 evaluating levothyroxine sodium tablet bioequivalence.

25 However, there are some drawbacks with this

1 particular study design. The use of 400 and 450 microgram
2 doses yielded thyroxine concentrations that were closer to
3 baseline. This is problematic because it prevents an
4 accurate evaluation of the true differences that exist
5 between the two doses and this is likely due to some sort
6 of baseline interference. That's why the agency has
7 recommended in the guidance and continues to recommend that
8 doses of 600 micrograms or greater are used.

9 Also the checkbox slide that compared the
10 different evaluation methods clearly shows why TSH on its
11 own is inappropriate. The point estimate was detecting a
12 24 percent difference when in actuality there was only a
13 12.5 percent real difference between the products.

14 Now on to the bioequivalence design. This is
15 the current study protocol that we're recommending to
16 sponsors seeking A-B ratings. A single-dose, two-way
17 crossover study in which healthy subjects will receive 600
18 micrograms of both test and reference product.

19 Pharmacokinetic analysis will be conducted using total
20 thyroxine with a baseline correction.

21 Now, let me discuss some of the rationale
22 behind the study design. First of all, the use of healthy
23 subjects allows us to do a single-dose study and a single-
24 dose crossover study is the most sensitive method for
25 evaluating the true formulation differences between

1 products and that's really what we're looking at. A
2 single-dose study cannot be conducted in patients. A 600
3 microgram dose in healthy subjects provides concentrations
4 that are significantly higher than the individual subject's
5 baseline T4 values, and the farther away from the baseline
6 that you actually get, the more accurate the evaluation of
7 the products. The issue of nonlinearity is really not an
8 issue since the subject is receiving the same amount of
9 drug in each treatment period.

10 Regarding the bioequivalence measures that have
11 been discussed this morning, total thyroxine is the
12 preferred measure for demonstrating bioequivalence. It can
13 be accurately measured in vivo and is the drug that is
14 being administered to the subject. T3, on the other hand,
15 is merely an active metabolite, and the Food and Drug
16 Administration does not use active metabolites for
17 conferring bioequivalence, unless the active parent cannot
18 be measured in vivo.

19 Finally TSH. TSH is a biomarker and it's an
20 indirect measure. It's downstream from what is being
21 administered and it's considerably more variable than
22 thyroxine. It's also very easily influenced by other
23 environmental factors, such as time of day and ambient
24 temperature.

25 To kind of give you an idea of where each of

1 these measures fits into this negative feedback system,
2 let's start with the lower left-hand corner, with the L-T4
3 or T4 inputs. Once you have conversion to T3, the T3 has
4 an inhibitory effect on the hypothalamus which ultimately
5 results in a reduction in the amount of TSH secretion from
6 the anterior pituitary, but this is not a mutually
7 exclusive event. As mentioned before, other factors
8 influence the TSH values.

9 According to the Code of Federal Regulations,
10 in descending order of accuracy, sensitivity and
11 reproducibility for determining bioavailability and
12 bioequivalence of a drug product, the best choice for
13 evaluating bioequivalence is the concentration of the
14 active ingredient and that's where T4 fits in. TSH, on the
15 other hand, would be relegated to the third or fourth
16 category.

17 As was made very clear in the previous
18 presentation, using total thyroxine without a baseline
19 correction is insensitive for conducting bioequivalence
20 studies with levothyroxine sodium tablets and the FDA
21 completely concurs. Rather, a baseline correction method
22 whereby the mean of three pre-dose samples is subtracted
23 from all of the subsequent post-dose samples. This is the
24 preferred method and it is adequately sensitive for
25 evaluating levothyroxine bioequivalence.

1 Now, when the agency decided to adopt a
2 baseline correction method for bioequivalence, we went back
3 to data from the six original NDA applications. Dosage
4 from proportionality studies from four the six NDAs were
5 re-evaluated using the baseline correction method and
6 they're presented here.

7 Let me orient you to this slide. On the left-
8 hand side, we have four products, 1, 2, 3 and 4. The first
9 two columns are AUC and the second two columns are Cmax.
10 This is a three-way crossover study. The dose that was
11 used for the comparison was 600 micrograms, and as you can
12 see, the bioequivalence criteria, when they're applied to
13 these data sets, the confidence intervals still fall well
14 within the confidence bounds of 80 to 125.

15 These results also show the power and
16 sensitivity of this method because it shows the sensitivity
17 to detect real differences as evidenced by the values
18 circled in red. We've got a 14 percent increase in level
19 4, in product 4, for AUC, and on the same scale, we also
20 have about a 9.5 percent decrease. The confidence limits,
21 if this were slightly more variable, would have clearly
22 failed.

23 In conclusion, the FDA has thoroughly reviewed
24 each of the NDA applications that have come in. We've had
25 a lot of data -- there were nine submissions -- the

1 literature and the recent correction methods study, and
2 we've concluded the following. Levothyroxine can be
3 evaluated in healthy subjects. A single dose crossover
4 study is a preferred method for detecting the true
5 differences between products. T4 is an appropriate and
6 sensitive measure for this particular process, and a
7 baseline correction method using the mean of three pre-dose
8 samples is adequate when determining bioequivalence between
9 two levothyroxine sodium products.

10 Thank you.

11 I'd now like to introduce Dr. Barbara Davit who
12 will be speaking on potassium chloride.

13 DR. DAVIT: Thank you. I'm Barbara Davit, and
14 I recently became the Deputy Director for the Division of
15 Bioequivalence in the Office of Generic Drugs.

16 I'll be presenting some information today about
17 baseline correction methods for endogenous compounds for
18 which the Division of Bioequivalence has a fair amount of
19 experience and that's potassium chloride.

20 I'll be discussing the design of potassium
21 chloride bioequivalence studies that we've been
22 implementing, the application of baseline correction
23 methods to bioequivalence study data, the impact of
24 baseline correction on bioequivalence study outcome, and to
25 accomplish this, I have two cases to present, one in which

1 baseline correction made a difference in study outcome, the
2 other in which it made no difference in study outcome.
3 Finally, I'll compare two methods for baseline correction
4 to determine if the method of baseline correction made an
5 impact on the outcome of the bioequivalence studies.

6 We recently revised and updated the guidance
7 for industry on bioequivalence testing of potassium
8 chloride products, and the web address is given here. The
9 guidance describes recommendations for study design and
10 emphasizes special dietary considerations to achieve a
11 stable potassium baseline. The guidance also discusses
12 collection of urine samples to evaluate pharmacokinetics
13 and finally methods for data analysis.

14 To help in establishing a stable baseline that
15 contributes minimally to the amount of potassium that we
16 measure after giving a dose, we recommend that study
17 subjects eat a diet with a controlled potassium intake.
18 Normal potassium intake ranges from 50 to 100
19 milliequivalents a day. Thus in these studies, the
20 recommended potassium intake is on the low end of what's
21 considered a normal diet for potassium intake. It's not
22 really a low potassium diet or a diet deficient in
23 potassium but rather a controlled potassium diet.

24 Fluids are given according to schedule.
25 Bioequivalence of potassium chloride products is determined

1 by giving subjects a single 80 milliequivalent dose and,
2 finally, to determine the baseline, we take urine samples
3 during two days before the dose is given.

4 This schematic summarizes the study design for
5 the potassium chloride bioequivalence studies. The basic
6 design is a two-period, two-sequence, two-treatment
7 crossover with each study period 8 days in duration. The
8 controlled potassium diet is given throughout the study.
9 The diet is given for 4 days. Then on study days 5 to 6,
10 urine is collected at various intervals throughout the day.

11 Dosing takes place on the morning of day 7 and then urine
12 is collected again at various intervals throughout days 7
13 and 8. The urine collection intervals on days 5 and 6, the
14 baseline days, match the urine collection intervals on days
15 7 and 8, the post-dosing days.

16 I mentioned that we collect urine to measure
17 potassium excretion in these bioequivalence studies. As
18 has been discussed earlier today, most of the time in our
19 bioequivalence studies, we measure drug concentrations in
20 plasma, serum, or blood because this is the most sensitive
21 and accurate way to determine bioequivalence. However, in
22 the case of the endogenous substance potassium, urine
23 measurements give the most accurate assessment of
24 bioequivalence.

25 Now, this is in part because when potassium is

1 absorbed, most of the absorbed dose is excreted through the
2 urine, but also it's because, as Dr. Conner brought out
3 earlier, serum potassium is a very insensitive measure.
4 This is because body homeostatic mechanisms maintain serum
5 potassium concentrations within a very narrow range. The
6 normal range for serum potassium concentrations varies from
7 3.5 to 5 milliequivalents per liter.

8 We noted that in typical bioequivalence studies
9 of potassium chloride oral dosage forms, serum
10 concentrations increase by only about 5 percent after a
11 single dose of 80 milligrams. What this means, recalling
12 the schematic that Dr. Conner showed earlier, is that the
13 baseline in serum is a very high amount relative to the
14 increase that's observed following a dose. Therefore,
15 measuring potassium in serum will not give an accurate
16 measurement of bioequivalence of two formulations because
17 the additional potassium in serum after dosing is a very
18 small amount of the total.

19 In evaluating bioequivalence of potassium
20 chloride oral dosage forms, we asked that firms calculate
21 these parameters: the amount of potassium excreted in each
22 collection interval, the cumulative excretion over 24 and
23 48 hours, the maximal rate of excretion, and the time of
24 maximal excretion. We asked that firms report both the
25 baseline and the uncorrected data, but the bioequivalence

1 statistics are performed only on corrected data.

2 The key parameters for bioequivalence
3 evaluation are the cumulative amount of potassium excreted
4 in the 24-hour interval after dosing and R_{max} , which is the
5 maximal rate of excretion. The 90 percent confidence
6 intervals for the ratios of test to reference must fall
7 within the 80 to 125 percent goal posts.

8 We asked that baseline correction be subject-
9 and period-specific. So in other words, what this means is
10 that the amount excreted in the 24-hour interval after
11 dosing in urine is corrected by subtracting the average
12 amount excreted in 24 hours and determined during the two
13 pre-dosing days.

14 R_{max} , the maximal rate of excretion, is
15 corrected by subtracting the baseline from the
16 corresponding interval averaged from the two pre-dosing
17 days, and as an example of this, how we would ask firms to
18 do this, consider subjects from whom R_{max} occurred from 6
19 to 8 hours after dosing. So if R_{max} was observed during
20 the interval corresponding to 1 o'clock to 3 o'clock p.m.,
21 then the correction would be done by subtracting the rate
22 of potassium excretion from the baseline days that was
23 observed from 1:00 to 3:00 p.m., and as I said earlier,
24 it's subject- and period-specific.

25 Baseline corrections are done for potassium

1 chloride drug products because we'd like to determine, as
2 accurately as possible, the amount provided in the dosage
3 form. The baseline reflects the amount of potassium
4 provided in food. So we assume then, after dosing with
5 potassium chloride tablets, the amount of potassium in
6 urine excreted above and beyond the daily amount due to
7 food is due solely from that which is provided from the
8 drug product. Thus, the amount of potassium provided from
9 the two formulations can best be determined by doing the
10 baseline correction which would correct for the amount of
11 potassium excreted from food intake.

12 This figure shows the 24-hour excretion rate in
13 a typical bioequivalence study of potassium chloride
14 tablets. The figure is a plot of the excretion rate versus
15 the midpoint of the urine collection interval, and the
16 plots are from test subjects in period 1, reference
17 subjects in period 1, test subjects in period 2 and
18 reference subjects in period 2. There's a small amount of
19 fluctuation during the day and this may be due to meals or
20 it may be due to circadian rhythms or a combination of
21 those. However, as you can see in the figure, the 24-hour
22 baseline is consistent from period 1 to period 2 and in the
23 test and reference subjects.

24 So the first case study that I'll discuss I'll
25 call formulation A, and it's for a 20 milliequivalent

1 extended release tablet product. For this particular
2 product, without baseline correction, both the amount
3 excreted over 24 hours and Rmax met the 90 percent
4 confidence interval criteria. However, with baseline
5 correction, Rmax, the maximal rate of excretion, did not
6 meet the 90 percent confidence interval criteria.
7 Therefore, we found the application unacceptable.

8 This chart shows the 90 percent confidence
9 intervals and point estimates for the amount of potassium
10 excreted in the 24-hour interval after dosing for
11 formulation A. The ratios for this parameter fell within
12 the 80 to 125 goal post for the 90 percent confidence
13 intervals. However, with baseline correction, the 90
14 percent confidence interval was wider than with uncorrected
15 data.

16 As I mentioned earlier for this particular
17 product, formulation A, without baseline correction, the
18 test-to-reference ratios for Rmax, the maximal rate of
19 excretion, fell within 80 to 125. When we did the baseline
20 correction, the lower bound of the 90 percent confidence
21 interval for Rmax was outside of the 80 to 125 range.

22 Then what we did was we compared two different
23 methods of baseline correction to see if there was a
24 difference in the results. We subtracted the mean
25 excretion rate from the corresponding interval and that's

1 the usual way of correcting for potassium chloride
2 excretion, as I discussed earlier. We also subtracted the
3 overall mean excretion rate from the 2 baseline days and we
4 found that the outcome was the same, regardless which of
5 the two baseline correction methods we used.

6 This figure shows the potassium excretion rate
7 plotted versus the midpoint of the collection interval
8 time. The upper plots are for uncorrected excretion rates
9 after dosing for both the test and the reference. The
10 lower plots are the excretion rates pre-dosing. The
11 baseline excretion rate contributes about 20 to 30 percent
12 of the total excretion rate.

13 This figure shows the potassium-excreted rate
14 corrected for baseline, plotted against the midpoint of the
15 post-dosing collection intervals and it's for the test
16 product versus the reference product. This is for
17 formulation A, the product that did not pass bioequivalence
18 criteria for R_{max} , and you can see here that the
19 differences in R_{max} are more apparent after correcting for
20 baseline than before correcting for baseline.

21 The second example that I'm going to present is
22 also for a 20 milliequivalent extended release tablet
23 product. For this product, both the amount excreted in 24
24 hours in R_{max} passed the 90 percent confidence interval
25 criteria whether baseline correction was done or not and

1 this particular generic product, therefore, was found to be
2 bioequivalent to the reference product which in this case
3 was the K-Dur microburst tablet.

4 For formulation B, the amount of potassium
5 excreted in urine in 24 hours after dosing passed the 90
6 percent confidence interval criteria with or without the
7 baseline correction. However, as we've seen earlier, the
8 90 percent confidence interval was wider after baseline
9 correction than for uncorrected data.

10 We also compared for formulation B two
11 different ways of baseline correction for Rmax. As
12 previously, we compared the effect of subtracting the mean
13 baseline from the 2 baseline days versus subtracting the
14 mean baseline from the corresponding collection interval,
15 and the test-to-reference ratios for Rmax were within the
16 90 percent confidence interval criteria whether corrected
17 or uncorrected and regardless of which correction method
18 was used. However, as I've mentioned previously, the
19 confidence intervals were wider when baseline correction
20 was used.

21 So finally, to conclude, we have found that
22 baseline correction is essential for evaluating
23 bioequivalence of potassium chloride tablets, and we've
24 also found that the correction method as proposed in the
25 guidance for industry is reproducible during the two study

1 periods. We found that baseline-corrected data are more
2 sensitive to differences in formulation performance than
3 uncorrected data. We've also found that baseline
4 correction can make a difference in whether a product
5 passes or does not pass the 90 percent confidence interval
6 criteria, and finally, we found that although it was
7 essential to do a baseline correction of the two methods
8 that we tested, the method did not affect the study
9 outcome.

10 Thank you very much, and now Dr. Conner will
11 summarize this afternoon's presentation on bioavailability
12 and bioequivalence of endogenous substances.

13 DR. CONNER: Again, to restate some of the
14 technical problems or questions or, I guess you could say,
15 controversial issues with endogenous substances in general,
16 some of the things that we've discussed or seen illustrated
17 are assay sensitivity. If you have a very small amount of
18 something, especially after baseline correction, it's
19 important to be able to give your assay the best chance at
20 measuring the signal and to be able to get the best
21 sensitivity from that. So one of the ways you do that is
22 to give a dose that's large enough to give a good signal,
23 if you're measuring in plasma or any other bioassay.

24 Endogenous baseline, as I mentioned before,
25 feedback inhibition is always something that you need to

1 deal with as an issue. Different variations or circadian
2 rhythms, what you saw illustrated, and whether it has
3 linear or nonlinear pharmacokinetics.

4 So again, I feel like I harp on this endlessly,
5 but again, the core question in bioequivalence is one of
6 formulation. So you have to always keep that in mind, that
7 you're really looking at how that manufacturer has made
8 their formulation and how the results of that work actually
9 perform when it gets into the in vivo situation. Sometimes
10 we lose track of that core question with other very
11 legitimate clinical concerns about how this is used and how
12 the drug or drug product actually works.

13 But the BE question is a very simple and should
14 be a very directed one on what is the best way of looking
15 at those two formulations, whether it be the same
16 manufacturer making changes in their formulation, whether
17 it's questions between whether two lots are indeed far
18 enough away to cause clinical problems or whether it's
19 looking at a generic product or a substitutable product
20 from another manufacturer.

21 The question is always back to how have they
22 made that formulation, how successful have they been in
23 controlling both the variability in the performance of the
24 formulation, as well as whether that formulation hits its
25 target or the performance characteristics that that

1 manufacturer, the formulation designer is going for. So we
2 generally look at the performance in basic as the release
3 of the drug substance from the drug product.

4 As I said before, I think we can all agree the
5 drug substance has to get out of the drug product to be
6 able to get into the body and create a therapeutic effect,
7 and based on regulations of what we're instructed to do and
8 on good science, we're looking at both the extent of
9 release or the extent of availability from any formulation
10 as well as how quickly it happens or the rate.

11 We saw a couple of examples where baseline
12 correction -- or there is an endogenous baseline, one of
13 the characteristics of endogenous substances. And the
14 question is how to best account for that baseline? Does it
15 need to be subtracted from the data that you're measuring?

16 If so, how do you go about doing a proper subtraction or
17 proper baseline correction? You have to really look at a
18 variety of different things, characteristics of the
19 baseline, various methods for correction, you saw some
20 illustrated in previous talks, and what I think is very
21 important is magnitude of baseline in relationship to the
22 total values that you're measuring.

23 If you really think it through, something with
24 a very, very small baseline in relationship to the total
25 amount after a dose has very little effect on your eventual

1 outcome, and you can go through some calculations to prove
2 this to yourself.

3 If you look at something, on the other hand,
4 like potassium chloride, where that baseline is a very
5 large percentage of what you're seeing as your signal when
6 you measure it in plasma or blood, actually subtracting
7 that baseline would probably mean that virtually no study
8 that you did, even on a product against itself, would
9 probably be likely to pass. I mean, it becomes so
10 sensitive and the signal becomes so small, when you
11 subtract most of that signal away, that certainly two lots
12 of the same product would be unlikely to pass if you did
13 that study with any kind of reasonable number of subjects.

14 So on the other end, any tests you do should
15 both discern the differences that you're interested in, yet
16 not fail products that are almost if not identical. I
17 mean, that's an unreasonable test if you fail a product
18 against itself.

19 So the magnitude of the baseline is a
20 characteristic when you look at a new drug substance or a
21 new endogenous substance, that you really have to look at.

22 Is it worth subtracting a baseline if it's extremely small
23 and has little effect on the results or, on the other side,
24 if the baseline is extremely large, is there any way that I
25 can subtract that baseline out and still get any kind of a

1 reasonable test? So those are the two extremes.

2 Obviously, it increases the difficulty of
3 accounting for the baseline if there are feedback
4 mechanisms, as there are with most hormones, that change
5 the baseline with differences in doses or differences in
6 blood levels. So that becomes a significant problem in how
7 best to construct a baseline subtraction scheme when you
8 have a feedback mechanism.

9 So finally, I guess it's not really a question
10 but kind of an end point is that when we look at new
11 endogenous substances, can we develop a thought process or
12 a decision tree, if you will, of various factors that are
13 important in determining how we're going to deal with that
14 particular substance? Do we or do we not subtract
15 baseline? How are we going to measure it? At what dose?
16 Is it going to be even possible to use our normal, I think,
17 well-accepted and reliable plasma concentrations or are we
18 going to have to go to yet another scheme or another area
19 of measurement to try and develop an understanding about
20 bioequivalence methods that are going to assure that those
21 products behave in an equivalent manner?

22 So that's the endpoint that we're looking for
23 as an overall scientific construction of thought about how
24 to approach these products, how to look at the various
25 variables and characteristics of a new endogenous product

1 and how to construct a proper way to do formulation
2 comparisons.

3 DR. KIBBE: I guess now is a good opportunity
4 for those of you who have been taking copious notes on the
5 presentations in sequence to ask questions. Wolfgang is
6 smiling at me. Marv will start.

7 DR. MEYER: First of all, I'd like to
8 compliment Abbott as FDA has done. So oftentimes, we have
9 the innovator company whine about differences, perceived
10 differences, imagined differences, extrapolated
11 differences, simulated differences, and they never come in
12 with real data. So I think Abbott has done a good job of
13 trying to gather some data, and I personally appreciate
14 that.

15 A couple of questions I have. It seems to me,
16 in my non-endocrinology background, that TSH is much like
17 measuring blood pressure. A clinician might like to see
18 changes in blood pressure and an endocrinologist might like
19 to see changes in TSH, but if you can show what's going on
20 with a drug you're administering, given an appropriate
21 baseline correction, it seems to me that that's the
22 appropriate thing to do.

23 I'm a little troubled by repeated reference to
24 12.5 milligrams as being critical to patient therapy, and I
25 didn't see any data. Now, there may be a lot of physicians

1 know that that's true, but the data in the literature all
2 seems to revolve around the Carr study. And Dr. Wartofsky
3 showed the Carr study and had arrows inserted for a 12.5
4 percent change but really didn't show any data. It was
5 just kind of if this would happen, then this would happen.

6 If you look at the Carr study, the original
7 document in 1988, the only relevant comparisons, I think,
8 in terms of changes in TSH with changes in levothyroxine
9 dose are the ones that go from 150 to 175, which is a 17
10 percent change, and 175 to 200, which is a 14 percent
11 change. Everything else is 20 percent or greater.

12 And in that context, I'm trying to move toward
13 the 12.5 percent change and there's no data for that, but
14 there's at least a 17 percent change. There's only 3
15 patients out of the supposedly 21 that were in that
16 category that had changes from 150 to 175 or 175 to 200.
17 The 1 patient that went from 175 to 200, which is a 14
18 percent change, didn't seem to have much of a change in
19 TSH. The other three seemed to have some changes. So
20 that's basically 3 subjects out of 21.

21 So I wonder how serious the issue is that the
22 Abbott study was not able to detect a 12.5 percent
23 difference. If that were a 12.5 percent difference in
24 other drugs, we'd say, well, the system worked. So that's
25 an open question. I'll leave that to perhaps somebody more

1 knowledgeable on thyroid therapy than I.

2 Plus, the Carr study, there are always
3 questions about compliance. They did tablet counts, but
4 whether that worked or not, there was no -- since that was
5 an '88 study, we don't really know. They obviously went
6 from one strength to the other in order to get the
7 different strengths. There's no information available on
8 content uniformity or potency as they moved to the
9 different strengths.

10 I guess one substantive comment might be out of
11 the Abbott study, the comment on a carryover, and I didn't
12 hear much discussion of that. I know in the old days, FDA
13 would fail a study if it had a carryover, and then they
14 kind of backed off of that and said, well, if you can
15 justify it or there's no reason for the carryover, it'll be
16 okay. Is that still an issue, and should we be concerned
17 about apparent carryover in the levothyroxine?

18 DR. KIBBE: That's a lot of questions. Is
19 anybody jumping in here with answers? Go ahead.

20 DR. LESKO: Thank you, Art.

21 We've seen the Carr study about three or four
22 times today, and I think there's some points in that
23 article that need to come on the table for consideration.

24 First of all, TSH is not a blood pressure.
25 Blood pressure is a surrogate endpoint for clinical

1 effectiveness and blood pressure has been correlated with
2 mortality and morbidity. TSH has not been correlated in
3 any prospective study that I'm aware of with clinical
4 symptomatology of thyroid disease.

5 If you look at the Carr paper very carefully,
6 it's probably the lowest evidence of clinical studies that
7 we would consider; that is to say, it's not a randomized,
8 double-blind study. It's not even a randomized study.
9 It's a case-control study and certainly that has merit, but
10 it also has many limitations and weaknesses.

11 It's also an artificial study in that optimal
12 doses were obtained after thyrotropin-releasing hormone
13 injection. In other words, it was a simulated TSH response
14 to an exogenous injection of TRH.

15 But as I read through that, there were a couple
16 of points that the authors made that I thought were
17 interesting. An optimal dose was determined for each
18 patient. However, in 2 patients, more than one such
19 optimal dose was evident, so these were not unique optimal
20 doses. In 4 patients, no dose tested resulted in a normal
21 TRH response, and the optimal dose was taken to be that
22 dose at which the TRH response was closest to normal. So
23 that's at least 30 percent of the patients in whom a normal
24 dose was not successfully achieved.

25 I think importantly, though, no significant

1 differences were observed in any clinical symptomatology,
2 weight, pulse rate or any clinical index over the range of
3 thyroxine doses that were studied, 25 micrograms below or
4 75 micrograms above the optimal. No patients receiving
5 doses from 25 micrograms below to 75 micrograms above
6 optimal were considered to be hypothyroid or hyperthyroid.

7 As you get to the discussion part, the authors
8 comment that these data highlight the relative
9 insensitivity of clinical observations which fail to detect
10 clinical differences between patients receiving thyroxine
11 at various doses within the range studied. In other words,
12 there's no connection between the TSH and the clinical
13 observation.

14 Patients actually felt better when the
15 thyroxine dose was increased to 50 micrograms above the
16 dose required to normalize TRH response. The authors
17 attribute that to a placebo effect, but there's no evidence
18 that that's the case.

19 Finally, at the end, the authors conclude that
20 our study does not address the all-important question of
21 whether the TRH test fulfills the criteria of a gold
22 standard, whether its application would yield optimal
23 clinical results with minimum morbidity. The value of
24 routinely adjusting thyroxine doses according to any test
25 of thyroid function remains controversial.

1 Well, it still is controversial because I did a
2 more recent search of the literature, and I think we need
3 to consider the current status of thyroid function tests,
4 and there was a series of articles in the British Medical
5 Journal that looked at this. They talked about the
6 confusion surrounding thyroid function tests, and they
7 cited two studies of recent vintage, studies in 1,580 in-
8 patients, 630 out-patients, found that thyroid function
9 tests performed as a screening test yielded abnormal
10 results in 33 and 20 percent of patients, respectively. In
11 both studies, these biochemical tests suggested thyroid
12 disease incorrectly. They gave false positive results in 9
13 out of 10 cases.

14 So the TSH, as I understand it, is a
15 biochemical test designed to help in the diagnosis of a
16 thyroid disorder. I'm not so sure it's an adequate test
17 for the demonstration of bioequivalence, and I think one of
18 the presenters talked about a range of TSH that would be
19 adequate for bioequivalence. Well, I guess I would take a
20 step back and say based on the literature evidence that we
21 have for the TSH as a measure of dosing and its
22 relationship to clinical outcome is certainly
23 controversial. I would imagine that the confidence
24 interval on that would have to be really quite wide, but
25 I'm not sure how you would establish it. There are no

1 clinical studies.

2 This is from the British Medical Journal, July
3 2001. The TSH test, currently the most widely-used blood
4 test to diagnosis thyroid dysfunction, is an unreliable
5 test of thyroid function that has no proven scientific
6 biochemical basis. Anecdotal evidence indicates that the
7 biochemical diagnosis of hypothyroidism with the TSH test
8 is very poorly correlated with the clinical diagnosis of
9 hypothyroid symptoms. Free T3 and free T4 are reliable
10 evidence, etc.

11 So I guess the point of bringing this all up is
12 that while we've talked about TSH as unequivocally a
13 measure of therapeutic outcome, I think it still needs to
14 be looked at very carefully because certainly the
15 literature is conflicting with what we've heard today, and
16 I think we need to look at it more closely.

17 DR. KIBBE: Thank you, Larry.

18 Wolfgang?

19 DR. SADEE: Yes. I have some concerns about
20 TSH measures to assess bioequivalence, and although I do
21 not doubt that it's probably one of the better measures to
22 titrate a patient, what we have to consider first is the
23 relationship between the dose and the effect. And in this
24 case, it is a very steep dose-response curve and that was
25 already alluded to by their saturation phenomena, but also

1 the steepness of the curve implies that very small changes
2 cause very large changes in the TSH level and the
3 coefficient, which is a measure of how steep the curve is,
4 is probably up to 5 or 10 as an exponential.

5 What that means is that the measure of TSH is
6 extraordinarily sensitive, as was pointed out by many of
7 the speakers earlier, but sensitivity does not mean
8 accuracy. It does not convey an idea as to really what the
9 bioequivalence is. It may be the ultimate desire to
10 achieve this, a certain level of TSH, but it cannot measure
11 the dose necessarily, and what we have to ask ourselves --
12 and this is really the question I'm coming to -- is, what
13 are the main variances or differences?

14 To me, the greatest difference is in different
15 patients that will provide the biggest difference. The
16 next one may be different formulations, then different
17 batches of the same formulation, and different times, the
18 changes over time within the same patient. That may be in
19 the same order of magnitude in terms of a variance to the
20 others.

21 So if we design our tests that are
22 extraordinarily sensitive to small changes in the dose and
23 that's granted, I do think that's truly the case, it may
24 fail many of the formulations, whereas the more important
25 aspect is what is the variability within the same

1 formulation, etc.

2 So I think the TSH test is useful clinically,
3 but it may not be the proper test for establishing
4 bioequivalence. Do you have some comments to that?

5 DR. KIBBE: Anybody?

6 DR. CONNER: I pretty much agree with you.
7 I'll defer to Steve's specifics about levothyroxine, but I
8 think anything with a steep dose-response curve -- if you
9 looked at the depiction of the confidence interval on TSH,
10 number one, the point was made that the point estimate was
11 way off of what it should be. So number one, you weren't
12 even getting the right answer from the center part or the
13 mean.

14 But also if you look at the breadth of that
15 confidence interval which is a reflection of variability, I
16 would tend to guess that if you did that study on two lots
17 of any manufacturer's product, it would probably fail, if
18 that study was done, with that level of variability.

19 In fact, I would even go out on a limb and say
20 that you might fail testing if you took the same lot and
21 just randomly divided it into two sections and studied it
22 in a crossover fashion and did the same study, you would
23 have a pretty decent chance of failing identical stuff from
24 the same lot, given that study and that level of
25 variability.

1 So even all other things aside, if you just
2 looked at that level of variability of your response, you
3 would either have to study lots of subjects or you would
4 have to increase the confidence interval limits a
5 substantial amount to have a reasonable test.

6 DR. SADEE: So would you agree then that if we
7 to apply TSH tests to compare different formulations, then
8 it should also be done for complying different batches of
9 the same formulation?

10 DR. CONNER: I won't agree to that.

11 DR. KIBBE: I think one of our guest presenters
12 might have a couple of comments, and we'll give him a
13 chance to --

14 DR. WARTOFSKY: Really speaking for myself as a
15 clinician and not for Abbott, I have to take exception to
16 some of the comments that were made.

17 What you heard this morning were hundreds of
18 years of clinical experience from senior members of The
19 Endocrine Society and the American Thyroid Association,
20 seeing tens of thousands of patients and seeing the
21 importance of these minor 12.5 microgram differences that
22 were alluded to.

23 The Carr study has been criticized. It's not
24 an optimal study, I would agree, but it is one of the only
25 ones we have. The importance there was that TRH was not

1 used to stimulate TSH. TRH was just another test assessing
2 the physiologic level of those patients. They were looking
3 at TRH tests. That was not really the criterion.

4 There is indeed a well-established correlation
5 of the extent of clinical disease, hypothyroidism, with TSH
6 elevations. It's as evident as that high blood pressure
7 causes strokes and heart attacks. It hasn't been studied
8 because it's so self-evident to endocrinologists.

9 And the differences that were alluded to in
10 some of the studies, yes, TSH will vary and thyroid
11 function will vary, and it depends on whether we're talking
12 about acute administration or chronic. It's a matter of
13 dose and duration. A 12.5 microgram difference in
14 thyroxine over years will cause atrial fibrillation,
15 subclinical hyperthyroidism, and osteoporosis. It may not
16 create a big problem over the course of a 6-week
17 bioequivalence study, but long term for our patients, it
18 does. We know there are data on how many times we
19 physicians have to change the dose by 12.5 micrograms to
20 make our patients feel better and be less symptomatic.
21 There are data that can be provided for that.

22 So we're talking about a TSH test that may not
23 be perfect but it's the best thing we have now, and what
24 we're asking the committee to do, what I'm asking the
25 committee to do is to consider getting the experts

1 together, analyze all these pros and cons and come up with
2 what would be the best method of assessing bioequivalence
3 because we don't have it.

4 In reference to Dr. Johnson's comments, the
5 choice in the Abbott study to me of 600 versus 400 versus
6 450, that wasn't the design of the study. That study, as
7 far as I can tell, was designed to assess whether we could
8 detect differences between 10 and 30 percent, not whether
9 we should assess bioequivalence using 400 or 450. That was
10 not the intent.

11 It may not be that TSH may not be best, but
12 certainly T4 is not good. He alluded to changes that can
13 affect TSH. All the same things can affect T4. T4 is
14 affected by upright posture. It's affected by fluid
15 changes. It's affected by protein binding. Many more
16 things than TSH is. TSH can be measured both sensitively
17 and accurately. The variation in a good TSH assay is
18 extremely tight. We have third- and fourth-generation TSH
19 assays that make that irrefutable.

20 Dr. Johnson, I think, ignored the wealth of the
21 data this morning, the Hennessey data, that showed that T4
22 levels could be the same but TSH is not. The pituitary is
23 not sensing those levels as the same, and even if, in his
24 last slide where the confidence intervals in the
25 bioequivalence test between the four preparations did fall

1 between the 80 to 125 standards, that's not being
2 questioned. It's whether that standard really reflects
3 bioequivalence in the pharmacodynamic sense. To us
4 physicians, it does not. It may be good pharmacokinetics,
5 but it's not pharmacodynamics and that's what we're
6 concerned about, not the statistics but the clinical
7 effect.

8 Thank you for the opportunity to make some
9 comments.

10 DR. KIBBE: Gary, do you have anything?

11 DR. HOLLENBECK: Well, I'm not sure now is the
12 best time to ask it, but I am somewhat intrigued by the
13 question that was asked about doing these studies in
14 patients with no thyroid function.

15 Could someone from FDA just sort of respond and
16 answer that question? Is that an unrealistic thing to do?

17 DR. JOHNSON: Yes. Actually, we've talked
18 about that quite a bit within the Clinical Division and we
19 felt that that was an unrealistic study type, just to do it
20 in athyrotic patients. We need to do, first of all, the
21 recruitment process, and second of all, if we're taking
22 into consideration TSH, the number of subjects would be
23 astronomical. So the decision was made actually prior to
24 1997 when this first guidance was put together.

25 DR. HOLLENBECK: I wasn't referring to TSH. I

1 was just referring to testing a traditional bioequivalence
2 test using patients with no thyroid function. So is the
3 first part of your answer the really relevant one here,
4 that there aren't enough subjects to do that?

5 DR. JOHNSON: We did not feel that there were
6 enough subjects to do that.

7 DR. KIBBE: Do we have anybody else who has any
8 questions?

9 (No response.)

10 DR. KIBBE: No other questions?

11 DR. MEYER: While Dr. Johnson is there, the
12 recommendation on one of your slides was baseline
13 correction based on three pre-dose rather than across the
14 whole profile, and you said data provided by Abbott. Is
15 that correction 1?

16 DR. JOHNSON: Yes, it is.

17 DR. MEYER: Although the correction 1 seems to
18 give better point estimates, less close point estimates in
19 correction 3.

20 DR. JOHNSON: Which --

21 DR. MEYER: Correction 3 is where they correct
22 for the whole profile.

23 DR. JOHNSON: Right. The 24-hour.

24 DR. MEYER: Right.

25 DR. JOHNSON: There is some variation within

1 the day on the baseline. There's some diurnal variation.
2 It tends to be under 10 percent per individual in the
3 individual, and when you compare taking intensive sampling
4 over 24 hours and compared that against the mean of three
5 pre-dose samples, it's not very much different. I think
6 it's 7.77 versus 7.75 percent CV. So we didn't feel that
7 it would be necessary to do that.

8 The other thing in that study, it was a point-
9 by-point subtraction method, and the fact of the matter is
10 we still don't know exactly what happens to baseline on
11 treatment, and it doesn't make sense to increase your noise
12 because the point estimates switch and the confidence
13 intervals change.

14 DR. MEYER: I guess I was just looking at the
15 AUC 96 hours. For a 1.125 difference in dose, the point
16 estimate is 1.08 for the correction method 3 and 1.03. So
17 there was a 5 percent improvement, if you will, by using
18 the overall correction.

19 DR. JOHNSON: Right, and we attribute some of
20 that improvement to the fact that when we're comparing the
21 400 and 450 microgram doses, you are getting closer to
22 baseline and that noise from the baseline is going to
23 interfere with that evaluation. That was the point that I
24 was trying to make.

25 DR. KIBBE: Ajaz has a few comments.

1 DR. HUSSAIN: No. I think just in closing,
2 this was sort of a general discussion on endogenous drugs,
3 and I think Dale provided sort of a framework for moving
4 forward with decision tree criteria.

5 The question I think I have in my mind is, as
6 we move forward to this, does the committee feel that a
7 decision tree criteria would be a valuable step in terms of
8 dealing with these compounds because we will have a number
9 of endogenous substances to deal with? The list that Dale
10 provided, this partial list, I think the numbers are quite
11 high, and I think we'll have to deal with every one on a
12 case-by-case basis. But is there a framework of a decision
13 tree that could evolve from this discussion?

14 DR. KIBBE: Pat?

15 DR. DeLUCA: Yes. I have a question just to go
16 back on that, and I noticed when Dale was talking, he
17 seemed to be talking about bioavailability and
18 bioequivalence, and are we mixing things here? It seems
19 like with the endogenous substances, bioequivalence may be
20 something difficult to determine. The patient is the
21 critical factor here, and what we have here is certainly
22 something that's pharmaceutically equivalent and
23 bioavailable, but beyond the bloodstream, can we really
24 assess the bioequivalence? It just seems like it's going
25 to be a horrendous task to try to do that. That's a

1 clinical marker.

2 DR. SADEE: It would appear to me that
3 endogenous substrates are so different from each other,
4 that making the decision tree in which you force how you
5 proceed might be very difficult. I think it would have to
6 come up with a decision tree and then we can test it
7 against all the endogenous substrates that we might want to
8 look at. The example of thyroxine is one. It's such an
9 extreme example, although the elements are all there, the
10 self-regulation and so on, but you may take it on a case-
11 by-case basis, but if you do produce a good decision tree
12 that people can be actually guided by, then it would be
13 very helpful. We need to see the details.

14 DR. HUSSAIN: So from that sort of comment,
15 should I perceive that we may not take this up as the first
16 topic in the Biopharmaceutics Committee and move to
17 something else then?

18 DR. MEYER: I haven't had a lot of time to
19 think about prioritizing which of the 12 topics you gave
20 us.

21 I agree with Wolfgang. I mean, can a decision
22 tree be developed? I haven't the foggiest at this point,
23 but I think it's a worthwhile exercise to crystalize your
24 thinking, and if it turns out you can't, then you can't,
25 but if you can, it's helpful.

1 DR. KIBBE: Part of, I think, the assignment of
2 topic priority order is also how close is the flame to the
3 -- I mean, if this is something that the agency needs to
4 move on and move on quickly because there's a lot of
5 patients at risk, there's a lot of issues at hand, then
6 even though we might like more development time before we
7 really get into it, I think we need to start looking at it
8 in that light. If there's a lot of window of opportunity
9 to be leisurely and take our time, then maybe not.

10 I agree with Wolfgang. I think coming up with
11 a decision tree that works for every compound isn't going
12 to work. Coming up with a model of a decision tree that
13 might apply different concepts might work, and when I start
14 to look at the model and start to get it in my mind, I
15 might be even happier with it.

16 DR. HUSSAIN: The decision tree was intended to
17 sort of take us to different approaches to address
18 different issues and how to make those decisions, where to
19 go sort of thing.

20 DR. VENITZ: I would be very much in favor of
21 you pursuing looking at a decision tree. Just food for
22 thought. In my mind at least, there are mechanistic things
23 to consider that relate to our understanding of the
24 underlying biology as we heard today, and then there are
25 more empirical things. How do we baseline correct? Do we

1 need to baseline correct? What's the contribution of
2 endogenous versus exogenous? So I do think it's perfectly
3 worthwhile to do so.

4 DR. KIBBE: Does anybody have anything else?
5 We're scheduled for a break at 3:00 to last till 3:15 and
6 it is 3:17, which means that you sacrificed your break.
7 No. I'll give you all 10 minutes, and we'll get back and
8 we'll ask Ajaz to make up for the time when he does his
9 presentation.

10 I would like to meet with Barbara Davit for a
11 couple of seconds.

12 (Recess.)

13 DR. KIBBE: Ladies and gentlemen, fellow
14 scientists, colleagues, clinicians, media reporters, and
15 others, we need to get started again, and we are fortunate
16 in that we have speaking to us near the end of the day Ajaz
17 Hussain without slides.

18 DR. HUSSAIN: I think what I would like to do
19 is first again thank all the speakers, especially the
20 physician community, which came to this meeting to share
21 their concerns and perspectives with us. I think from my
22 perspective, they are our customers and I think we have to
23 give very careful attention to their concerns, and we will
24 continue to do that. I think customer satisfaction is
25 paramount, and I think without customer satisfaction, you

1 can't build confidence and generate trust. So that is, I
2 think, a key challenge that we have, and I will use that as
3 a framework for the following section of this discussion.

4 I had mentioned earlier, Helen asked me to take
5 the lead for the Therapeutic Inequivalence Action
6 Coordinating Committee. What that is, it is a committee
7 that looks at consumer complaints. It looks at reports of
8 inequivalence that come to the agency through many
9 different means, through publications, scientific
10 literature, and all sort of sources. Clearly, the
11 discussion we had fits into that, and I think we always
12 have to carefully review every aspect of every complaint
13 and come to some resolution.

14 But at the same time, I think dealing with
15 perceptions also is a challenge, and it's a very difficult
16 task to separate perception issues from actual science and
17 technical issues and that's clearly a big challenge for us.

18 For that purpose, I think, and for other
19 purposes, what we have done is we have created a Rapid
20 Response Team, which was actually created in the year 2000,
21 to deal with burning issues that need to be addressed
22 quickly through lab-based or other scientific support
23 functions. We use this Rapid Response Team to actually get
24 to a root cause as quickly as possible, using scientific
25 data. Nakissa will talk to you about that team and share

1 with you some examples.

2 So that is a part of the research program that
3 I have kept at the OPS level. We have an Office of Testing
4 and Research, but the Rapid Response Team sort of brings
5 all the resources available to us and all of our offices to
6 deal with issues in a very rapid manner. So you'll hear
7 Nakissa talk about that.

8 But there are other research programs at the
9 office level, and at some point, I'll make this committee
10 aware of those programs in much more detail, and I think
11 it's an exciting program that we have on computational
12 toxicology. FDA has probably the best database available
13 on drugs in terms of their safety, efficacy, and a number
14 of things, and if you don't utilize this database
15 effectively, then you're not doing the right thing and
16 you're not learning from the database that we have.

17 So there's a group within our office which has
18 developed excellent predictive models of toxicology using
19 data that is available to us in our submissions, and many
20 of these software products are available commercially now
21 through a collaborative research and development agreement.

22 So these are structured activity-based, bioinformatics-
23 based predictive tools that we have been developing, and we
24 will be expanding some of the scope of this to drug-drug
25 interaction and other areas too. But that will be for a

1 different advisory committee that will bring this
2 information to you.

3 With that, I'll ask Nakissa to come and share
4 with you what's the Rapid Response Team and what is it
5 doing.

6 DR. SADRIEH: Hi. I'm going to talk about the
7 Rapid Response Team. This is the last presentation of this
8 advisory committee meeting, and I promise it's going to be
9 a short one. Thank you.

10 I'll give you an overview of the Rapid Response
11 Team. It's a research-based mechanism that helps provide
12 research support to the review divisions and ultimately the
13 drug approval process. It also helps to respond to
14 literature reports of drug inefficacy or toxicity. The
15 Rapid Response Team is also used to evaluate suspected
16 causes of therapeutic inequivalence. By this, I don't mean
17 that we go and sort of do detective work to find out what's
18 the cause, but when a cause is identified and research
19 needs to be done, then the Rapid Response Team sort of
20 mobilizes the laboratory resources to try and address the
21 research needs to come up with an answer for what's been
22 identified. Also, we've provided some data for counter-
23 terrorism initiatives, and I'll be talking about those.

24 As Ajaz mentioned, the Rapid Response Team was
25 created in November of 2000, and I'd like to point out that

1 the Rapid Response Team and rapid response project is only
2 a small part of the research that's done under OPS.

3 There's a lot of research that OPS does. This is a very
4 specialized aspect of it, where all the various resources
5 are basically mobilized to take care of specific projects.

6 So I don't want you to think that this is everything that
7 OPS does for research.

8 The function of the Rapid Response Team is to
9 provide timely and specific research support, whether it's
10 laboratory-based or literature-based, for designated
11 regulatory issues that require further agency study, and
12 the goal is basically to provide review divisions with
13 sound scientific data which may be used in the regulatory
14 process.

15 What is the Rapid Response Team composed of?
16 It's basically a group of multidisciplinary scientists from
17 all the offices under the Office of Pharmaceutical
18 Sciences; namely, the Office of Testing and Research, the
19 Office of New Drug Chemistry, Office of Clinical
20 Pharmacology and Biopharmaceutics, and Office of Generic
21 Drugs. Initially, the Rapid Response Team was under the
22 Office of Testing and Research, but now it's been placed in
23 the immediate office of the Office of Pharmaceutical
24 Science and the purpose for that was to increase the
25 breadth of the types of research studies that are done and

1 to bring also some more visibility to the types of projects
2 that we actually do do.

3 Some of the projects -- I'll go into that
4 later, but the Rapid Response Projects are in general very
5 high-priority projects and they have a short turnaround
6 time. We decided that a maximum of six months is what we'd
7 like to set for the completion of the studies, and they're
8 expected to have regulatory impact, direct regulatory
9 impact. By that, I mean they should support reviewer
10 recommendations, whether in the Office of New Drugs or the
11 Office of Generic Drugs. They should support labeling
12 changes, and they should support advisory committee issues.

13 Some of the examples of some of the past
14 projects that we've done, we've done palatability studies
15 of doxycycline and potassium iodide -- these were two
16 separate studies -- in human subjects to identify dosing
17 regimens that would be appropriate for pediatric
18 populations in the event of a bioterrorism incident.

19 Another study which was looking at the
20 permeability of commercially available gloves to lotion and
21 shampoo that was used in the treatment of lice.

22 We do routinely studies for dissolution
23 properties of select drugs. I cannot mention the specifics
24 about the drugs because some of the data is proprietary and
25 it's about applications that are still pending.

1 We do determination of BCS classification of
2 select drugs, and another study that we have done is
3 looking at the neurotoxicity of ketamine in juvenile animal
4 models and this was an interesting study. Ketamine is used
5 in children to set bones when they break their bones, and
6 there were reports in the literature that ketamine may be
7 neurotoxic. That was in an animal species, in the rat, and
8 our labs were able to duplicate the data and show that in
9 fact it is toxic in rats. So the study has now been
10 expanded, and the National Toxicology Program has actually
11 taken that up and they're going to be looking in a non-
12 human primate model to try and see if the neurotoxicity is
13 actually present in that model or not. This could have
14 significant regulatory implications.

15 The resources that we have at our disposition
16 are all the laboratories within OTR and those include the
17 Laboratory of Clinical Pharmacology, the Laboratory of
18 Pharmaceutical Analysis, which is located in St. Louis, the
19 Division of Product Quality Research, and the Division of
20 Applied Pharmacology and Research, and in addition to that,
21 we also have contracts set up with several universities,
22 including the University of Tennessee and the Uniformed
23 Services University. The work that we did with the
24 palatability studies, for example, was done by the
25 University of Tennessee.

1 In fact, right now, we're working on another
2 palatability study and that's the palatability of
3 ciprofloxacin tablets in human subjects, again to identify
4 appropriate dosing regimens for pediatric populations in
5 the event of a bioterrorism incident. Again, this is
6 because the national stockpile has only got solid oral
7 dosage forms, and it's important just to know if we can
8 actually prepare a solution from these solid oral dosage
9 forms that would be palatable for children to take in the
10 event of a bioterrorism incident.

11 Other on-going projects are to support the
12 Therapeutic Inequivalence Action Coordinating Committee
13 which Ajaz mentioned, the TIACC, and they've kept us quite
14 busy, too.

15 We are also working with the Office on Drug
16 Safety on some data mining projects to characterize adverse
17 event profiles for generic drugs as compared to innovators.
18 So this is a literature-based research study.

19 We're also providing laboratory support for
20 select RSR projects, and RSR projects are review science
21 research projects that are specifically sponsored by
22 reviewers and so we support, not all of them but some of
23 them, in trying to get their studies done.

24 What have we accomplished? Well, we've
25 generated some data for publication on the FDA website

1 called The Home Preparation Procedure for Emergency
2 Administration of Potassium Iodide Tablets to Infants and
3 Small Children. I have the website there, if you're
4 interested. We've also generated data to update drug
5 labels.

6 Where do we plan on going in the future? The
7 hope is to provide sound scientific data which may
8 contribute to policy decisions by regulators, and we also
9 would like to identify new areas of regulatory research
10 which might help policy development. We would also like to
11 collaborate with scientists outside of FDA to identify new
12 technologies which might be incorporated in the drug
13 development process.

14 Thank you very much. I said it was short.

15 DR. KIBBE: Thank you. Wow.

16 There's got to be at least one question.

17 Efraim, you're back. You can ask a question.

18 DR. SADEE: I have a quick question. Are the
19 adverse effect or the side effect studies available on
20 line? Do you make this information available or the data
21 mining --

22 DR. SADRIEH: On the data mining, yes. We just
23 started that. It depends on what we get and we have to
24 look at that, but if it's data that's out in the public
25 domain, it will definitely be published and it will be

1 available to everybody. But it's an exciting project and
2 we hope to get some good results from that one.

3 DR. HUSSAIN: Just to add to that, I think when
4 we get reports of therapeutic inequivalence, for example,
5 or side effects, generic versus innovator, our databases
6 right now are not truly optimum to find the signal and to
7 see whether the signal is real or not, and the study that
8 Nakissa is planning to do is to go back and look at select
9 drugs where the endpoint for either the adverse event and
10 so forth are well defined and see whether we can start
11 taking signals of differences between generator and
12 innovator, and based on that maybe, hopefully, construct a
13 better database to be very proactive in looking at these
14 signals, hopefully in real time, later on.

15 DR. KIBBE: Anybody else?

16 (No response.)

17 DR. KIBBE: Thank you.

18 DR. SADRIEH: Thank you.

19 DR. KIBBE: Ajaz, are you going to end?

20 DR. HUSSAIN: I'll be very short, and I think
21 everybody's tired, and again I think the two days, plus
22 many of you have attended the third day of the training
23 session, we really appreciate your time and effort, and as
24 you sort of get to understand the advisory committee -- and
25 I hope this meeting was really helpful to expose you to the

1 different types of challenges we face on a daily basis in
2 FDA and the struggle and how to bring science into it -- I
3 think your advice and your input becomes very valuable for
4 us to keep moving forward in the right direction and
5 hopefully keep improving the science of what our regulatory
6 policies are based on.

7 I think the two observations that I would like
8 to make over the last two days, and the two observations I
9 had for today's discussion, I think one was the
10 manufacturing issues in terms of when we say that quality
11 cannot be tested into a product, it has to be by design. I
12 think that is an area that we need to discuss a bit more
13 because, for example, one of the aspects that we discussed
14 was what happens if there is one unit has no drug or one
15 has more drug, and how does the current system avoid that.

16 I think that is the concept of quality by design or
17 quality being built in. You cannot design a test to find
18 that, unless you test 100 percent of the lot. So the
19 process validation, the science of process validation is
20 essentially what allows us to move in that direction and so
21 forth. So I think that is something we will have to
22 discuss at length and as we move forward with other
23 methodologies.

24 Again, I think the endogenous substances and
25 the challenges you see in terms of customer satisfaction

1 and the customer's physician, the challenge ahead is
2 tremendous. You can imagine in the sense of how do you
3 build confidence in a generic drug program when customer
4 satisfaction is a challenge. And I think I will really
5 need your help as we move in that direction, how to do
6 that. Clearly, we have a lot of work ahead of us in trying
7 to sort things out and clearly define the issues and
8 explain the processes that we adopt and the science that we
9 have to our customers, not only the patients but the
10 physicians and the pharmacists out there.

11 I think on day one, I think the key issue that
12 is in my mind is the topical products, whether they are
13 topical products for skin. I think many of the issues are
14 also customer issues and customer perceptions on quality of
15 generic drugs. So we struggle with pharmaceutical
16 equivalence there and now we struggle with bioequivalence.

17 So how do you define therapeutic equivalence? I think the
18 key there which also is quite apparent is when you're
19 trying to evaluate differences in formulations that were
20 designed to be similar, where the differences are actually
21 minimized by design, then what sort of test do you use to
22 say the difference is not big enough when the test may be
23 far more variable than the differences that you see in the
24 products you're testing? That's the struggle that is
25 inherent in this discussion and that was apparent on both

1 days, and so how do we articulate our position not only to
2 the physicians and pharmacists but also the customers will
3 be a big challenge for us.

4 With that, I think again I thank all of you for
5 your patience and your advice and we'll take this seriously
6 and at the same time, all the comments we have received
7 from the public, we'll take that into consideration and
8 work towards the next advisory committee.

9 Thank you.

10 DR. KIBBE: I'd just like to thank Ajaz, Helen,
11 and the rest of the FDA staff for doing the best they can
12 to make us comfortable and productive and being here with
13 the right answers and all the help.

14 I also would like to thank all my colleagues
15 who contributed and spent a lot of their time here to help
16 the agency and, through the agency, the health and welfare
17 of the American public. You should go home proud of
18 yourself for having made that sacrifice and not frustrated
19 on having not accomplished as much as you want.

20 I look forward to seeing you all again.

21 (Whereupon, at 3:53 p.m., the committee was
22 adjourned.)

23

24