

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY COMMITTEE

8:07 a.m.

Wednesday, September 10, 2003

Holiday Inn
Montgomery Village Avenue
Gaithersburg, Maryland

ATTENDEES

COMMITTEE MEMBERS:

ROBERT S. STERN, M.D., Acting Chairman
Professor of Dermatology
Beth Israel Deaconess Medical Center
330 Brookline Avenue
Boston, Massachusetts 02215

KIMBERLY LITTLETON TOPPER, M.S.
Executive Secretary
Advisors and Consultants Staff
Center for Drug Evaluation and Research
Food and Drug Administration
5630 Fishers Lane, HFD-21
Rockville, Maryland 20857

ROBERT KATZ, M.D.
Dermatology Clinic
11510 Old Georgetown Road
Rockville, Maryland 20852

PAULA KNUDSON, Consumer Representative
Executive Coordinator of IRB Research and
Academic Affairs
The University of Texas
Houston Health Science Center
1133 John Freeman Boulevard
Jesse Johnes Library, Room 322
Houston, Texas 77030

SHARON S. RAIMER, M.D.
Professor and Chairman
Department of Dermatology
University of Texas Medical Branch
Galveston, Texas 77550-0783

KATHLEEN Y. SAWADA, M.D.
Dermatologist
Alpine Dermatology Associates P-LLC
1785 Kipling Street
Lakewood, Colorado 80215

ATTENDEES (Continued)

CONSULTANTS: (voting)

MICHAEL BIGBY, M.D.
Department of Dermatology
Beth Israel Deaconess Medical Center
330 Brookline Avenue
Boston, Massachusetts 02215

LYNN A. DRAKE, M.D.
Massachusetts General Hospital
Dermatology, Bar 604
40 Blossom Street
Boston, Massachusetts 02114-2696

LLOYD E. KING, JR., M.D., Ph.D.
Professor of Medicine, Dermatology Division
Vanderbilt University
1301 22nd Avenue North
3900 TVC (The Vanderbilt Clinic)
Nashville, Tennessee 37232-5227

EILEEN RINGEL, M.D.
325-C Kennedy Memorial Drive
Waterville, Maine 04901

JIMMY D. SCHMIDT, M.D.
819 Peakwood
Houston, Texas 77090

MING T. TAN, Ph.D.
University of Maryland
Department of Epidemiology and Preventative Medicine
Division of Biostatistics
22 S. Greene Street, N9E17
John Eager Howard Hall, Room 109
Baltimore, Maryland 21201

THOMAS R. TEN HAVE, Ph.D.
Department of Biostatistics and Clinical Epidemiology
University of Pennsylvania
School of Medicine
607 Blockley Hall
423 Guardian Drive
Philadelphia, Pennsylvania 19104-6021

ATTENDEES (Continued)

ACTING INDUSTRY REPRESENTATIVE: (non-voting)

R. TODD PLOTT, M.D.
Vice President
Clinical Research and Regulatory Affairs
Medicis Pharmaceutical Company
8125 N. Hayden Road
Scottsdale, Arizona 85258

GUEST SPEAKERS: (non-voting)

STEVEN M. ROTTER, M.D.
77700 Leesburg Pike
Suite 5423
Falls Church, Virginia

FOOD AND DRUG ADMINISTRATION STAFF:

MOHAMED ALOSH, Ph.D.
Biostatistics Team Leader
Division of Biometrics III
Office of Biostatistics/OPaSS
Center for Drug Evaluation and Research

JONCA BULL, M.D.
Director
Office of Drug Evaluation V
Center for Drug Evaluation and Research

MARKHAM C. LUKE, M.D., Ph.D.
Dermatology Team Leader
Division of Dermatologic and Dental Drug Products
Office of Drug Evaluation V
Center for Drug Evaluation and Research

BRENDA VAUGHAN, M.D.
Medical Officer
Division of Dermatologic and Dental Drug Products
Office of Drug Evaluation V
Center for Drug Evaluation and Research

ATTENDEES (Continued)

FOOD AND DRUG ADMINISTRATION STAFF: (Continued)

JONATHAN WILKIN, M.D.
Division Director
Division of Dermatologic and Dental Drug Products
Office of Drug Evaluation V
Center for Drug Evaluation and Research

PHOTOCURE ASA REPRESENTATIVES:

LASSE BRAATHEN, M.D., M.H.A.
WILLIAM CLEMENTI, PHARM.D., F.C.P.
PER FUGLERUD, PH.D.
VIDAR HANSSON, M.D., PH.D.
KJETIL HESTDAL, M.D., PH.D.
HILDE MORRIS, D.V.M.
DEDEE F. MURELL, M.D., FAAD
DAVID M. PARISER, M.D., FACP
JOHN POSNER, M.D., PH.D., FRCP

C O N T E N T S

NDA 21-576, Methyl Aminolevulinate Hydrochloride,
 (Methyl aminolevulinate cream, 168mg/g)
 by PhotoCure ASA

- - -

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Kimberly Topper	10
INTRODUCTION by Dr. Jonathan Wilkin	21
PHOTOCURE ASA PRESENTATION	
Introduction by Dr. Vidar Hansson	23
Regulatory Overview by Dr. William Clementi	27
Overview of Clinical Development Program of MAL-PDT in BCC by Dr. Kjetil Hestdal	28
Efficacy in BCC: Placebo-controlled Studies by Dr. David Pariser	34
Efficacy in BCC: Active-controlled Studies by Dr. David Pariser	44
Efficacy in High-risk BCC, Including Long-term Follow-up by Dr. Dedee Murrell	50
Safety, Including Skin Sensitization by Dr. John Posner	58
MAL-PDT in BCC Benefit/Risk by Dr. Kjetil Hestdal	73
COMMITTEE DISCUSSION	74

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
FDA PRESENTATION	
by Dr. Brenda Vaughan	81
by Dr. Mohamed Alesh	86
COMMITTEE DISCUSSION	110
OPEN PUBLIC HEARING	146
COLD STEEL SURGERY AND MOHS MICROGRAPHIC SURGERY AND THEIR EFFICACY FOR NODULAR BASAL CELL CARCINOMA	
by Dr. Steven Rotter	146
COMMITTEE DISCUSSION	161
QUESTIONS	200

P R O C E E D I N G S

(8:07 a.m.)

DR. STERN: Good morning, everyone. This is the Dermatologic and Ophthalmic Drugs Advisory Committee to consider materials related to NDA 21-576, methyl aminolevulinate hydrochloride phototherapy. I'd like to ask permission to call this MAL-PDT so I don't have the same problems I had yesterday with pronunciation.

(Laughter.)

DR. STERN: So if it's okay with the sponsors, we'll refer to this as MAL-PDT, MAL standing for the word I just said, and PDT standing for photodynamic therapy, since we're considering both a chemical and a physical modality of therapy together. So if there are no objections, anyone can call it by the long name, but that is what I'll do.

So let's begin this morning by going around the table and everyone introducing themselves. I'm Rob Stern. I'm the chairman of the committee today and am from Boston at the Beth Israel Deaconess Medical Center and Harvard Medical School, and I'm a dermatologist by training.

DR. PLOTT: My name is Todd Plott. I'm Vice President, Clinical Research and Regulatory Affairs at Medicis. I'm the industry representative.

DR. RINGEL: I'm Eileen Ringel. I'm a dermatologist. I'm in private practice in Waterville,

1 Maine.

2 DR. TAN: I'm Ming Tan, Professor of
3 Biostatistics at the University of Maryland School of
4 Medicine, Department of Preventive Medicine and
5 Epidemiology.

6 MS. KNUDSON: I'm Paula Knudson, the consumer
7 representative, and I am an IRB chairperson and
8 administrator at the University of Texas Health Science
9 Center, Houston.

10 DR. DRAKE: I'm Lynn Drake. I'm a
11 dermatologist on the faculty at Harvard and I'm based at
12 the Massachusetts General Hospital.

13 DR. BIGBY: I'm Michael Bigby, yet another
14 dermatologist from Boston.

15 DR. KING: I'm Lloyd King. I'm a dermatologist
16 from Vanderbilt University in Nashville, Tennessee.

17 MS. KNUDSON: I'm Robert Katz. I'm a
18 dermatologist in Rockville, Maryland, consultant in
19 dermatology at Walter Reed Army Medical Center.

20 DR. SAWADA: I'm Kathleen Sawada, private
21 practice, Lakewood, Colorado, dermatologist.

22 MS. TOPPER: Kimberly Topper. I'm the
23 executive secretary for the committee.

24 DR. RAIMER: I'm Sharon Raimer, dermatologist,
25 University of Texas in Galveston, Texas.

1 DR. TEN HAVE: Tom Ten Have, biostatistics and
2 epidemiology, University of Pennsylvania.

3 DR. SCHMIDT: I'm Jimmy Schmidt from Houston,
4 Texas in private practice.

5 DR. VAUGHAN: I'm Brenda Vaughan,
6 dermatologist, FDA, medical officer.

7 DR. LUKE: Markham Luke. I'm a dermatologist.
8 I'm the clinical team leader at FDA.

9 DR. WILKIN: Jonathan Wilkin, Director of the
10 Division of Dermatologic and Dental Drug Products, FDA.

11 DR. BULL: Good morning. Jonca Bull, Office
12 Director, Office of Drug Evaluation V.

13 DR. STERN: We'll now move on to the conflict
14 of interest statement.

15 MS. TOPPER: The following announcement
16 addresses the issue of conflict of interest with regard to
17 this meeting and is made a part of the record to preclude
18 even the appearance of such at the meeting.

19 Based on the submitted agenda for the meeting
20 and all financial interests reported by committee
21 participants, it has been determined that all interests in
22 firms regulated by the Center for Drug Evaluation and
23 Research present no potential for an appearance of a
24 conflict of interest at this meeting.

25 We would also like to note that Dr. R. Todd

1 Plott has been invited to participate as a non-voting
2 industry representative, acting on behalf of regulated
3 industry. He is Vice President of Clinical Research at
4 Medicis Pharmaceutical Company.

5 In the event that the discussions involve any
6 other products or firms not already on the agenda for which
7 an FDA participant has a financial interest, the
8 participants are aware of the need to exclude themselves
9 from involvement and their exclusion will be noted for the
10 record.

11 With respect to all other participants, we ask
12 in the interest of fairness that they address any current
13 or previous financial involvement in any firm whose
14 products they may wish to comment upon.

15 Thank you very much.

16 DR. STERN: I'd like to take a few moments to
17 do two things. I thought, in thinking about this product
18 where the indication is for the treatment of basal cell
19 carcinoma, it might be good, particularly for the non-
20 dermatologists, to have a little context at least as to how
21 I see basal cell carcinoma and talk a little bit about
22 currently available treatments for this tumor.

23 So basal cell carcinoma has a very high
24 incidence in the United States. There are probably between
25 three-quarters of a million and 1 million new and recurrent

1 tumors a year. A slight majority occur on the face and
2 neck and a substantial majority in sun-exposed areas, if
3 one includes the forearms and the distal legs.

4 The diagnosis, at least in my hands, is not
5 always easy without histology. There are a number of
6 lesions that I sometimes mistake for them. So many of us
7 think it's always good to not be surprised and not do
8 destructive things without knowing what you're treating.

9 They generally do not metastasize, but both
10 primary tumors and recurrences can be very problematic with
11 respect to substantial morbidity, although very low
12 mortality, particularly when they occur on the head and
13 neck where there can be substantial disfigurement, and with
14 recurrent tumors, not rarely, interference with vital
15 functions such as eyes.

16 The response to therapy varies with type, size,
17 and location.

18 So from someone who has been treating these
19 tumors for just over 30 years now and does mainly non-
20 surgical treatment almost exclusively and refers on
21 surgical treatment, the attributes of a desirable treatment
22 for basal cell carcinoma from a patient's perspective is
23 that it's quick -- and "quick" means low number of visits
24 as well as low time at the visit -- painless, quick
25 healing, limited wound care restrictions. You can go out

1 and play golf that afternoon, or at least two days later.
2 Good cosmetic result, and that the tumor is unlikely to
3 recur and need additional treatment.

4 The characteristics of a therapy from a
5 physician's point of view that, in addition to meeting the
6 patient's needs, maximize its usefulness in clinical
7 practice, are simple. The therapy can standardize to limit
8 interoperator variability. You know that no matter who
9 does it, you're going to get a good result, that
10 appropriate lesions can be easily identified. We spend a
11 lot of time teaching residents that for this lesion in this
12 location, you want to do this, but if there is this going
13 on, you want to do that. So you want to have it so you
14 know for any therapy what are in fact indications and
15 counterindications and what among the alternatives put it
16 at the top of the list, hence the choice for the individual
17 patient.

18 That there is a very high response rate and
19 that recurrences not only be infrequent, but one thing is,
20 at least in my clinical experience, recurrences at the
21 edge, particularly of superficial basal cells have a lot
22 less associated morbidity than deep recurrences, which
23 often take awhile to manifest themselves clinically, so
24 often can grow large and involve deeper structures before,
25 in fact, they're detected clinically.

1 So current therapies -- and I'll talk a little
2 bit more about these in a second -- are electrodesiccation,
3 curettage, cryosurgery, excisional surgery, Mohs surgery.
4 I've left out topical chemotherapy with 5-FU and a whole
5 variety of other less frequently used therapies. The
6 sponsors are from a radium institute. I've left out
7 radiation therapy, but I would say of the primary and
8 recurrent tumors treated in dermatologic practice, well
9 over 90 percent are treated by these four modalities,
10 probably more than 95 percent. So these are the main
11 things in terms of common practice that we talk about.

12 So questions for the committee about the
13 product is, is there sufficient data for us to know how
14 well it works? Is the therapy sufficiently clear; that is,
15 clear in terms of indications and how to use it to be used
16 effectively? And some questions I have that I hope we'll
17 address is why did the results vary so greatly center to
18 center in the study. And a question that is not for
19 approval but as a clinician I only ask myself, does it work
20 well enough to be a meaningful addition. The C was
21 supposed to come out of there.

22 (Laughter.)

23 DR. STERN: I know you're hoping it would be an
24 "addiction" in clinical practice.

25 (Laughter.)

1 DR. STERN: But a meaningful addition given our
2 available therapies.

3 I talked to someone within the agency and we
4 had a discussion about so what really are recurrence rates
5 and wouldn't it be nice to sort of review for the committee
6 the literature on recurrence rates. Fortunately, I have
7 working with me a fifth-year Harvard medical student who is
8 substantially more intelligent and higher energy than I am.

9 Jean Lee was willing to prepare this presentation and
10 review the literature with very little notice and, from my
11 perspective, did an excellent job. So I'm presenting the
12 materials here. These are articles I've all read at one
13 time but not recently. I just went over the key data
14 tables, but knowing Jean Lee, I think you'll agree this is
15 an accurate representation, or I hope you'll agree.

16 So current modalities. Surgical excision is
17 usually reserved for small, well-defined tumors on low-risk
18 areas performed with 4 to 5 millimeter margins typically,
19 although there's a huge variation in the application of
20 surgery depending on the skill of the operator, the
21 availability of frozen sections, a whole variety of things.

22 But one would say those are some of the clear indications.

23 Cryosurgery is usually reserved for small
24 tumors on cosmetically less sensitive areas because of
25 frequent depigmentation and macular scars at the sites of

1 treatment.

2 Curettage and electrodesiccation. Usually for
3 low-risk trunk and particularly for lower extremity lesions
4 where it's often very desirable because you don't have to
5 graft when you can't do, in fact, the primary closure on
6 lesions.

7 Mohs micrographic surgery is used for high-risk
8 tumors, used on the faced, basically a way for tissue
9 preservation and almost certainly a lower risk of
10 recurrence, and used in recurrent tumors where the anatomy
11 has been changed so the usual landmarks by which we judge
12 surgical or destructive therapies are absent and we need
13 something to actually guide ourselves microscopically in
14 looking at the individual case as opposed to applying
15 guidelines.

16 So what are the predictors of basal cell
17 recurrence? Size of tumor. Larger tumors recur more
18 frequently. Clinically indistinct margins are more likely
19 to be associated with recurrence. Location, particularly
20 on the embryonic fusion plates which provide little
21 resistance to tumor growth, particularly in the central
22 face. Histologic type. It's a lot easier to cure nodular
23 and superficial basal cells than it is sclerosing and
24 morpheaform or mixed types. Perineural invasion tumors,
25 again mainly on the face, are more likely to recur.

1 Recurrent tumors are more likely to recur again. If it was
2 nasty the first time, although it's no guarantee of future
3 behavior, the best prediction of future behavior is past
4 behavior for these tumors, as well as many things in life.

5 Previously irradiated tumors with X irradiation seem to
6 have a high recurrence rate. And probably most important,
7 after you standardize for all of these modalities, is the
8 skill of the operator.

9 So the problem is what do you mean by a
10 recurrence rate. We tried to look at three different kinds
11 of recurrence rates. One is a raw recurrence rate, which
12 is the total number of recurrences divided by the total
13 number of tumors treated. A strict recurrence rate is the
14 total number of patients with recurrence divided by the
15 number of treated patients observed for at least 5 years.
16 So if a person had three tumors treated and one recurred,
17 they would be counted as a recurrent case since the
18 modality failed in one of these tumors.

19 And the second and the way that, as far as I
20 can tell, is almost never given in the label and the most
21 appropriate, is a life table cumulative recurrence rate
22 which adjusts for the rates according to the number of
23 persons in each year of follow-up. But if you can find
24 good life table studies of recurrence rates, please let me
25 know.

1 So in the bolder, non-italicized type are in
2 fact direct data on all of the following slides taken
3 directly from the Thissen review, a systematic review of
4 treatment modalities, which was published in the Archives
5 of Dermatology about four years ago. In each of the
6 slides, the ones in italics are basically what Jean Lee did
7 in abstracting from other literature we found that was not
8 cited primarily in the systematic review published four
9 years ago.

10 Here we have for basal cell cancer for Mohs
11 surgery, and you can see basically that recurrence rates,
12 at least in the literature, range from .5 percent to about
13 2 percent in terms of these. There's one outlier, the
14 Lundgren study, but in fact these were very high-risk sites
15 and some sites are more likely to recur. I think many
16 people accept the 1 to 2 percent recurrence rate, which
17 will clearly vary substantially particularly with the
18 operator's skill and with the location and type of tumors
19 that the individual operator is operating on.

20 Surgical excision. Again, the same caveats
21 about data sources here. The rates that you can see, in
22 terms of cumulative recurrence rates, range in the 2 to 10
23 percent area at 5 years. Let me bring some attention to,
24 again, how much rates will vary. Even looking in the
25 Spraul study of 2000, which is about six down, looking at

1 very difficult periocular tumors where people try to get as
2 small of margins as possible, with negative margins by
3 histology at the time of excision, there was 2.3 percent
4 recurrence, and of those tumors that had positive margins,
5 there was a 12 percent recurrence rate. I think in looking
6 at these data, most people would say it's about 5 and could
7 be as high as 10 percent with a recurrence rate at 5 years.

8 Cryosurgery. Again, what you're doing and
9 where you're doing it, size of lesions is evident here. If
10 you look at, again, the eyelid which is particularly
11 difficult to treat with large lesions, larger than usually
12 recommended, certainly on the face with cryosurgery, a 16
13 percent recurrence rate at 5 years, but in fact for the
14 other studies basically a 2 to 6 percent recurrence rate.

15 Electrodesiccation and curettage. Here we have
16 similar to slightly higher recurrence rates as reported for
17 cryosurgery. However, often smaller tumors are treated
18 with cryosurgery more frequently, superficial basal cells.

19 So you may have easier-to-treat tumors in the first case.

20 Again, here you can see a range of estimates, and I think
21 the most interesting one is the Dubin and Kopf study where
22 he showed that if you look at trainees, you get a high
23 recurrence rate, and in fact they showed in their own
24 practices by board certified dermatologists a rate about
25 one-fifth as high. So if you don't know what you're doing

1 with this, you probably shouldn't be doing it. If you know
2 what you're doing, you can expect a recurrence rate for
3 most kinds of tumors in the 3 to 6 percent range at 5
4 years.

5 So, in summary, the range of recurrence rates
6 appears to be relatively similar for most physical
7 modalities, including surgical excision, cryosurgery,
8 electrodesiccation and curettage, curettage and
9 electrosurgery, and curettage alone, although the data
10 elements for the last two are sufficiently small that I
11 didn't put them up. They're basically single-operator kind
12 of limited studies, and that is excluding Mohs.

13 For a follow-up period of 3 to 4 years, this
14 rate falls between 3 to 5 percent. For 5 years and more,
15 the rate approximately doubles to 5 to 12 percent.
16 Recurrence rates for Mohs are probably lower, probably
17 within the 1 to 2 percent range.

18 So, in conclusion, the key predictors of tumor
19 recurrence are size, site of location, histology, and skill
20 of the operator. All of the non-Mohs modalities have
21 roughly equal and excellent cure rates for basal cell
22 carcinoma. Of those that are treated with high-risk
23 characteristics, there's an increased risk of basal cell
24 recurrence regardless of treatment modality with increasing
25 time. This underscores the importance of looking at data

1 and adjusting for time and long follow-up time for
2 evaluating the effectiveness of therapy.

3 Thank you.

4 Dr. Wilkin?

5 DR. WILKIN: I can say a few words. From time
6 to time, FDA as a scientific regulatory agency needs access
7 to highly qualified expert advisors who can speak to the
8 clinical science and also to the values, the values in
9 clinical judgment, societal values, that relate to
10 standards of care.

11 The topics which may come before an advisory
12 committee such as this include new products, that is,
13 products that are new in a line. PhotoCure has submitted
14 an application for methyl aminolevulinate with photodynamic
15 therapy for nodular and superficial basal cell carcinoma,
16 and that would constitute a new product and a new line. So
17 it's a reasonable topic for this committee to think about.

18 PhotoCure will begin the analysis of the data
19 this morning. They will lay everything out, and then FDA
20 will speak after that and comment on some aspects of our
21 analysis that might be somewhat different, but it's another
22 way of looking at the issues.

23 Then we were seeking expertise, dermatologic
24 surgical expertise. We actually contacted over a dozen
25 dermatologic surgeons and only one is able to join us and

1 not until this afternoon. So I did contact Dr. Stern last
2 week and alerted him to that, and I think it was a very
3 helpful overview that we just heard because I think that
4 may enter into the discussions among committee members what
5 is already out there for nodular basal cell carcinoma and
6 how this product may fit into the overall armamentarium.

7 Along with that, I would encourage the members
8 of the committee to think about the potential tools we have
9 in labeling. When I say labeling, I'm talking as an FDAer.

10 It's what most people call package inserts. There is a
11 portion of the Code of Federal Regulations, 201.57, that
12 sort of outlines how we think about labeling. It gives us,
13 for example, the order in which things show up in labeling,
14 its the description section, and then clinical
15 pharmacology. The third section is the indication section.

16 In the indication section, there is the potential for
17 elaboration to define the population that is most
18 appropriate for a particular drug product.

19 Also, some other sorts of information can be
20 added into that section that would be helpful to a
21 clinician. Dr. Drake is with us today, and we have known
22 from previous advisory committees that she's very helpful
23 in crafting wording which is supportive, informative, but
24 doesn't box clinicians in. I think that's basically the
25 key piece. So I think we would like to hear that also from

1 the committee, when you are answering the different
2 questions, if you can think about labeling options that may
3 be helpful to the practitioner.

4 And then something that's not on the agenda but
5 will no doubt be observed today, because this is the last
6 day of the meeting of this advisory committee this week,
7 possibly around 11:30 or noon, you'll start seeing luggage
8 pile up on the wall. I would just say to the new members
9 of the advisory committee that this committee has a
10 tradition, under Dr. Stern and his predecessor, Dr. Drake,
11 as chair, that the committee has stayed until everything
12 has been thoroughly discussed. So I'm happy to say that
13 we'll be able to thank everyone at the end of the day for
14 making it through and giving us good advice.

15 DR. STERN: Thank you, Dr. Wilkin.

16 We'll next go on to the presentation by
17 PhotoCure of the MAL-PDT application.

18 DR. HANSSON: Dr. Wilkin, Mr. Chairman, members
19 of the committee, ladies and gentlemen. My name is Vidar
20 Hansson. I'm the President and CEO of PhotoCure. I have a
21 medical background from '69 at the University of Oslo. I
22 have a Ph.D. primarily from research done in the United
23 States in Chapel Hill actually in molecular endocrinology
24 and molecular cell biology. My experience in the medical
25 field is six years as an associate professor in pathology

1 and actually 21 years as a professor in biochemistry and
2 molecular cell biology. And for the last six years, I've
3 been the CEO of PhotoCure.

4 I will just say a few words about the rationale
5 for choosing MAL. I agree with you. We will use MAL-PDT
6 rather than the full name to make things simpler for us.

7 We will have a regulatory overview by Dr.
8 Clementi. Dr. Hestdal will make a brief overview of our
9 clinical program. Dr. Pariser will review some of our
10 important studies in what we call non-high-risk or low-risk
11 basal cell carcinoma, and Dr. Murrell will then review two
12 of our studies on what we call high-risk basal cell
13 carcinoma. Of course, safety will be addressed by Dr.
14 Posner, and Dr. Hestdal will finally try to sum up the
15 benefit-risk ratio of this new treatment.

16 First, a few words about PhotoCure, which is a
17 very new company. The first employee was the 2nd of
18 January 1997. It springs out from the Research Institute
19 at the Norwegian Radium Hospital, which is the largest
20 comprehensive cancer center in northern Europe. It has a
21 research institute of more than 200 full-time employees,
22 and PhotoCure is one of several scientific experiences and
23 one of the two commercial activities coming out from this
24 institution.

25 This just lists some properties of methyl

1 aminolevulinate. MAL actually is an ester monocarbon
2 substitution on the carboxy group of aminolevulinic acid
3 and, for reasons we only partly know, causes quite dramatic
4 changes in the biological properties of this molecule in
5 the rapid and efficient induction of intracellular
6 porphyrins primarily in cancer cells and almost not in
7 normal cells, and for other reasons we also only partly
8 know, a very low ability to cross the basal membrane and
9 very low uptake into the body. Upon illumination with red
10 light, this induces photoactivation of the intracellular
11 proteins and death of the tumor cells but not the
12 surrounding normal cells and by a process that recent
13 publication means comes through apoptosis.

14 This is just an example of MAL penetration into
15 a small nodular lesion. You can see the demarcation of the
16 basal membrane here, some tumor, some normal lamina
17 propria, and normal tissue around. You see a freeze crack
18 here in the frozen section. You see a cystic clearance
19 here which is actually a central necrosis in the tumor that
20 you frequently see in nodular lesions.

21 The MAL cream was applied for 3 hours, and this
22 is then a fluorescent image in a CCD camera where you
23 activate by blue light and do the red fluorescence
24 recording and you shoot the photographs.

25 This really shows the very low induction of

1 photoactive porphyrins of MAL cream compared to the parent
2 compound, the aminolevulinic acid. If you apply a cream
3 containing MAL or ALA for 3 hours to the inside of the
4 underarm of a human being and you look at the fluorescence
5 of photoactive porphyrins after activation by blue light,
6 with the MAL cream you see little or no fluorescence,
7 whereas with the parent compound you actually see a very
8 strong fluorescence even in the normal skin.

9 For practical purposes, there's very high
10 selectivity between the basal cell carcinoma. Here you see
11 a large basal cell carcinoma, 12 centimeters in diameter,
12 on the shoulder of a human being. Here you go into the
13 dark room. You activate the porphyrins with blue light.
14 You record the red fluorescence. This is actually what you
15 see with your bare eyes. You can actually shoot the
16 picture with an ordinary mirror reflects camera, and you
17 see how the fluorescence is really located and demarcate
18 the tumor and not in the surrounding normal tissue.

19 This cartoon actually tries to illustrate the
20 mechanism by which MAL-PDT works. You put on the cream for
21 3 hours and 8 molecules of MAL makes a porphyrin, and then
22 upon illumination with red light, makes reactive oxygen
23 species and primarily singlet oxygen that kills the cells.

24 This shows how this extreme lesion cell
25 activity and penetration throughout the lesion gives the

1 possibility for successful tumor removal and tissue
2 conservation in a case as shown here. This dotted line
3 actually shows the tumor, and they started, of course, with
4 Mohs surgery. This was on anticoagulant therapy and
5 because of excessive bleeding, as well as problem with the
6 anesthesia, they had to stop the Mohs surgery and they had
7 a small graft on the tip of the nose. When he came back
8 after a while, he was put into our high-risk study in
9 Australia, and at baseline and 3 months, he was in complete
10 response. He still has a sustained complete response
11 verified 24 months after treatment. I think this is just
12 one example of how MAL-PDT can be used in certain
13 situations where surgery may not be appropriate.

14 I will then give it over to Dr. Clementi, our
15 regulatory consultant and U.S. agent. Please, Dr.
16 Clementi.

17 DR. CLEMENTI: Thank you, Vidar.

18 Methyl aminolevulinate, or MAL-PDT, is not
19 going to be the trade name for this product. We are
20 searching for a trade name, so we'll work with MAL-PDT
21 today.

22 It is a combination product, both a device and
23 a cream, being reviewed. The CureLight broadband model
24 CureLight 01 has received an approvable letter from CDRH
25 and methyl aminolevulinate cream is the discussion that

1 we're entertaining today.

2 We followed a reasonably conservative
3 regulatory path. We met with the division many times. We
4 have two applications with this division, one on actinic
5 keratosis and one on basal cell carcinoma. We're not
6 talking about actinic keratosis today, but many of the
7 comments we received on the chemistry and manufacturing
8 controls and on the preclinical sciences were applied to
9 our development program in basal cell carcinoma. As you
10 can see, we met often. We filed our IND for AK in 2000.
11 That was preceded by our IND in December of 1999, and our
12 NDA was filed in February of 2003.

13 We did have a total of six major meetings with
14 the division. We enjoyed all of them. We found all of
15 them productive, but we generated a lot of questions in the
16 process. So for AK we had our three traditional meetings,
17 and for basal cell carcinoma, we had our pre-IND meeting,
18 our end-of-phase II in March of 2000, and our pre-NDA
19 meeting in June of 2002.

20 Thank you very much. I'd like to turn the
21 presentation over to my colleague and friend, Dr. Hestdal.

22 DR. HESTDAL: Thank you. Chairman, ladies and
23 gentlemen, I will go through a summary of the clinical
24 development. That will be discussed in more depth in a
25 later presentation.

1 My name is Kjetil Hestdal. I'm the Vice
2 President of Research and Development at PhotoCure. I have
3 a medical degree and have a Ph.D. in basic immunology
4 obtained at the National Cancer Institute here in Bethesda.

5 The clinical development program assessed
6 different aspects. Of course, we had to identify optimal
7 cream concentration, cream application time, and the
8 illumination parameters. This was established in phase
9 I/II studies.

10 The efficacy of MAL-PDT in BCC was demonstrated
11 in two adequate and well-controlled studies in primary
12 nodular BCC using vehicle as the control. Furthermore, we
13 also have a study of the relative efficacy in primary
14 nodular and superficial BCC using surgery and cryotherapy
15 as comparators. We have obtained supportive evidence from
16 two studies on the efficacy and safety of MAL-PDT in
17 nodular and superficial high-risk BCC.

18 The safety profile that will be shown to you
19 later is based on patients from clinical trials both in BCC
20 and AK, and in addition to that, special safety studies.

21 If we go to the dosing parameters, the
22 assessment of cream concentration, cream application time,
23 and light dose were assessed in three different studies.
24 It's important to say that in two of those studies, we used
25 the fluorescence ability of photoactive porphyrins to

1 establish the dose penetration and selectivity. In
2 addition, we had a phase II study that established the
3 safety. So the cream concentration comes from one study
4 where we actually measured the photoactive porphyrin
5 fluorescence in the depth of the lesion using three
6 different concentrations of the cream.

7 The cream application time was done also
8 measuring the fluorescence from the photoactive porphyrins
9 both in BCC as well as in the normal tissue and established
10 a selectivity during 28 hours of cream application.

11 Lesion penetration was also assessed in the
12 same way as the cream concentration using two different
13 time points.

14 Clinical efficacy was then established
15 examining the efficacy of the four different time points of
16 cream application.

17 The light dose. We also used the ability of
18 the photoactive porphyrins and the activation and we looked
19 the photobleaching of this when you do the illumination.

20 The conclusion of the dosing is that the
21 highest penetration in the BCC lesion was obtained in the
22 highest concentration examined, 168 milligrams per gram.
23 The application time was assessed based on the optimal
24 penetration, the highest time point for selectivity, and
25 the clinical efficacy turned out to be 3 hours. The light

1 dose was established when we obtained complete
2 photobleaching using red light of a wavelength of 570 to
3 670 nanometers and a total dose of 75 Joules per square
4 centimeter.

5 This is just a brief example of the method. It
6 consists of a lesion preparation using a curette, it has
7 cream application, and then you have illumination for 10
8 minutes.

9 DR. BIGBY: Are the patients anesthetized for
10 the curettage step?

11 DR. HESTDAL: If you allow me, if it's okay
12 with the chairman and you, to take the question when we are
13 finished the discussion, I think it will be addressed by
14 our clinical experts. Is that okay?

15 DR. STERN: Sure.

16 DR. HESTDAL: So the cream concentration was
17 160 milligrams per gram and was applied in a 1 millimeter
18 thick layer on the lesions and 5 millimeters on the
19 surrounding skin. 3 hours under occlusive dressing of the
20 cream. The light dose, as I said, was 75 Joules using the
21 red light. Generally, two treatment sessions, 1 week
22 apart, constituting one treatment cycle, were used and the
23 possibility of a second treatment cycle 3 months later in
24 case their lesion showed a non-complete response.

25 This is a picture of the light that has been

1 used in all clinical studies, and the light is obtained
2 from a halogen light bulb. The lens system in the lamp
3 head provides focus and homogeneous light. There are also
4 filters that remove blue light, UV, and infrared light and
5 in this way, with these filters provides a red light with a
6 specific wavelength between 570 and 670 nanometers. The
7 light intensity has been 50 to 200 milliwatts per square
8 centimeter and it's dependent on the distance from the
9 treatment site. Again, this lamp gives a circular
10 treatment area of 30 to 55 millimeters in diameter.

11 This lamp, as I said, has been used in all
12 clinical studies except for 6 patients where a light source
13 with similar physics was used. However, that lamp had a
14 bigger light field.

15 Throughout the whole program, we have tried to
16 standardize the methods. In regard to efficacy, we
17 examined efficacy both on the patient level, as well as on
18 the lesion level. Patient means that a patient can have
19 several lesions. For the patient to be considered a
20 complete response, all lesions on that patient have to be
21 in complete response. Then we have assessed the lesion
22 response on the individual lesions, and this is done both
23 clinically as well as in four studies with histological
24 verification using in the high-risk population a punch
25 biopsy, while in the two vehicle-controlled studies, we

1 have used serial sectioning.

2 The recurrence has been assessed annually by
3 clinical assessment of the lesion site. Of course, we have
4 assessed the cosmetic outcome both judged by the
5 investigator as well as the patient, and safety has been
6 obtained collecting local and non-local -- that means
7 systemic -- adverse events, and from five phase I/II
8 studies we obtained hematology and biochemistry parameters.

9 What has been important for us is to have a
10 consistent study population in different studies. So the
11 study population that has been targeted in our program has
12 been low-risk superficial and nodular BCCs. This has been
13 included in four controlled studies, while we also in two
14 studies have examined the efficacy and safety of MAL-PDT on
15 high-risk nodular and superficial BCCs.

16 The definition that has been used to include
17 patients or characterize the lesion as high-risk has been
18 lesions in the H-zone or on the mid-face and ear. The
19 lesion could also be included if they are large fitting
20 into specific characteristics depending on the lesion site,
21 if the lesion also had a recurrence or was recurrent after
22 previous treatment, because it was considered high-risk,
23 and if lesions appeared on very severely sun-damaged skin.

24 It is important information that in all the
25 clinical studies, both in the high-risk as well as in the

1 low-risk, morpheaform or infiltrative lesions have always
2 been excluded. It is also important to mention that in the
3 low-risk superficial and nodular BCC studies, these high-
4 risk lesions were exclusion criteria.

5 So there have been two programs that have gone
6 in parallel. That is the efficacy evaluation of MAL-PDT
7 both in low or non-high-risk BCC in four controlled
8 studies, two vehicle-controlled and two active-controlled
9 studies, and then two studies in the high-risk population.

10 The safety of MAL-PDT has been obtained through
11 phase I/II and III studies and will be presented both from
12 the AK program that consisted of 383 patients and from the
13 clinical studies in BCC containing 538 patients. In
14 addition to that, the safety is also obtained from a
15 compassionate use study with more than 1,000 patients in
16 Norway, and we have also conducted three special safety
17 studies in healthy subjects. Then we also have post-
18 marketing data for more than 35,000 AK and BCC patients
19 from Europe.

20 Thank you. Then I will give it over to Dr.
21 Pariser.

22 DR. PARISER: Thank you, gentlemen. Thank you
23 to the group. As long as my voice holds out, I would like
24 to spend the next few minutes talking about the clinical
25 trials that I was involved in as an investigator, in one of

1 them, the four trials that were just mentioned, and then
2 make some comments about basal cell carcinoma in general
3 and where this treatment might fit into the regimen and
4 armamentarium, into the tool box that Dr. Stern described
5 that's available for treatment of skin cancer now, basal
6 cell now.

7 Well, in the United States, as well as
8 elsewhere, eradication of the tumor for treating basal cell
9 carcinoma is usually and almost always the goal of
10 treatment and is the primary goal that is sought. Cosmesis
11 is extremely important and maintenance of maximum normal
12 tissue preservation as well. Only in certain selected
13 cases is palliation or observation really a goal.

14 Dr. Stern reviewed very well the standard
15 treatments that we have now for basal cell carcinoma, both
16 high-risk and low-risk. He rightly talked about the 90
17 percent or more of patients that are treated by the primary
18 methods of electrodesiccation and curettage, cryosurgery,
19 excision, and Mohs. But I want to try to frame
20 photodynamic therapy as in the "other" category, the
21 category where we think about radiation therapy, topical
22 5-FU, and other treatments for in situations where any
23 surgical modality may not be the appropriate treatment.

24 As we decide what to do and how to treat basal
25 cell carcinomas clinically, we look at various factors:

1 the anatomic location, the histologic type, whether the
2 tumor is primary or recurrent, how big it is, and the
3 patient characteristics too, the cosmetic concerns of the
4 patient. We may treat a 25-year-old with a basal cell a
5 little differently than a 75-year-old. Patients may have
6 preference for various treatments. There are comorbid
7 conditions or concomitant illnesses that affect the choice
8 of therapies frequently, as well as the physician's skill
9 that was mentioned by Dr. Stern and preference. Of course,
10 we have to think about cost of treatment.

11 There really is no uniformly established
12 standard of care for basal cell carcinoma. We all do this
13 every day. All clinicians and dermatologists treat basal
14 cell carcinomas every day, but there really are essentially
15 no randomized controls of the modalities of treatment we
16 currently use all the time. The heterogeneous population
17 of patients and of lesions makes it difficult to produce
18 algorithmic guidelines which would apply to treatment of
19 all basal cells. So the lack of uniformity in the
20 populations and the lack of outcomes make reporting
21 difficult, and there really are no studies that adequately
22 compare, in the same study side by side, cure rate,
23 cosmesis, satisfaction, and cost. The study that Dr. Stern
24 cited is, of course, a meta-analysis and very good data,
25 but doesn't compare all the modalities in the same study.

1 So the meat of what I want to present to you,
2 the sort of core of this development program of this drug,
3 has been the two double-blind, vehicle-controlled studies
4 and two active comparator studies, the active comparators
5 being excisional surgery in one study and cryotherapy in
6 the other study. All of these four studies which I'll
7 describe for you were prospective multi-center, randomized
8 studies with parallel group design.

9 Of primary importance is the study of MAL-PDT
10 in low-risk basal cell carcinoma, and these are the
11 vehicle-controlled studies known as 307 and 308.

12 The 307 study was conducted at 8 sites in the
13 United States. Here is the list. I was an investigator in
14 this trial. The pathology for this was all done in a
15 central lab in Rochester. Dr. Gibson was the pathologist
16 who examined all the specimens.

17 The Australian study, identically designed, was
18 carried out in seven sites in Australia, and Dr. Murrell is
19 here as an Australian investigator, and these are the folks
20 who did this particular study.

21 The inclusion/exclusion criteria for the
22 studies were exactly the same. Included were only primary
23 nodular basal cell carcinomas not previously treated. The
24 exclusion criteria for these lesions were large lesions,
25 and "large" was defined differently for different places on

1 the body: 20 millimeters for extremities, 30 millimeters
2 for trunk, and 15 millimeters for face. Also excluded were
3 lesions located in the mid-face, those ones which are a
4 little more problematic clinically, and morpheaform lesions
5 were excluded from all the trials.

6 In order to precisely and accurately identify
7 where the lesions were and to be able to be sure that the
8 proper lesion was being evaluated in the follow-up periods
9 and to guide where the excision was going to be at the end,
10 India ink tattoo marks were used to mark the lesions. I
11 will show you a little picture about how that was. The
12 four tattoo marks were excised at the end of the study
13 during the surgical excision.

14 So here's a small tumor and I think you can see
15 the four India ink tattoo marks at the visual external
16 margins of the lesion which were used to locate the lesion
17 during the study and were used as a guideline for excision
18 at the end.

19 The specimens were all examined histologically
20 in a breadloaf fashion in the central laboratory with
21 sections cut not in Mohs fashion, but in breadloaf fashion,
22 as you see indicated. Multiple sections were taken. In
23 the 307 study, this is the number of sections examined per
24 millimeter of length of the specimen, so just under one in
25 the 307 and almost one-and-a-half sections per millimeter.

1 So there was quite a bit of sectioning done in the
2 breadloafing of that piece of tissue.

3 The investigators were trained on the technique
4 of performance of the MAL-PDT by on-site demonstrations and
5 each individual investigator site both in the U.S. and in
6 Australia. The same two trainers trained all the
7 investigators. Some of us even had the chance to visit
8 Oslo and learn how to do it in Norway. There was an
9 instructional video that was supplied to all the
10 investigators, as well as the written instructions which
11 were in the protocol. So these are these items
12 supplemented the written instructions.

13 Now, there was a question before about the
14 lesion preparation. The idea of the lesion preparation was
15 not to do a therapeutic curettage by any shape of the
16 imagination. The idea was to remove the surface epidermis,
17 a bit of the tumor to allow the medication to penetrate and
18 the light to penetrate into the depths of the tumor. This
19 is something which was done without anesthesia, to answer
20 the question, and it was a very surface debridement not
21 intended to be a therapeutic curettage. We will have a
22 discussion about whether in fact in some patients it may
23 have been a therapeutic curettage, but that certainly was
24 not the intent.

25 The primary efficacy variable of the study was

1 the complete histologic response in a patient. So for a
2 patient who may have had multiple lesions to be counted as
3 a responder for the primary efficacy variable, all the
4 lesions within any individual patient had to be totally and
5 completely cleared histologically. That was the endpoint
6 of the study.

7 Secondary endpoints that were looked at were
8 the histologic rate by lesion as opposed to by patient, the
9 clinical outcome by patient and by lesion, as well as the
10 cosmetic assessment by the investigator and the patient.

11 Also, the safety variables were looked at as
12 well and they'll be assessed in a separate presentation on
13 the safety from all the studies combined.

14 Definitions which were used in the study and
15 which I'll refer to in the results here are as follows. A
16 patient histologic response assessment. A complete
17 response was defined, as I said before, in a patient where
18 all lesions within that patient had a complete histologic
19 response, and the meant complete disappearance of all tumor
20 cells. And a non-complete response was not complete
21 disappearance of tumor cells.

22 In terms of the clinical efficacy evaluation, a
23 complete response was defined as complete disappearance
24 clinically of the lesion, no perceptible lesion clinically.

25 A partial response was defined in a lesion where the

1 longest diameter of the lesion was decreased by half or
2 more, 50 percent or more, and a patient was judged as
3 having no response -- there may have been some change in
4 the diameter of the lesion, but less than 50 percent.
5 Those patients were deemed to have no response. And then
6 the term "progression" was used if the lesion enlarged by
7 20 percent or more. So those were the definitions for the
8 trial.

9 In terms of the cosmetic outcome assessment,
10 the signs assessed were scarring, pigmentation changes,
11 atrophy, induration, and erythema. Those were graded
12 according to the definitions that you see on the right side
13 of the slide.

14 Now, this is the flow diagram for the studies
15 307 and 308, the two placebo-controlled studies. Now, the
16 patients, after randomization in the study, either had MAL-
17 PDT or vehicle-PDT which was a sham PDT procedure with the
18 same lesion preparation, the same application of
19 medication, the same illumination, only the active
20 ingredient obviously was not in the cream. These patients
21 received one treatment cycle, which is defined as two
22 treatment sessions 1 week apart. So the MAL-PDT or
23 vehicle-PDT was applied and performed 2 weeks apart, and
24 then the patients were followed for 3 months.

25 At the end of the 3 months, the patients were

1 judged as having a complete, partial, or no response, and
2 if they had a complete clinical response, they went into a
3 follow-up period where 3 months later, the lesion was
4 excised in a breadloaf fashion that I described for you.

5 If they had a partial response, they were
6 treated with another cycle of PDT, defined as two more
7 sessions 1 week apart. That was either the vehicle or the
8 active.

9 If they had no response, the lesion was excised
10 and they left the study.

11 Then those patients who had a second treatment
12 were followed for 3 months after that and were either
13 clinical responders, in which case the lesion was excised,
14 or an incomplete response, in which case the lesions were
15 treated and the patients left the study.

16 There were 65 patients with 80 lesions included
17 and treated in this trial, the 307 trial, that were
18 randomized roughly 50-50 to the MAL-PDT or the vehicle-PDT.

19 Two protocol deviations happened to have been in the
20 active drug group for the reasons that you see at the
21 bottom of the slide, leaving the following numbers in the
22 sample size of the study patients.

23 In the 308 study, the numbers are similar and
24 discontinuations were similar. You can see the reasons for
25 discontinuation there. So the total numbers of patients

1 are per protocol, as you can see in the bottom boxes.

2 Looking at the demographics of the patients in
3 both studies, there really was no significant difference.
4 The only thing that does show up on this slide is that in
5 the 308 study there's a little discordance in the gender of
6 the patients. That really was not deemed to have any
7 clinical relevance.

8 Most patients had 1 lesion that was treated,
9 the large red bar. A few patients had 2, 3, or 4 lesions.

10 Remember that for a complete response in a patient who did
11 have multiple lesions, all of the lesions had to be
12 histologically cleared. So a few more in the 307 and a few
13 less in the 308 with multiple lesions, but the vast
14 majority of patients did have only 1.

15 Now, this is the primary efficacy variable, the
16 meat of the presentation, if you will. This is the patient
17 response rate to the study in the 308 and the 307 by
18 patient listed first and then by lesion. So the primary
19 efficacy variable of the study which was the patient
20 complete response: in the MAL-PDT, 67 percent in the 308
21 and 78 percent in the 307 study, as opposed to 18 and 33 in
22 the vehicle-PDT. This is the primary efficacy variable
23 which does have a greater than .001 p value. So that's the
24 cure rate of this treatment in this study, displayed on an
25 intent-to-treat basis.

1 Lesion response, actually very similar numbers
2 as opposed to patient response.

3 There really was not any significant treatment-
4 by-center interaction in the primary efficacy variable,
5 although there was some variation in the center-by-center
6 results. In every center there was a higher response rate
7 for the MAL-PDT compared to the vehicle-PDT, and there were
8 two sites with very small numbers of patients that did have
9 extreme values that contributed 20 percent of the data in
10 the primary analysis.

11 Looking at the cosmetic outcome of the patients
12 in both the 307 and 308 studies, as you can see, the vast
13 majority of patients and investigators rated the cosmetic
14 improvement as good to excellent. No one rated it poor.

15 So the efficacy conclusions from these two
16 blinded vehicle-controlled studies, the primary efficacy
17 variable was demonstrated and that was a statistically
18 significant difference in the active versus placebo group
19 in these two controlled studies based on the primary
20 endpoint of complete histologic clearing.

21 Now, I'd like to switch gears and talk about
22 two additional studies, and these are active comparator
23 studies which were done outside the U.S. and in which I
24 personally was not an investigator.

25 There are 13 sites in the Europe in the 303

1 study. This is the 303 study, a randomized trial,
2 obviously not blinded, but a randomized trial of MAL-PDT
3 versus simple excision for primary nodular basal cell
4 carcinoma conducted by these 13 centers.

5 The second study we'll talk about is MAL-PDT
6 versus cryotherapy in superficial basal cell carcinoma,
7 again a prospectively randomized study conducted in these
8 12 sites in Europe.

9 Now, the main objective of these two studies
10 looking at them together is to compare the response rate in
11 a controlled population in a prospective fashion of these
12 two modalities, cryosurgery and excisional surgery, to MAL-
13 PDT.

14 Now, in designing this protocol, the protocol
15 was designed to pick up a clinically relevant difference of
16 15 percent or more in the response rate. So the study was
17 designed to pick up a greater than 15 percent difference.
18 In order for that to happen, the confidence limit had to be
19 above the negative 15 percent.

20 Secondary objectives were the cosmetic outcome,
21 adverse events in all the studies, and long-term follow-up.

22 The inclusion criteria for these two studies
23 were similar to the others in the case of untreated nodular
24 basal cell or superficial basal cell carcinoma and the
25 patients and lesions had to be suitable for treatment with

1 the comparator to be randomized in the comparator study.
2 The high-risk lesions were excluded. The morpheaform
3 lesions were excluded and the infiltrated lesions were
4 excluded, as defined previously.

5 So you'll see this looks pretty similar, the
6 study diagram. The patients who were randomized to MAL-PDT
7 had the same cycle of two treatment sessions, 7 days apart;
8 3 months later were judged to have a complete or non-
9 complete response. The non-complete response patients had
10 another cycle of PDT, two sessions 7 days apart, and then
11 were followed for 3 months, as were the ones who were
12 complete responders after the first treatment cycle.

13 Histology was not done at the end because this
14 study involved long-term follow-up.

15 So those patients who were randomized to
16 surgery in that same study had the surgery, and 3 months
17 later were evaluated as either complete responders or non.

18 If they were complete responders, they went into the
19 follow-up, and non-complete responders were dropped from
20 the study.

21 In the comparator study versus cryotherapy or
22 cryosurgery, these patients, those who were randomized to
23 the MAL-PDT group, had one treatment session of MAL-PDT and
24 3 months later it was decided whether it was a complete
25 response or non-complete. The non-complete had the usual

1 two treatments in the treatment cycle, and responders went
2 into follow-up. It's a bit complicated, but the ones who
3 had cryo in this study were followed at 3 months after the
4 cryo. The complete responders went into the follow-up,
5 non-complete responders in the study were retreated with
6 cryo and then were either deemed to be complete responders
7 and went into follow-up or non-complete. So I hope I've
8 explained that satisfactorily.

9 In terms of the excisional group, this simple
10 excision was done with a 5 millimeter margin, a very
11 generous margin for excision of basal cell carcinoma,
12 probably much more generous than done in clinical practice
13 when excision is done for basal cell carcinoma.

14 The cryotherapy, just the details of how that
15 was performed. It was done with liquid nitrogen. The
16 lesions were frozen to an icefield of a 3 millimeter margin
17 around the lesion. It was allowed to thaw for two to three
18 times the freeze time, and then a second cycle of
19 cryotherapy was applied for a minimum of 20 seconds.

20 In the 303 study, 103 patients randomized; in
21 the 304 study, 120. So those are the n's that we're
22 dealing with.

23 Demographics in the two studies together showed
24 no real difference in the populations going into these
25 studies.

1 In the excisional surgery study, most patients
2 had 1 and only a few patients had 2 lesions. In the
3 comparator study, a superficial basal cell carcinoma with
4 MAL-PDT, many more patients had more than 1 lesion, and
5 that's of course a common presentation for superficial BCC.

6 This is looking at the primary efficacy
7 variable 3 months after the treatment. The patients who
8 had the MAL-PDT, 45 out of 50, or 90 percent, met the
9 criteria of cure; that is, they were cleared. The
10 comparator, surgery, was 98 percent. The confidence limits
11 for this particular study, displayed here as MAL minus
12 comparator, do not encroach into that 15 percent window
13 that we talked about earlier. So these patients did meet
14 the primary efficacy variable.

15 Similarly, the MAL-PDT versus cryo was even
16 better, 95 percent. It actually beat the cryo and
17 certainly was well within that 15 percent. In fact, it was
18 on the other side. That was patient complete response
19 rate.

20 Looking at lesion complete response rate, the
21 MAL-PDT versus surgery, looking at lesion by lesion, 91 for
22 MAL-PDT, 98 for surgery, and in the cryotherapy, 97 for
23 MAL-PDT and 95 in this population.

24 Looking at the cosmetic outcome, pretty much
25 all surgery by definition leaves scars, and so scarring

1 would not qualify as an excellent improvement most of the
2 time. But you certainly can see that the investigators and
3 patients rated the MAL treatment excellent or good, much
4 higher than the surgery, particularly the patients who
5 seemed to like it more.

6 Looking at the cosmetic outcome of the cryo
7 versus MAL-PDT, the same things are found. The cosmetic
8 improvement was rated much higher with MAL-PDT than it was
9 with cryo. Dr. Stern so rightly talked about those
10 hypopigmented scars that we get from cryo all the time.

11 Now, this is an attempt at a life table to
12 follow over time what the recurrence rates may have been,
13 and I'll show you what's available to date about this. At
14 the 2-year follow-up point, 8 out of 53, or 15 percent, of
15 the MAL-PDT patients were treatment failures, and 1 out of
16 52, or 2 percent, of the surgery patients were treatment
17 failures. This line and this line are the number of
18 patients who are missing, lost to follow-up, and not
19 included in the table.

20 So here is the table going out to 24 months.
21 Now, this is going to be extended all the way to the 5-year
22 point, which is how the study was originally designed. But
23 you can look at the time to treatment failure in the top
24 line for surgery at the data points indicated and the MAL-
25 PDT at the data points indicated.

1 Looking at the cryotherapy comparator study
2 showing the same data, there was a 25 percent treatment
3 failure at 3 years. This is new data, and I think this is
4 part of the information that the agency has. This is a
5 little longer on the cryo 3-year follow-up. 25 percent
6 treatment failure estimate for the MAL-PDT, about the same
7 estimate for the cryo. Those curves are pretty much
8 superimposable.

9 So the conclusions from these two comparator
10 studies were that MAL-PDT did give a similar initial
11 response rate and a sustained response rate very similar to
12 cryotherapy in this prospective randomized trial versus
13 cryotherapy for superficial basal cell carcinoma.

14 Regarding the MAL-PDT response in excisional surgery for
15 nodular basal cell carcinoma, there was a similar initial
16 response but a lower sustained response rate compared with
17 surgery, still however meeting the criteria of 15 percent.

18 Also, the other conclusion was that the MAL-PDT was judged
19 by investigators and patients to have a superior cosmetic
20 outcome.

21 I will now introduce Dr. Dedee Murrell to talk
22 about some of the studies in the higher-risk patients.

23 DR. MURRELL: Thanks, David.

24 I'll be presenting the results of MAL-PDT in
25 the high-risk basal cell carcinoma patients. In addition

1 to being an investigator on one of these studies, because
2 I'll be presenting two, I was also an investigator on the
3 308 study that you just heard about and also a randomized
4 controlled study of MAL-PDT for actinic keratoses.

5 I'm a dermatologist trained in the United
6 States at Chapel Hill, have trial experience at Duke, and
7 was on the full-time academic faculty at NYU and
8 Rockefeller prior to going into clinical academic
9 dermatology in Sydney. Of course, practicing in Australia,
10 I treat a lot of patients with skin cancers and refer
11 patients to Mohs surgeons there too. So the practice is
12 not that dissimilar from practicing here.

13 In Europe, these were open, uncontrolled
14 studies in a high-risk group of patients, and the
15 dermatologists and the sites shown in Europe are eight,
16 shown here. It was not felt appropriate by these
17 investigators or their ethics committee that it would be
18 ethical to randomize these patients to an alternative
19 treatment, and hence, they were open, uncontrolled studies.

20 And these are the eight sites where the
21 separate study was conducted in Australia.

22 This shows the flow-through diagram that you've
23 seen before. In these two studies, the 205 study consisted
24 of 94 patients with 123 BCC lesions, and the 310 study, 102
25 patients with 165 lesions, making 196 patients altogether.

1 Now, the definition of high-risk BCCs in this
2 trial, as you know, did not include the morpheaform or
3 multi-focal, micro-nodular BCCs, but it did include in the
4 205 study large basal cell carcinomas which was defined in
5 this instance as being greater than 20 millimeters on the
6 extremities compared with 15 millimeters on the extremities
7 in the 310 study; greater than 30 millimeters on the trunk
8 in the 205 study and greater than 20 millimeters on the
9 trunk in the 310 study; and the same size, greater than 15
10 millimeters on the face.

11 Both studies included BCC lesions located in
12 the mid-face defined as the nose, nasolabial fold, and
13 orbital area, or ear in the 205 study and similarly in the
14 Australian study, including Swanson's described H-zone,
15 which includes the temple.

16 In the European study, recurrent BCCs were
17 included, and they were defined as a treatment failure
18 after two previous treatment within a year. In the
19 Australian study, we included a group of patients at high
20 risk for surgical complications, and these were patients
21 who may not have been able to have surgery because of
22 bleeding problems, patients on anticoagulant medications
23 such as warfarin, or were unsuitable for surgery for other
24 medical reasons.

25 In the 205 study, there was a subgroup of

1 patients who were severely sun-damaged and it was felt that
2 surgery and radiation therapy was not a good option for
3 those patients due to their frequent recurrence.

4 Although these pictures do not depict all our
5 patients, they're just to give you a flavor of the types of
6 patients that were included. In the 205 study these
7 patients have central facial lesions, here on the forehead,
8 the tip of the nose, a young woman with one above the lip,
9 and one behind the ear. There was a large group of
10 patients with multiple superficial basal cell carcinomas
11 such as this man on the trunk and this woman with the
12 superpubic lesion here. And this is an example of a couple
13 of the severely sun-damaged patients. I believe this woman
14 had at least 10 lesions included in the study, and this
15 man, who has had lots of previous surgery, who had a new
16 BCC on his cheek here.

17 In the 310 study in Australia, we also had
18 H-zone lesion patients. This is one of my patients here, a
19 young woman with a BCC on the temple and another young
20 woman with one on the nose.

21 In the 310 Australian study, we also included
22 quite a number of patients with large BCCs below the knee.
23 As we all know, this presents surgical problems from the
24 point of view of primary excision and closure because you
25 don't get lots of excess skin on your lower legs, and also

1 circulation problems. These lesions often have to be
2 treated with grafts and flap surgery. This is one of my
3 patients who was diabetic and elderly, an 80-year-old woman
4 with a large BCC on her lower leg with peripheral vascular
5 problems, and another one of my patients who had AIDS and
6 hepatitis B who had a large nodular BCC on the dorsal of
7 his foot.

8 So having said that, the study protocol was
9 similar to the ones you've just heard about. The patients
10 had two sessions, one treatment cycle, 7 days apart, as
11 you've heard. Then they had an assessment performed at 3
12 months in which, if it was clinically felt they had had an
13 incomplete response, they then went and had another MAL-PDT
14 cycle of two sessions again. However, if it looked as if
15 they had complete response, then they had biopsies taken,
16 which I will explain to you in a moment, and as long as
17 those biopsies were clear, these patients are being
18 followed annually for 5 years. These patients who
19 underwent two treatment cycles 3 months after the last
20 treatment cycle had the same assessment, and if it was
21 complete on biopsies, they went into the 5-year follow-up
22 group. And those patients where the biopsies showed there
23 was still BCC present went on to alternative treatments and
24 had to drop out of the study.

25 This shows the type of assessment that was done

1 at the 3-month period after the last PDT treatment, and
2 patients, in addition to having body maps done, of course,
3 and templates to mark out the lesions, if they were large
4 lesions, had this stamp put over the lesions, and in the
5 310 study, a 2 millimeter punch biopsies was taken from
6 every square millimeter of that stamping area. In the 205
7 study, one biopsy was taken per lesion from the clinically
8 most suspicious part of the lesion.

9 In addition, in the 205 study, they had an
10 independent reviewer who reviewed photographs and histology
11 reports from the lesion at the point where the patient was
12 recruited. This reviewer excluded 9 patients after going
13 through this process in this study. In addition, the
14 independent reviewer reviewed the cosmetic outcome and
15 response on pathology 3 months after the last PDT
16 treatment.

17 Now, to the results of these studies. This
18 shows the percentage of lesions by patient, and you see
19 that in both studies the majority of patients had 1 lesion,
20 especially in the 205 study. In the 310 study, 19 percent
21 had 2 lesions.

22 This bar graph shows the percentage of patients
23 having different types of BCC lesions. In the 205 study,
24 there was an equal distribution between superficial and
25 nodular types of BCCs, and as expected, the majority of the

1 nodular lesions were on the face and scalp shown in red.
2 In the 310 study, there was 50 percent of lesions which
3 were superficial, and the other 50 percent was equally
4 divided between nodular lesions and mixed
5 nodular/superficial types. Typically most superficial BCCs
6 were on the trunk.

7 The size of the lesions was similar between the
8 two studies: 23 millimeters for 205 and almost 20
9 millimeters for the 310 study.

10 The distribution of the types of high-risk
11 lesions included was similar in that the large lesions
12 comprised about 50 percent of both studies: the mid-face
13 lesions, a higher proportion, 43 percent in the 205 study;
14 29 percent, H-zone lesions in the 310 study.

15 In the 205 study, 13 percent of lesions were
16 recurrent, defined as two recurrences within 1 year.
17 Surgical risk only patients comprised 16 percent of the 310
18 study patients, and 15 percent of these study patients were
19 the severely sun-damaged patients.

20 This is our primary efficacy endpoint result by
21 patient. So every patient had to have all of their lesions
22 completely responding and by intention-to-treat analysis at
23 3 months after the last PDT treatment. In the 205 study,
24 it was a 72 percent response by patient, and in the 310
25 study, an 80 percent response.

1 This shows for the 310 study the results broken
2 down by type of high-risk category, and you'll see that the
3 patients at high risk because they were unable to undergo
4 surgery had a 100 percent response, and the other high-risk
5 subgroups had similar response rates in the low 80s.

6 The lesional complete response rates, which we
7 would expect to be higher, by intention-to-treat analysis
8 in the 205 study was 75 percent and in the 310 study 85
9 percent. The cosmetic outcome was graded in the 205 study
10 by the investigators and the independent reviewer and found
11 to be good to excellent in most cases, and in the 310
12 study, by the investigator and the patient, and again found
13 to be good to excellent, with higher ratings by the
14 patient.

15 These are these life tables again, the time to
16 event tables. The pink line shows you the results for the
17 205 study going out to 36 months, and the blue line, the
18 310 study, going out to 24 months, 2 years. These numbers
19 just give you an idea of the numbers of lesions that were
20 present at the beginning of the study and that are being
21 followed currently. So that gives you a good idea of the
22 treatment failures at the beginning and then the
23 recurrences that are developing with time.

24 So, in conclusion, from these two uncontrolled
25 studies, the 205 and 310, in this definition of high-risk

1 BCC we see some supportive evidence of efficacy and
2 especially utility in some patients with these types of
3 high-risk superficial and nodular BCCs. I believe that
4 MAL-PDT offers an alternative treatment -- not a primary
5 treatment, an alternative treatment -- for BCCs when Mohs
6 might not be the usually used or preferred treatment. Such
7 examples might include some of these multiple large
8 superficial BCCs, patients with lower leg lesions, and
9 patients with medical contraindications for the use of
10 surgery.

11 In addition, the studies demonstrate good to
12 excellent cosmetic outcome in patients with central facial
13 and ear lesions and large superficial BCCs.

14 Now, we will have the important presentation on
15 the safety results from all of our studies by Dr. John
16 Posner.

17 DR. POSNER: Thank you. Good morning, ladies
18 and gentlemen. My name is John Posner. My background is
19 internal medicine and clinical pharmacology in the
20 pharmaceutical industry, and I've been working as a
21 consultant independently with PhotoCure now for the last
22 five years.

23 I'm going to present the safety data on the BCC
24 and AK population, the total experience that we have with
25 this product. I'll start with some definitions and

1 methodology, describe the safety patient population, the
2 adverse events, a brief word about clinical laboratory
3 data, and finally the important subject of irritancy and
4 sensitization.

5 Adverse events and serious adverse events were
6 defined in accordance with the ICH guidelines on good
7 clinical practice.

8 To err on the side of caution, treatment
9 related were considered all those that are classified by
10 the clinicians as yes or uncertain.

11 The period of recording is important to note.
12 They were different for actinic keratosis lesions where the
13 period of recording was confined to 3 months after the last
14 treatment, that is to say, when the final assessment was
15 done; whereas with the basal cell lesions, it went from the
16 randomization to 6 months for all adverse events and then
17 continuing during the whole of the recurrence period for
18 serious adverse events which, of course, includes deaths.
19 Currently we're just coming up to 3 years. In some trials
20 we're there and some we're not quite there.

21 The coding I'll say a word about in a moment
22 when we look at the non-local adverse events, but
23 essentially local adverse events are those applying,
24 according to the WHO classification systems of system organ
25 classes, to skin and appendages. There are some terms that

1 are not in the dictionary like bleeding skin, tingling
2 skin, and pain in the skin that were added by the sponsor.

3 The non-local adverse events are all those adverse events
4 relating to other system organ classes.

5 The population then. We have clinical trials
6 in basal cell carcinoma, which you've heard about, of 538
7 patients. That also includes the early phase I/II studies
8 with a relatively small number of patients. Clinical
9 trials in actinic keratosis here as 383 patients,
10 compassionate use of over 1,000 patients, and some post-
11 marketing experience currently up to about 35,000 patients.

12 Most of what I'm going to be talking about, of
13 course, is the clinical trial population, and here the
14 clinical trial safety population is the same as the intent-
15 to-treat, which is all patients randomized to treatment who
16 received at least one dose of the randomized medication or
17 who underwent at least one of the other interventions.

18 All the MAL-PDT patients in BCC are mentioned
19 here, 538 patients with 857 lesions and over 1,600 PDT
20 sessions because, of course, many of them had two or more
21 sessions. In addition, we have these 383 AK patients, but
22 because of the number of patients with multiple lesions, we
23 actually have over 2,000 PDT sessions here, and the total
24 comes to nearly 4,000 sessions of MAL-PDT.

25 Now, the number of clinical patients in

1 clinical trials with treatment emergent adverse events.
2 Here you see the 538 BCC patients, and about 80 percent of
3 the patients had adverse events. As you can see from the
4 breakdown, 75 percent of those, three-quarters of those,
5 were local adverse events, and 27 percent are classified as
6 non-local. In AK, actually the profile is very, very
7 similar: 74 percent local and 22 percent non-local.

8 The deaths and serious adverse events. In the
9 BCC population, we have 18 deaths and we have, in terms of
10 serious adverse events, about a 5 percent rate here. None
11 of the deaths and none of the serious adverse events, with
12 the exception of one, were considered to be treatment-
13 related and they certainly weren't local.

14 This one local serious adverse event was a
15 patient who had severe pain at the time of illumination and
16 the patient required admitting to hospital, and for that
17 reason was considered a serious adverse event. The patient
18 received analgesia. The pain subsided and he went home the
19 next day.

20 You'll notice that the death rate here, the
21 mortality on the BCC, is considerably higher than that with
22 AK. This simply reflects the duration of follow-up. Here
23 we're talking about elderly patients being followed for 3
24 years and inevitably there will be deaths. We've obviously
25 looked very carefully at all of these and there isn't

1 anything that is even vaguely treatment-related there. AK
2 was a much shorter period of follow-up.

3 The non-local adverse events. So these are not
4 the serious ones, but they are classified as non-local. I
5 want to make the point that there is very little evidence
6 of systemic adverse events. In fact, the commonest cause
7 for a non-local adverse event was a basal cell carcinoma
8 discovered at another site. This was coded, according to
9 the system, as a neoplasm and, therefore, because it didn't
10 fall under skin and appendages, got classified as a non-
11 local adverse event. Clearly it's not systemic.

12 The same applied to surgical intervention for a
13 preexisting skin lesion. So the surgical intervention in
14 that case went down as a non-local adverse event.

15 If one then removes those, because they're not
16 systemic, one is left with a variety of individual symptoms
17 as you would expect, influenza-like symptoms, occasional
18 reports of headache, and then even more occasional
19 dizziness or blurred vision. I should point out any
20 reports of blurred vision we've looked into carefully.
21 They were not local to the site of treatment.

22 So our conclusion from careful scrutiny of
23 these non-local adverse events is that in fact there's no
24 evidence of systemic effects of this treatment.

25 Now to the local adverse events, and the vast

1 majority of these were treatment-related. They come under
2 really this complex of symptoms and signs which we call
3 phototoxicity. Typically it is pain or discomfort at the
4 site of illumination on treatment. The pain is often
5 described as burning or stinging, and also the other most
6 common adverse event of this nature that goes into the
7 phototoxic complex is erythema, almost invariable. Edema
8 of the skin is also frequent.

9 If we look at the profile of the relative
10 incidence of these for the total population of BCC and AK,
11 we're talking about erythema being the most frequent. Pain
12 in the skin, burning skin, we also have stinging skin
13 there, the edema, local pruritus, crusting, blisters,
14 suppuration. And this goes down to the 5 percent level.
15 You have a more complete table in your briefing document
16 that goes down to the 1 percent level.

17 I should point out that the numbers are quite
18 inflated here because if a single patient said that they
19 had pain and they also said that they had burning and
20 stinging, that went down as three separate adverse events
21 which were all rated.

22 The severity. The majority of them are mild or
23 moderate, but we do have some patients, averaging about 10
24 percent, which are classified as severe. This was usually
25 pain. So 1 in 10 patients approximately will have

1 complaint of severe pain beginning at the time of
2 illumination and subsiding rapidly afterwards. There was
3 no difference in any of these between the basal cell
4 carcinoma and the actinic keratosis populations.

5 Despite this high incidence of phototoxic
6 adverse events, the discontinuations really were few and
7 far between. In the basal cell population, we just have .7
8 percent actually discontinuing, and AK, just slightly more
9 than that, an average of 1 percent withdrawals.

10 The duration of the local adverse events. Skin
11 pain, burning, stinging, tingling, as I say, generally
12 starts at the time of illumination and then subsides over
13 the next few hours, and the median time is less than 1 day,
14 so generally on the day of treatment, subsiding rapidly.

15 Edema and other inflammatory signs you see
16 listed here typically lasts for a few days up to 1 week,
17 and erythema and crusting, as you would expect, skin
18 ulceration occasionally, suppuration infection in about 1
19 percent of patients generally resolve within a couple of
20 weeks.

21 We were interested to know if the number of
22 local adverse events, phototoxicity, increased or decreased
23 with the number of sessions that the patient receives. So
24 we've broken this down by the number of sessions and the
25 incidence of local adverse events in this BCC population.

1 So here we see 250 patients who received two
2 sessions. In fact, we find that the incidence of
3 phototoxic adverse events decreases. Here we have 94
4 patients who had four sessions and we see that it's
5 actually declined pretty well from at least the third
6 session of PDT. So we can say that there's certainly no
7 increase in the number or the severity of adverse events
8 with repeated application.

9 Looking at the comparative data -- and, of
10 course, although these studies were powered adequately for
11 efficacy endpoints, they are small in terms of safety. But
12 if we look at the difference between the MAL-PDT and the
13 placebo or vehicle-PDT groups, in this particular
14 population, we do see a difference, the typical 74 percent
15 here for the MAL-PDT, but almost half the patients
16 receiving the placebo or vehicle treatment also had local
17 adverse events, no doubt reflecting the preparation, cream
18 application, illumination procedures.

19 In terms of non-local adverse events, the
20 percentages here are slightly higher in the MAL-PDT but
21 very few of these, four cases, were considered to be
22 related here and two there. So the vast majority of these
23 are not considered to be treatment-related.

24 The severity: mild, 47 percent; moderate, 53
25 percent; no severe here in the MAL-PDT. The placebo really

1 rather similar.

2 The results in comparison with surgery are
3 confounded by the fact that all the patients who had
4 surgery had local anesthesia, whereas those with MAL-PDT
5 did not. So it's really rather difficult to interpret
6 these results, but what we can say is that under the local
7 anesthetic, the surgery incidence of local adverse events
8 is 16 percent versus the MAL-PDT of 50 percent not under
9 local anesthetic. No difference in the number of non-local
10 adverse events. Here the severity, all of the surgical
11 ones were mild.

12 Cryotherapy. The results in terms of adverse
13 events and particularly local adverse events are very
14 similar with MAL-PDT and the cryotherapy, 70 percent, 78
15 percent; and non-local here, 28 percent, 36 percent, the
16 vast majority again not being considered to be treatment-
17 related. The severity of these is rather similar for the
18 two treatment modalities.

19 Those are the clinical trial data. We then
20 have the compassionate use study in which just over 1,000
21 patients were treated in Norway, mostly at the Norwegian
22 Radium Hospital, but also in some other centers. These
23 were patients with a variety of lesions, mostly BCC, and
24 nearly 1,500 AK lesions and some other non-melanoma skin
25 cancers. There was no formal GCP here, good clinical

1 practice, as performed in clinical trials, but there was
2 some collection of solicited data on pain and erythema, and
3 the outcome essentially is in line with the clinical
4 trials, that the majority of patients have pain and
5 erythema, but there were very few non-local adverse events.

6 We do have some post-marketing experience. The
7 product was launched initially in October 2001 in Sweden,
8 and in the last year and particularly in the last few
9 months, we have the UK and Germany coming on stream, plus
10 three other Nordic countries. So by June of this year, we
11 have some experience of an estimated 35,000 patients which
12 probably represents certainly over 50,000, maybe as many as
13 70,000, PDT sessions.

14 These are the spontaneous adverse reports that
15 have come into the company either through the regulatory
16 authorities or directly. Most of them are fairly
17 unremarkable, but I would like to mention these two
18 classified as eczema by the clinicians.

19 One of these was considered to be an allergic
20 response, but no patch test was done, and the description
21 of the symptoms and signs are, in fact, completely
22 compatible with phototoxicity. It's really very difficult
23 to distinguish that. So we don't know whether that was a
24 case of sensitization.

25 The other case has been more thoroughly

1 explored. It was a patient with diabetic necrobiosis
2 lipoidica on the lower legs and had several treatments with
3 MAL for this condition. The patient developed an allergic
4 response after several treatments with some blisters, and
5 the patient was rechallenged with MAL and with ALA some
6 weeks later. The patient was positive to a skin patch test
7 to MAL but not to ALA. Actually at the very highest
8 concentration of ALA, 10 percent, there was a very weak
9 response. Essentially it was a positive skin patch test.
10 And that is the only definite case, confirmed case, of
11 sensitization that we can refer to.

12 We'll come back to the question of
13 sensitization in a moment, but just a word about clinical
14 laboratory data. As has been said, we are confident that
15 the absorption of this drug is minimal, and so we do not
16 expect to see adverse events of a systemic nature. But
17 nevertheless, we've monitored clinical laboratory data in
18 the phase I and II studies, a total of some 375 patients,
19 and in particular concentrated on liver function tests
20 because the target organ toxicity at concentrations many
21 thousand times more than at which a patient would be
22 exposed systemically was the liver.

23 To cut a long story short, what we can say is
24 that there was a completely uniform distribution, quite
25 random, of changes in liver function in terms of

1 transaminases and bilirubin, with no patient actually
2 having more than a twofold increase over their baseline
3 value after treatment, and the vast majority of patients
4 actually having a change of less than 40 percent. Normally
5 one clinically thinks of times 2 or times 3 the upper limit
6 of normal, and none of the patients showed this sort of
7 increase. There were, of course, a few patients just
8 through random distribution above the upper limit of normal
9 when they started and a few when they finished, but there
10 was really no indication of any change here. And we
11 conclude that there are no clinically relevant findings in
12 liver function tests or other laboratory parameters, and
13 for that reason, clinically laboratory tests were not
14 monitored in the phase III studies.

15 Now, the question of irritancy and
16 sensitization and cross-sensitization to 5-ALA. Two
17 preliminary studies were done in healthy volunteers which
18 suggested that there was no irritancy for a 24-hour
19 exposure, but if you exposed for 2 weeks continuously, then
20 you started to get an incidence of irritation, and when
21 patch tests were done, there was an incidence of positives.

22 So a much larger study was set up, this study
23 110, in which it was intended to recruit over 200 subjects.

24 These are all healthy volunteers, and 224 were screened,
25 but in fact because they were in staggered groups, not all

1 of them entered. The last group was not actually entered
2 into the induction period, and the reason for that was that
3 it was quite clear that there was a high incidence of
4 irritancy and it was felt that it would be inappropriate to
5 just recruit and put in another cohort.

6 156 subjects had a 3-week application of MAL
7 and its vehicle on the upper back. MAL and the vehicle
8 cream were applied 3 times a week, so a total of 9 times
9 during the induction period under Finn chambers and tape
10 occlusion continuously. They did not have any
11 illumination, and there was no rest period. At the end of
12 the 3-week induction, they then had a 2-week rest period,
13 followed by a challenge on the arm with MAL or its vehicle
14 or 5-ALA and its vehicle.

15 Because of the high incidence of irritancy, in
16 fact a number of volunteers by mutual agreement with the
17 investigator, who was Professor Ronald Marks in the UK who
18 is a specialist in this area, it was agreed not to
19 challenge them all with the MAL. So actually 58 subjects
20 had the MAL and the ALA challenge and 40 just had the ALA
21 or vehicle. Then the challenges were read over a course of
22 48 hours.

23 All but 1 subject reacted with erythema during
24 the 3-week induction period to MAL. The earliest reaction
25 of moderate severity, a grade 2, occurred after 4 days of

1 constant exposure, nothing before that. There was very
2 little reaction observed on sites exposed to vehicle.

3 Sensitization on patch testing of the 58
4 subjects that were challenged with MAL, 52 percent had
5 clearly positive reactions with MAL and just 1 subject with
6 the vehicle, and of the total 98 subjects who were
7 challenged with ALA, there were no positive responses.
8 This is very important because, of course, ALA is an
9 endogenous material.

10 The conclusion then is that there is no doubt
11 that MAL can cause irritation and contact sensitization,
12 but there's no evidence of cross-sensitization to 5-ALA.

13 We do question the relevance of these findings.

14 Sensitization in clinical practice has been rare, with
15 just the one confirmed case that I've described and no
16 other confirmed cases in the clinical trial population.
17 This one confirmed was from the post-marketing experience.

18 The conditions in clinical practice are really very
19 different. We have a short exposure, 3 hours versus 3 weeks
20 continuous, and we don't see any irritancy due to the cream
21 before illumination. Of course, irritancy is strongly
22 associated with sensitization.

23 The illumination that is carried out in the
24 normal clinical procedure after 3 hours results in
25 photobleaching and, of course, phototoxicity. The

1 photobleaching means that the photoactive porphyrins have
2 all been destroyed, which is an important feature of the
3 safety, we feel, of this treatment, and the phototoxic
4 reaction probably has an influence on the possibility of
5 any immunological response, though that is of course
6 speculative.

7 Finally, the occlusive dressing was different,
8 Tegaderm versus an aluminum Finn chamber and opaque
9 adhesive tape. We can't say how important that is.

10 So to summarize our overall safety conclusions,
11 we've got experience in clinical trials of over 900
12 patients, compassionate use in over 1,000 patients, and
13 post-marketing data from certainly more than 35,000
14 patients. We have no clear evidence of systemic effects of
15 MAL-PDT. It does not cause generalized photosensitivity,
16 and it's very well tolerated despite the frequent local
17 phototoxic reactions with just the 1 percent incidence of
18 discontinuation in our trials.

19 MAL can cause local irritation and contact
20 sensitization, but this was in a very intensified and
21 prolonged exposure. Importantly though, despite that,
22 there was no cross-sensitivity to ALA. And definite cases
23 of sensitization in clinical practice appear to be rare.
24 There's only one confirmed case in the post-marketing.

25 Thank you, and I'll now hand you back to Dr.

1 Hestdal to sum up the perception of benefit-risk.

2 DR. HESTDAL: So I will then try to conclude
3 and close the session, and I've been asked to discuss this
4 benefit-to-risk ratio of MAL-PDT in treatment of BCC based
5 on the data that we have presented this morning.

6 This slide summarizes the demonstrated benefits
7 of MAL-PDT. Safety and efficacy have been established in
8 two vehicle-controlled studies based on histological
9 endpoints. We have shown that initial and sustained
10 response rates were similar to cryotherapy through 3 years
11 of follow-up, and a favorable safety profile has been
12 established in clinical trials as well as through post-
13 marketing experience. Cosmetic outcome, judged both by the
14 investigators as well as the patients, is superior to that
15 of cryotherapy and excisional surgery.

16 The risks of MAL-PDT that we have discussed is
17 manifold. Firstly, MAL-PDT was shown to give a smaller
18 initial response and lower sustained response rate compared
19 to surgery after treatment of nodular BCC. However, our
20 histology data shows that there is a retained ability to
21 treat with other modalities in the case of treatment
22 failures.

23 Secondly, treatment success of MAL-PDT may
24 require a second course of treatment at 3 months in some
25 individuals. However, our data also show a similar rate of

1 retreatment with cryotherapy. In addition, BCC treatment
2 guidelines already incorporate follow-up after other
3 treatment modalities.

4 There is an indication of mild to moderate
5 local phototoxic reactions. However, very few patients
6 withdrew due to these phototoxic reactions.

7 Lastly, skin sensitization potential has been
8 shown on the basis of special studies with very prolonged
9 and extreme conditions. However, low rates are expected in
10 clinical use based on the clinical trial and post-marketing
11 data.

12 Therefore, in conclusion, MAL-PDT is a new and
13 unique non-surgical treatment option for BCC with a
14 favorable benefit-to-risk. We strongly think that this
15 should be indicated for treatment of nodular and
16 superficial BCC where surgery is not desirable.

17 In that way, I will thank you and this is the
18 end of the presentation on behalf of PhotoCure. I will
19 thank you very much.

20 DR. STERN: Thank you very much for your
21 presentations.

22 Because the presentations went over, I would
23 prefer if we only ask questions of clarification before the
24 break and then went on to the FDA. There will be plenty of
25 time for longer questions. So let me give three examples

1 of questions for clarification that I have.

2 It's my understanding that the application is
3 for the treatment of superficial and nodular basal cell and
4 not for high-risk lesions that's before the agency. These
5 are questions that I hope would be yes/no or it's this or
6 that. Is that correct?

7 DR. HESTDAL: Could you please repeat?

8 DR. STERN: Does your application include the
9 treatment of high-risk lesions? Yes or no.

10 DR. MORRIS: Yes.

11 DR. STERN: The application before the agency
12 includes as an indication the treatment of high-risk basal
13 cells?

14 DR. MORRIS: No.

15 DR. STERN: No. Okay, thank you.

16 DR. MORRIS: It includes data on that.

17 DR. STERN: Yes, but it does not include it in
18 the application.

19 DR. MORRIS: No.

20 DR. STERN: These are all just simply that.

21 A procedural one, something about the
22 procedure. Before the application of PDT at each of the
23 sessions, was curette done again before applying the agent
24 or was it only applied with the first time a patient was
25 treated?

1 DR. MORRIS: Maybe we should let the clinician
2 answer that.

3 DR. PARISER: The one-word answer is yes. Each
4 session of PDT, curettage and lesion debulking is part of
5 the treatment.

6 DR. STERN: My third -- just because the data
7 weren't presented -- and maybe you should stay there -- is
8 it looks to me, from the data presented, that somewhere
9 between one-third and one-half of patients in the studies
10 had at least three PDT treatments. Is that correct?

11 DR. MORRIS: Yes, about one-third needed a
12 retreatment. Yes.

13 DR. STERN: Thank you.

14 Any other questions of clarification of that
15 sort of yes/no, what did you do, as opposed to the data and
16 what it means? Yes.

17 DR. KATZ: Of the lesions in the H-zone, what
18 was the size of those lesions? That was not enumerated.
19 You told us on the superficial ones. Do you have that?

20 DR. MURRELL: They could be small lesions, but
21 I believe some of those lesions were large lesions.

22 DR. KATZ: There were no limits on size.

23 DR. MURRELL: That's what I recall.

24 DR. KATZ: The other question is what was done
25 for the bleeding after the curettage. Some styptic or you

1 had a little bleeding there?

2 DR. MURRELL: There was a little bit of
3 bleeding but we never needed to use cautery for that.

4 DR. KATZ: Just pressure?

5 DR. MURRELL: Yes, pressure and when you put
6 the cream on, sometimes there was a bit of blood mixed in
7 with the cream under the dressing.

8 DR. KATZ: But no cautery was done, no styptic.

9 DR. MURRELL: No.

10 DR. KATZ: Thank you.

11 DR. DRAKE: Two quick questions. On the
12 cryosurgery, did you use a temperature probe or was it just
13 all visual inspection?

14 DR. PARISER: It was visual inspection.

15 DR. DRAKE: Second question. I should know
16 this but how deep does the light penetrate?

17 DR. HANSSON: We actually have a slide of that
18 on the various blue light, green light, red light. Red
19 light penetrates at this wavelength where you don't have
20 any quenching by heme far into the dermis. So the light
21 penetration has no limitation for the treatment effect.

22 DR. DRAKE: I actually would respectfully ask
23 you to -- we can do it after the break, but I'd like you
24 maybe to consult because I don't think you can say there's
25 no limitation to how deep light goes. There are clearly

1 measures of each wavelength about how deep they'll go. So
2 if you could clarify that a little more for me after the
3 break, I would appreciate that. Thank you.

4 DR. RINGEL: I understand that for studies 307
5 and 308 excisions were done after the tattooing and after
6 the treatments had occurred. What were the margins taken
7 around the tattoos, or were only the tattooed areas
8 excised?

9 DR. PARISER: Well, the tattoos were placed
10 just beyond the visual margins of the lesions, and the
11 excisions were taken to include the tattoos. It was not
12 prescribed. 3 millimeters from the lesion and the tattoo
13 was placed on the edge of the lesion and the excision was 3
14 millimeters from the lesion. Where the tattoo was in
15 relation to that was not prescribed by the protocol.

16 DR. RINGEL: The lesion has completely
17 disappeared because this is a complete response. So all
18 you see is the tattoo. Was there a margin taken around the
19 tattoo, and if so, how much?

20 DR. PARISER: Well, 3 millimeters. It was
21 assumed that the tattoo was placed at the edge of the
22 lesion. So when the patient came back and was responding,
23 the 3 millimeters from that included the tattoo.

24 DR. STERN: Dr. Plott?

25 DR. PLOTT: My question is similar. Was there

1 any attempt to characterize the recurrent to incomplete
2 responses after they were excised?

3 DR. PARISER: In what way? The histologic type
4 of the lesion or --

5 DR. PLOTT: To look at was it more aggressive
6 or any characterization --

7 DR. PARISER: We can ask Dr. Gibson, the
8 pathologist, to comment about that.

9 DR. PLOTT: Well, just yes or no.

10 DR. PARISER: There was no change in the
11 lesion. We didn't convert any nodulars to morpheaform
12 basal cells.

13 DR. TAN: So in the vehicle arm, did you use a
14 placebo cream? What kind of light was used?

15 DR. PARISER: Well, the vehicle treatment was
16 the exact same cream in the placebo without the active
17 ingredient and the illumination was the exact same
18 illumination. So the placebo treatment, as we defined it,
19 consists of the application of the vehicle cream without
20 the active ingredient, the application of the occlusion for
21 3 hours, and the same illumination as was carried out with
22 the active.

23 DR. TAN: Illumination is the same.

24 DR. PARISER: Yes, correct.

25 DR. STERN: But clearly you're not calling

1 these blinded studies.

2 DR. MORRIS: Yes.

3 DR. STERN: I don't know how you can call it a
4 blinded study when at least 75 percent of the people get
5 stinging and burning, if not 100 percent, with the agent.

6 DR. PARISER: Well, the investigator and
7 evaluator of the lesions was not present at the time of the
8 treatment and --

9 DR. STERN: It's not patient-blinded at least.

10 DR. PARISER: Correct.

11 DR. STERN: Okay.

12 DR. PARISER: However, some patients on placebo
13 did get a similar response.

14 DR. KING: To begin to frame the question that
15 Dr. Wilkin asked about writing kinds of input for the PDR,
16 generally when you think about surgery and cryosurgery, et
17 cetera, you think about exclusionary kinds of things. If
18 you have somebody who has a tendency with cryoglobulin for
19 cryosurgery, that would be a complication or bleeding in
20 blade surgery.

21 What did you do to exclude patients who may
22 have undue phototoxic responses or indeed may have
23 porphyria? I didn't see anything about what are
24 contraindications in the whole description here. So you're
25 saying basically there are no contraindications.

1 DR. PARISER: That was an exclusion criteria
2 with porphyrias. Some natural porphyrins are present in
3 the skin which may account for some of the placebo response
4 in this.

5 DR. STERN: Any more clarification questions?

6 (No response.)

7 DR. STERN: Then we'll have a 16-minute recess
8 and be back at 10:20. Thank you.

9 (Recess.)

10 DR. STERN: I'd like to reconvene the meeting
11 with the beginning of the FDA presentation on the
12 application for MAL-PDT for superficial and nodular basal
13 cell cancer.

14 DR. VAUGHAN: Good morning, Mr. Chairman. Good
15 morning, members of the advisory committee, invited guests,
16 and attendees.

17 NDA 21-576 is being reviewed for the use of
18 methyl aminolevulinate cream, or MAL, sometimes referred to
19 as methyl ALA, with curettage and photodynamic therapy --
20 I'll be referring to that as PDT -- for the treatment of
21 basal cell carcinoma.

22 The FDA clinical and statistical review team
23 consists of the medical review team: Dr. Markham C. Luke,
24 dermatology team leader; myself; Dr. Brenda Vaughan,
25 medical officer. The statistical review team consists of

1 Dr. Shiojjen Lee, who is on leave, and Dr. Mohamed Alesh,
2 the statistical team leader, who will be presenting today.

3 Curette-MAL-PDT is a drug/device combination,
4 the physical and the chemical. It is a drug/device
5 combination, and it consists, as you have seen, of lesion
6 preparation, of curettage, application of MAL cream under
7 occlusion for 3 hours, cream removal, and illumination with
8 the CureLight lamp. Although the device is reviewed by the
9 Center for Devices and Radiological Health, the device is
10 an integral part of the application for this drug for
11 treatment of basal cell carcinoma.

12 Now, you've heard some discussion this morning
13 about results in primary superficial BCC. The agency
14 agreed that one independent multi-center, randomized,
15 active-controlled study conducted in patients with primary
16 basal cell carcinoma might be acceptable depending upon
17 evidence of safety and efficacy being established for the
18 nodular BCC indication. Therefore, the comments that I
19 will be presenting today will focus on the primary nodular
20 basal cell carcinoma indication.

21 It has been established that curette-MAL-PDT is
22 statistically superior to curette-vehicle-PDT in the
23 treatment of primary nodular basal cell carcinoma. The
24 issues that we would like for the committee to consider and
25 discuss today are the adequacy of these studies. You will

1 be asked to consider the adequacy of the studies for
2 estimating the cure rates with use of MAL cream based on
3 early histology of the physical studies and the small
4 number of patients that were enrolled in these studies,
5 also the minimal recurrence data available for nodular BCC.

6 You will also be asked to discuss and consider
7 the adequacy of instructions of lesion preparation, and Dr.
8 Alesh will discuss the apparently high vehicle-PDT response
9 rate and the wide center-to-center variability.

10 Since this is a skin cancer, we're going to ask
11 you to consider the estimate of cure rate for MAL-PDT
12 versus surgery, which we consider the gold standard.

13 From the data that have been submitted, there
14 does not appear to be a systemic safety signal based on the
15 laboratory and reports of non-local adverse events.
16 However, we will ask you to consider the local safety
17 surrounding pain and the minimal information provided
18 regarding anesthesia and pain control and an unusually high
19 contact sensitization to MAL cream.

20 Measurements of efficacy. The agency proposed
21 that efficacy be based on clinical observation and excision
22 histology and that 5-year recurrence rate data be
23 presented. We agreed that 2-year data would be acceptable
24 for filing of the NDA. PhotoCure submitted the pivotal
25 studies based on excision histology alone, other studies

1 based on clinical observation alone, and recurrence rates
2 based on clinical observation.

3 Studies that were interpreted for efficacy by
4 the agency for the primary nodular indication were two
5 vehicle-controlled randomized studies, 307 and 308; one
6 open-label randomized MAL-PDT versus surgery for recurrence
7 rates. But we also looked at a phase II open-label, non-
8 randomized MAL-PDT study for recurrence rates which also
9 had superficial patients enrolled, and I'll speak about the
10 problems we have with including this study for the
11 recurrence rates.

12 The pivotal studies were two studies conducted,
13 one in the U.S., one in Australia. Study 307 in the U.S.
14 enrolled only 33 patients randomized to the curette-MAL-PDT
15 study arm and 32 patients randomized to the curette-PDT
16 group. The study in Australia also had only 33 patients
17 randomized to curette-MAL-PDT and 33 patients randomized to
18 the vehicle group.

19 I'd like to draw your attention to the study
20 design and thank the sponsor also for mapping out the
21 design because it is complex. The study design consists
22 that patients would receive either one or two treatment
23 cycles. The first treatment cycle consisted of two
24 curette-MAL or vehicle-PDT treatment. Treatments were to
25 be identical, conducted 7 days apart, and followed by

1 clinical assessment at 3 months. If there was a partial
2 response to the first treatment, then a second treatment,
3 identical cycle, would be repeated with two additional PDT
4 sessions conducted 7 days apart.

5 The pivotal study designs were as follows. At
6 the 3-month clinical evaluation, this was the time to
7 determine further management. If the lesion were in
8 complete response, in other words, complete disappearance
9 of the lesion, the lesion was followed and excised for
10 histology at 6 months. If there were a partial response
11 where the lesion was decreased by equal to or greater than
12 50 percent, then a second PDT cycle was administered. The
13 lesion was followed and excised at 9 months for histology.

14 If there was no response, that is, if the lesion were
15 decreased by less than 50 percent, or if there was
16 progression, the lesion was excised at the 3 months. I
17 want to point out that complete response is not equal to
18 cure for a basal cell in this study design.

19 So to review again, there's randomization to
20 MAL or vehicle-PDT. There's the first treatment cycle and
21 there were two PDT, curette-MAL-PDT, or vehicle treatment
22 sessions conducted 7 days apart.

23 At the 3-month clinical evaluation, if there
24 were a complete clinical response, the lesion was followed
25 for an additional 3 months and excised at that time point.

1 If there was no clinical response -- but this
2 group also included those patients with the partial
3 response that was less than 50 percent -- the lesion was
4 excised.

5 Those in partial response whose lesion had been
6 decreased in size by at least 50 percent received a second
7 treatment cycle, conducted 7 days apart.

8 At the 3-month clinical evaluation, following
9 the second treatment cycle, those lesions in complete
10 response were then followed again for 3 months and then
11 excised.

12 If those lesions at this evaluation point with
13 an incomplete clinical response, these lesions were
14 excised. So, therefore, at the end of the pivotal studies
15 307 and 308, all lesions had been excised.

16 Dr. Mohamed Alesh will discuss the statistical
17 analysis of the pivotal studies.

18 DR. ALOSH: Good morning. Thank you, Dr.
19 Vaughan.

20 To discuss the efficacy results briefly, I'll
21 be touching an analysis unit as well as the criteria for
22 assessing the efficacy.

23 First, as some of the patients could have more
24 than 1 lesion, as you are aware, we could speak about the
25 lesion response rate or the patient complete response rate.

1 For the purpose of the submission, the patient complete
2 response rate was the primary analysis endpoint. The
3 secondary endpoint was the lesion complete response rate.

4 The sponsor presented the results for histology
5 alone, and the criteria for assessing the response was
6 based on the agency recommendation that it's supposed to be
7 clinical and histology. This was based on a recommendation
8 in a meeting on March 7, 2000 with the sponsor.

9 In the protocol, PhotoCure reported the results
10 for histology alone. The reason I bring this is because
11 clinical and histology response rate would be a subset from
12 histology. Consequently, the response rate for clinical
13 and histology, you'd expect it to be lower as we'll see. I
14 would like to repeat Dr. Vaughan's comment that complete
15 response is not equal to cure.

16 We agree with the sponsor that curette-MAL-PDT
17 is superior to curette-vehicle-PDT. We are concerned a
18 little bit about the variability in the success rate
19 estimate for MAL-PDT which might be attributed to
20 relatively small studies, and this would lead to a wide
21 confidence interval around the point estimate, as we'll
22 discuss shortly.

23 Then also there is uncertainty about lesion
24 preparation description. The clue for this, as you can see
25 on the next slide, is we see high vehicle response rates in

1 this basal cell carcinoma, as well as there is center-to-
2 center variability. Again, I'll repeat that these are
3 small studies and the statistical findings should be
4 interpreted with caution because some of the centers have
5 less than 5 subjects per treatment arm.

6 So to talk about the apparent high vehicle
7 response rate based on the sponsor-preferred analysis,
8 based on histological evaluation, you can see here for
9 study 307, you have in the curette-vehicle-PDT 39 lesions.

10 Out of those, you have 13 lesions that ended up in
11 complete success using histology alone. So you end up with
12 a vehicle response rate of 33 percent. This is for the ITT
13 population. If you consider the per-protocol population,
14 you have a 35 percent response rate for the vehicle.

15 If you take the patient response, you can see
16 similar results also for the vehicle. You can see out of
17 the 32 patients, we have 11 of them successes. So the
18 success rate for the vehicle is 34 percent. If you look to
19 the per-protocol, they have similar results.

20 So about a one-third, roughly, response rate.
21 Whether you look to the lesion response rate or the patient
22 response rate, you have one-third roughly, the response
23 rate for the vehicle.

24 If you look to study 308, the result is a
25 little bit lower for the vehicle, but again it's also lower

1 for the active, with a difference of about 10 percent. I
2 will touch on this briefly on the center-to-center
3 variability. If you look again to the patient response
4 rate, you could see about roughly 18-19 percent in this
5 study. So you can see there is variability across the two
6 studies, and the response rate, whether you consider the
7 lesion response rate or the patient response rate, in
8 particular for the vehicle which is very high. There's a
9 question of whether histology is sensitive enough to assess
10 the efficacy or there is the curettage doing something for
11 the efficacy results.

12 In the next slide I'm going to briefly
13 summarize the efficacy results if one considers the
14 response rate for the clinical as well as histological
15 evaluation. Here my focus is really on the point estimate
16 of the response rate, i.e., the success rate. I'm not
17 interested in the treatment effect because, as I said, we
18 agree with the sponsor that it's effective.

19 So you can see for study 307, if you take the
20 patient complete response rate, it's 73 percent, and the 95
21 confidence interval, this range. So the success rate could
22 be as low as 54 percent for the active in study 307.

23 If you look to the success rate for the
24 vehicle, it could be as high as 43 percent. We agree that
25 they don't overlap because the p value is significant, but

1 we can see how much the range is.

2 Those point estimates, along with the
3 confidence interval, should be kept in mind in terms of
4 looking to the efficacy of other modalities such as surgery
5 and the cryotherapy, which the sponsor presented the
6 results from two European studies this morning.

7 Similarly, if we look to the lesion response
8 rate, you can see for study 307 the lower 95 percent
9 confidence interval could be as low as 52 percent. For the
10 vehicle, the upper limit for the 95 percent confidence
11 interval could be as high as 42 percent.

12 If you look to study 308, again the lower limit
13 for the active could be as low as 45 percent; for the
14 vehicle, it could be as high as 32 percent. Similarly for
15 the lesion response rate.

16 I'm going to touch later on the center-to-
17 center variability. The issue there is the sponsor
18 presented the results for the first treatment cycle which
19 show a high success rate, about 82 percent, even though we
20 have seen the overall efficacy of about 76 percent. I
21 would like to clarify some disagreement between the sponsor
22 and the agency results here.

23 PhotoCure, in calculating the response rate for
24 the first treatment cycle, excluded those subjects who went
25 through the second treatment who were partial responders.

1 Anyway, this is not the definition of the rate which is the
2 number of successes over the number exposed or treated in
3 this example. So the number here in the denominator, 28,
4 is only those people who were either a success or a
5 failure, because those who went through the second
6 treatment cycle are excluded.

7 Now, if we do the usual arithmetic, taking the
8 number of successes over the total number treated, we'll
9 have a success rate of 56 percent. Similarly, for the
10 vehicle, we expect a drop. The drop is still from 30
11 percent to 23, but here you can see a big difference in
12 this. And this is for the histological evaluation.

13 If you consider a clinical and histological
14 evaluation, the first treatment cycle is supposed to be if
15 you take the successes over the number treated, it would be
16 46 percent for the active and 18 percent for the vehicle.
17 For study 308, the results are similar.

18 I think the point here, in terms of calculating
19 those rates, one would prefer the usual analysis, to have
20 the number of successes over the total number treated.
21 Basically I think this study design one could argue that
22 it's impossible. It's difficult to estimate the success
23 rate for the first treatment cycle or the second treatment
24 cycle.

25 The reason you cannot estimate the success rate

1 for the first or second treatment cycle is because the
2 study design is really complex. The second treatment cycle
3 is based on a clinical decision regarding the first
4 treatment cycle outcome of partial response. Basically
5 those who are partial responders for the first treatment
6 cycle, if they stay in the trial without a second
7 treatment, we do not know the number who will end up in
8 success or failure. So we cannot separate for those
9 partial responders what the contribution of the first or
10 second treatment cycle is because they are given two
11 treatment cycles and since no randomization before the
12 second treatment cycle was carried out, it would be
13 impossible, I think, to separate the effect of the first
14 treatment cycle from the second treatment cycle. So this
15 response rate by treatment cycle I think is difficult to
16 put an emphasis on them in this study.

17 Having said that about estimating the response
18 rate for the first treatment cycle, I still believe the
19 data from the first treatment cycle could be very useful in
20 looking to the center-by-treatment interaction. The reason
21 for that first treatment cycle will contribute, will have
22 the largest data set in which every subject has one
23 treatment, and the majority of the patients are treated
24 once.

25 On the next slide I'll be discussing the

1 efficacy results per center. Here we have seven centers in
2 study 307. The first, second, and third columns give you
3 the curette-MAL-PDT, the number of subjects in the
4 denominator, as well as the numerator, which has the
5 successes. And the third column is the same for the
6 vehicle. You can see in this study center 30707 has 5
7 subjects in the active. All of them ended up in success.
8 In comparison, we have 5 subjects in the vehicle. None of
9 them ended up in success.

10 The point here, I think we agree the first
11 treatment cycle is not the primary endpoint analysis. But,
12 however, we are trying to explain the high response rate
13 for the vehicle, and this in a clinical discussion, we have
14 to look to this data and the first treatment cycle is
15 appropriate here.

16 We have the Breslow-Day test for the first
17 treatment cycle of .025, which is highly significant. If
18 you consider the p value for testing interaction, it's .10.

19 Then we ran also the Zelen's exact test because
20 you have a small number of subjects in every center, and
21 you have .07. Again, it's significant at .1.

22 Now, here I have first and second treatment
23 cycles combined in which you take the clinical and
24 histological evaluation. You can see the Breslow-Day test
25 is .13, and this is different than what the sponsor

1 presented, I believe about 26 or 30 because they used
2 histological evaluation only while the agency
3 recommendation was to have a clinical as well as a
4 histological evaluation. In the last set of columns, we
5 have it for the histological evaluation, which should be
6 close to the sponsor, about 31 percent.

7 So to summarize, we have some concern about
8 center-to-center variability for the first treatment cycle.

9 If we look to the second slide, here we have a
10 similar analysis for study 308, which is the second pivotal
11 study. We do not see in this study the center-to-center
12 variability which we see in study 307. I would like to
13 mention that those two extreme centers in 307, there is the
14 efficacy result of the 307 by about 10 percent, and we
15 remember there is difference in efficacy probably between
16 the two studies, the 307 and the 308, of about 10 percent.

17 So whether it's related with something else.

18 Here we run the Breslow-Day test. You can see
19 there is no significant center-by-treatment interaction in
20 study 308.

21 So in summary, we agree with the sponsor that
22 curette-MAL-PDT is statistically superior to curette-
23 vehicle-PDT for the treatment method used in the protocol.

24 For each study, there is a relatively high
25 curette-vehicle response rate. There is also center-to-

1 center variability in study 307. This might be attributed
2 to small study size with small centers. It might be
3 attributed also to lesion preparation for treatment and to
4 the accuracy of clinical and histological evaluations.

5 The center-to-center variability in the
6 efficacy results reduces the reliability in the overall
7 point estimates of curette-MAL-PDT.

8 Thank you. Dr. Vaughan will discuss further
9 the curettage.

10 DR. VAUGHAN: Thank you, Dr. Alesh.

11 To review again, based on the protocol-guided
12 outcome assessment, curette-MAL-PDT is statistically
13 superior to curette-vehicle-PDT. However, the high
14 response rates with the curette-vehicle-PDT, as indicated,
15 was seen, and as touched upon by Dr. Alesh. The high rate
16 in the curette-vehicle group may have been due to the
17 effect of the extent and depth of curettage. It may have
18 been due to the short-term follow-up of 3, 6, or 9 months,
19 or it may have been due to a low ability to detect residual
20 BCC by histological methods.

21 This is an example of a curette and lesion
22 preparation provided by PhotoCure from the PhotoCure video.

23 Other factors in the pivotal studies appear to
24 be consistent, such as lamp exposure, MAL cream application
25 time, for each of the pivotal studies. Therefore, we think

1 that the response may depend on the extent and depth of
2 curettage. This was discussed by Dr. Alesh, that efficacy
3 shows center dependence and there was a high curette-
4 vehicle-PDT response.

5 This is an example of curettage, of lesion
6 preparation provided in your briefing package by PhotoCure
7 on page 124. This patient, however, was not studied in the
8 pivotal studies, not in studies 307 or 308. It appears
9 from the photograph here that the lesion preparation
10 appears rather extensive. During conduct of the clinical
11 trials, most patients did not receive local anesthetics.
12 However, according to concomitant medications for this
13 patient, Xylocaine spray and Xylocaine was listed as a
14 concomitant medication, but I'm not sure at what point or
15 when any of that medication was used.

16 Recurrence data is a part of efficacy for the
17 treatment of basal cell carcinoma. In the context of
18 discussing the pivotal studies, since all lesions would
19 have been excised, the agency and PhotoCure discussed the
20 recurrence data for nodular BCC. PhotoCure agreed to
21 provide a minimum of 250 subjects to be submitted. We
22 requested a 2-year follow-up at NDA submission and that
23 patients be followed up 5 years post-treatment as a phase
24 IV agreement.

25 What was submitted was PhotoCure provided 2-

1 year recurrence data on 46 patients with 47 lesions with
2 primary nodular BCC treated with curette-MAL-PDT from a
3 study that you've heard about, study 303, which was a phase
4 III randomized, open-label study versus one surgical
5 excision.

6 In an attempt to have a larger database, we
7 also looked at study 205, which was a phase II non-
8 randomized, open-label study that included both nodular --
9 there were 38 lesions and superficial lesions, 39 lesions.

10 There were other patients, 3 or 4 lesions, considered
11 nodular/superficial. However, the focus will be primarily
12 on nodular BCC, and I will discuss later the problems that
13 we find with including these patients with the database for
14 the primary nodular.

15 Recurrence is based on clinical assessment,
16 inspection and palpation, and in some cases confirmed by
17 punch biopsy when the lesion is clinically positive for
18 recurrence. Treated areas that were apparently clinically
19 clear were not biopsied and are being followed.

20 Study 303 that you've heard about was a
21 European randomized, open-label, multi-center study in 101
22 subjects. There were 52 patients randomized to the
23 curette-MAL-PDT study arm and 49 patients randomized to the
24 surgical treatment arm. The initial post-treatment
25 assessment was at 3 months, and patients were followed 12

1 to 24 months for clinical recurrence.

2 The surgical excision study arm underwent one
3 excision. As previously mentioned, the surgical excision
4 margin was standardized at 5 millimeters. However, the
5 range was from 1 to 5 millimeters, and I believe a mean of
6 -- PhotoCure can give you that. I think it was a mean of
7 somewhere around the neighborhood of 5. However, the
8 histology indicating whether the borders -- whether there
9 was involvement of the lesions with BCC cells was not
10 submitted to the agency for review.

11 Additionally, we're using this for recurrence
12 data. The recurrence data protocol was embedded in the
13 original study protocol and the follow-up procedures are
14 minimally described.

15 This patient was provided by PhotoCure on page
16 100 of your briefing document, and it is given as an
17 example of complete response. This patient is problematic
18 in that it is difficult sometimes to evaluate responses
19 based on photographic data. For example, in the second
20 photograph here, the distance is further away and there's a
21 light shining here on the area. So it makes it difficult
22 to really assess the area that was treated. Nonetheless,
23 this patient does appear to have a clinical response and a
24 relatively good cosmesis from the treatment.

25 This patient also represents problems with

1 early clinical assessment because this patient was
2 discontinued 3 months after this evaluation with a
3 recurrent lesion. This patient also presents a problem,
4 and we'd like your discussion about how to handle
5 recurrence data for discontinued patients and missing data.

6 Recurrence will be discussed in terms of lesion
7 recurrence because some patients had more than 1 lesion,
8 although some had 1 lesion. Also for study 205, some
9 patients had both types of lesions, both nodular and
10 superficial.

11 So we looked at the recurrence data in two
12 different ways. We looked at recurrent lesions and for
13 study 303, the MAL-PDT treated arm had 1 recurrence within
14 6 months and 3 -- and this is a little bit different from
15 the sponsor right here, but they had 3 at 12 months. And
16 for the 24-month recurrence, we have 4 lesions for a 9
17 percent recurrence rate.

18 If we look at the missing data, we have 12
19 additional lesions that were missing from the 24-month MAL
20 arm. If we add the 12 to the 4 recurrences, we get a
21 recurrence rate of 34 percent, and the confidence intervals
22 are given here. So depending on how you handle the missing
23 data, recurrence rates based on clinical observation can
24 range from 9 percent up to 34 percent or as low as 2
25 percent and as high as 49 percent.

1 For the surgery treated arm, the recurrence
2 data was assessed the same. At 6 months, there was no
3 recurrence. At 12 months, there was 1 lesion missing, so
4 we added that in. So that gave us a 2 percent recurrence
5 rate. So at 24 months, the recurrence rate for the
6 surgical arm was 2 percent, and if we added the missing
7 data, there were 7 lesions missing. Added to the 1
8 recurrence, it gives us a total of 16 percent. So for the
9 surgical treatment arm, depending on how you handle the
10 missing data, you can have recurrence rates from either 0
11 percent or up to 29 percent.

12 We also looked at a failure-to-cure analysis.
13 For failure-to-cure, we looked at the initial failures to
14 treatment, or treatment failures, plus recurrences, and
15 then we added in the missing data, depending on how you
16 want to handle the missing data. At 6 months, there were 9
17 out of 56 -- we're still talking about lesions here -- 16
18 percent. At the 12-month follow-up, there were an
19 additional 12 missing lesions which gives us 21 percent.
20 And if we add in the recurrent lesions of the 4 from the
21 recurrence data, we can get a failure-to-cure rate of up to
22 45 percent, with a confidence interval of 31 to 59 percent.

23 The same approach was taken for the surgical
24 treatment arm, and there was a failure-to-cure over the
25 total number of lesions. At 24 months, including the

1 missing data, the recurrence rate for surgery may have been
2 up to 16 percent.

3 The phase II recurrence study 205 was a non-
4 randomized, open-label study that included both nodular and
5 superficial BCC patients. This study included 57 patients
6 with 79 lesions. They were evaluated for recurrence up to
7 24 months. The sponsor presented additional recurrence
8 data that we have not had an opportunity yet to review for
9 these patients presented today.

10 There were 30 patients in this group with 38
11 nodular BCC lesions, and there were also 3 patients with 1
12 superficial/nodular lesion with 1 lesion in the study. So
13 there was recurrence data submitted at 6, 12, and 24
14 months.

15 However, we have difficulty including these
16 patients in with the primary nodular in that the patient
17 population was different. It was mentioned that the
18 criteria that was used for consideration of high-risk, and
19 it was also mentioned that one of the high-risk lesions,
20 morpheaform, BCC was not included in this patient
21 population.

22 The written instructions were different,
23 appearing to have curetting below the epidermis.

24 There was a difference also in the application
25 of the MAL cream to the lesion border. In the pivotal

1 studies, a 5-millimeter border was to be applied, but for
2 this study, the border was listed as 10 millimeters.

3 Also, there were different lamps used in some
4 patients. I think PhotoCure mentioned about 7 or 6
5 patients had used a different lamp. However, as I
6 previously mentioned, the use of the lamp is an integral
7 part of this application, and for patients with lesions
8 that were 55 millimeters or above, up to 110, with use of a
9 different lamp, it may not be applicable to the study of
10 primary nodular. Also listed in one of the adverse events
11 report, there was a second-degree burn listed for a patient
12 who had received treatment, application of MAL cream and
13 use of a different lamp.

14 However, we're presenting the data here for
15 your consideration if you deem these patients should be
16 considered in the recurrence data patients. For the
17 agency's analysis with recurrent lesions plus missing
18 lesions -- this is taken at 24 months, recurrence data.
19 For superficial BCC, there was a 28 percent recurrence rate
20 and the confidence intervals are given here, as little as
21 15 or as high as 45 percent.

22 But primarily we're interested in the nodular.
23 The nodular rate was 37 percent. It could be as low as 22
24 or as high as 54 percent. And if you would like to include
25 also the superficial/nodular patients, the numbers were

1 small and we have a 33 percent recurrence rate.

2 Now, one of the other difficulties with this
3 study is that the study was non-randomized and the study
4 was subject to a review board, therefore subjects and
5 lesions were not included in the database. For example,
6 the patients that I showed you with the curettage in study
7 205, with extensive curettage, there were a number of other
8 lesions located on this patient. However, only the large
9 lesion was included. In the superficial/nodular group,
10 there was a patient who was followed out to 24 months and
11 then discontinued from the study, stating that the patient
12 should not have been enrolled, although the patient had had
13 non-recurrence evaluations at 6 and 12 months.

14 So, in conclusion, the database consists of 46
15 patients or 79 patients, depending on whether you want to
16 include the 30 patients from study 205 and the 3 patients
17 with the nodular/superficial lesions. From study 303,
18 there were only 46 with 2-year recurrence data.

19 The 2-year recurrence rate for MAL-PDT in
20 patients ranged from 9 to 34 percent, depending on how
21 missing data were accounted for, and the failure to treat
22 adequately rate was 45 percent at 2 years. And a larger
23 database was requested by the agency.

24 The cosmetic outcome has been assessed by
25 PhotoCure, and in the pivotal studies, vehicle patients had

1 as good or a better cosmetic outcome than the MAL treatment
2 group, but poorer response with regard to treatment
3 outcome. However, the numbers were small.

4 Cosmetic outcome is considered secondary by the
5 agency to non-recurrence of basal cell cancer. Recurrences
6 may ultimately result in a worse cosmetic outcome due to
7 the need for further treatment.

8 Assessment of cosmetic outcomes across
9 treatments was not agreed upon between PhotoCure and the
10 agency.

11 Data from the pivotal studies will be presented
12 on the next slide. However, there are a limited number of
13 patients in each study arm.

14 Photographic assessment was not provided to
15 confirm the data. However, you have to be careful with
16 photographic assessment, making sure that distance and
17 lighting are as close as possible. The division did
18 suggest or recommend that cosmetic assessments could be
19 made prior to surgical excision in the pivotal studies and
20 supported by blinded, independent review of photographs.

21 This is based on PhotoCure's results for the
22 pivotal studies for the cosmetic outcome. However, in this
23 study results are not consistent across the two pivotal
24 studies. It was only the investigators in study 308 that
25 rated the excellent response rates, when we're looking at

1 the excellent response rate, higher than the vehicle
2 response rates. In the U.S. study, they were both about
3 the same.

4 Patient assessment differed in the excellent
5 category from the investigators in that the patients rated
6 their cosmetic response rate higher than the investigator
7 in the vehicle group in both studies. However, the results
8 of their BCC being present was not known at this time.

9 As previously mentioned, there have been no
10 systemic local effects identified from the adverse events
11 reported and the laboratory monitoring. As PhotoCure
12 pointed out, the adverse events were reported as local and
13 non-local and that local did not mean treatment site
14 reaction. It was not confined to treatment site reaction
15 but was based on WHO classification of skin and appendages.

16 Someone had asked about blinding. In the
17 pivotal studies, the investigators applied the cream and
18 the study nurse applied the illumination to monitor
19 blinding. The study nurses also recorded the adverse
20 events.

21 The local adverse events that were reported
22 were pain, burning, and stinging, and the phototoxic signs
23 were erythema and edema. And there is a high contact
24 sensitization rate. High contact sensitization has been
25 demonstrated to MAL cream.

1 The local adverse events, as previously
2 mentioned, consisted of skin pain, skin burning, skin
3 stinging. The results are higher in the active group as
4 opposed to the vehicle group. The sponsor has given you a
5 summary of those adverse events.

6 Additionally, the adverse event severity was
7 reported as moderate to mild in the pivotal studies.

8 The local adverse events in the open-label
9 studies also recorded a high incidence of pain, burning,
10 and stinging skin. However, the intensity of the reaction
11 was different in that there were reports of severe pain,
12 burning, and stinging. It was mentioned that 1 patient was
13 hospitalized due to severe pain and treated with morphine.

14 So patients treated with curette-MAL-PDT could
15 have skin ulcerations and blisters that could last 1 to 2
16 weeks after treatment, and in two cases erythema that
17 lasted up to a year. Now, this was obtained from the non-
18 U.S. labeling. The drug is marketed in Europe. Therefore,
19 no separate analysis for these recurrences are available
20 from the agency. And some of these came from the
21 integrated summary of safety.

22 Curette-MAL-PDT was associated with a higher
23 incidence of pain, burning, or stinging than curette. The
24 use of anesthesia with MAL-PDT treatment was not studied in
25 a systematic fashion. In fact, there were only 26 of the

1 538 patients studied who used local anesthesia. So,
2 therefore, there's minimal instructions for the use of
3 anesthesia, and from the proposed label insert, tumor
4 fragments from most lesions may be removed without damaging
5 normal skin and without the use of anesthetics.

6 A dermal sensitization study was performed, and
7 these studies are generally routine with topical products
8 that are applied. It is a study that is conducted in
9 normal human volunteers. Sensitization was demonstrated in
10 the dermal safety study.

11 There were 2 patients during the clinical
12 trials that reported urticaria/hypersensitivity reactions,
13 and from post-marketing there have been 2 patients with
14 allergic reactions and 1 of them was a positive
15 rechallenge.

16 I would also like to state that during the
17 collection of adverse events during the clinical studies,
18 the data were not collected in a fashion that we could
19 tease out adverse events due to curetting, to the cream
20 application, or to the illumination. So, therefore, we
21 cannot say whether or not there were any incidents or
22 suspicion of sensitization due to MAL cream.

23 The sensitization study design was as follows.

24 There was an induction phase in which MAL and MAL vehicle
25 were applied for 3 weeks. There was a 2-week rest period.

1 Then there was a challenge phase. Now, the challenge
2 phase was a little different in that there was only a 3-
3 hour application of MAL cream and MAL vehicle applied, and
4 there was a 48-hour application time for the
5 aminolevulinate .1 percent cream in soft paraffin and the
6 vehicle for a cross-sensitization challenge.

7 For the dermal safety study, there were 215
8 planned. According to the amendment, after 156 patients
9 were included, the other patients were not studied due to
10 reactions suggesting sensitization in half of the first 102
11 patients who had been tested. Out of the 156 that were
12 included in the study, there were 58 dropouts, and these
13 patients may have already been sensitized. There were 98
14 who agreed to continue to the challenge phase.

15 In the challenge phase, there were 40 patients
16 who refused to have MAL cream applied and there were 58
17 patients who were challenged with MAL cream. So out of the
18 58 who were challenged with the MAL cream, there were 30
19 that were considered positive. There were 3 that were
20 considered equivocal, and there were 25 negative. So from
21 this study in normal human volunteers at least up to 52
22 percent of the 58 subjects, not counting the 58 who dropped
23 out, who continued and did not refuse to have MAL cream
24 applied, were sensitized to MAL cream.

25 The ALA 48-hour cross-sensitization challenge

1 was tested in 98 patients. Out of this group, none were
2 judged positive to .1 percent ALA. 2 percent were judged
3 with equivocal reactions to ALA, and 2 percent were judged
4 positive to soft yellow paraffin vehicle that was used for
5 the ALA.

6 So in conclusion, MAL cream has an unusually
7 high contact sensitization potential of at least a 52
8 percent sensitization rate in a provocative study. Cross-
9 sensitization to ALA, an endogenous substance, cannot be
10 ruled out by this study that was conducted. And
11 sensitization of MAL cream of health care workers and of
12 patients are of concern.

13 In summary, the curette-MAL-PDT has been shown
14 to be statistically superior to curette-vehicle-PDT for the
15 chosen outcome assessment in the pivotal studies, and we
16 are asking the committee to consider the adequacy of these
17 studies for estimating the treatment effect based on the
18 early histology and the small number of patients studied in
19 the pivotal studies, the minimal recurrence rate data for
20 primary nodular BCC that was submitted.

21 We'd also like for you to discuss the adequacy
22 of instructions for lesion preparation due to an apparent
23 high vehicle-PDT response rate and a wide center-to-center
24 variability.

25 A numerically higher recurrence rate with MAL

1 versus surgery in one small open-label study was seen. The
2 exact point estimate is uncertain.

3 For safety, we would like for you to discuss
4 pain and minimal information regarding anesthesia and pain
5 control since anesthesia was not systematically studied and
6 pain could range from moderate to severe, and also the
7 unusually high contact sensitization rate seen in the study
8 conducted.

9 This is an example of the CureLight lamp which
10 is an integral part of this application.

11 DR. STERN: Thank you very much.

12 What I'd like to do is first start with
13 questions to the agency about their presentation, and if
14 we've concluded those specific questions, then we may have
15 more general questions starting before lunch, if there's
16 time, but until we've completed questions about the
17 presentation, it should be strictly for the agency at this
18 time.

19 Dr. Plott?

20 DR. PLOTT: Dr. Vaughan, I wonder if you would
21 answer a question. After the agency gave the sponsor
22 direction for an endpoint of clinical and histologic cure
23 as their primary endpoint, they chose to go on to just look
24 at histology. Could you explain the agency's position for
25 choosing that combined endpoint, and why is that important?

1 And is that consistent with other applications of other
2 products that are being looked at that might combine
3 clinical and laboratory endpoints?

4 DR. LUKE: With regard to basal cell carcinoma,
5 which is a tumor, a clinical response is thought to give
6 you a preliminary survey of whether there is tumor there or
7 not and followed by a histologic evaluation of whether,
8 indeed, there are tumor cells present, knowing that you
9 knew at one point there were already tumor cells from the
10 initial biopsy. This is the rationale for obtaining both a
11 clinical and a histological endpoint.

12 DR. KATZ: A question to Dr. Hansson. Dr.
13 Hansson, the first clinical photo you showed a person with
14 basal cell on the nose previously treated with Mohs.

15 DR. STERN: I'm sorry. We shouldn't go to the
16 sponsor until we've finished the questions for the FDA.

17 DR. KATZ: Oh, I thought we were asking actual
18 questions.

19 DR. STERN: No. I'm sorry. First, the
20 questions for the FDA presentation, and then we'll have
21 questions for anyone. I'm sorry.

22 DR. DRAKE: Dr. Vaughan, the missing data, the
23 missing cases you rolled into potentially active tumors.
24 I'm not sure how to ask this question, but when we were
25 doing guidelines for the American Academy of Dermatology,

1 what we found is a lot of these people disappear because
2 they're well because they don't have any more tumor. So
3 did you also roll the data in to assume these 40 had been
4 cured and didn't need to come back? I mean, you certainly
5 rolled them in because you made the assumption they might
6 not be cured. Did you look at it in the reverse manner
7 too?

8 DR. VAUGHAN: Yes. Actually that's how
9 PhotoCure approached the recurrence data. The missing
10 patients were not included. Therefore, we have rates with
11 the missing data, without the missing data, and per-
12 protocol recurrence rates.

13 DR. STERN: Lynn, they were in the column
14 before that very last. They were in the top of the last
15 column, the simple proportion --

16 DR. DRAKE: I know what the sponsor did.

17 DR. STERN: No, no. In her presentation. If
18 you could go back to that slide.

19 DR. DRAKE: Well, I misunderstood then because
20 it impressed me that she had what was real and then she
21 rolled in the missing as active lesions. And I want to
22 know what if she rolled them in as a successfully treated
23 lesion.

24 DR. STERN: She did that on the top number.

25 DR. VAUGHAN: It would be the recurrence. We

1 gave two --

2 DR. KATZ: 9 percent.

3 DR. DRAKE: So the 9 percent included? I
4 remember the 9 percent number. You assumed that all the
5 missing data was cured?

6 DR. VAUGHAN: Slide 16.

7 DR. DRAKE: I want her to answer it, Rob.

8 DR. VAUGHAN: Which slide are you referring to?
9 Slide 32? Sorry. Slide 32, page 16, slide 32.

10 DR. DRAKE: I remember the slide. I know
11 exactly the slide.

12 DR. VAUGHAN: The top number will give you the
13 number of actual clinical recurrences.

14 DR. DRAKE: That's actual. Then you took the
15 missing data and you assumed that they were bad.

16 DR. VAUGHAN: So, therefore, if it wasn't
17 reported as recurrent, then it wasn't counted as a bad
18 outcome.

19 DR. DRAKE: But it also wasn't counted as a
20 positive outcome. In other words, if you added all those
21 missing cases to the actual lesions, you would have an
22 improvement in the outcome.

23 DR. STERN: If you look, the denominator for
24 both the -- it's 4 over 47 people in the trial. That's the
25 number of tumors over the number of people or lesions.

1 I've forgotten which.

2 DR. VAUGHAN: Lesions.

3 DR. STERN: 9 percent. That's your
4 conservative assumption that everybody who didn't come back
5 was cured. And the lower one is the 16 over 47 assuming
6 everybody that didn't come back had a tumor. The
7 denominators are the same.

8 DR. DRAKE: Got you. Thank you very much.

9 DR. STERN: To me what's interesting in looking
10 at these data is the differential in the number of people
11 who did not return. I think a conservative assumption is
12 to assume that the difference in the non-returnees are the
13 unhappy people who went elsewhere. So, for example, if you
14 have symmetrical not follow-up, then you'd say, well, it's
15 probably equal reasons in each or you could project the
16 rates forward using the smaller denominators, a whole
17 variety of ways.

18 But if you look at these data, what interested
19 me is -- and I've forgotten the exact numbers. I think it
20 was 12 versus 8 or 12 versus 7. So the question is why
21 should a higher proportion, 12 out of 47 versus 7 out of
22 51, decide not to come back. I think in a lot of studies,
23 when you're trying to do certain endpoints, you really look
24 at that difference in failure to follow-up as a signal for
25 why didn't they come back since it's a randomized study at

1 the beginning.

2 DR. DRAKE: Well, Rob, I understand what you're
3 saying. I understand what you're telling me about the
4 denominator, but to assume that people don't come back
5 because they're unhappy is, I think, an incorrect
6 assumption because, for starters, if you look at the
7 cosmetic results, the patients were far happier with the
8 cosmetic results from this treatment and from curetting
9 than they were from surgery. So it could be they didn't
10 come back because they were very happy with the cosmetic
11 result whereas the surgical patients came back more because
12 they were unhappy about the scars. So I don't think you
13 can make that assumption. People don't come back and the
14 fact of the matter is we have no idea why they don't come
15 back.

16 DR. KATZ: Since we're discussing page 16, this
17 slide, we really shouldn't confuse things with cosmetic and
18 cure rate. Let's compare apples and apples. Assuming the
19 company's data of everybody cleared up that didn't come
20 back, you've got more than four times as many recurrences
21 percentage-wise in the MAL group as in the surgery group.
22 Four times as much. This is with 2-year follow-up; 9
23 percent recurrence at 2 years with the already intuitive
24 data that we have with 5-year follow-up with surgery with a
25 recurrence rate of less than 5 percent.

1 It's counterintuitive to assume that all those
2 folks didn't come back in the MAL group because they were
3 cured when we know from the early studies described that
4 only 47 percent over placebo were cured at 6 months or 3
5 months or whenever that was. So to assume that these other
6 folks, these 16 people, didn't come back because they were
7 cured, when we already know from the previous studies that
8 only 47 percent are cured at the 6-month follow-up, it's
9 quite counterintuitive.

10 DR. STERN: I'm sorry. Dr. King.

11 DR. KING: I still come back to the question
12 the agency is going to ask, I think, which is what is the
13 potential for complications with the people who apply it,
14 the health care workers, and how do you do prescreening to
15 find out who may be unusually phototoxic. To put somebody
16 in the hospital at Vanderbilt for applying light requiring
17 morphine, et cetera, gets you a line of lawyers you won't
18 believe. So I think that I'm looking for some direction of
19 what the agency is looking for that we should examine for
20 instructions.

21 DR. WILKIN: Well, I think there are two pieces
22 to this. The first piece is that it's difficult in the
23 clinical study setting, outside of a dermal sensitization
24 study in normal subjects, to actually be thinking about the
25 difference between phototoxicity and contact

1 hypersensitization. The skin has a limited repertoire in
2 acting against noxious substances: erythema, blistering,
3 those sorts of things. It's difficult sometimes to tease
4 out exactly what the causal mechanism might be.

5 On the other hand, the provocative dermal
6 sensitization study says that it has the potential to have
7 sensitization. That's what we learn from those dermal
8 kinds of studies.

9 The concern is for both patients who -- if
10 someone has a basal cell, it's very likely that they're
11 going to have a basal cell carcinoma in the future. But
12 the staff at a treatment site would presumably have much
13 greater exposure. I think it's interesting that the
14 sponsor has not found this to be a problem. So we have the
15 apparent absence of a problem in real practice, but in a
16 provocative study which is sort of an intense, provocative
17 way of finding out if there's any potential, it's telling
18 us a different sort of thing. So part of our question for
19 the committee is to try to put that together and give some
20 feedback.

21 DR. STERN: Dr. Ringel.

22 DR. RINGEL: I'm going to try not to get lost
23 in terminology, which I find myself doing. The recurrence
24 rate and the failure rate. I take it that the recurrence
25 rate only applies to people who at 3 months had a complete

1 response, and then you followed them for a recurrence rate.
2 Whereas, a failure rate really is trying to say who didn't
3 respond to this treatment at the point you're looking at
4 them.

5 DR. VAUGHAN: Yes, that's how the data was
6 assessed.

7 DR. RINGEL: It's odd because with surgery, if
8 we're talking about a recurrence rate, if you look at
9 someone 3 months post-op, you're just going to say they
10 recurred; whereas with this study, if they didn't respond,
11 you're not even considering those.

12 I guess what I'm saying is why ever are we
13 looking at recurrence rates at all? It seems to me we
14 should only be considering failure rates. What I want to
15 know when I'm treating someone is they have a basal cell
16 carcinoma at point 0, 2 years from now, what's the
17 likelihood of their having basal cell carcinoma. And
18 that's the failure rate, not the recurrence rate, it seems
19 to me.

20 DR. VAUGHAN: Could you put up slide 33 please?

21 Let me understand your question again. The
22 last part of your question again is why are we concerned
23 about the recurrence rate 2 years later?

24 DR. RINGEL: Yes.

25 DR. VAUGHAN: Because basal cell cancers are

1 looked at in terms of cure, as Dr. Stern mentioned earlier
2 today, in terms of years. 5 years is short-term. Beyond 5
3 years is long-term. So we want to follow that as an
4 integral part of efficacy. Generally you treat a patient
5 and you bring them back 6 months to a year for follow-up,
6 but it was the design of this study to bring patients back
7 at 3 months to assess whether additional treatment is
8 needed.

9 DR. RINGEL: I understand why it's a long-term
10 study. What I don't understand is why we need to
11 characterize that long-term study in terms of recurrence
12 rates which seems to leave out a part of the population
13 which was initially treated. If you're really doing an
14 intent-to-treat, you will take a look at the entire
15 population which is your failure rate.

16 DR. VAUGHAN: Well, that's what the failure
17 rate does.

18 DR. RINGEL: Yes. I think that we should be
19 focusing on this slide rather than the recurrence rate
20 slide. That's what I'm saying.

21 DR. STERN: Dr. Tan.

22 DR. TAN: Yes. We talk about the study has a
23 very small sample size. I just have a simple question I'm
24 curious about. Was the randomized trial designed with
25 detecting a 30 percent difference and if the trial was

1 conducted in a way following the protocol, originally
2 designed?

3 DR. ALOSH: Yes, as I mentioned we have this
4 communication between the sponsor and the agency about the
5 design of the trial. The study was designed to give
6 comment about the endpoint, and the power of the study
7 would be related, as you know, to the endpoint which you
8 are assessing. So we gave the endpoint. The endpoint
9 should be clinical as well as histological evaluation, but
10 even though those comments -- they were on March 7, 2000 --
11 the sponsor and the protocol in August maintained to have
12 histology. So this is why I think the efficacy result
13 wasn't the same if you look to histology alone versus
14 histology and the clinical evaluation which was requested
15 by the agency.

16 DR. TAN: So the trial was designed to detect
17 probably a 30 percent difference in response rate. So,
18 therefore, the trial was designed as having 30-some
19 patients in each arm.

20 DR. ALOSH: That's right. I think there is
21 communication. Probably the sponsor could provide more
22 detail, but I think the issue of powering the studies
23 really are related to the endpoint, and what we feel
24 between the sponsor and the agency, we did not have the
25 same endpoint. We gave comment, again as I said, clinical

1 and histology, but we got something back in terms of
2 histology alone.

3 DR. STERN: Could you just clarify your
4 response? I had thought I saw a slide where the agency
5 made a specific recommendation about sample size for
6 evaluation of nodular which was different than I think I
7 understand the number of analyzable cases that have been
8 presented today. Could you refresh our memory? I had
9 thought there was some number like 250 that you were asking
10 for -- that the agency suggested, I should say, in terms of
11 powering the study.

12 DR. WILKIN: Actually that was in terms of
13 recurrence data. It wasn't with the two pivotal -- that's
14 right. The randomized.

15 I think Dr. Clementi accurately, in his slide
16 15, documented the division/sponsor meetings where we did
17 have a lot of communication. There was a pre-IND meeting
18 in August of 1999, a phase II meeting in March of 2000, a
19 pre-NDA meeting June of 2002.

20 I would say if you look in, again, the CFR,
21 Code of Federal Regulations at section 312.47, it talks
22 about meetings between FDA and sponsors. It emphasizes the
23 need for good communications and it also mentions a pivotal
24 meeting is the end-of-phase II meeting.

25 We did have, I think, in addition to what Dr.

1 Clementi is listing, a teleconference that did focus on
2 some aspects of basal cell carcinoma in addition. So I
3 think there's even one more where we got to spend some time
4 together.

5 Then if you look in 312.47, it talks about if
6 one comes to the very end at the pre-NDA meeting, normally
7 that's a meeting where the sponsor and the agency groups
8 meet and they talk about format and content, what should be
9 in the NDA, how it should be organized so that our review
10 team can very efficiently get into it and review the data.

11 But in the regs, it also speaks to any additional aspects
12 that haven't been closed on should be discussed at that
13 time. I think that that was a fairly substantive meeting
14 in terms of identifying those additional sorts of things.

15 I have no doubt the sponsor believes that they
16 have addressed the spirit of what the agency has asked for.

17 On the other hand, it's not quite the same thing as having
18 an end-of-phase II kind of an agreement which we are able
19 to achieve in some circumstances. So I don't want to make
20 too much of this. I'm just saying I think they heard
21 advice and then made some decisions as to what they thought
22 would be compelling, and when the NDA came in, we looked at
23 the NDA and we frankly thought that there was sufficient
24 information to file it and review it and consider this.

25 DR. TEN HAVE: I have a question for Dr. Alesh

1 in terms of the variability of outcome for the two cycles
2 of treatment. Correct me if I'm wrong, but it appears that
3 most of the variability is in the outcome in the first
4 cycle, but when you consider both the first and second
5 cycles, there's less variability across the centers. If
6 that is an accurate summary of what you were presenting and
7 given all the problems with the study design looking at the
8 first cycle and looking at outcomes in the first cycle
9 given that some of the partial responders are then treated
10 subsequently, can you re-explain your rationale for
11 focusing on the first cycle in spite of those problems and
12 given that there seem to be less problems with variability
13 across center for the first and second cycles? Does that
14 make sense?

15 DR. ALOSH: Well, I agree. This is study 307.
16 All right. For the first cycle, really the interaction
17 was there, and I think I stated that this wasn't the
18 primary endpoint to have the first cycle. However, we felt
19 data from the first cycle, it's the largest -- to include
20 the largest number of lesions to be analyzed, because
21 everyone is treated once. What we have, the number of
22 lesions I think which went through the second cycle,
23 roughly I'd say around 10. I don't have the exact number.

24 But I agree and I stated this. I thought the
25 interaction is significant only for the first cycle. If

1 you look to the first and second cycle combined, the
2 Breslow data still gives you a p value of .134, which is
3 not significant. We judge it by the p value, as you know,
4 .10. So it's close.

5 But I think the point here, if you look to the
6 last two columns, the Breslow-Day test gives you .31, and
7 this is based on histological evaluation only, which is the
8 endpoint the sponsor analyzed. So the point here, you
9 could see the center-by- treatment interaction, the
10 magnitude of that, it depends on how the endpoints are
11 evaluated. If you consider histology alone, you could see
12 there is no significant treatment-by-center interaction, .3
13 compared to .1. If you consider clinical and histological,
14 you see .13, which is close to the .1. I agree.
15 Interaction is really for the first cycle. I want to
16 emphasize that this is the biggest set.

17 DR. STERN: So let us now go on to questions to
18 both the sponsor and the FDA with any parts of the
19 application. Michael?

20 DR. BIGBY: This question is to the sponsor.
21 Can you put up your table 37? It's section 7.2.1.5.1 in
22 your book. It's table 37. This is with regard to studies
23 307 and 308.

24 Just sort of as a background, the reason for
25 doing a placebo-controlled trial is to separate the

1 treatment effect of the active treatment versus all the
2 nonspecific things that go on in a trial. And efficacy is
3 usually measured based on the difference between active
4 treatment and placebo. The disturbing thing from this
5 table is that if you look at that column, the difference in
6 both of the studies is either 42 or 48 percent and the
7 confidence interval goes from 18 to 72 percent. So that
8 really is the treatment effect, not 76 or 77 percent. The
9 real treatment effect is the difference between placebo and
10 MAL-PDT.

11 I just wanted to know the sponsor's response to
12 a 95 percent confidence interval of the actual treatment
13 effect being, one, that wide and also that potentially low
14 so that could have a treatment effect of this treatment as
15 low as 18 percent if you looked at the 95 percent
16 confidence interval.

17 DR. MORRIS: I'm Hilde Morris. I'm the
18 Director of Clinical Research at PhotoCure.

19 I think you have to look at the treatment as a
20 whole. It consists not only of the cream. It consists of
21 the preparation procedure, the application of the cream,
22 and the illumination, and you can't really take out one of
23 those parts of the treatment. So when we did our vehicle-
24 controlled studies, we had all the other elements. So you
25 can say that the part of the treatment that's attributable

1 to the active substance in the cream is what you are
2 pointing out here, but in fact, the efficacy of MAL-PDT
3 includes all of the parts of the treatment.

4 DR. BIGBY: I have to say that I sort of
5 disagree with that entirely and that you're not trying to
6 market just the light or just the curettage. You're trying
7 to market MAL-PDT. And if you do a placebo-controlled
8 trial, the actual treatment effect is that which is
9 different from your control. Now, you can argue that you
10 picked the wrong control, but you can't say that you do a
11 placebo-controlled trial and not want to accept the
12 difference in the treatment as the real treatment effect.
13 I mean, that's just sort of the basic principle of doing
14 controlled trials.

15 Another question. Table 42.

16 DR. KATZ: What page?

17 DR. BIGBY: Page 95.

18 So this was the versus surgery estimate. Just
19 one clarification question. In the ITT analysis down at
20 the bottom, when you look at the difference, you wrote an
21 "N/A" under ITT. Why is that N/A? In the ITT analysis,
22 when you look at the estimate of the difference between
23 surgery and MAL-PDT, there's an N/A under ITT analysis, and
24 I wondered why that's there.

25 DR. MORRIS: The primary analysis in this study

1 was the per-protocol analysis, as we discussed also with
2 the FDA since that's the most conservative way of looking
3 at a non-inferiority trial.

4 DR. BIGBY: Absolutely not. It's just the
5 opposite. The ITT analysis is the most conservative way.
6 So why is that an N/A?

7 DR. MORRIS: Not for the non-inferiority
8 trials.

9 Maybe you want to say something, Per Fuglerud,
10 our statistician.

11 DR. 8: Yes. I think I don't totally agree
12 with you that the intention-to-treat is the most
13 conservative comparison when you want to show non-
14 inferiority because in an intention-to-treat population you
15 include all patients and that could reduce the difference
16 between the two treatments. And a more conservative way
17 will be only to use the per-protocol population because
18 that will not reduce the difference between the treatments.

19 DR. BIGBY: Okay. Well, is it possible for you
20 by the end of the day to fill in that number?

21 DR. 8: Yes. It's 14.6.

22 DR. BIGBY: So it's 14.6 percent.

23 DR. 8: That's correct.

24 DR. BIGBY: Thank you.

25 And then the confidence interval is what?

1 DR. 8: Sorry?

2 DR. BIGBY: The confidence interval of the
3 number is what?

4 DR. 8: That's the lower confidence limit. I
5 think we also have the upper. We will bring it to you
6 during the day.

7 DR. BIGBY: So this is my question, though.
8 You say what you would have accepted was an upper 97.5
9 confidence interval was less than 15 percent. This is a
10 study that has a relatively small number of patients. So
11 what is the power of this study to actually demonstrate
12 that difference?

13 DR. 8: 90 percent.

14 DR. BIGBY: 90?

15 DR. 8: Yes.

16 DR. STERN: Are you sure that with an alpha of
17 .05, the beta type 2 error is .1 with a study of this size
18 with these rates? That seems like a heck of a lot of power
19 to exclude a 15 percent difference, but I didn't do the
20 calculations.

21 DR. 8: The calculation is described in the
22 protocol and the power is 90 percent in this calculation.

23 DR. STERN: With about 50 people in each arm.

24 DR. 8: Yes.

25 DR. STERN: And the expected rate in the

1 baseline was 5 percent in the calculation and the
2 comparator group --

3 DR. 8: We expect a response of surgery of 92.5
4 percent, a complete response rate, and we assumed that
5 model was the same.

6 DR. STERN: And you were 90 percent confident
7 that if the real rate difference was 15 percent, you would
8 detect that in a 50/50 study.

9 DR. 8: With a 90 percent power, yes.

10 DR. MORRIS: Can I say something about the
11 interpretation of this study because I think we all agree
12 that although it does end on the right side of the
13 statistical significance here, it is borderline at this 3-
14 month assessment time point. I think we do realize that
15 the difference increases over time, and we have said in our
16 conclusions that the response rate for MAL-PDT is slightly
17 lower than surgery, at least when you look over time.
18 However, we believe that in a risk-benefit assessment, that
19 there are other aspects of the treatment that can make it a
20 useful tool in some patients.

21 DR. BIGBY: I've got a couple more. Page 110.

22 This is a procedural question. The description of
23 cryotherapy is rather brief, and it basically said it was
24 done for a minimum of 20 seconds and there were two cycles.
25 Do you have the data about what was the range and median

1 and maximum for cryotherapy and it how it was determined
2 how long to freeze a lesion?

3 DR. 8: Can you please repeat the question?

4 DR. BIGBY: The only thing that you said about
5 cryotherapy was that it was a minimum of 20 seconds. Now,
6 having treated many basal cells with cryotherapy, I've
7 never treated anybody with as little as 20 seconds. So
8 what I want to know is what was the range of
9 cryotherapeutic treatments, the median and the maximum, and
10 how was it determined how long to freeze things.

11 My skeptical reaction to this study is that
12 what you've shown in this study is that MAL-PDT is more
13 effective than sort of inadequate cryotherapy.

14 DR. STERN: And I guess the other question is
15 if the cryotherapy were adequate, how can these recurrence
16 or failure rates be so much higher than any of the
17 published data for the type of lesions that you've treated
18 not for canthal lesions or very severe sites, but of the
19 type of lesions you've treated, the whole literature would
20 suggest a fraction of this recurrence rate. So in fact
21 there's consistency between what at least Michael and I
22 learned as the adequate treatment with cryosurgery, what we
23 would consider inadequate by our clinical standards at a
24 higher recurrence rate than published in the literature.

25 DR. MORRIS: On our side, 67 in the

1 presentation today, we had the specification of the
2 cryotherapy. They were supposed to freeze until they
3 obtained a rim zone of 3 millimeters around the lesion and
4 then to thaw, and the thaw time was to be two to three
5 times the freeze time, and then a repeat freeze session.
6 So that was how that was described.

7 I think that when you look at our data compared
8 to what is in the literature, our data is prospective and
9 randomized data, and in the literature, I think you only
10 find studies that are retrospective, and they will
11 invariably have different response rates than a well-
12 designed, controlled study.

13 We've seen that in our AK studies too where we
14 also compared to cryotherapy, and again, cryotherapy had
15 also in those studies much lower response rates than the
16 retrospective studies in the literature would indicate.
17 There are no studies that have prospectively compared
18 cryotherapy to other treatments in AK and multi-center.

19 DR. BIGBY: Okay, but do you have recorded what
20 the range, median and maximum, was for cryotherapy?

21 DR. MORRIS: Yes, we do have those numbers, but
22 I'd have to go find them for you and I can get them during
23 the day today.

24 DR. BIGBY: I have just two more. With this
25 question of sensitization, have the patients who had

1 standard MAL-PDT treatment, two sessions and then another
2 two sessions 3 months later if they had partial response,
3 been patch-tested to see if they are sensitized to MAL?

4 DR. MORRIS: No, they have not been.

5 DR. BIGBY: So you don't actually know if in
6 normal use the patients get sensitized to MAL.

7 DR. MORRIS: No. Maybe you want to talk about
8 these cases.

9 DR. POSNER: You're correct. We do not know
10 what the incidence of contact sensitization is. What one
11 can say, however, is that it hasn't been a clinical
12 problem. Perhaps a suspected case has not been a difficult
13 problem to manage and certainly investigators haven't found
14 this as an issue. We are talking, of course, about contact
15 dermatitis and nothing worse.

16 DR. STERN: I don't know how you would tell
17 that. The only time that these people are exposed are at
18 the time they're also getting light, which would give them
19 an erythema and blistering reaction that at least I would
20 challenge anyone to tell whether there was also a contact
21 dermatitis going on. The only way you could test for
22 sensitization in any clinically meaningful way would be a
23 subsequent rechallenge on a distant site away from the
24 treated area.

25 So the fact that no one reported it -- sure,

1 it's hard to tell a contact reaction after someone has had
2 a severe phototoxic reaction in the site. I just don't
3 think you could tell which was which and one would
4 reasonably suspect that it was a phototoxic reaction which
5 is part of the therapy and you couldn't separate them.

6 DR. PARISER: Let me just say that in routine
7 use of this in the trials, the use of the modality in the
8 trials, I think you possibly could tell under an occlusive
9 patch test, if you will, considering the application to be
10 an occlusive test. The patients routinely and regularly
11 had these burning and skin sensitization -- not
12 sensitization -- skin burning, crusting, a little oozing.
13 That was normal. A rip-roaring contact dermatitis under a
14 patch for 3 hours could have, not always, made some kind of
15 difference. There didn't seem to be a subgroup of patients
16 where that happened.

17 DR. STERN: I live in an area surrounded by
18 poison ivy, and a far smaller proportion of poison ivy
19 reactions display what's described for oozing, crusting,
20 and blistering with the phototoxic reactions. So again,
21 perhaps you could detect them, but I would be clinically
22 challenged in being able to differentiate those in the
23 clinical setting of this therapy. It don't make sense to
24 me.

25 DR. PARISER: I'm not saying you can. But what

1 if you put poison ivy under a patch for 3 hours? It does
2 make a difference I think.

3 DR. LUKE: Just to be helpful, we're looking at
4 page 157 of the sponsor's briefing packet. There are two
5 cases that you're discussing, the two so-called eczemas.
6 One patient had eczema on the face and the other patient
7 had acute eczema. Both of those were thought to be
8 possibly suggestive of relationship to the product. In one
9 case there was a hypersensitivity test performed by an
10 astute dermatologist which reviewed sensitivity to both
11 ALA, the endogenous substance, and MAL cream.

12 DR. BIGBY: This is my last one. Page 125.

13 DR. POSNER: Sorry. Could I just clarify one
14 issue there? The patient had also been treated with ALA at
15 a different site and was positive when initially tested,
16 but when they came back 6 weeks later, the response was
17 really negative except a very weak response to a very high
18 concentration of ALA, but undoubtedly a positive reaction
19 to MAL. So that is the one confirmed case that, as you
20 say, the astute physician did test with patch test.

21 DR. BIGBY: This is my last one. Page 125, the
22 patient that's shown there. When was he treated and what's
23 his current status?

24 DR. MURRELL: This patient was from Dr.
25 Vinciullo's center in Perth, and my understanding from the

1 sponsor and the data is that at 2 years follow-up, which is
2 the latest data that we have in the Australian high-risk
3 study, is that the patient is clinically negative. But
4 we're not doing biopsies at the 1-year follow-up because
5 otherwise, there would be no tumor left to keep on
6 assessing by 5 years.

7 DR. DRAKE: Can I follow up on what she just
8 said? Why would you want tumor left to keep on assessing
9 in 5 years? She just said there would be no tumor left to
10 keep on assessing. Why would you want tumor left?

11 DR. MURRELL: We don't want tumor left. What I
12 meant was if we had done biopsies on every single time we
13 assessed the patient, you might have an argument to say
14 there was no recurrence because you had physically removed
15 it all.

16 DR. KING: Really probing the question of how
17 do you know about localization, in slide 6 it says there's
18 minimum systemic uptake due to low ability to cross the
19 basal membrane. I don't believe that for a minute, given
20 the size of the porphyrin.

21 So I come back to you found that 160 milligrams
22 per kilogram led to a plateau. Is that possible like
23 griseofulvin and other molecules the epidermis is acting as
24 a sponge? You're really just soaking up the MAL and the
25 fact it doesn't get through is more related you don't put

1 on too much, so you're not going to get much through.

2 You really didn't challenge the barrier in the
3 usual sense. You're just putting on MAL and saying what
4 the absorption is into the epidermis. To say it doesn't
5 get through to the dermis or to the blood vessels seems
6 unbelievable to me.

7 DR. HANSSON: I agree. It sounded unbelievable
8 to me as well. However, if you look somewhere in this
9 briefing document, the first observation that really caused
10 interest in this issue for us, before we did the
11 transepidermal in a cadaver for a type of skin test for
12 objective measurements, for some reason we found very, very
13 low uptake of MAL compared to ALA and to what other people
14 have reported for ALA.

15 If you look at page 19 in the briefing
16 document, the nude mouse is a good friend of us because due
17 to a very thin stratum corneum, they have a fairly rapid
18 exfoliation of the superficial cells and a similar high
19 proliferation of the basal cells to replace what is falling
20 off.

21 We have been using the skin of the nude mice to
22 test porphyrin buildup and doing a lot of kinetic studies
23 both with porphyrin formation and porphyrin removal. One
24 of the things we really discovered in the mid-'90s or early
25 '90s was that when we used aminolevulinic acid derivatives

1 where we have blocked the carboxy part of the molecule, the
2 buildup of fluorescence always only came at the site of
3 location. If we put the free carboxylic acid -- you have
4 the picture to the left -- you get some local buildup in
5 the beginning, but very soon the whole mouse became red.

6 If you go into our preclinical package -- I'm
7 too old to have a very good memory, but I think the uptake
8 in the skin patch test was something like .06 microgram per
9 square centimeter and a depot of approximately 3, 4, 5
10 percent of the total dose applied. It was a very high dose
11 and the systemic uptake from 4 grams -- was that correct --
12 was approximately 100 micrograms calculated.

13 If we did exactly the same thing for the free
14 carboxylic acid -- or it actually has been reviewed by the
15 agency for another product for actinic keratosis in this
16 country. They have exactly the same test providing figures
17 which are 10 to 20 times higher that we get in exactly the
18 same studies.

19 So you say you would never have believed it,
20 and I agree with you completely. I would never have
21 believed it. If you ask me for an explanation, I would
22 just say I really cannot provide it.

23 DR. KING: I still don't believe your
24 explanation. It looks like a thumbprint. Having worked
25 with mice for about 15 years now, I know they have a

1 thicker skin or epidermis than the normal furred mouse. So
2 when you look at what you're looking at, you almost look
3 like if you took poison ivy and put it on there, in fact
4 stopped. If it was stopped by the basal membrane, it would
5 be spreading out this way as opposed to straight down type
6 thing.

7 So I don't want to quibble a point, but I know
8 you're saying it doesn't penetrate very well, and I was
9 suggesting that's what happening is it's being selectively
10 absorbed by keratin, something in the cytosol or
11 mitochondria only at that site. So that's why you get a
12 limitation. You're implying a barrier this way, but you
13 don't explain why it doesn't spread out this way.

14 So I like the poison ivy analogy, so I just
15 wondered if you had an explanation. Maybe if you did a
16 subset or fractionization, you could find out whether it's
17 not only in the mitochondria but in the cytosol, keratin,
18 or other kinds of things different from ALA. Is that
19 making sense there?

20 You put a thumbprint. You get the chemical
21 right there. Poison ivy. If you put this on here and it
22 doesn't go through like this, that's different from saying
23 you got stopped by the relatively permeable basement
24 membrane. That means it must have stuck to the type 4
25 collagen, et cetera, et cetera. So you're now off into

1 basic science, so I stop right there.

2 DR. HANSSON: I probably agree with you except
3 I didn't understand everything you said. Perhaps the
4 formulation that it doesn't seem to penetrate the basal
5 membrane may not be a good one. But when we do all
6 systemic measurements in all the organs, when you apply the
7 free carboxylic acid, after 8 or 12 or 24 hours most of it
8 ends up in the liver. If you do that with the derivatives,
9 with a single carbon or a 6-carbon, nothing ends up in the
10 liver. Honestly, I don't know the explanation.

11 DR. KING: Sure, great.

12 DR. TEN HAVE: I have two questions clarifying
13 some points that Dr. Bigby raised for Dr. Alosch. One is a
14 more general question about what the FDA allows in the U.S.
15 in terms of the primary analysis, whether it's an intent-
16 to-treat analysis or a per-protocol analysis.

17 The second question, I think which is more
18 pertinent to this particular presentation, is what is the
19 threshold for inferiority deficits or treatment deficits.
20 The sponsor appears to be using 15 percent as a clinically
21 tolerable inferiority deficit in terms of 15 percentage
22 points. What does the FDA accept as a clinically tolerable
23 inferiority deficit?

24 DR. ALOSH: Thank you. I think, Dr. Ten Have,
25 probably you are touching on the non-inferiority trials,

1 the European trials. In terms of those trials, really the
2 agency did not have input in terms of the protocol. Those
3 trials were completed before the sponsor came to the
4 agency. So we did not have much to say in terms of the
5 non-inferiority margin.

6 I'll answer the two points which Dr. Ten Have
7 phrased in sequence.

8 First, in terms of analysis, we used the ITT as
9 well as the per-protocol analysis. The statement that for
10 non-inferiority trials we used the per-protocol, it's
11 conservative, myself, I do not agree with that statement.
12 I think the ICH-9 talked about a superiority trial to use
13 the ITT. It left it open in terms of the non-inferiority
14 trials.

15 If you look to the European guidance, it talks
16 about both of them, to have the ITT as well as the per-
17 protocol.

18 Lately in 2003, there is a paper in Statin
19 Medicine which talks also about having the two analyses.
20 Consistently we have been asking for the two analyses, the
21 ITT and the per-protocol population.

22 The way I see it's conservative, only in terms
23 of reduction in the number of patients. Consequently you
24 will end up with larger confidence intervals. But what are
25 the characteristics of those patients who are dropouts from

1 the ITT to reach to the per-protocol?

2 So this is really left to have been consistent
3 in asking for the two populations, for the ITT as well as
4 the per-protocol, retrospective as I said. We weren't
5 consulted. The sponsor did not submit the protocol to the
6 agency for comments for those.

7 Concerning the second part about using a non-
8 inferiority margin of 15 percent, it's really a clinical
9 stat issue. In a way what's the margin which you might
10 think clinically could you do with that. But I'd say the
11 stat part at least -- I mean, I leave it to clinicians to
12 answer whether the 15 percent is relevant or not. I'm
13 sorry. Do you want to answer or should I just continue and
14 then you could answer?

15 DR. MORRIS: I can just clarify how we reached
16 the 15 percent. It was agreed upon by the dermatologists
17 who were the investigators in the trial and it was a
18 consensus among these dermatologists that 15 percent was a
19 difference that they would say was clinically relevant.

20 DR. ALOSH: That's fine. It's true you might
21 agree with the dermatologists, but I'm stating what we do
22 in the agency.

23 We have in the past some guidance. For the
24 higher response rate, we'll use a small non-inferiority
25 margin. In particular, the response rate of 95 percent

1 entire, we use 5 percent. If it is 90 percent entire, we
2 used to use 10 percent. Now, I'll go back. They were a
3 few years ago and we are not enforcing them now. But the
4 message I think, the higher the response rate, we'd expect
5 a smaller non-inferiority margin. And it's also to discuss
6 with the clinical to see how important it is.

7 There is another issue in open-label studies
8 which is really gaining momentum. There is no vehicle arm
9 in those open-label studies, and consequently, for the
10 validity of those studies to be established, you need to
11 have the vehicle arm in those studies.

12 So from a statistical analysis point of view, I
13 think we have several concerns, I mean, about submitting
14 was the patient population which Dr. Ten Have tried to
15 touch like the analysis for two populations. I think the
16 more serious is the non-inferiority margin. There is no
17 vehicle arm. So I don't know if I addressed your question.

18 Thank you.

19 DR. STERN: I would suggest that after lunch
20 the panel directly address the issue of what difference in
21 outcomes between accepted therapy and the sponsor's therapy
22 would be considered to be clinically meaningful. In other
23 words, do we agree that a 15 percent inferiority at the
24 time of measurement at 1 and 2 years is clinically, in
25 fact, acceptable for a therapy. I would suggest we just

1 put that in our computers and not get to it until after
2 lunch because I think one of the things the agency might
3 want is our opinion about what's a meaningful difference in
4 outcomes as opposed to what the investigators might have
5 said. So let's not discuss it now but put it down on our
6 agenda.

7 I think we have very quickly three more people
8 to ask quick questions, and we can always ask longer ones
9 after lunch. Jimmy?

10 DR. SCHMIDT: I'd like to ask a question to
11 Professor Murrell. On page 107, in the study 304, you
12 treated extremities. One of the banes of my existence is
13 these people who are coming in now with these superficial
14 basal cells on their lower extremities and also transplant
15 patients. Can you elaborate on what your results were with
16 those patients? Were you successful with the basal cells
17 on the lower extremities? Because there's no rate of
18 whether they recurred or what happened.

19 DR. MURRELL: The 304 study wasn't one of the
20 studies that I presented. I presented the 310 and the 205,
21 which were the uncontrolled studies. Per, our
22 statistician, is looking up to see what the subgroup
23 analysis for that particular location was because I don't
24 know is the honest answer.

25 But from the point of the view of the patients

1 that I personally treated with that, they did complete
2 response. So they did well, but that's just a small group
3 of my own personal experience with those patients. So
4 we'll have to tell you later if you want specific numbers.

5 DR. SCHMIDT: Thank you.

6 DR. RINGEL: I had a bunch of questions, but
7 I'm just going to limit it to one.

8 DR. STERN: Perhaps, if you have a bunch, maybe
9 we should start with you after lunch. Would that be
10 acceptable to you?

11 DR. RINGEL: I could do one quickly now and
12 then do the rest after lunch.

13 DR. STERN: Whichever.

14 DR. RINGEL: The kind of burning question I
15 had, as I was reading this, is I was imagining myself in
16 front of the patient with a curette in my hand and they
17 say, curette it a little bit. And I'll tell you, I just
18 want to keep going. I really do. I just want to get rid
19 of that sucker right there.

20 (Laughter.)

21 DR. RINGEL: I guess the question is you've
22 compared it to surgery and you've compared it to
23 cryosurgery. Why ever didn't you compare it to
24 electrodesiccation and curettage? It seems to me that if I
25 had a chance to electrodesiccate and curette a lesion twice

1 and then have the patient come back in 3 months and do it
2 another two times, I think my cure rate might have been
3 pretty good. So I guess why didn't you use that as a
4 comparator?

5 DR. PARISER: Well, I really can't answer the
6 question why didn't we use it as a comparator.

7 But this is not therapeutic curettage that
8 we're all used to in curetting with the intent of cure.
9 This is really debulking. It's surface preparation. It in
10 general requires no local anesthesia. It sometimes doesn't
11 even elicit much of any bleeding. So it's not therapeutic
12 curettage. The main reason why you don't want to keep
13 going is the patient is going to yell at you because he's
14 not anesthetized.

15 Sure, a trial could be done and should be done
16 of this procedure versus curette and electrodesiccation,
17 but that was not in the package.

18 DR. STERN: If it's okay, we'll break for lunch
19 and continue with Dr. Ringel and then Dr. Katz after lunch.
20 We'll start back promptly at 1:00.

21 (Whereupon, at 12:10 p.m., the committee was
22 recessed, to reconvene at 1:00 p.m., this same day.)

23

24

25

1 AFTERNOON SESSION

2 (1:02 p.m.)

3 DR. STERN: I'd like to have everyone who would
4 like to participate please take a seat, and we will start
5 opening the meeting for the open public hearing. We have
6 received no names at this point for anyone who would like
7 to present at the open public hearing. So this represents,
8 as they say in some places late at night, the final call or
9 last call for people who would like to participate and
10 present in the open public hearing.

11 (No response.)

12 DR. STERN: Going once. Going twice. The open
13 public hearing is now over.

14 (Laughter.)

15 DR. STERN: Now we will continue to Dr. Steven
16 Rotter who has been kind enough to join us from Falls
17 Church, Virginia where he is a dermatologist in private
18 practice and he will tell us more about his background and
19 training and talk to us about cold steel and Mohs
20 micrographic surgery and their efficacy in nodular basal
21 cell carcinoma.

22 DR. ROTTER: Hello. Thanks for having me. My
23 name is Steve Rotter. I do skin surgery only in my
24 practice. I specialize mostly in Mohs micrographic
25 surgery, but basically all skin surgery from laser down.

1 So I have experience with most of the treatment modalities
2 for skin cancer, although certainly not all.

3 I was asked to talk about Mohs micrographic
4 surgery. I have a canned lecture. I found out recently it
5 should be a little bit different. So I put some slides
6 together that I'll show you and then I'll be available for
7 any questions that you have.

8 My training, if you want to know, is I was a
9 resident with Kathy O'Connell at Hopkins and then I did a
10 dermatology surgery fellowship at the University of Pennsylvania.
11 Before that, I did two years of general surgery at Sinai
12 Hospital, so I saw all kinds of ways to treat skin lesions.
13 The bias of my practice obviously is Mohs micrographic
14 surgery.

15 I'm going to fly through this very quickly
16 because we only have a few minutes. I'm going to extremely
17 quickly, and then I'm going to stop at a few points. Even
18 after the end, there are some slides that I added in here
19 that you'll like and I have taken out some. I'm going to
20 explain to you what Mohs micrographic surgery is. I'm
21 going to explain to you about skin cancer.

22 Obviously, we all know there's a zillion cases
23 of skin cancer in this country. It's epidemic. 54,000-
24 plus is the new estimate for melanoma.

25 We know why there's an increase. We're not

1 exactly sure, but increased sun habits and ozone.
2 Obviously, history of radiation exposure, tanning beds,
3 ultraviolet light, et cetera, chemicals, farmers and people
4 who have exposures also get increased risk of skin cancer,
5 also family history.

6 Immunosuppression, chronic ulcers, virus,
7 inherited diseases that make you more susceptible, zero
8 dermal pigmentosa, basal cell nevus syndrome, et cetera.

9 Stop me if you have any questions at any time.
10 I'm just trying to get to the main points for this which I
11 think is comparing treatment modalities of basal cell
12 cancer.

13 We're talking about basal cells. That's the
14 most common cancer. We see 1,500-plus of those a year in
15 my practice. The most common location unfortunately is the
16 head and neck, unfortunately because it's a cosmetic
17 disfigurement more than life-threatening most of the time.
18 Thank goodness. And people that get them will get another
19 one. So they always say, I love you but I hope I never
20 have to see you again, and I say, well, chances are you'll
21 be seeing me again so don't get disappointed. And that's
22 the problem with skin cancer: once you start in that cycle
23 of getting skin cancer, you tend to get more.

24 Different types of skin cancer, basal cell.
25 This is important. I know we're focusing I think on

1 nodular basal cells, and I'm going to go into this later,
2 but there are different subtypes of basal cells. They
3 behave differently. They look differently. I could see 10
4 to 15 basal cells in a day and 10 to 15 of them look
5 different from each other. So there are clinically
6 different appearances under the microscope and I'm going to
7 show you some of those towards the end.

8 The most common is the nodular basal cell, and
9 that's the easiest to treat.

10 This is just an example of a pearly
11 telangiectatic plaque, a common location. They can be
12 pigmented. It means nothing.

13 Superficial or multicentric basal cell
14 carcinomas are these kind of scaly red plaques that people
15 get more often on the body than on the face as opposed to
16 the other types of basal cell, but tend to be very subtle
17 in their extension subclinically. Because they're
18 superficial, the epidermis doesn't show much change until
19 it gets big enough to make a change and you don't see the
20 clinical extensions a lot of times.

21 Morpheaform. It's hard to see in this light
22 perhaps, but it looks like a scar. It looks like white
23 plaque. So here we have four different basal cells already
24 that look different, and many more can look much different
25 than that.

1 Usually it's a slow course. People say, how
2 long do you think I've had it? It's big guesswork. I've
3 seen basal cells that go very quickly and a lot that have
4 been there for 10 years or treated 8 years ago and are
5 still there and they show up with a recurrence.

6 They can get huge.

7 Under the microscope, I mentioned before, they
8 have different pathologic characteristics, but the common
9 characteristics are they stain a dark purple. They're
10 peripheral palisading, which means that the cells at the
11 edges are lined up in a row, kind of, and there's
12 retraction. There's space between the outer layer of the
13 cells and the surrounding stroma or the dermis or the fat
14 or it whatever happens to be.

15 The last picture was not just meant for shock
16 value but it's meant to show you that we talk lightly of
17 basal cell carcinoma, but you really wouldn't want one.
18 You don't want it on your face and they can get bad. And
19 if you leave them alone, they can be destructive. We call
20 them rodent ulcers. They never stop chewing. They're a
21 cancer. They just chew away, so you want to get rid of
22 them. Sometimes you get unlucky and sometimes they follow
23 nerves or they go into bone or other things.

24 This brings us to the tip of the iceberg theory
25 where what you see is not always what you get, and that is

1 the basis for all treatment modalities. So my standard
2 line is, how do you know how much to treat? A doctor is
3 going to tell you, here's what I see. I better take some
4 normal-looking tissue around it to make sure I get it all.
5 That statement means the following.

6 Typically there are extensions of the basal
7 cell cancer into skin that still looks normal, and I'm
8 either going to cut it out or x-ray it or scrape it or do
9 whatever to it with some normal skin around it because I
10 don't know where that normal skin starts and where the
11 abnormal skins ends or anything. So I better take some
12 extra with me. If there were no extensions, it would be
13 very simple. We'd just take what we could see and that
14 would be all you'd have to do, but we know that's not true.
15 So we take extra skin.

16 How much? No one knows. It depends on the
17 location. You don't want to take too much. You don't want
18 to take not enough or you can error and take too much on
19 one side of it and not enough on the other side of it. So
20 you have all the different combinations.

21 Then we typically send it to a lab and the lab
22 will look at a small fraction of the edges, and we'll go
23 into that. So again, guesswork.

24 And because of the guesswork, you have
25 recurrence rates. There are a million basal cells a year.

1 We have lots of numbers on recurrence rates. So not all
2 studies are good and there are a lot of bad studies, but we
3 do have lots of numbers. In a clinical practice, I can
4 tell you the numbers are pretty accurate for what you see
5 in practice.

6 We can skip this. You can scrape and burn
7 something. That relies on the characteristic that basal
8 cells that are nodular tend to be softer and easily scraped
9 away from the skin if they've never been treated before.
10 It's quick and easy. And that's just a clinical example.

11 Freezing is another well-known therapy.

12 Again, how far, how wide, et cetera are all the
13 unknowns.

14 Radiation therapy we know about. I'm
15 personally against radiation therapy for most cancers
16 because I see what happens to people who have radiation and
17 you get long-term changes in your skin that end up causing
18 cancer, and whenever the radiation people tell you it's
19 better, I still haven't seen it to be better yet. So I
20 think of it as the last effort for certain tumors that
21 can't be cleared for whatever reason and late in the life
22 of somebody because it's going to cause problems later in
23 their life.

24 Again, that's chronic radiation treatment now
25 with a cancer in the middle of the radiation that's already

1 been radiated, and now it's a more aggressive type of
2 tumor. So in the center of that radiation-changed skin is
3 a more aggressive skin cancer, and he has skin that doesn't
4 heal as well.

5 Again, where's your portal? How much do you
6 radiate?

7 Lasers can be used for skin cancer, and I
8 believe we're talking about photodynamic therapy some. You
9 can use laser for photodynamic therapy or -- you may have
10 heard this -- you can use non-laser light sources for
11 photodynamic therapy. I don't believe there's a big
12 difference in cure rates between the two. So non-laser
13 light sources may be easier financially, but the clearance
14 rates in the studies that I'm aware of run about 75 percent
15 in the studies on the ones they've chosen to treat, which
16 is not as good as what we can do. That may be different.

17 But also a point to note on this is that
18 clinical recurrence needs to be addressed with histologic
19 recurrence. In at least one study I'm aware of, 11 percent
20 of the patients or 13 percent of the patients were clear
21 clinically -- excuse me. 11 percent had recurrence
22 clinically, but 25 percent had recurrence when they looked
23 at it histologically. So you've got to evaluate studies
24 clinically and histologically. That's one point that I
25 will make.

1 Surgical excision. Again, we can say, well,
2 let's guess. We'll just take a bunch of skin, 2 to 5 or 3
3 millimeters, or whatever we're going to do. Now, on the
4 body you may take a little more and get away with it.
5 That's great. Quick and easy. 15 minutes they're home and
6 that's usually fine.

7 On the face you may not have 5 millimeters to
8 take or you may not know which direction to take 5
9 millimeters if it's on the end of your nose or whatever.
10 So then you have to make judgment calls, do I skimp here,
11 do I take more there, or whatever. Every time you take a
12 millimeter one way or the other, you're changing the wound.
13 You're changing the characteristic of the healing. You're
14 changing what kind of repair you need to do or not do. So,
15 again, guesswork. But you can get good cure rates with
16 standard surgery.

17 I do this, Mohs micrographic surgery. The more
18 I do it, the more I believe in it, and the reason is you
19 never know what you're going to get. That's why there's
20 this tip of this iceberg theory. Mohs micrographic
21 surgery, named after Dr. Fred Mohs, uses a microscope to
22 generate a map to tell where the skin cancer cells extend
23 to. So instead of guessing at margins, I'll typically take
24 a millimeter or 2 beyond what I see, usually the thickness
25 of my pen that I mark the circle with, cut that out.

1 Instead of sending that to a lab, the patient goes to the
2 waiting room. We take the specimen in the office and we
3 examine it and process it in a unique way, which I'll show
4 you, which looks at 100 percent of the edges of the
5 surgical specimen all the way around and underneath. If
6 there is any tumor at the edge, we'll be able to see it and
7 I can tell exactly or pretty well exactly where that tumor
8 is, whether I need to go deeper or not, et cetera. I mark
9 that on a map. I bring the patient back in the room, and
10 then we go back to where we need to. I don't know how much
11 to take, so I just take a little bit, usually, depending on
12 the area, 1 to 2 millimeters, maybe more if there's plenty
13 of skin there. Then I do a little bit only where we need
14 to, and then they get a band aid and go back out to the
15 waiting room. It takes another 35 minutes, and then we
16 check that. If I see anything at the edges of that piece,
17 that means I haven't quite gotten around it yet. So if
18 there's a little extension and I've chopped part of it and
19 I haven't got around it, I'll just keep going until I get
20 around it.

21 Skin cancers are continuous in their growth
22 pattern. They may extend out like amoebas, and cancers are
23 like the rest of us. They tend to choose the paths of
24 least resistance. So they'll latch onto a blood vessel or
25 a septia in the fat or a nerve and travel along a plane

1 that's easy to get to. But then tend to be contiguous.
2 They tend to be one solid mass in different shapes.

3 Historically Mohs surgery was mostly delegated
4 to recurrent tumors and tumors that were large or had a
5 high likelihood of recurrence with standard excisions. I
6 said we have lots of numbers. We'll go into some of those.
7 But Mohs surgery is now used for most skin cancers on the
8 face, it seems like, where you want to preserve tissue.
9 You don't want to take too much. So we don't take any more
10 than we need to. We don't want to take too little and have
11 it continue to grow. You don't want to do a flap or graft
12 over top of what you've just cut out and hide something
13 from recurring, but flaps and grafts necessarily look
14 better on the face where you're moving tissue around to fix
15 something up. So you'd like it to be clear before you move
16 tissue around to fix up the wound from a skin cancer.

17 In all the studies, you'll see there's nothing
18 that is as good as Mohs micrographic surgery, and the
19 studies will range from about 94 to 99.something percent.
20 The reason is, again, we take the guesswork out of the
21 surgery. We do have errors. We do make mistakes. The
22 processing could be wrong. The doctor has to make judgment
23 calls sometimes. Is this a hair follicle, is this a nerve,
24 is this a muscle sheath, is this whatever? And is this
25 fascia or is this a tumor?

1 Treatments before will cause scar tissue. Scar
2 tissue causes breaks in that contiguous mass. So now you
3 have to try to get all the scar tissue out, or else you may
4 miss little pockets of tumor that are no longer contiguous
5 that are growing in separate areas.

6 So it's not 100 percent, but I can tell you
7 it's 99 percent. In my practice, it's at least 99 percent.
8 That's all anecdotal, but if you do 10,000 cases, you'll
9 see how many recurrences you get a year, and you'll get an
10 idea, and it's very rare if we get a recurrence.

11 So it's become a kind of standard of care where
12 the tumor is in a critical location, eyelids, lips, ears,
13 and nose; the tumor is recurrent, it's been treated before;
14 and the tumor has ill-defined margins. So you wouldn't
15 even know where to start your guesswork of where to cut
16 out.

17 Not all basal cells are created equal. I'll
18 show you some slides. Under the microscope, basal cell
19 cancers have different morphologies, just like they do on
20 the surface of the skin, and they have different behavioral
21 characteristics. Some tend to spread out more subtly.
22 Some tend to spread out deeper. Some are more aggressive
23 than others. So a soft nodular basal cell would be your
24 least aggressive and then they go up from there.

25 If I'm going too fast, slow me down. I want to

1 have time for questions.

2 You can do lots of tumors. We did a
3 dermatofibrosarcoma protuberans today. That was already
4 treated twice with standard excision.

5 Now, these are some numbers and I have some
6 more for you. If you do a standard excision for nodular
7 basal cells, you can get 90 or 92 percent recurrence. Most
8 of the studies with Mohs are the mostly high-risk ones
9 which you know are high recurrence, and we're still getting
10 96 to 99 percent. In other words, we know that the ones on
11 the nose, eyelid, lips, and ears tend to recur more often.
12 We know that ones that have been treated before tend to
13 recur more often, et cetera.

14 This is just an example of breadloafing in a
15 pathology lab, how you can miss tumor. If you slice one
16 and you go and slice two and slice three and put them on a
17 slide, you'll miss that in section B. There was a tumor
18 extension to the margin. If you examine 100 percent of the
19 edges, you won't miss that.

20 The patient on the left is the clinical lesion.
21 The picture on the right is the extent of the actual skin
22 cancer. So again, you can't guess, and it just comes up
23 every day, day after day.

24 This is Mohs surgery. There is a study by Dr.
25 Zitelli, a Mohs surgeon who compared costs of Mohs

1 micrographic, and it's a cost effective method. If you
2 just freeze it or burn it or scrape it, it's cheaper in the
3 short term. It may not be cheaper in the long term, but
4 there you can get an idea of what things cost.

5 This is how the tissue works. You see the
6 tumor. You scrape away the visible part. You cut out the
7 visible part and a millimeter or 2 around it. You make
8 hash marks so you can identify location. You cut that out.
9 It's then mapped. That picture is what you cut out, so
10 there's the picture of the specimen on the patient, just
11 for diagrammatic purposes. There is the map you've made
12 corresponding to the tissue. You mark the edges with inks.

13 DR. STERN: Excuse me. In the interest of
14 time, could you concentrate in the next 5 minutes on issues
15 related to the treatment of nodular basal cell carcinoma as
16 a primary nonrecurrent tumor and not in terms of technique
17 or particular procedures? We're really talking about how
18 to treat nodular basal cells that are primary and not
19 recurrent.

20 DR. ROTTER: Well, these are primary lesions,
21 by the way.

22 This is what I was saying. If you're going to
23 repair someone, you better make sure they're clear.

24 Now, this study looked at all studies for a 40-
25 year period of skin cancer. There are very few studies

1 that give long-term follow-up, more than 2 years, more than
2 3 years. But surgical excision alone, 5,500 patients, the
3 ones they chose to treat, had a 2.8 percent recurrence
4 rate. Curettage and electrodesiccation, the numbers are a
5 little strange, you'll see later. But they are 4.7
6 percent; irradiation 5.3; cryotherapy, 3.7; and Mohs, 1.4.
7 So that's primary basal cells. That means they've never
8 been treated before. There are all different comers, but
9 if you lump them all together -- in other words, some are
10 on the face, some are on the body, some are on the ear.

11 Primary tumors. And now you look at greater
12 than 5-year recurrence rates, the studies that are there,
13 10 percent for surgical excision; 7 percent for curettage
14 and electrodesiccation; radiation, 8.7; cryotherapy, 7.5;
15 Mohs surgery, 1 percent. So these are more true numbers
16 and you'll see that recurrences happen about two-thirds of
17 the time in the first 2 or 3 years, but 20 percent of the
18 time they recur after 5 years.

19 This is just showing you at 5 years, 20 percent
20 more recurrences by definition than after 3 years. And
21 then the same thing. It continues to go the longer you go
22 out, so you want to look at things that have long-term.

23 This was a nodular basal cell. It can go deep.
24 You'll see that's in the fat around a blood vessel, on a
25 hair follicle.

1 Mixed types. You'll do a biopsy on the top.
2 You'll end up with squamous cell and basal cell mixed on
3 the bottom.

4 Infiltrative basal cell.

5 Mixed. On the left, you can biopsy that. On
6 part of the lesion, you'll see a nodular basal cell on the
7 right. You get a sclerosing basal cell. So there are lots
8 of variables. You don't know what you're going to get to.

9 It's hard to compare one or the other. But
10 about 10 percent for straight surgery, about 1 percent or
11 so for Mohs surgery for primary lesions.

12 DR. STERN: Are there any questions for the
13 speaker with respect to the outcomes and treatments of
14 nodular primary basal cell carcinoma?

15 DR. DRAKE: Could we go back to that 5-year
16 slide?

17 DR. ROTTER: 2.8 percent I think was the number
18 for surgery excision, about 5 to 7 for other things.

19 DR. STERN: From the sponsor, yes.

20 DR. BRAATHEN: Lasse Braathen. I'm from Bern,
21 originally a Norwegian. But I am the chair at the
22 university department in Bern.

23 My question is, are these multi-center studies
24 or single-center?

25 DR. ROTTER: This is a cumulative of 40 years

1 of studies that were presented that had treatment
2 modalities, one or the other. It's 40 years of surgical
3 excision studies, 40 years of C&E studies, 40 years of
4 radiation studies, and then ones that had follow-up of at
5 least 2 years were included.

6 DR. BRAATHEN: Retrospective compiled for many
7 studies.

8 DR. ROTTER: Retrospective compiled, correct.

9 DR. STERN: Dr. Ten Have.

10 DR. TEN HAVE: Just a quick question on the
11 next slide. I'm just curious about why the denominator for
12 Mohs is so much smaller for less than 5 years and more than
13 the next slide where it's 5,000.

14 DR. ROTTER: Right. These are long-term
15 studies, so studies that had patients in them for under 5
16 years were the 367 patients that had Mohs. Follow-up for
17 over 5 years, there were 5,600, whatever it was.

18 DR. TEN HAVE: So there have been a lot more
19 long-term studies on Mohs than short-term studies.

20 DR. ROTTER: Correct.

21 DR. WILKIN: I would just point out that in
22 FDA's briefing document that went out in advance to the
23 committee, there is a study. I think it's the very last
24 section. It's titled Long-term Recurrence Rates in
25 Previously Untreated Primary Basal Cell Carcinoma:

1 Implications for Patient Follow-up. The first author is
2 Dan Rowe. I think that's the source of the data, and it
3 describes the methods.

4 DR. ROTTER: Yes, that's the source. Correct.

5 DR. STERN: And I think earlier in the morning
6 I sort of updated that with Jean Lee's review of a
7 subsequent review. I think all of these data are
8 reasonably consistent with many of the caveats we've talked
9 about.

10 I'd like to thank you very much for your
11 presentation. Okay, one last question from the sponsor.

12 DR. CLEMENTI: My name is William Clementi.

13 Could you speak to the issue of restorative
14 surgery that may be required after you perform your
15 procedure?

16 DR. STERN: We're not here to compare costs in
17 this way, and I just don't want to get into this debate,
18 you know, is Mohs worthwhile, do you have bigger defects,
19 should you go to the plastic surgeon. I think that is an
20 extreme off-the-track that we could be here for 3 days
21 about. What we're talking about is data that is directly
22 related to basically judging and putting into perspective
23 the efficacy of the sponsor's drug plus device and putting
24 it in a historical context. So I just think we're going to
25 get into a long discussion that really won't move us

1 forward.

2 DR. CLEMENTI: I don't think I used the word
3 "cost." I think I was getting at --

4 DR. STERN: No, no. I said I don't want to go
5 there.

6 DR. CLEMENTI: I'm not going there.

7 DR. ROTTER: Do you want me to answer? Okay.
8 I'll make a comment on reconstruction after Mohs surgery.
9 Basically you have the choices of anything. If they're
10 small enough and we don't take much, if it's a small
11 lesion, you can keep it small. Sometimes you can let it
12 heal on its own. If it's more than that, then you have to
13 repair it side to side, in a sense. If you can't repair it
14 side to side, then you have to borrow tissue which is
15 either a flap. If you can't repair it with a flap, then
16 you do a graft and you move tissue from one location
17 totally separate and put it on. All that is done the same
18 day, and you don't know until you get there what you're
19 going to do to the patient, but it's all part of the
20 procedure.

21 Thank you very much.

22 DR. STERN: Just for clarification, you do let
23 some things heal by secondary intention.

24 DR. ROTTER: That was the first thing. If it's
25 small enough, we let it heal with second intention. If

1 not, we go to the primary closure and move along.

2 DR. STERN: Does the company want the 10
3 minutes now to, I guess, respond to some questions or make
4 some additional statements?

5 DR. CLEMENTI: William Clementi again. Thanks
6 for having the 10 minutes.

7 We think it's important to clarify a few points
8 that were made this morning with respect to meeting minutes
9 that were exchanged between the division and us and with
10 respect to some of the methods that were used with
11 cryotherapy and a few other computational methods that we
12 had performed that you didn't get a chance to see. So I
13 hope we clarify a few misunderstandings.

14 DR. HESTDAL: Just to go back to my last slide
15 this morning, what we are doing is to think that the
16 treatment with MAL-PDT is for the indication of nodular and
17 superficial BCC where surgery is not desirable. In regard
18 to that, I think like Dr. Wilkin said, there may have been
19 some misunderstandings in the interpretation of the
20 different minutes. Maybe we could have the next slide
21 please.

22 In regard to the endpoints of 307 and 308, the
23 difference between having clinical evaluation with
24 histological verification or it was going to be dependent
25 on both clinical and histological, this was the FDA minutes

1 that we received on the protocol in regard to discussion of
2 the protocol. It says -- I am stating from the minutes --
3 it's clinical evaluation with histological verification at
4 an appropriate time after last treatment. And we made the
5 interpretation that you did a clinical evaluation at the
6 time, and then if it was incomplete, you excised and then
7 you verified your clinical response.

8 The next part is in regard to the number of
9 patients for recurrence studies. At the meeting in June
10 2000, a request for follow-up data on 250 BCC patients. In
11 the minutes, there is actually no specification that those
12 were only nodular BCC lesions that was given. So what we
13 have here is that we have focused on the number of high-
14 risk and low-risk BCC patients that we had for follow-up.
15 And in regard to high-risk -- we have 112 patients in the
16 low-risk group, and 196 patients, and that adds up 308
17 patients in total for recurrence for 2 years follow-up. So
18 I just want to clarify that.

19 It's maybe also just a small point in regard to
20 the biostatistics person in regard to ITT and PP. The 303
21 protocol and the 304 protocol were submitted to the agency,
22 and we got feedback from the agency on that protocol. For
23 efficacy analysis, the division recommended using the ITT
24 population to establish superiority and per-protocol
25 population to establish non-inferiority.

1 DR. STERN: Could we go back to your first
2 slide? I guess I'm very confused here because most of the
3 data that you've presented, or a large proportion of it,
4 are in fact people who ended up having surgical excisions.

5 So how did you get through an ethics committee when you're
6 trying to treat lesions that surgery is not desirable and
7 yet part of the protocol is ultimately taking the treated
8 area and surgically excising it?

9 We always want the data to come from the
10 population for which the indication is looked for. If that
11 were the indication and then you told me or my IRB, well,
12 we're looking for these patients, but ultimately a lot of
13 them are going to end up getting excisions, I don't think
14 I'd even get to come to the meeting about the approval.
15 I'd be interested for Ms. Knudson's --

16 MS. KNUDSON: I think you're absolutely
17 correct. They're either not surgically possible patients
18 or they are.

19 DR. STERN: Could you clarify that for me then?

20 DR. HESTDAL: I can clarify a little bit how
21 the thinking about that is. We have done low-risk nodular
22 BCC and superficial BCC, and we see that in the case of
23 surgery, the sustained response rate is lower. So we think
24 that if the patient wants to have or the doctor thinks that
25 cosmesis, for example, is one feature that is important for

1 the patient and the patient should have this option to not
2 have surgery, that's one point.

3 The other thing is that we think we have
4 provided evidence today that shows that we are similar to
5 cryotherapy. So you use cryotherapy in a lot of your BCC
6 treatments. We heard also the other speaker here say that
7 that was the case.

8 DR. STERN: So perhaps this is semantics. Then
9 do you mean where surgery is not desired as opposed to
10 desirable?

11 DR. HESTDAL: Yes.

12 DR. STERN: Okay. That's a very different
13 thing in terms of who it might be used in.

14 DR. BRAATHEN: We have in our department
15 several years of experience with this treatment, and there
16 are a number of patients who because they don't want scars
17 on the face and so on, and because you can use this
18 treatment practically in an unlimited number of times and
19 you still have the other options. So you keep open all
20 other options, and if you heal them with the PDT in the
21 beginning without any scars, the patients are very happy.
22 So that's the rationale of all this thinking. And in the
23 clinical setting, I think we have to agree that the
24 patients more and more are looking at the cosmesis.

25 DR. STERN: I'm sorry. The sponsor hasn't used

1 its 10 minutes. Did it have additional things it wanted to
2 bring forward?

3 DR. HESTDAL: That's right. So then we also
4 have in regard to the skin sensitization -- maybe Lasso can
5 come back.

6 DR. BRAATHEN: My name is Lasse Braathen. I
7 said that previously. I'm educated in Germany and in
8 Norway. I have three specialties, dermatologist,
9 allergology, and clinical immunology and angiology, and I
10 also have a master in health administration.

11 The FDA is curious about the unusually high
12 rate of sensitization. If you look at what is around in
13 products over the counter, you will see that a lot of these
14 products contain parabens. Benzoyl peroxide, for instance,
15 is an over-the-counter drug here in the States I think.
16 Benzalkonium chloride. And if you submit these substances
17 or these over-the-counter preparations to the kind of
18 procedure which has been done in this sort of guinea pig
19 maximization test, then I think you would get sensitization
20 in most of them.

21 The second issue is what is the problem of
22 sensitization. I have treated probably, my own patients,
23 about 300 or 400 treatments, and a lot of them are
24 repeaters. They come regularly for treatment because it
25 pops up new and it's mainly actinic keratosis but also

1 occasionally basal cell carcinomas and Bowen's. In our
2 department, we have treated more than 2,000. We have not
3 seen one single case where we suspected a contact
4 sensitization.

5 Now, a phototoxic reaction does not give
6 papules, does not give the vesicles unless you burn the
7 patient. A photoallergic reaction or an allergic reaction
8 is defined as T cells which are specific for the particular
9 antigen and it spreads. We all know that if you test it,
10 it spreads outside. I've never seen any cases where I even
11 got the idea that there's an allergy behind it. All have
12 typical phototoxic reactions and it's like sunburns.
13 That's my clinical experience.

14 Now, the second thought is, does it really
15 matter. We use drugs which induce immune reactions. We
16 use diphenylpicrylhydrazyl which is an obligate contact dermatitis
17 antigen for treatment of alopecia areata. We induce on
18 purpose a contact allergic reaction in order to treat the
19 patient.

20 Secondly, a new drug which is now coming is
21 imiquimod which acts over the receptor 7 and induces an
22 immune reaction, a very strong immune reaction. You have
23 to treat the patients for 3 months, and the patient is
24 going around with heavy skin inflammation for all that time
25 and we are happy when the lesions then clear at the end.

1 So to me I don't think it's really an issue.
2 If there is some contact dermatitis in addition to the free
3 oxygen radicals -- it's even also described apoptosis in
4 the lesions -- then I think I would be happy if there is an
5 additional thing going with the rash which is helping us to
6 cure the patient.

7 Earlier today, the question was how far down in
8 the skin does the red light penetrate. It's about 5
9 millimeters.

10 Another question was the time for the freezing
11 and we have the data now. It's 35 plus/minus 12 seconds.
12 The range was 20 to 90 seconds and the median 40 seconds.
13 I guess that the reason for this, they're all experienced
14 clinicians, and you know, as well as I do, that everybody
15 does the freezing in his own way.

16 I believed, when I was younger and until I saw
17 these studies, freezing studies in actinic keratosis,
18 cryotherapy, that cryotherapy was 100 percent until we saw
19 the results of the prospective study. We also all know we
20 have to admit that. I'm certain that Professor Stern also
21 will admit that. I would never allow a publication out of
22 my department that showed that my basal cell carcinoma
23 treatment results were much less good than what was the
24 average. I would then not publish. So what we see as
25 published data are mostly from people who are very proud of

1 their results and with right because the data we saw here
2 today of recurrence rates with different methods are
3 superb, but there is no incentive to produce or publish bad
4 results. And we know it.

5 So, in effect, in our department we use this as
6 a routine method for actinic keratosis and for selective
7 cases of basal cell carcinomas, and that is these cases
8 where we try because of the cosmesis. It may be on the
9 eyelid here and also here where we then see this is going
10 to be a major surgical thing and the result is very unsure.

11 So let's try something else first which we know has a very
12 good cosmetic result.

13 Thank you very much for your attention.

14 DR. MURRELL: There was one more answer to your
15 lower leg question. Because in the high-risk studies, the
16 patient's locations were coded by extremity, face, or scalp
17 or trunk, I can only summarize for the extremities, but
18 there didn't seem in our studies that there were many of
19 these large lesions on the upper limb. They were mostly
20 the lower limb, but I'd have to go back, get the CRFs out
21 to tell you specifically below the knee.

22 But there were 30 extremity lesions in the 310
23 study and 91 percent complete response rate at 3 months
24 when the biopsies were taken. 18 of those were
25 characterized as superficial lesions, and 17 out of 18 were

1 complete responders, 94 percent, and at 2 years, 2 out of
2 those 17 had recurred, with a 12 percent recurrence rate.

3 In the 205 study, there were 6 superficial
4 extremity lesions. I don't have the total number extremity
5 lesions, but 5 out of 6 had responded completely at 3
6 months and at 2 years none of those admittedly small
7 numbers, 5 had recurred.

8 Thank you.

9 DR. STERN: I think we're once more open for
10 committee discussion, and I think it was Dr. Ringel's turn
11 for her questions 2 through n.

12 (Laughter.)

13 DR. RINGEL: Hopefully we won't get to n.

14 One thing that I think would help me is
15 actually to see this kind of in progress. We're talking
16 about if there's an allergic reaction, if there's a
17 phototoxic reaction. Do you have any pictures of what this
18 looks like the day after, a week after? Do we have any
19 clinical pictures with us so we could actually lay our eyes
20 on this thing as it goes through?

21 DR. HESTDAL: Sorry. We don't have them with
22 us.

23 DR. RINGEL: Another issue was on page 52,
24 figure 9, you have a nice picture of histology versus the
25 penetration of MAL into the basal cell carcinoma. One way

1 to address the penetration -- we don't really care so much
2 -- well, of course, we do -- how far it goes into the skin.

3 I think people are concerned does it go into the skin
4 enough, and more important, does it go into the basal cell
5 carcinoma enough. Have you tried to do any studies which
6 compare lesion depth to percent penetration? In other
7 words, will it penetrate a 3 millimeter nodular basal cell
8 carcinoma, a 5 millimeter, a 7 millimeter, that sort of
9 thing?

10 DR. HESTDAL: Did you ask if we have looked for
11 penetration?

12 DR. RINGEL: In other words, if you have a very
13 deep basal cell carcinoma, what's the maximum this will
14 penetrate? Will I be able to treat a 7 millimeter deep
15 basal cell carcinoma or a 10 millimeter deep basal cell
16 carcinoma? Do you have any data that compares depth of
17 penetration of MAL to the lesion depth?

18 DR. HESTDAL: We have looked at the data in 307
19 and 308 in regard to the depth before including the patient
20 and then the results. I think one of the studies showed
21 that there was no -- I think we have the lesions up to 5
22 millimeters in depth and there was no difference in
23 response in the different superficial or nodular.

24 The other thing is that we have looked at the
25 penetration depth in regard to measuring photoactive

1 porphyrins. In this study no nodular lesion was larger
2 than 2 millimeters in depth, but we achieved this 98
3 percent relative penetration depth. So both clinically, as
4 well as with the fluorescence measurement, I think we have
5 data that indicate that you can treat pretty deep lesions.

6 DR. BRAATHEN: Maybe I could add. There is
7 guidelines for photodynamic therapy which is given by the
8 British Association of Dermatologists. It is now published
9 in the British Journal of Dermatology. And they conclude
10 that they recommend that lesions up to 3 millimeters can be
11 very efficiently treated with PDT.

12 There is a way to solve that problem. If you
13 have a lesion which is thicker, you debulk it and you stop
14 the bleeding and then you apply the cream.

15 DR. RINGEL: And the last question I have is
16 back to the data from one cycle of treatment, two sessions,
17 but one cycle. I have many patients where I do the
18 biopsies and they don't come back because, as far as
19 they're concerned, it looks so much better. It's very hard
20 to get them back to the office. It's going to be even
21 harder to get people back to the office who have two
22 treatments of this 3 months later. There are going to be a
23 lot of people who are going to get lost to follow-up.

24 So I was wondering, once again, I know the FDA
25 didn't have the means to have any data on this, but perhaps

1 in some of your earlier studies, do you have any data of
2 what kind of cure rates you got after one cycle of MAL-PDT?

3 DR. MORRIS: I don't think that the studies
4 have been generally designed to look at one or two
5 treatments.

6 DR. RINGEL: It felt as if you must have had a
7 reason to do two cycles rather than one cycle because
8 obviously you didn't feel that there was a sufficient cure
9 rate for one.

10 DR. MORRIS: Yes.

11 DR. RINGEL: So I was wondering what the data
12 was --

13 DR. MORRIS: We realized from the phase II data
14 that about a third of the patients, roughly, had to come
15 back for a second treatment, and that has been shown again
16 in the phase III studies. Roughly, but I don't have exact
17 figures here.

18 DR. STERN: I think Dr. Katz was next.

19 DR. KATZ: Are you finished with your
20 questions, Doctor?

21 DR. RINGEL: Yes, I am.

22 DR. KATZ: On this DVD, was that an actinic
23 keratosis treated or a basal cell carcinoma? We were given
24 this DVD. We were given a demonstration. It was very well
25 depicted.

1 I have some comments because I'm a practicing
2 dermatologist. I see maybe an average of 400 basal cell
3 and squamous carcinomas a year and actinic keratoses at
4 every hour. To think of having a patient come and wait 3
5 hours and put medicine on and then treat it with this
6 machine, when I can spray -- and yes, I always tell people,
7 as artful as we are, we do get an occasional white spot.
8 But it's astounding to me that that would be done.

9 The other question I have, amongst others, Dr.
10 Hansson, that first slide you showed of the patient who had
11 a recurrence after Mohs, how many patients have you treated
12 with this therapy with Mohs recurrence like that?

13 DR. HANSSON: I don't think it's correct to
14 call this particular patient a recurrence after Mohs
15 surgery. This was a patient with a very large lesion, as
16 you saw, on the nose where they started Mohs surgery, but
17 because of excessive bleeding and problems with anesthesia,
18 they couldn't finalize it.

19 DR. KATZ: I see.

20 DR. HANSSON: And as an alternative, in this
21 particular patient, the primary option was not possible,
22 and since we, at the same time, were doing this study in
23 Australia on difficult-to-treat or high-risk basal cell
24 carcinoma, this patient was then included in that study.

25 DR. KATZ: Thank you.

1 People alluded to patients not desirable for
2 surgery on anticoagulants. I think the literature is now
3 quite adequate in the last couple of years that patients on
4 an anticoagulant -- one study specifically in Mohs surgery,
5 that was no problem and they had no problems with aspirin
6 as well. Those studies are in the literature. We have
7 worried about that for years. The standard was to call the
8 internist, ask him to take off the anticoagulants for a
9 couple of days, but now we know that even deeper surgery in
10 the general medical literature can be done with
11 anticoagulants. So I think that shouldn't be used.

12 I think we should not spend too much attention
13 on the cosmetic issue because obviously if you have a
14 treatment that gives a much poorer result, you're going to
15 get better cosmetic results. In other words, if you have
16 after 3 months a 47 percent treatment effect at 3 months
17 rather than a 95 percent at 5 years, obviously you're going
18 to have a better cosmetic result because you're not getting
19 rid of all those other tumors.

20 The other point was the big point not getting
21 hypopigmented results. But on page -- if I can find it.
22 The slide on the person's back. What page was that? I had
23 it flagged. 124. I have no criticism of the photograph.
24 No, it's not 124. It's the person's back in the red book.
25 Right, thank you.

1 That picture to the right is fuzzy. I have no
2 criticism of that, but if one looks closely, you can see
3 four hypopigmented areas. Obviously that's no criticism of
4 the treatment because if you treat adequately, you're going
5 to get post-inflammatory hypopigmentation no matter how you
6 get rid of the tumor. If it's extending down, you're
7 destroying dermis. So I don't think the cosmesis should be
8 a major issue.

9 Many of these lesions are treated and a lot of
10 time is spent taking care of these patients where a simple
11 surgical excision with -- I think it's pertinent that the
12 bias -- and we have Dr. Bigby here -- against negative
13 studies -- I agree, they're not published.

14 But we clinicians rely on some statistics, and
15 then you figure in your own mind how many basal cells you
16 treat and if you try to be self-critical and you think how
17 many recurrent basal cells have I seen in the last year --
18 now, true, many patients won't come back, but we still
19 would see recurrences that colleagues have treated. So the
20 recurrences that don't come back to see me, on the other
21 hand, I would see colleagues'.

22 Generally speaking -- and I don't have any hard
23 data -- also speaking to colleagues in my own journal club
24 which has been going for over 30 years -- and it's
25 informal, so we're very self-critical. It generally hangs

1 in there as indicated by the literature. You get about 5
2 percent recurrences.

3 Now, that's because you're referring patients
4 who are not appropriate to what we're doing. I don't do
5 Mohs, and I don't do extensive plastic surgery. So that
6 wouldn't correspond to where surgery is not desirable.
7 Just because I can't do the surgery, that doesn't mean it's
8 not desirable. It's a very simple thing in this world to
9 refer people where it's most appropriate, and if we can't
10 take care of it, then Mohs.

11 And the general results that Dr. Rotter gave
12 with 1 percent recurrence, 1 to 2 percent repeatedly occurs
13 in the literature, and if I think of the recurrences that I
14 see relative to the people that we refer to Mohs, it's in
15 that ball park. It may not be exactly that. It might be 3
16 percent. We're talking about figures like that, and here
17 you're talking about a complete response rate of 47 percent
18 on that other slide that we were discussing, 2-year
19 complete response rate of 9 percent, if you eliminate the
20 people not showing up, and 34 percent if they include those
21 as failures compared to 16 percent in the surgery group.
22 That's at 2 years.

23 The article referred to in the FDA document
24 showed -- I forget the number, but only 50 percent of the
25 people who are going to have recurrences show up at 2

1 years.

2 So we're talking about certainly a treatment
3 that is better than placebo, but in practice, if you offer
4 it to a patient a treatment that was better than placebo
5 and they'd have to go through all of this, wait for 3 hours
6 and have two treatments, come back in 3 months for another
7 trial of two treatments, I'm sorry. I mean, with all due
8 respect -- and I do respect and appreciate our colleagues
9 from Norway coming. With all due respect, it's very
10 insufficient. If I landed on this planet now, instead of
11 having 35 years of experience, and somebody showed me this
12 treatment with this light and then somebody else said, yes,
13 but I could just cut it out or even the most extensive
14 thing we'd go to is Mohs surgery and you've got to be
15 around for a couple hours, I'd say we've made an advance in
16 200 years. And when I say 47 percent, that's not including
17 Dr. Alesh's statistics which really decrease that cure
18 rate.

19 DR. STERN: I'm sorry. A representative of the
20 sponsor wanted to make comments.

21 DR. MURRELL: Just in response to the 3 hours,
22 about how the patients react to that. In the studies, what
23 we normally have done is have the patients come in for a
24 short while to prepare the lesion and put the cream on. At
25 least in Australia, our patients then go off shopping,

1 spend the 3 hours. They don't wait in the hospital. They
2 go off and do something they want to do, and then they come
3 back 3 hours later. So they're not usually waiting in the
4 office for that time.

5 DR. STERN: Jimmy.

6 DR. SCHMIDT: I guess I'm really unlucky to
7 have landed in Houston and worked at M.D. Anderson because
8 I really think that some of these bleeding problems that
9 you see with some of these patients with cancer, as Paula
10 can tell you, are a very serious problem. I realize the
11 simple patients that you might see you wouldn't worry too
12 much and you wouldn't even stop the anticoagulants. But we
13 really see some absolute horror shows two and three times a
14 day even. I really think we need something else. Of
15 course, I think we have good radiotherapists too where we
16 get a small recurrence rate. But I don't know. I think
17 that this thing about the bleeding -- I think that there
18 are some real questions here, when you're in a situation
19 like some of us are, that we need some of these things.

20 Paula, do you have a comment on that?

21 MS. KNUDSON: I understand exactly what you're
22 saying and I certainly had our dermatology people reporting
23 a lot of adverse events with a lot of bleeding on their
24 cancer patients. I don't really know anything about
25 radiotherapy, however.

1 DR. KATZ: But there is data. There are
2 studies with Mohs with patients on anticoagulants.

3 DR. BULL: I think we need to keep our
4 discussion focused on what's in the application.

5 DR. STERN: Exactly.

6 DR. BULL: That's a context that has not been
7 studied.

8 DR. STERN: The application and the data that
9 support it, as I understand, are for the treatment of --
10 I'm using the word "primary," that is, nonrecurrent
11 superficial and nodular basal cell carcinoma, and it
12 doesn't get into the issue of --

13 DR. WILKIN: I guess this is actually for the
14 sponsor. I thought I heard them say today they're not
15 seeking high-risk. So they would exclude. It would maybe
16 be rewritten in a way that it would actually say maybe low-
17 risk.

18 DR. MORRIS: Yes, that's correct. That's also
19 the indication that we have in the other countries where
20 the treatment is approved where it's for treatment of basal
21 cell carcinoma where other treatments are not suitable, and
22 in Australia, where surgery is not appropriate.

23 DR. STERN: Well, that's, the way I hear it,
24 not exactly the same thing. To my mind, although I don't
25 like the terminology "low-risk" because one can think of

1 low-risk in a whole variety of ways -- what are the chances
2 of recurrence, how large is the cosmetic defect likely to
3 be from it. There are a whole variety of parameters that
4 go into the risk of a tumor in an individual beyond their
5 underlying health state and anticoagulation.

6 But what you've said, as I understand it,
7 you're basically approved for tumors where, shall we say,
8 the more conventional therapies are generally not thought
9 to be appropriate. And what I understand is in the studies
10 that we've seen today, the subset being treated are exactly
11 the patients for whom other modalities are appropriate. So
12 once more, I bring up, at least in my poor mind, this
13 disconnect between the data we have and what the relevant
14 characteristics of the patients studied are versus the
15 fogginess in my mind about what indication is really being
16 sought at the end of the day.

17 DR. MORRIS: We did face, in a way, a dilemma
18 when we were designing the clinical studies because we
19 wanted to have excision as the endpoint since that is what
20 we agreed on as an appropriate endpoint to determine the
21 outcome. We also wanted to compare to conventional
22 therapies. So we needed to do studies on patients where
23 surgery was appropriate, but on the other hand, we have
24 also included these other studies where surgery is not so
25 appropriate in some of these patients as supportive

1 evidence.

2 DR. STERN: Do you wish to make a final
3 comment?

4 DR. LUKE: No.

5 DR. RAIMER: Well, my comments were a little
6 bit similar to Dr. Stern's. The trouble I'm having is it
7 seems that for a small nodular basal cell carcinoma, that
8 this treatment is clearly inferior. But as a clinician, I
9 would really like to have it for large superficial basal
10 cells on the legs which are very difficult to treat. You
11 have to excise and graft. There's a lot of morbidity. For
12 the patient we saw on page 125, the large fairly
13 superficial-looking lesion on the nose, it seemed to work
14 well. I mean, I would like to have it for that sort of
15 patient. That's not really a low-risk patient.

16 Are we allowed to consider like in European
17 countries the indication for lesions that are not
18 appropriate for treatment --

19 DR. BULL: I think when we get to the
20 questions, because I think you also have to address the
21 sufficiency of the data in the application. I would not be
22 swayed by the fact that a few pictures were included in the
23 submission and be persuaded by that. I think you also have
24 to look at the numbers, the quality of the data, what the
25 comparators were, and to make a decision or a

1 recommendation that's based on data that you can deliberate
2 in a way that provides sufficient context for a
3 recommendation on a particular subset of patients. So I
4 think that that may be something that you can look into as
5 you move into the questions. Whether or not there's
6 sufficient data in this particular submission to
7 substantiate that as a claim I think is entirely another
8 issue.

9 DR. STERN: Dr. Bigby and then Dr. Tan.

10 DR. BIGBY: This is just a question about the
11 procedure. Is the amount of time that the light is shown
12 determined by sort of metering the milliwatts per
13 centimeter squared at the surface of the patient at the
14 time of treatment and then you calculate how long the light
15 should stay on?

16 DR. MORRIS: Yes. The lamp calculates how long
17 the time should be to deliver the dose of 75 Joules per
18 square centimeter, which is the total dose to be delivered.

19 DR. BIGBY: Based on some measurement taken at
20 the surface?

21 DR. MORRIS: Yes, because you set the size of
22 the diameter of the light field and then you have to
23 calibrate and see the intensity of light that you have at
24 the skin surface using that distance, and then the lamp
25 automatically calculates the time and it will turn itself

1 off.

2 DR. BIGBY: But is that just based on the
3 diameter and the distance, or you actually meter the
4 surface?

5 DR. MORRIS: We measure it with a probe.

6 DR. TAN: I just want a clearer mind on the
7 assessment of response rate. For the two pivotal trials,
8 at the end of the 3 months, you have the complete
9 responders. For those patients that will remain to be
10 clear at 6 months. Right? Is that true or not so? In
11 other words, those patients who are complete responders
12 don't have a recurrence within 3 months, the follow-up of 3
13 months.

14 DR. PARISER: The number 6 months was 6 months
15 from enrollment.

16 DR. TAN: So one patient has responded. At the
17 end of 3 months, he's a complete response. In another 3
18 months -- so that's the end of the -- that's at 6 months.
19 Right? And at 6 months, when you look at this patient
20 again, does this patient have recurrent disease or not?

21 DR. PARISER: No. Every patient is examined 3
22 months after the last cycle of their treatment.

23 DR. STERN: Dr. Drake?

24 DR. DRAKE: I just want to compliment the
25 company for tackling this very difficult area and this very

1 difficult subject to study. I can tell you, we have
2 precious little data that's adequate in my opinion in any
3 area of treating skin cancer. Maybe it's because I'm
4 biased because I've been at tertiary referral centers my
5 whole career, but I tend to see what other people think
6 they've gotten rid of and it tends to show up at our place
7 in many instances.

8 I don't think we have good tracking. There's
9 no tumor registry. I chaired an NIH panel on outcomes for
10 non-melanoma skin cancer, and in fact there are no
11 registries for non-melanoma skin cancer. We don't have any
12 way of really tracking any of this in a very sufficient
13 manner. I think the data is weak in general on what really
14 happens to skin cancer.

15 So I want to thank the company and the FDA both
16 for trying to make some sense out of a very difficult
17 subject. So I wanted to say that as a header because I
18 think the panel is trying to hang numbers on things and
19 rely on these numbers, and in fact, these numbers maybe are
20 not the best. But guess what. They're at least an
21 addition to what we know, which is in some respects not
22 adequate.

23 Now, I tend to agree with some of my other
24 colleagues. I think this is a niche product. I think this
25 potentially has a role for a subset of patients that we

1 need something for. I agree with the big superficials on
2 the lower legs on diabetics. I agree with people who are
3 on anticoagulants because I think these are problem
4 patients.

5 I also think there are some patients who just,
6 due to a variety of reasons, really don't want cold steel
7 surgery, and if you have something less invasive and less
8 problematic to offer them, they might be very grateful for
9 that opportunity. I've seen C&Ds done by doctors who are
10 superb and get superb results. I've also seen C&Ds done by
11 people who don't get any kind of decent results and you
12 have really nasty recurrences. This in fact might be
13 helpful to those people. If they don't know how to do a
14 C&D, perhaps using light and a photoactive drug, a PDT
15 therapy, might actually help them get the tumor that they
16 can't seem to get with a C&D.

17 So I'm going to speak for this. If we approve
18 it, I certainly don't think that there ought to be broad
19 claims or broad indications or broad anything. I think
20 it's a niche drug, and I've seen this committee approve
21 niche products before for a subset of patients where
22 something may be needed and this is something new that's
23 come along that might be useful in that arena.

24 DR. STERN: I think we're about ready to move
25 on to the questions after Dr. King, and I'll perhaps ask

1 one final question.

2 DR. KING: I have to be agreeable with what Dr.
3 Raimer said and Dr. Drake said, that there's a great deal
4 of empathy, having practiced both now in the VA and the
5 tertiary care and now in a private practice type setting,
6 that there are patients who, for a lot of reasons, need a
7 niche product.

8 My other point is that, on the other hand, once
9 you open Pandora's box or, in the South, a can of worms,
10 once you put something out there that's FDA-approved, how
11 are you going to ensure that non-dermatologists are going
12 to do skin biopsies or have the ability to follow it up?
13 It's been my experience with laser, which has an enormous
14 complication rate in Nashville because everybody has got
15 something where if you shine the light on, you're going to
16 open up the pocketbook, that people buy these things and
17 use them without a great deal of training. So I guess my
18 concern is how would we write the PDR or the instructions
19 about who's to use it and how to use it and how would the
20 insurance agencies or Medicare view this when simply
21 sometimes it's instruction by any means.

22 So I'm favorable for niche and then I'm worried
23 about, yes, but if you put a gun in the hand of a 4-year-
24 old it's different from a 40-year-old. So we should be
25 very careful about how we define the issues here: niche

1 versus broad-based.

2 DR. DRAKE: Lloyd, I want to respond to that.
3 I think you're right. But we have other things out there
4 that used in the wrong hands by the wrong people cause lots
5 of problems, and that hasn't stopped us thus far. So I
6 think we ought to think about how carefully -- Lloyd,
7 you're exactly right -- can we write the labeling and how
8 cautiously can we do this so that it's used appropriately.
9 You can't regulate behavior all the time, but what you can
10 do is you can try to give people an opportunity to
11 understand how something is to be used and hope it helps
12 some patients because my bottom line here is are there
13 patients that this product might help. I think that's
14 where my goal is. Is there a subset of patients where this
15 might be a useful product?

16 DR. KING: My back-comment is if 40 million
17 people do something dumb and stupid, it's still dumb and
18 stupid.

19 (Laughter.)

20 DR. DRAKE: Lloyd, how many dumb and stupid
21 things do you see done every day with stuff that's already
22 approved?

23 DR. KING: A lot.

24 DR. STERN: I would hope that we would stay on
25 both the evidence and the indication. I guess before we

1 start the questions, I would like to share another way of
2 how I've synthesized these data, and that is, what would be
3 informed consent for a person with a small nodular basal
4 cell carcinoma coming to my office who is perfectly
5 healthy, not a niche, basically eligible for these trials?
6 So how would I express this on the face relative to the
7 other therapies available? And let me tell you how I would
8 have to do it, as I synthesized these data.

9 Well, I can send you to the Mohs surgeon. It's
10 going to take a half to a full day of your time. The
11 chances of recurrence after that are 1 to 2 percent.
12 Unless it's a big tumor, in which case you really needed
13 it, you'll have a good cosmetic result. If you have a big
14 defect and a bad cosmetic result, it means it was good that
15 I sent you there. It was one of these so-called iceberg
16 lesions. So that's one possibility.

17 I can send you to a skilled surgical colleague,
18 be they a dermatologist or a plastic surgeon, and they'll
19 excise it. It will take 35 minutes and you'll have an
20 excellent cosmetic result. The recurrence rate at 5 years
21 might be as high as 5 percent, although the person I use is
22 much better. So since I think it's an appropriate lesion,
23 it will be less, but I'm just joking when I say that part.
24 So that's the second option.

25 Or you can have me, who doesn't remember to

1 press the button, do it, and I can do it in two ways. I
2 can do it with curette and electrodesiccation which will
3 leave you a depressed scar. If you let me leave a big
4 enough one, I'll give you the same recurrence rates. If
5 you want a smaller scar, the recurrence rate will go up
6 because it depends on borders. Or I can do just
7 cryosurgery and probably the same recurrence rate, and
8 you'll have a white mark, a flat, macular scar in most
9 cases that will be red originally, and probably a slightly
10 higher recurrence rate. And we can do that in the next 10
11 minutes, but you'll have oozing and you'll have to take
12 care of it for 3 or 4 weeks, but in fact you can do
13 anything that you could do if you had gotten a scrape
14 falling off your bicycle basically in terms of
15 postoperative care.

16 So those are the available options.

17 Then I have this new option. The way I read
18 these data is the other option is, as opposed to the half-
19 day, one-time, and a suture removal, the 35 minutes, and a
20 subsequent suture removal, I can send you for what on
21 average will be three visits which will require for someone
22 to scrape the lesion, apply it, have you return 3 hours
23 later that day for irradiation, then after two treatments a
24 week apart, wait 3 months to see if it's really working, to
25 see if you need two more treatments a week apart, each

1 time, scrape, apply the medicine, wait 3 hours. In
2 addition, on the basis of my synthesis of all the available
3 data compared to Mohs, the chances it will come back are
4 certainly at least five times higher and, compared to the
5 other modalities, are likely to be at twice as high.

6 So that's the informed consent that I would
7 have to give a patient in describing using this treatment
8 for a small nodular basal cell carcinoma on the face. And
9 you're right. I didn't mention any other non-approved
10 chemical entities for nodular basal cell and I didn't
11 mention x-ray therapy because we talked about it being a
12 young, healthy person and that's not a good idea to do.

13 Now, if that's an unbalanced review for, as I
14 understand it, the target audience who really cares about
15 cosmesis, as I've heard, would someone tell me what went
16 wrong in my describing our best information as it stands
17 now?

18 DR. PARISER: Well, I'll take a shot at that,
19 and you're right for the small nodular basal cell on the
20 face. For the superficial or nodular basal cells on the
21 lower leg where part of your informed consent for the C&D
22 would be you may have a non-healing sore there for weeks,
23 for your excisional, part of your informed consent would be
24 you're going to have a big scar there, it may or may not be
25 able to be closed without a graft. It does change that a

1 bit.

2 DR. STERN: How many lower-limb, below-the-knee
3 lesions were in the randomized, controlled studies?

4 DR. PARISER: That's another issue.

5 DR. STERN: I'm talking about the evidence base
6 and the application. We all wish for something that would
7 take care of our problematic cases, but that's not, as I
8 understand it, our mission here today to decide about this
9 product for things we wish we could do better.

10 DR. PARISER: Specific numbers on the
11 superficial --

12 DR. KATZ: Everybody is focusing on lower-limb
13 lesions. When this destroys this large basal cell, doesn't
14 it leave an ulcer? The lesion is being destroyed. You
15 mean it just heals the next week magically or might it take
16 3 or 4 weeks? I cannot imagine a large basal cell, which
17 we would all love to have a magical treatment for, that
18 this goes away and epithelializes on a lower-extremity
19 lesion.

20 DR. PARISER: Well, it certainly epithelializes
21 or heals much different from cryo or from a C&D in that
22 area in terms of healing time.

23 DR. KATZ: That's what I would suspect. So
24 that's the point. The point is where these folks are
25 looking for wonderful treatments for lower-extremity

1 lesions, like Dr. Raimer and myself included, this is not
2 inferior or superior to that unless you get a higher
3 recurrence rate. If you're going to get a higher
4 recurrence rate, which we do have, it's going to heal much
5 faster with this treatment because you're not treating as
6 much of the cancer.

7 DR. BRAATHEN: Of course, the chairman is right
8 in his description of what do you tell the patients.

9 Now, if you have a patient with cancer, you
10 have biopsied it and you say, you have a basal cell
11 carcinoma and we have to cut it out, but there will be
12 scars, the patient will say to you, it doesn't matter as
13 long as you remove it. If you give the patient the option,
14 as you so nicely described, and said, there are several
15 treatments, there is one treatment which gives less scars
16 than the other ones and less complications -- there are
17 published studies also on cryotherapy which show more
18 complications -- but which gives you less scars and in case
19 it recurs, we can do the treatment several times, and we
20 still have all the other options open, that's what I tell
21 my patients. And most of my patients, if not all, jump on
22 the PDT. They want something which gives them less scars.
23 I think I'm doing my job then by giving them this
24 treatment.

25 DR. STERN: Dr. Wilkin.

1 DR. WILKIN: Yes. First of all, I think in Dr.
2 Drake's lexicon, she calls it "niche," and we think of them
3 as somewhat well-defined indication groups. But however
4 you want to call it, I think we are interested in knowing
5 if there is that segment of the data that might support
6 that. And along that line, Dr. Katz actually mentioned
7 would we have data for superficial BCC, recurrence data. I
8 have to tell that the agency was not relying heavily so
9 much on this because we were approaching this from the
10 construct of first one achieves nodular and if there's
11 success in nodular, then we'll look at the superficial BCC
12 data.

13 But we do have a slide. It's by patient
14 recurrence and perhaps the sponsor has some way where they
15 can break out the recurrence data for superficial. I think
16 that would be directly responsive to Dr. Katz, but in the
17 meantime, we could show our slide 18 and the additional
18 slides.

19 Actually in the sponsor's document on page 110,
20 table 65, they have lesion recurrence rates at 12- and 24-
21 month assessment. This is the agency's evaluation as a
22 patient recurrence. I think the notion was if there are
23 several of these superficial BCCs, a patient would come in
24 and get all of them treated. So we were interested in the
25 analysis of whether the patient would have to come back.

1 But these are the sponsor's data on page 110.
2 This is ours. The sponsor may want to speak to this. It's
3 in the interest of what Dr. Drake was mentioning about the
4 niche.

5 DR. STERN: Would the sponsor want to comment?

6 DR. FUGLERUD: Yes. This shows the patient
7 recurrence rate after 12 and 24 months, and a patient was
8 defined as a recurrent patient if at least 1 of the lesions
9 within the patient was recurrent. So it was categorized as
10 recurrent if at least 1.

11 The corresponding lesion recurrence rate after
12 24 months was 17 percent in the MAL group compared to 20
13 percent in the cryotherapy group.

14 DR. STERN: Thank you.

15 DR. HESTDAL: I think we have today shown the
16 sustained response of both cryotherapy and MAL-PDT in the
17 same studies. Is that what you would like to see?

18 DR. WILKIN: Well, actually it's for the
19 committee for their deliberation, but I thought what you
20 had was a way of looking at the recurrence rate for
21 superficial after your modality. Again, I thought it was
22 on page 110 in your briefing document.

23 DR. FUGLERUD: I think it's table 65 in the
24 briefing document. It's on the screen also. So it's the
25 recurrence rate after 12 and 24 months, and that's the

1 recurrence rate calculated among the lesions in complete
2 response after treatment. So in the MAL group, it's 108
3 lesions and in the cryotherapy group, it's 94 lesions, and
4 the recurrence rate after 24 months is 17 percent in the
5 MAL group compared to 20 percent in the cryotherapy group.

6 There's 7 percent missing in the MAL group
7 compared to 5 percent in the cryotherapy group. So the
8 recurrence is calculated without the thing missing as
9 recurrent.

10 DR. STERN: I'm a little confused in how there
11 are 8 missing. When you exclude missing values, it goes
12 from 108 evaluable lesions to 91, and where I come from,
13 that's a difference of 17. I just don't know how 8 and 91
14 get up to 108. So could you just clarify that for me?

15 DR. FUGLERUD: Yes, I understand the question
16 that the missing value column is a little --

17 DR. MORRIS: In the life table that we showed
18 you this morning, we have this data. We can find it.

19 DR. STERN: It's not really essential. It's
20 just I got confused.

21 DR. FUGLERUD: Yes, I understand. But can you
22 take this back again, this table?

23 I agree that there is a mismatch between this
24 108 and this 8 missing, so we will check this. But the
25 calculation handled as missing is in the second column and

1 in the fourth column.

2 DR. STERN: Does anyone have an extremely
3 pressing issue that they don't believe would be covered as
4 we go through the questions?

5 (No response.)

6 DR. STERN: Good. So why don't we move on to
7 the questions, which I'm sure, since part of the questions
8 are likely to elicit questions, may help and direct us to
9 the specific reasons that the agency has turned to us for
10 advice about this application.

11 So question 1 is: The investigator's manual
12 included the following lesion preparation instructions for
13 use. "Tumor fragments from most lesions may be removed
14 without damaging normal skin and without use of
15 anesthetics." And here the question is -- I think it
16 should be, was lesion preparation instruction adequate to
17 ensure sufficient consistency among operators?

18 DR. WILKIN: That seems to invite a yes or no
19 response, and what we would really like to hear is
20 something more than that. We would like to understand if
21 more might be added to this to make it understandable and
22 helpful and consistent with how this was done. I think we
23 heard, at least I heard for the first time today, from Dr.
24 Pariser the phrase "curettage and lesion debulking," that
25 that was the understanding that the investigators had. But

1 we're looking for what we might craft into labeling.

2 DR. STERN: I guess I'd like to start with a
3 couple of comments. One is -- I'm sorry I can't find the
4 page, but in the illustration of curettage that's in your
5 diagram, it didn't like you were instructing individuals to
6 basically take off what was above normal epidermal level.
7 In fact, the way it looked to me is the instruction was to
8 go below because it looked like there was supposed to be a
9 depression, an erosion or ulceration left afterwards.

10 Secondly, where I come from, when you're trying
11 to put forward a therapy, I always show my best results,
12 and at least on page 123, the pre-application, post-curette
13 slide showed to me what looks like my usual kind of first-
14 time curetting.

15 Oh, I'm sorry. We can't discuss with you
16 anymore. We can only ask for clarification.

17 So to me that, combined with what was pointed
18 out, was heterogeneity in fact both in the controlled
19 studies -- both within the sham group that got curettage
20 and the treatment group, there was tremendous center-to-
21 center variability between centers for both. If you looked
22 at what you could perhaps attribute to curettage alone and
23 you looked at the cure rates there, in some centers a large
24 proportion of tumors were cured without the aid of an
25 active MAL-PDT basically, without the MAL part of your PDT,

1 a sham PDT. So that to me suggested that it's either
2 patient selection variability or in fact variability among
3 operators and what they did or perhaps evaluators in what
4 they took to be a recurrence.

5 But certainly when you go from 0 to almost
6 complete cure rates with small numbers, it suggests that
7 not everybody is either treating the same patients, looking
8 at them afterwards in the same way, or doing the same thing
9 in the control group, which suggests that even among
10 trained investigators, that there's heterogeneity in the
11 interpretation of the results in the investigator brochure.
12 I think we need more direction if it's going to be labeled.

13 DR. BULL: I just wanted to remind that given
14 that we're in the question part of the meeting, that the
15 questions are directed to the committee. You're beyond the
16 point of clarifying. You have to basically deliberate
17 based on what's been presented and discussed.

18 DR. STERN: Ms. Topper just informed me in
19 capital letters about that.

20 Each individual who has comments about this
21 should make them. I think what we'll do is start with the
22 voting members of the meeting, and if Dr. Plott has
23 something particularly pressing, we'd love to hear his
24 comments as well, but he's non-voting.

25 DR. RINGEL: In brief, I agree.

1 The only other comment I could make is that we
2 also heard that the company really didn't feel that they
3 could count on MAL penetrating more than 3 millimeters into
4 a lesion. Therefore, they should be curetted, rather than
5 just superficially abraded. It sounds like a nodular
6 lesion that you think may be very deep really should be
7 curetted, if that's truly a concern for them, and I think
8 it should be standardized.

9 DR. TAN: Yes. I just want to add maybe you
10 should use some kind of range. Instead of giving a firm
11 limit, 3 millimeters, maybe 3 to 5. I don't know. That
12 might be something worth considering.

13 MS. KNUDSON: As I recall, somebody said that
14 curettage was not supposed to be a therapeutic curettage.
15 Would that be language that all dermatologists would
16 understand, that you would not be doing a therapeutic
17 curettage when you're doing this preparation?

18 DR. STERN: This one wouldn't.

19 DR. KING: I guess I think about this as more
20 like curettage and photodesiccation. Being a
21 dermatopathologist, I'm on the other end of this. So what
22 you see in, say, 10 dermatologists is 14 ways of what you
23 get. So I would like, if they could do that, standardize
24 it, but as a practical matter, I doubt if you will. Maybe
25 perhaps you could talk about slicing with a razor blade so

1 that you don't cause pain as opposed to taking the razor
2 blade made into a curette and dragging it across there. So
3 I wish I could come up with a standard way, but I'll tell
4 you from practical experience it's going to be very
5 difficult.

6 DR. KATZ: Is this for discussion or yes or no?

7 It sounds like it's discussion.

8 DR. STERN: Your opinion about more specific
9 instructions and standardization are needed should this
10 product be labeled.

11 DR. KATZ: Obviously it was curetted
12 sufficiently that 33 percent of the people didn't have any
13 lesions. I don't think I agree you're not going to be able
14 to tell somebody exactly how much. It's incredible to me
15 that bleeding wasn't present and people had no pain by the
16 amount of curetting because 33 percent were cured. But I
17 guess it should be better standardized, but I don't see how
18 it could be in defense of the sponsor. I don't see how
19 they would be able to say curette only very little.

20 DR. SAWADA: I have to agree with Dr. Katz. I
21 can tell you that the limiting standard for curettage is
22 going to be my patient's pain factor or perceived pain
23 factor. It would be very difficult to figure out a way to
24 standardize millimeter depth that you need to take off of
25 the basal cell. So I really don't have any good

1 recommendations as to how to standardize that for the
2 insert.

3 DR. STERN: I'll make one other brief comment
4 in terms of direction. It seems like the evidence we have
5 is without a great deal of information about local
6 analgesia. This is probably my own misperception but sort
7 of an advantage of this is doing it without Xylocaine. As
8 I recall from the old days of a variety of PDT-like agents
9 or agents that give acute phototoxic reactions, as does
10 methyl ALA, that including myself, although I've never used
11 ALA, it burns like mad when you're doing it. Now, perhaps
12 your patients are more stoic than I am, but it happens with
13 tar, with UVA. It happens with almost any phototoxic agent
14 that you're giving in this short period of time, enough of
15 a dose to get the kind of result you've had. Maybe this
16 ALA is different and we won't go there.

17 But I wonder whether -- at least my patients,
18 you can barely get a curette near them without them wanting
19 -- I don't ever curette someone, even superficially,
20 without Xylocaine anesthesia. I mean, I think that would
21 be considered outside the standard of practice in at least
22 Boston. So I wonder if part of what might be helpful are
23 really for the committee to consider whether in helping to
24 standardize this -- I mean, I hate to have the standard
25 being curette till they yell.

1 (Laughter.)

2 DR. STERN: It's not good for the patient and
3 not a uniform endpoint because pain thresholds vary so
4 much. I do think we need direction and I wonder whether we
5 really want to be going for an agent that is sort of
6 implied, oh, good, you don't have to give local anesthesia.

7 DR. DRAKE: I'm not sure but what this question
8 shouldn't come later in the discussion. The reason I say
9 that is I think the preparation will be determined a little
10 bit by how effective one thinks this product is and what
11 conditions you have to make it effective.

12 Earlier I asked the question about how deep
13 this particular light source went. Frankly, with a lot of
14 PDT, the limiting factor is not the photoactive compounds
15 that you can attach to your target. The limiting factor is
16 how deep you can get light to penetrate through whatever
17 mechanism you want to go through. For example, there's
18 some very good potential PDT for lung tumors. The question
19 is how do you get it into that area of the lung. There's
20 some potential stuff on bladder tumors, but it's pretty
21 easy because you can put the light source right up through
22 the urethra and get the light right where you need it, next
23 to the source.

24 So the preparation I think, if you're prepping
25 a big, old nodular, you're going to have to debulk it

1 because this light goes 4 to 5 millimeters I think. Isn't
2 that about right? So it's only going to go so deep. So
3 you're going to have to think about the efficacy of the
4 whole product before you begin to talk about how to prep it
5 because if you've got a thick nodular BCC, you're going to
6 have to debulk it.

7 And I'm with Rob. If somebody comes at me with
8 a curette without anesthesia, I'm going to holler like a
9 stuck pig. I don't want anybody with a curette after me
10 without anesthesia. I'm a coward. So I think you're
11 right, Rob. I think in some communities -- because we're
12 from Boston and maybe we share that.

13 But I think your issue here is prepping the
14 lesion for efficacy and efficacy means you can't have too
15 thick a tumor or the light wouldn't get there even if you
16 decide to approve this product.

17 DR. STERN: Sharon.

18 DR. RAIMER: I don't really have anything
19 further. I think Lynn's point is good. You're going to
20 prepare a superficial basal cell differently than a nodular
21 basal cell. That's a very good point I think.

22 DR. STERN: And in your experience?

23 (Laughter.)

24 DR. RAIMER: I'd want Xylocaine too.

25 DR. STERN: I was asking our biostatistician.

1 (Laughter.)

2 DR. SCHMIDT: I never saw the lesion
3 preparation instructions from the company in the first
4 place to comment. But my feeling is that, yes, there
5 should be some fairly specific directions or guidelines on
6 this. But like I say, I would like to have seen the lesion
7 preparation instructions so I could comment.

8 DR. STERN: This is not a question but a
9 clarification. Wasn't there a pictogram somewhere in this
10 red book, or was I hallucinating? I just couldn't find it.
11 Just tell us what page.

12 DR. MORRIS: Yes, there is one and it's the
13 same as the one that was in the investigator brochure. We
14 also had a video that was distributed to all the
15 investigators in the trials.

16 DR. STERN: I'm not talking about the facial
17 one. The little pictogram. I just couldn't find it now.

18 DR. BRAATHEN: Page 21.

19 DR. STERN: No, no, not the facial one. I
20 remember a pictogram.

21 DR. MORRIS: It was in the presentation this
22 morning. Slide 44.

23 DR. STERN: Data overload. Thank you.

24 Did you have other comments, Jimmy?

25 It's a slide we saw during the day which I

1 confused. Slide 44 in their presentation.

2 Yes.

3 DR. KING: Relative to preparation, it seems to
4 me that the indication to use it is the diagnosis of basal
5 cell to begin with, and it seems to me that if we had the
6 depth like you do for melanoma, saying this is a so-much
7 thick or depth lesion, then the preparation would follow
8 about whether you even have to debulk it to get what Lynn
9 is talking about, how deep does it go. So that's why I was
10 having the thought rolled up into curettage and
11 photodesiccation. You really do need to know the tumor
12 depth to know how far it's likely to go because your
13 basement is still flooded. Your first floor is okay, but
14 if it's still down in there, you're going to have to make
15 the light go deeper.

16 DR. SCHMIDT: Just to have this little picture
17 I don't think is adequate to ensure consistency.

18 DR. STERN: I believe you've heard from the
19 committee the idea that information is helpful in guiding
20 clinical practice about things that are intimately related
21 to a drug and device. So you've got a triple header here.
22 It's procedure, drug, and device that are all together.

23 So let's go on to question 2, which is a two-
24 part question about efficacy. Please discuss the adequacy
25 of outcome measures as well as the number of patients and

1 lesions to assess the efficacy of MAL-PDT in the treatment
2 of nodular basal cell carcinoma for, first of all, 6 months
3 post-treatment by histology only, not clinical, in two
4 pivotal studies, no follow-up available; and then, B, 2-
5 year clinical follow-up available in one open-label,
6 randomized study and one open-label non-randomized study.

7 DR. WILKIN: If I could just make a quick
8 comment. On the no follow-up available, that's not meant
9 to be pejorative. This is where the lesions were
10 completely excised. So it wasn't really meaningful to then
11 look for recurrence in that setting.

12 DR. STERN: Yes. The one issue -- actually I'd
13 particularly like to ask Lloyd King's advice about this --
14 is in looking at the 3-month excision, the question is, how
15 good is breadloafing -- I guess there are two sequential
16 questions, one particularly from a dermpath -- a breadloaf
17 specimen. What is the likelihood it would have picked up a
18 recurrence, should it exist at least histologically?

19 And the second is I'm not sure that even
20 histology at the time of an excision necessarily proves
21 that that tumor wouldn't have recurred so soon after
22 treatment because, after all, these are tumors that undergo
23 proliferation and it only takes one residual tumor cell to
24 have a recurrent tumor.

25 But could you perhaps give us some idea of what

1 you think the sensitivity of breadloafing is for the
2 presence of histologic tumor 3 months after a procedure?

3 DR. KING: Well, I'll actually refer to the
4 presentation earlier for Mohs in the sense of vertical
5 sections. You only look at about 1 percent of what may be
6 a positive margin. Oftentimes for medical-legal reasons,
7 you waffle and say the margins are free in the plane of the
8 section. So that means you've got a 1 in 100 chance type
9 thing.

10 In general, when we look at tumors like basal
11 cells, you have a 10 percent error of artifact just
12 cutting, say, 7 or 8 sections sequentially into the block.
13 And if an experienced clinician calls it a basal cell and
14 you don't see it or anything like a tumor, you call it the
15 bumper. If they see a bump and you don't see a bump, you
16 keep going.

17 Directly to the issue of breadloafing, you have
18 about, in my experience, a 30 percent chance at the worst
19 to about a 10 to 15 percent chance of missing small foci
20 without immunoperoxidase with cytokeratin stains. As a
21 matter of fact, the Mohs surgeons, when they're really
22 nervous, send their specimens to us to do the
23 immunoperoxidase to miss these small foci which may be
24 thought of as, say, the root of a hair follicle or other
25 kind of things. So they're indeterminate. Even with

1 immunoperoxidase, you may miss small foci. So I would
2 think your chance of error is somewhere between 10 and 25
3 to 30 percent in breadloafing.

4 DR. STERN: Michael.

5 DR. BIGBY: Could the FDA just clarify to which
6 studies are they referring in part B of this question?

7 DR. VAUGHAN: We're referring to study 303 for
8 the open-label, randomized study and to study 205 for the
9 open-label, non-randomized study.

10 DR. STERN: Shall we go around and start with
11 you, Jimmy, about the adequacy of these studies to
12 demonstrate the efficacy of this product for nodular basal
13 cell?

14 DR. SCHMIDT: I thought that the numbers were
15 small and the recurrence rate was high, but I think that it
16 does show that there was efficacy in both of the studies.

17 DR. TEN HAVE: I agree. It sounds like it's
18 more of a clinical debate as opposed to a statistical
19 debate. The statistics basically say yes, there is
20 efficacy. They've reached statistical significance. The
21 question is are the differences that we see clinically
22 significant compared to the costs of curettage and all of
23 what else the photolight therapy entails. So it sounds
24 like it's a race between cryotherapy and this new therapy
25 in my mind.

1 And the non-inferiority trials. 303. Are they
2 part B? Are the non-inferiority trials part B?

3 DR. VAUGHAN: The open-label, randomized study
4 versus surgery was a non-inferiority trial. The one open-
5 label, non-randomized study was just an open-label, non-
6 randomized study.

7 DR. TEN HAVE: So 303 was with cryotherapy?

8 DR. VAUGHAN: No, it was not. Surgery.

9 DR. TEN HAVE: Oh, surgery. So you're not
10 including cryotherapy here?

11 DR. VAUGHAN: No.

12 DR. TEN HAVE: Can I ask why?

13 DR. VAUGHAN: Because the indication in the
14 studies were geared toward nodular BCC and based on the
15 efficacy and safety of the nodular indication, then we
16 would look at the one open-label study because usually we
17 have one trial and then the study should be replicated by a
18 second trial. The superficial was a non-inferiority study
19 and that was just one study submitted.

20 DR. TEN HAVE: So it's basically the
21 cryotherapy trial was not the right indication.

22 DR. VAUGHAN: Right. It was not one of the
23 pivotal studies.

24 DR. TEN HAVE: It was not the right indication
25 then?

1 DR. VAUGHAN: For how the drug was developed,
2 it was not the indication we were looking at.

3 DR. TEN HAVE: So we're supposed to ignore it?
4 Are you essentially saying we're supposed to ignore that
5 cryotherapy trial for this discussion?

6 DR. STERN: Well, as I understand it, it wasn't
7 of nodular basal cells. So since this question is about
8 nodular basal cells and they clearly are different, as was
9 the comparator therapy, I think for this question, we would
10 certainly not consider that relevant data.

11 DR. TEN HAVE: So relative to the surgery, then
12 it's not doing so well in terms of long-term recurrence
13 rates.

14 DR. STERN: I guess I would ask the agency --
15 as I think you've pointed out very well, one could
16 interpret this, does adding PDT to the regimen described
17 have some additional benefit versus -- it clearly makes
18 significance even in these small trials versus sham PDT and
19 curette. Or are you asking it as you, I think, suggested?
20 In a clinical sense, is this enough efficacy that you
21 would, in fact, bring it up with your patients? Or do you
22 have enough data to make a judgment that you would be
23 comfortable taking these data forward to your colleagues
24 and patients and saying, this is the situation with this
25 and, in fact, it's worth entertaining, I'm confident of

1 what the data says, and the second part, it makes enough
2 sense that it really makes sense to use? Which is the
3 agency looking for? Or both?

4 DR. WILKIN: Well, here we're actually
5 interested in the outcome measures, the adequacy of the
6 outcome measures. Then we have a question that comes up
7 next, which is has adequate evidence been presented to
8 support, and then it says primary indication, but really we
9 mean first-line therapy.

10 I have to say that we've approached the
11 evaluation of this NDA with the notion that we would look
12 first at nodular, and then winning on nodular, we would
13 then look to superficial. That was sort of the algorithmic
14 approach that we had.

15 I think what we heard today is that looking for
16 a niche, which I think is fair -- I mean, looking at the
17 overall data set and trying to make the assessment, but is
18 there another way of looking at that? You could construct
19 question 2 also to be in the treatment of, and then again,
20 whatever niche indication that the committee would be
21 interested in.

22 DR. RAIMER: To me the numbers do seem small to
23 have a lot of clinical confidence in them.

24 DR. STERN: I guess, in terms of interpreting
25 the data, I would take Dr. King in terms of part A, the

1 excision data, and say, well, I would expect that the true
2 number of non-cured tumors would go up 10 to 30 percent in
3 each group, and if they were different between any group
4 studied, that means that the absolute difference between
5 the groups would go up proportionately.

6 And I would say for the 2-year data, similarly,
7 since we haven't seen any data to the contrary, whatever
8 the differences are in recurrence rates, at 2 years we
9 would expect that absolute difference to increase over time
10 because all the data for every modality shows the same
11 upward trend in recurrences as time goes by. So, if in
12 general, at 5 years recurrence rates are about twice they
13 are at 2 to 3 years, I'd expect both absolute recurrence
14 rates to double, and therefore if they're different, the
15 difference between them to increase by the difference
16 between 2X minus 2Y, if X and Y were the first two
17 recurrence rates. That would be my interpretation.

18 I think this is a small data set that I don't
19 find particularly encouraging as something I would want to
20 introduce in my own patient practice.

21 DR. SAWADA: Again, I agree with my colleagues
22 in the sense that it's such a small data set. But the
23 question is efficacy, and I thought it did show efficacy.

24 DR. KATZ: I think this question is not
25 directed to whether we think the drug works or not. The

1 question is discuss the adequacy of outcome measures. So I
2 think on this question -- correct me if I'm wrong, Dr.
3 Wilkin -- it's not to give our opinion on how good the drug
4 is or not, but how adequate the outcome measures are. Is
5 that correct?

6 DR. WILKIN: In a word, yes. The timing and
7 then also how one is looking.

8 DR. KATZ: So everybody has been discussing
9 whether they think it's good or not or how they would use
10 it, but on this, adequacy of outcome measures, it seems to
11 me that they did adequate outcome measures. But that's to
12 me. We rely on experts like Dr. Alesh. Dr. Alesh, are
13 these the measures that you were discussing before
14 statistically? You pointed out some inadequacies in it.

15 DR. ALOSH: Right. We presented the results
16 for histology which is the sponsor's results, and then we
17 looked to clinical and histology.

18 DR. KATZ: In these studies we're discussing
19 right here.

20 DR. ALOSH: Right, the pivotal studies.

21 DR. KATZ: So I'd have to they're not adequate
22 based on our expert opinion around the table. I think they
23 tried to do an adequate job, but based on the doubts
24 raised, we have to take that into consideration.

25 DR. ALOSH: Let me clarify. For the non-

1 inferiority trials, as I pointed out, really we did not put
2 much emphasis on those because the protocol did not come to
3 the agency for comment. I cited what I have seen as
4 shortcomings in terms of there is no vehicle, there is no
5 non-inferiority margin prespecified. For the pivotal
6 studies, the results for histology, and then contrasted to
7 the clinical and histology, you could see the response rate
8 is lower.

9 DR. KATZ: So my answer would be, based on
10 that, no to this question.

11 DR. KING: I'm struck, by thinking it through,
12 that I guess you come from a bias of being a
13 dermatopathologist that having a clinical is great, but
14 last we heard, diagnosis of cancer is under the microscope.
15 So I would have liked to have seen the clinical and
16 histology on each one of them type thing in the pilot
17 studies, and given the figure 4 where they're showing the
18 tumor selectivity of the MAL cream, you wonder why at the
19 time of, say, the second treatment, simply using a black
20 light type thing looking to see if there are foci still
21 there and then, say, doing a 2-millimeter biopsy or
22 something like that because in the last 5 years, what we've
23 been doing, we've been writing tumor BCC nodular, comma,
24 with infiltrative at base. So you have 90 percent of
25 something that's a nodular, scrapes like jelly. Yet, at

1 the bottom there are these things that look like the
2 continent of Africa or South America. In hindsight, those
3 are the ones that recur.

4 So I think that trying to define, in general,
5 does it work -- how many of these are mixed tumor types
6 because you can have superficial with a nodular component,
7 you can have a basal with a sclerosing component,
8 infiltrative features. So I would like to have seen that
9 kind of thing rather than saying, oh, it's clear because at
10 10 years there are still going to be a substantial number
11 of recurrences. Yet, you pull the slides back and all that
12 and usually it's the lawyer sniffing around. The answer is
13 yes, based on that particular section, it's all gone, but
14 that's less than 1 percent of the total.

15 So based on what Dr. Katz said and I would say,
16 I would rather have seen the clinical, the histology, and
17 the simple add-on, doing the light at the time of second
18 application to see why it may be needing a second
19 treatment.

20 DR. BIGBY: Sometimes it just really gets to be
21 very frustrating to me to hear things that are so simple
22 become so difficult. Everybody here has already said there
23 are more than 2 million cases of basal cell in the United
24 States a year. So it's a common thing. It's not hard to
25 find patients for this kind of study.

1 So what was presented in (a) really is a
2 surrogate endpoint of whether this treatment compared to a
3 placebo cures the patient. It's a surrogate because what
4 they did is they took what was left in 6 months and they
5 breadloafed it, and we saw that that is not an entirely
6 sensitive method to determine whether or not they were
7 cured.

8 So if you had unlimited resources and very
9 smart people doing this, what you would really do is to do
10 a controlled trial of the treatment versus placebo and
11 follow them for 2 to 5 years, and you would really find out
12 what the recurrence rate is after treatment and after
13 placebo. So I think that the answer to this question of is
14 the outcome measure adequate in section (a) is clearly no,
15 and I don't think that anybody can conjure up an argument
16 to make it so.

17 In terms of the outcome measure in the sort of
18 part (b) section, maybe because what you have there is, at
19 least in the surgical comparison trial, groups of patients
20 who were treated and followed out over a period of time to
21 see who has recurred. I think that that data now goes out
22 to 2 years for some, if not all, of the patients and it is
23 a more reasonable approximation for what the clinician
24 really wants to know. And the same thing can be said for
25 the open-label study in that you treat the patients and you

1 see what happens.

2 So the answer to the question about is the
3 outcome measure adequate, it's clearly no for (a) and it
4 may be for (b) but (b) has a lot of other problems in terms
5 of study design that I'll sort of talk about when we try to
6 answer question 3.

7 DR. DRAKE: Well, I agree with Michael. How
8 could something so straightforward become so complex?
9 Nonetheless, every time we try to look at a study like
10 this, that's exactly what it ends up being. It's very
11 complex.

12 I would very much like to see something like
13 this available for our patients. I think we need something
14 like this.

15 Are these measures adequate? I have to say no
16 to part (a). What I'd like to suggest -- and maybe they've
17 got enough data hidden in all this stuff we've heard today
18 because the company has clearly done a lot of work. Maybe
19 the data is in there. Maybe they can tease it out because
20 I think the real utilization of this is going to be in
21 superficial because if not, you're going to have to have a
22 lot more information, in my opinion, about how much to
23 debulk. How thin do you need to make that tumor before you
24 can get the light to where you need to go? Because if
25 people get it out there and they don't debulk it and

1 they're treating nodulars, I'm not sure they're going to
2 get this good a result because the company spent a lot of
3 time, in my opinion, trying to tell people how to debulk
4 and clinical investigators tend to do what they're told and
5 they do debulk. In real practice, will that happen and how
6 do we advise clinicians on how much to debulk it, I don't
7 know.

8 So I guess I would say on the surface of what
9 I've seen today, the answer to (a) is no. You might be
10 able to tease some stuff out if they could take a subset of
11 their data set to perhaps make an indication even more
12 narrow. So that's a long answer that doesn't really tell
13 you much, and I'm sorry for the folks at the FDA. I can't
14 give you a better opinion than that.

15 I'm torn between really wanting something like
16 this and being nervous, as Lloyd pointed out, that the
17 second you turn it loose, unfortunately you're going to
18 have people who don't know the first thing about treating
19 skin cancers out there treating people.

20 To me we're not here to answer the money or
21 time or any of those questions. We're here to answer is it
22 safe and is it effective. Those are the only two things I
23 think this committee is charged with. I don't care if
24 takes them 6 hours and I don't care what the cost is. The
25 marketplace will sort that out.

1 What I am very concerned about is the patient.
2 If they go in and get a skin cancer treated and they think
3 it's treated and if it isn't treated, then you could end up
4 with a rodent ulcer. So I don't want that to happen to
5 anybody.

6 On the other hand, I think many people are
7 over-treated. They spend days in Mohs therapy and what
8 else when they don't actually need it and there are some
9 places where it's just not indicated. And there are places
10 where we don't have good therapy.

11 To the company, I would recommend highly that
12 they go back and look and see if they have a subset of
13 patients in there that you could frame this around that
14 would be straightforward and a straightforward indication
15 that would help some of our patients.

16 MS. KNUDSON: Well, I have to say as a consumer
17 I'm totally confused. I am not a biostatistician. I would
18 love to have had something presented in a much more
19 reasonable, orderly, and understandable way, and if I were
20 a patient being asked to consider this new modality as
21 opposed to other modalities, I would probably say no, more
22 because I was totally confused by all of the information I
23 was given than for any other reason.

24 DR. STERN: Well, I'm sorry I'm so poor at
25 describing the alternative therapies.

1 DR. TAN: Well, for question (a), I think it's
2 mostly no. I still don't understand why the clinical
3 response -- that's sort of the standard for any cancer drug
4 -- is not used to assess the response rate. I think that
5 is probably the more appropriate measure for the outcome
6 and in conjunction with the time to recurrence. Ideally
7 you want to have probably both of these as endpoints. But
8 we live in real life, but it just would probably take
9 forever, too long to have adequate evidence based on the
10 time to recurrence.

11 So for the second part of this, it's probably
12 yes. You should have some of this. It's a compromise. So
13 it's just a compromise. I think it is yes to the second
14 one.

15 DR. RINGEL: In terms of the first study, I
16 think this study is as good as you can do a histologic
17 study. I would have liked to have seen more patients.
18 There were 70 and 80 lesions in either group, which isn't
19 bad. But you can't ethically take a placebo group and tell
20 them to wait 5 years and see what happens. You just can't.
21 So you can't do this study, as far I'm concerned, better
22 than it has been done. I think that asking a patient to
23 wait 6 months is a lot.

24 Now, could they have done something better than
25 breadloafing? I need to ask our local histopathologist

1 here. Could they have taken these specimens and done a
2 Mohs processing on them and gotten a better -- is that
3 technically feasible to do Mohs processing on that?

4 DR. KING: Yes.

5 DR. RINGEL: So that would be certainly one way
6 to make the study better. So at least you could have
7 looked at all the margins. It would have certainly helped.

8 But the problem with the histopath studies is
9 that if the margin is negative, it can still recur, and if
10 the margin is positive, it may not recur. So you're always
11 limited. As much as it's nice to say, oh, well, I have a
12 test, I can see if a cancer is there because I have the
13 test, if the test isn't 100 percent sensitive and specific,
14 it may not be such a great test.

15 What I'd like as a clinician frankly is the
16 other study, the long-term study. I want to know following
17 that patient for 5 years, is it recurring. Frankly, if
18 there are a couple cells left there histologically but
19 they're not recurring clinically and they're just going to
20 sit there for another 10 years and the person is going to
21 die of something else, frankly, I don't care. I want to
22 know how did that patient do in 5 years. I would like to
23 see two of those studies carried out for a long period of
24 time. Yes, I'd like to see the other histologic study, but
25 what I really care about are long-term results.

1 DR. STERN: As we go to the next question, I
2 want to ask, so we won't get into semantics here, the
3 agency for a couple of clarifications on this question.
4 The question is, has adequate evidence been presented to
5 support a primary indication for the treatment of basal
6 cell carcinoma for this product? I'd like you to define
7 primary and say whether you're asking us here about nodular
8 only or nodular and superficial before we go around. So
9 could you help me with that?

10 DR. WILKIN: Well, of course, we sometimes like
11 to modify questions a bit after we've heard discussion. So
12 I take your point that this could be subdivided into
13 different sort of niche indications.

14 What we originally meant by primary was first-
15 line therapy.

16 DR. STERN: That's fine. Just so we know what
17 you meant by that.

18 And how about the basal cell carcinoma or
19 nodular basal cell carcinoma?

20 DR. WILKIN: Sure. I think that it can be
21 overall basal cell carcinoma, if the committee wants to
22 consider that. We looked at nodular primarily. There are
23 some data, of course, for superficial. I think we spoke to
24 the recurrence data set. So I suppose it could be either
25 nodular or superficial or nodular and superficial that

1 would be the options for the committee.

2 DR. STERN: With the agency's permission, which
3 I think might speed things along, this is a yes/no and I
4 would ask people, first of all, to say do they believe that
5 there's adequate efficacy information for this as a first-
6 line therapy for basal cell carcinoma. If the answer to
7 that is yes, then they should specify whether it's, based
8 on the evidence, nodular, nodular and superficial, or basal
9 cell carcinoma not otherwise supervised that they believe
10 the efficacy information supports. Is that acceptable to
11 the agency in terms of how to ask this question? Because
12 I'm afraid we're going to get into this, oh, I'd love it
13 for superficiales, but I wouldn't use it for nodulars.

14 So the question is first-line therapy for basal
15 cell. I guess, if so, do you believe the evidence
16 restricts it to any subtypes. Maybe that's an easier way
17 of saying qualify it based on the evidence.

18 Yes.

19 DR. BULL: I would remind the committee once
20 again that you have to make your deliberations based on the
21 data you have on hand. There's a question that comes later
22 that does address whether or not further studies or if you
23 want to ask for some subgroup analyses, but there's
24 opportunity to ask for more exploration of where you see
25 the need or potential use of the product based on what

1 you've reviewed.

2 DR. STERN: So data-driven, not what we'd like,
3 but what we see.

4 Jimmy.

5 DR. SCHMIDT: Yes for nodular BCC and
6 superficial BCC, and I would exclude morpheaform, the other
7 types.

8 DR. TEN HAVE: Being a biostatistician, it's
9 probably not appropriate for me to comment on this, but I'm
10 going to try anyway.

11 Just to clarify in my mind what the picture is,
12 again, the distinction between superficial and nodular has
13 an impact on what studies we consider. I'm going back to
14 the point of conversation we had earlier. It seems to me,
15 again, that the cryotherapy versus MAL trial was the
16 superficial BCC trial. Right? And that's key in my mind.
17 That's where MAL did well in the long-term recurrent rate
18 department, and I'm going to say because of that I think
19 superficial is where it should be targeted.

20 DR. STERN: So if I understand you, it was yes,
21 superficial only.

22 DR. TEN HAVE: Right.

23 DR. STERN: Thank you.

24 DR. RAIMER: I'm fudging a bit too. For me it
25 is yes, but it's only for those lesions that are unsuitable

1 for other available therapies.

2 DR. STERN: I do not think that I could support
3 this as a first-line therapy based on the evaluable
4 evidence. So I don't have to specify what type.

5 DR. SAWADA: I too would not consider this as
6 first-line therapy. I'd have to say no.

7 DR. KATZ: No.

8 DR. KING: As a primary therapy, I have to go
9 with no. I think that the sponsor has already eliminated
10 sclerosing and infiltrative and so forth. So that's not
11 there. So the answer would be no if you mean primary
12 therapy.

13 DR. BIGBY: I would also say no, and I'd just
14 like to remind the advisory panel that what we're looking
15 at is two randomized, placebo-controlled trials with
16 basically 30 people in control and active arms in two
17 separate studies and a difference between placebo that has
18 a confidence interval that at the lower end was 18 percent
19 in one study and 24 percent in the other.

20 It never ceases to amaze me how limited the
21 amount of efficacy evidence that's actually presented is.
22 I think as long as we sort of keep advising to approve
23 treatments where this is the level of evidence we get,
24 we'll always get this level of evidence.

25 DR. DRAKE: As a primary, I'd have to say no.

1 A subset, I have a different opinion, but I'm going to
2 leave. I apologize. I told you I had to leave early. I
3 might have a different opinion on a subset, but as a
4 primary I'd have to say no right now.

5 MS. KNUDSON: I also will say no.

6 DR. TAN: I will say no, not as first-line
7 because we really need to reconcile the 6 months' efficacy
8 with the recurrence rate.

9 DR. RINGEL: No.

10 DR. STERN: May I ask the agency? Is question
11 4 still relevant, given what we've said in response to
12 question 3? There were 3 yeses and 9 noes in response to
13 question 3.

14 DR. WILKIN: I think for comment we may
15 eventually have more studies, and to keep from coming back
16 to the committee, what we'd, I think, like to hear is, is
17 there something that you would suggest would go in the
18 indication section sort of to frame, just some general
19 kinds of comments?

20 DR. STERN: I don't know how we can do it with
21 the data we have.

22 DR. BIGBY: Wait. I really don't understand
23 this question. I don't understand what it is that you're
24 asking.

25 DR. WILKIN: Okay, fair enough. What we are

1 asking actually has some basis in what we've heard around
2 the table today. You spent a lot of time talking about
3 standard of care and what you believed to be the rates of
4 success with other modalities. Basically the question is
5 those other modalities -- is there a role for that
6 information in labeling should this product eventually be
7 approved for primary all basal cell carcinomas or any
8 particular subset. So it's sort of hypothetical but in
9 that construct, would you see a role for that information
10 about those other modalities crafted into labeling? That's
11 the basis.

12 DR. STERN: With that context, this surprises
13 me because every new label I see basically summarizes the
14 results of the studies that were accepted in going into the
15 label, and clearly whether there's an active comparator or
16 a placebo comparator, those data are presented as part of
17 at least all the package inserts I see coming rolling out.
18 Of course, the information is useful and our problem is
19 that there's not enough information yet about this drug
20 relative to comparator.

21 DR. BULL: Not being a dermatologist here, but
22 in the discussions I've participated in with the division,
23 as we were trying to craft the questions, I think there was
24 a concern that we bring to you all as our experts who are
25 in clinical practice as to contextually where this therapy

1 fits in or if you have a therapy that may be viewed at
2 least by the existing body of data as potentially less
3 efficacious than the "standard of care." I think there has
4 been comment made that that body of data is probably not
5 the best, but if there was any comment the committee might
6 provide as to how that might be given. We do have some
7 studies that did compare to surgical treatment.

8 DR. KATZ: Related to that, when you say the
9 data is not adequate, nothing is perfect.

10 DR. BULL: Right.

11 DR. KATZ: But the fact is that in the
12 literature repeatedly we see the same numbers. As was
13 pointed out, people don't report sometimes if they have
14 poor results, but generally speaking, what is in the
15 literature is fairly close and it also is consistent with
16 what many of us see -- I didn't poll everybody of my
17 colleagues -- in the office. So I would disagree that, oh,
18 the data we have on recurrences, we can't believe anything
19 about it. That impression should not persist. We have a
20 pretty good idea of how frequent recurrences are.

21 DR. STERN: Other comments on 4? Michael?

22 DR. BIGBY: So if I understand this correctly,
23 what I would say is that the best information that one
24 could convey to our colleagues would be the results of
25 head-to-head trials in terms of how it compared to placebo,

1 cryotherapy, and surgery. That would be the advice that I
2 would give people because based on available data, that's
3 the best data that there is.

4 DR. STERN: Question 5, safety. Please discuss
5 the adequacy of the safety assessment, including the
6 contact sensitization assessment and the adequacy of data
7 on recurrence rates. I would say that we've answered the
8 issues of the adequacy of data on recurrence rates ad
9 nauseam and would prefer to just address the issue of
10 contact sensitization. I've forgotten where I was last
11 time going around the room, but I think I'll start with
12 Eileen.

13 DR. RINGEL: I think they're almost there but
14 not quite. I'd like to see some longer-term studies done
15 with patch testing in the way that people are going to use
16 this. For example, it doesn't seem that after four
17 treatments people have a significant rate of contact
18 sensitization, at least clinically, but people will
19 theoretically keep on getting basal cell carcinomas for
20 years to come and they may potentially be exposed over and
21 over and over and over again. So it seems to me it might
22 be relatively easy to do a study of the 3-hour application,
23 wait a day, a 3-hour application, wait. In other words,
24 see how many 3-hour applications you can get until you do
25 get contact sensitization, and that might be useful

1 information, more in the way that it will be used
2 clinically.

3 And the second issue is I would want to make
4 sure that whatever gloves physicians are using, that this
5 agent cannot penetrate it. So I'd like to make sure that
6 this is good for latex gloves, vinyl gloves, the rest.

7 DR. TAN: Yes, I would defer this to our
8 physician scientists because there was debate about whether
9 this is relevant.

10 MS. KNUDSON: My concern was the sensitization
11 and irritancy that were in the normal subjects. 52 percent
12 of them had reactions with long exposures. So I second
13 what Eileen said in terms of the health care provider.

14 DR. BIGBY: I'm actually satisfied with the
15 sponsor's assessment of the risk to patients. I do share
16 the concern about making sure that health care workers
17 protect themselves from this, especially if they are going
18 to be doing this frequently.

19 DR. KING: Having wrestled with the FDA over
20 orphan drug indications for diphencyprone for about two-
21 and-a-half years, it becomes one of the issues of is the
22 chemical available in the environment so there's going to
23 be cross-sensitization. I recognize the argument that
24 benzoyl peroxide and a number of agents like preservatives
25 are available, and they're approved for over-the-counter.

1 I think that's a little bit different from saying you're
2 going to sensitize somebody to an endogenous ALA which all
3 cells contain in the mitochondria, et cetera.

4 Actually I was hoping they would turn out to be
5 that the MAL would be a unique chemical and we could use it
6 for alopecia areata as an FDA-approved drug.

7 Having said that, 2 percent of a big number is
8 still a big number, and I am concerned. I applaud their
9 efforts. I'd just like to know a little bit more about
10 that. So you can't get a drug approved, as I know, over
11 the counter if you have a 2 percent incidence of
12 sensitization for fragrances, et cetera. So I'd like to
13 see a little more data about that.

14 DR. KATZ: Yes, I think the safety assessment
15 has been adequate. It is somewhat worrisome on contact
16 sensitization of that percentage of people, but as was
17 properly pointed out, if you're treating some skin cancers
18 and somebody gets an allergic contact dermatitis, then you
19 treat it. It's really no big deal. We treat contact
20 dermatitis in the office during the summer multiple times a
21 day, and that person would not be appropriate for further
22 treatment with that. So that doesn't bother me. What
23 bothers me is the recurrence rates and the ineffectiveness
24 relatively of the drug. But I think adequate safety
25 assessments were done, and I think appropriate comments

1 were made on it not being a terribly worrisome thing if the
2 patients developed a contact dermatitis.

3 DR. SAWADA: Again, I noted the high rate of
4 contact sensitization with the patients. Again, that's
5 good and well. I think what the company provided was good
6 information.

7 But again, I echo Eileen's concern if I were
8 the one who became sensitized to this in giving it. I
9 worry about my staff and myself. So I'd like to see a
10 little bit more data on that aspect and what kind of
11 protective measures we need to take to avoid sensitization.

12 DR. STERN: I have no comments on
13 sensitization.

14 One thing that I did not see in the safety data
15 -- or perhaps I missed it -- is the persistence of
16 photosensitivity, since there is lots of red light when you
17 go out and a lot of these lesions are in exposed areas.
18 It's visible light, and what about inadvertent exposure and
19 sensitization to other sites? Have you done in normal
20 skin, in fact, MPDs to look at if you apply this cream and
21 you irradiate it on normal tissue, whether or not you get
22 what the MPD is, how long an equivalent of sunlight? So I
23 think those data, if they exist, certainly need to be
24 shared. When you put ALA on normal skin, you get
25 photosensitization.

1 I understand that you've shown pictures that
2 you don't see fluorescence on mice where it's not applied,
3 but I'd like to see some actual human data with application
4 of the agent to normal skin. But that may be there. And
5 then if you've got it covered, the agency will pay
6 attention to it.

7 DR. KATZ: I also wanted to add the emphasis.
8 We should put a lot of priority on the clinicians not
9 seeing problems with the drug. So we may get sensitization
10 with the sensitivity studies, but when so many patients
11 have been treated and they just haven't seen contact
12 dermatitis, that would be a very obvious thing. So we must
13 put a lot of credence on that for this aspect of the
14 problem.

15 DR. RAIMER: Yes, I agree with others. I don't
16 really have things to add. But I do think the possibility
17 of the cross-sensitization with the ALA needs to be
18 monitored continuously.

19 DR. SCHMIDT: I love to see a contact
20 dermatitis to 5-FU and some of these other things. I think
21 you get your best results. So actually, to kind of spice
22 the pot a little bit with a contact dermatitis is good. So
23 I go along with that they did show the adequacy of the
24 recurrence rate and the contact sensitivity assessment.

25 DR. STERN: Now we go on to question 6, which

1 is I believe a voting question. This question is, based on
2 the safety and efficacy data, does the committee find a
3 favorable risk versus benefit balance to support approval
4 of this product?

5 DR. SCHMIDT: Why do I always get to be the
6 first?

7 (Laughter.)

8 DR. SCHMIDT: This is a tough one. No, no.
9 I'm not trying to weasel out.

10 I'd say yes.

11 DR. TEN HAVE: Do I get to vote?

12 (Laughter.)

13 DR. TEN HAVE: Given my past comments, I would
14 vote yes for the superficial indication.

15 DR. RAIMER: How are we voting? Are we just
16 voting in general? We're not voting for specific types of
17 tumor, are we? We're just voting on the data that we have,
18 do we think it's adequate.

19 As much as I would like to have it for
20 superficial, at the moment I'm not sure that the data is
21 adequate. I'm going to vote no.

22 DR. STERN: No.

23 DR. SAWADA: No.

24 DR. KATZ: No.

25 DR. KING: No.

1 DR. BIGBY: No.

2 MS. KNUDSON: No.

3 DR. TAN: No.

4 DR. RINGEL: No.

5 DR. STERN: The next question is additional
6 studies. Please discuss whether any additional studies may
7 be needed and whether these studies might be conducted
8 after approval, which is hard after the prior question to
9 ask, although I suppose sometime in the far future.
10 Perhaps we could put it, please discuss what you might
11 think would be useful pre-approval and post-approval
12 studies, should the agent eventually be approved. How
13 about that for a question?

14 I think we've already made lots of suggestions,
15 so it would be additional things that either you as an
16 individual have not said or have not heard other people
17 say. With that, I would say I don't have anything to say
18 that I haven't. I can't think of additional things that
19 someone hasn't raised as additional studies, ways to design
20 them, et cetera.

21 DR. SCHMIDT: I agree with you.

22 DR. TEN HAVE: Same here.

23 DR. KATZ: Well, the drug has shown to be more
24 effective than placebo, and I would think that if the
25 sponsor could show, so to speak, a niche where we would

1 say, oh, yes, that's a place that we could use in that
2 patient as advantageous over what we have available, I
3 think that would be very interesting.

4 DR. KING: I think that I have a two-part
5 answer. One, I'd like to see larger numbers simply because
6 for a million-plus people, that's not very many numbers. I
7 guess in the real world it's hard to do these. They're
8 expensive and time-consuming.

9 But I'd like to see something that when they
10 define the type of basal cell, they put in the category of
11 nodular by itself or solid with or without infiltrative
12 features and so forth and then give the depth. We know
13 that's an important part of the melanoma. And I'd also
14 like ulcerations. I'd like a more precise description as a
15 dermatopathologist of what you start with. At some point
16 you would have clinical and the pathology or histopathology
17 of the lesion, and then for those that fail, I'd like to
18 see a histological evaluation to correlate with the
19 clinical. That would also include at the time of applying
20 it. Since we're saying that MAL is tumor-specific, just
21 shine the black light on it or confocal fluorescent
22 microscopy and determine if why it's persisting is, instead
23 of just having a nodular, you have then the heterogeneous
24 tumor which has biologically aggressive features such as
25 the sclerosing or morpheaform, et cetera.

1 That may explain in my experience why things
2 come back because oftentimes you'll diagnose, based on a
3 small section, a nodular tumor, and then when it recurs, it
4 comes back to you. You have to look back and say that's
5 not a nodular. On an excision or the recurrence, you have
6 a totally different biological appearance, I mean,
7 regression, based on it's no longer just a simple nodular.

8 So I'd go for clinical histology and the repeat
9 and then the porphyrin specificity.

10 DR. BIGBY: I just think that the problem study
11 for this application really is the placebo-controlled trial
12 and the fact that they had such high cure rates in the
13 placebo arm. I think it's fairly obvious what needs to be
14 done in terms of a study to explain that and to sort of
15 ferret out what in this therapy is the effective modality.

16 MS. KNUDSON: I'll echo all that was said.

17 DR. TAN: Yes, probably some more studies need
18 to be conducted, by first carefully looking at the current
19 data and I think a cleverly designed study, especially
20 taking into consideration keeping the response rate up, but
21 at the same time keep the recurrence rate down. I think
22 that's the key. Those two things have to be there.

23 DR. RINGEL: I think that they need to design a
24 study for the patient population in which it will be used,
25 and from what I've heard today, mostly that includes

1 lesions of large diameter on the trunk and extremities in
2 patients who are not surgical candidates because of
3 bleeding, diathesis, or whatever.

4 I would not, just as an additional point, use
5 this on the face. I think that the failure rate that I
6 heard of 48 percent is so high, I think I would never use
7 this on the face. They can do Mohs surgery. I just don't
8 see the point of it. I just wouldn't do it.

9 The other thing is I think I would make very
10 clear that this is something that's used in patients who
11 are not candidates for surgery. What I worry about is what
12 many people have said: this is too easy to use. Anybody
13 can get their hands on this and do it. It doesn't sound
14 like you need a whole lot of training. It's going to cost
15 some money, probably buying, leasing this light. Once you
16 purchase it, you're going to want to get your money's worth
17 out of it, and you'll be tempted to use it on perhaps more
18 patients than it should be. So I think we need to make
19 very clear that this is for patients who cannot, for one
20 reason or another, be treated by surgery, for large
21 lesions, for bleeding lesions, not for lesions on the face.

22 DR. STERN: The final question that the agency
23 has put to the committee is, as I understand it, a generic
24 one. For future development of drug products for basal
25 cell cancer -- in other words, not limited to this

1 sponsor's product -- please discuss which measures should
2 be the primary efficacy measures, clinical evaluation
3 and/or histologic clearing and the time points as well as
4 recurrence rates and appropriate time points.

5 Again, I would ask the committee to add things
6 that they don't believe have been covered because I think
7 we've spent a large amount of time addressing these issues
8 as it applies to this, but in a way that has, in fact, been
9 very broad-ranging that is generally applicable to what
10 we'd want to see for a product for basal cell. So at least
11 I have no comments to make beyond those that have been made
12 by the committee already.

13 DR. SCHMIDT: I agree, but I think just in way
14 of review, I think that when they do the histology, to do
15 the Mohs where you slice it, where you can see the sides
16 and the base, and then to extend these studies out to try
17 to get the recurrence rates for like 2 to 5 years because I
18 know these are going to come up.

19 DR. TEN HAVE: This is probably a more general
20 question that we actually asked earlier about non-
21 inferiority trials regarding the threshold of 15 percent.
22 You asked that question before lunch.

23 DR. STERN: Yes, thank you. I'm sorry. I
24 guess to me that in powering studies, I do not consider an
25 incidence/rate ratio of 4 with an underlying assumption of

1 a 5 percent failure rate in the comparator group to show
2 non-inferiority to be adequate. Powering a study to
3 exclude an increased risk of 4-fold higher an incidence,
4 assuming 5 percent in the compared-to therapy, is too high
5 a margin. I would have to say that if I were powering
6 studies, if the assumed recurrence rate is as high as 5
7 percent in the treatment to which the innovator is being
8 compared, I would want the odds of recurrence at 2 years to
9 be no more than double. To say to a patient, well, as far
10 as we know, we're pretty sure it's not going to be more
11 than four times as much, that's not enough powering in a
12 non-inferiority trial. So to me, when you're talking about
13 a doubling of risk assuming a relatively low risk for the
14 baseline comparison of, say, 5 percent, that's clinically
15 acceptable because then there are tradeoffs. When you're
16 talking I can only exclude it being four times more likely
17 that you're going to have a recurrence, I don't think
18 that's adequate powering. Thank you.

19 DR. RAIMER: I think it's very unusual that you
20 see clinical recurrence of a lesion at 6 months after it's
21 been treated. I would suspect that histologically there
22 are very few cells there even if it's going to recur. So I
23 think it would be hard to demonstrate most of the time
24 histologically.

25 I agree with Eileen that you can't ask somebody

1 to undergo a placebo treatment and have a long-term study,
2 and you can't expect somebody to want their lesion excised
3 2 years after it's been removed, especially if it
4 clinically looks good.

5 So I think maybe more clinical studies that are
6 maybe not even placebo-controlled, more long-term clinical
7 studies using this entity, looking for clinical recurrence
8 and then biopsying if there's anything suspicious at all
9 need to be carried out. I'd like to see them at least 2 to
10 5 years.

11 DR. SAWADA: And I just have to agree with Dr.
12 Raimer. I would want to see these studies further out.

13 DR. KATZ: I have nothing to add.

14 DR. KING: I've said all I really want to say
15 except I'd like for this to be approved in principle and
16 just power to study more and have more patients involved
17 and try to find the heterogeneity.

18 MS. KNUDSON: I have nothing to add.

19 DR. TAN: Again, I said before that I don't
20 understand why clinical response is not used. So,
21 therefore, I would like to have the clinical response to be
22 used. For anticancer drugs, they use this so-called
23 objective response which is a combination of the
24 histological response and the clinical observation. So
25 they have several pages of this to define how they derive

1 that. I think that will be very helpful to design future
2 trials.

3 Of course, any trial like this probably, given
4 the high success rate of the current therapy like the
5 surgery, you always need to consider other parameters. In
6 this particular example we discussed today, it was the
7 recurrence rate. For some other products, maybe some other
8 parameter needs to be considered because in terms of
9 response rate, you probably cannot really beat the surgery.

10 DR. RINGEL: I think that the primary efficacy
11 studies should be unfortunately the one that's not
12 controlled but long-term like 303 was, but carried out at
13 least 3 years. According to the article that you gave us
14 by Daniel Rowe, 3 years has 66 percent of the recurrences,
15 which is over half. You can make mathematical calculations
16 at that point. So I'd say at least 3 years. 5 would be
17 preferable, but I think 3 should be enough.

18 And then because those can't be placebo-
19 controlled studies, I think that it would be nice to have
20 studies like 307 and 308, and I think we should have both
21 available but the primary efficacy studies should be the
22 long-term ones, the clinical ones.

23 DR. STERN: Does the sponsor have any questions
24 or final comments, questions for the committee before we
25 close?

1 DR. MORRIS: No.

2 DR. POSNER: Can I just make one comment?

3 DR. STERN: Sure.

4 DR. POSNER: I would just like to point out
5 that the cryotherapy results are fact. They show no
6 difference. Whichever way you look at them, they show no
7 difference between MAL-PDT and cryotherapy. That's fact.
8 That's not opinion. Really the difference between
9 publication bias and a randomized, multi-center clinical
10 trial in this fashion are really so different that we would
11 stand by those results. We do feel that they should be
12 taken into account in the overall assessment of efficacy.

13 DR. STERN: Thank you.

14 And does the FDA have any final comments,
15 questions, criticisms?

16 DR. WILKIN: I don't think any final questions,
17 but certainly we're grateful for not just going through the
18 questions and giving us all the abundant information there,
19 but as you probably know, we go back over the transcripts
20 for the entire session, and you had quite a bit of
21 discussion this morning and then you started again at 1:00.
22 So we have a lot ahead of us to pore over and we are very
23 much appreciative of the thought that you've given this.
24 Thank you.

25 DR. STERN: Therefore, the meeting is

1 adjourned. Thank you all very much, sponsor, FDA, and
2 participants. Thank you.

3 (Whereupon, at 3:48 p.m., the committee was
4 adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24