

1 anything like that on them. They just have some little
2 typewritten thing and that's that. So, there is a tendency
3 to lose track of that when you throw it together in a
4 single pill. So, I just didn't want any of us to have the
5 wrong idea about expectations here about what the patient
6 is actually going to see.

7 DR. BORER: Two issues relevant to that. As a
8 point of information, is it possible to mandate that typed
9 labels in a pharmacy must contain certain information about
10 a certain product?

11 DR. TEMPLE: Well, we think so. But whether
12 the established mechanism for insisting is available here
13 is not clear. There's something called a med guide that we
14 can require when certain serious hazards would occur if the
15 patient didn't understand certain things. Given the
16 uncertainty about whether discontinuing is critical or not,
17 it would be hard to make the case that you need a med
18 guide.

19 On the other hand, it's clear that you want
20 patients to understand that they are, in fact, on aspirin
21 and be able to tell their doctor. And companies can agree
22 to have a package insert that is part of their labeling,
23 whether it's a med guide or not.

24 The next question is how you can provide any
25 assurance that patients will get it. Under the med guide

1 rule, pharmacists are required to hand it out. Now, what
2 that means if they don't is not completely clear, but they
3 are required legally to attach the med guide which is a
4 patient package insert.

5 My own view is that if you really care the best
6 way to assure it is to make it part of the distributed
7 package. That doesn't mean a pharmacist couldn't pour it
8 out and put it into another bottle, but they wouldn't have
9 any reason to. So, you create unit-of-use packaging which
10 is how drugs are distributed in most of the world, just not
11 here.

12 DR. FIEDOREK: We certainly would agree to
13 abide by that and try to work to get appropriate labeling
14 for the combination tablet.

15 DR. BORER: Another issue here. No single
16 mechanism, except maybe unit-of-use packaging, is going to
17 overcome the possibility totally that somebody is not going
18 to know what he's taking. People have a lot of ways to not
19 know what they're doing.

20 But another safeguard perhaps could be in the
21 trade name. In this case, it's fortunate that most people
22 understand what the word aspirin means. I suppose,
23 although I have no idea how you make up trademarks, a name
24 that emphasized the component that's of some concern might
25 be helpful in identifying it for patients. So, it's just

1 something to consider. I don't know if you've thought
2 about your trade name yet.

3 Are there any other comments? We've raised
4 this as an important issue about recognition. Any other
5 comments, Tom?

6 DR. PICKERING: Just one naive question. Isn't
7 it likely that when the pharmacist prints out the patient's
8 label with the instructions, they'll paste it right over
9 your very nice label saying aspirin three times?

10 DR. BORER: If it's unit-of-use packaging then
11 the directions presumably are on the --

12 DR. TEMPLE: The patient insert can be attached
13 as a pull-out. It depends on how big it is, but it can be
14 attached in a way that it's relatively large print and
15 relatively easy to see.

16 DR. BELDER: I would also like to mention that
17 this package will actually contain a blister pack and every
18 time the patient punches through a tablet, it will say
19 pravastatin or aspirin. So, even then as you punch through
20 the tablets, as you get the blister pack in your hands,
21 both components are indicated.

22 DR. TEMPLE: And you see the same thing for the
23 fixed combination in a single tablet?

24 DR. BELDER: As I said, we haven't developed
25 the packaging yet. We can discuss that, of course.

1 DR. TEMPLE: But I think that's what people are
2 concerned about.

3 DR. BELDER: We'll be more than willing to work
4 with the agency to develop clear identification.

5 DR. TEMPLE: Can I ask the committee one
6 question, Jeffrey? Part of the argument here is that we
7 think it won't be any worse than it is already because
8 aspirin is ubiquitous. The second part is that, yes,
9 probably some people won't realize they're on aspirin, but
10 given the state of what people's views are, that's probably
11 not that bad. We don't even know that's bad for you.

12 DR. LORELL: I'll take objection to that.

13 DR. TEMPLE: Well, I'm not endorsing it. I'm
14 just saying I thought that was part of the argument and
15 that they would do their best to make sure people
16 understand it, but that it wouldn't be an unmitigated
17 disaster if somebody slipped through. That's part of the
18 argument I hear. I just want to know what people thought
19 of it. So, I guess I'm about to hear.

20 DR. LORELL: Yes. Let me respond to that. I
21 think that there is no disagreement with anyone in this
22 room in the cardio-renal field of the extraordinary
23 importance of the use of aspirin and statin lipid-lowering
24 agents for secondary prevention. None of us has to be
25 convinced of that.

1 I think the data that were presented as
2 supplements clearly raise the issue that there is still a
3 lot of controversy, disagreement about the risk of
4 continued antiplatelet agent use, whether it is aspirin or
5 a newer agent, in the context of minor surgery, major
6 surgery, and biopsies. So, the answers to that are not yet
7 known.

8 There clearly can be risk in an individual
9 patient of having very adverse outcomes, and I think for
10 many patients the risk of even an increase in -- or any
11 transfusion requirement might be looked at as a major
12 adverse event.

13 I think one of the things that I'd like to hear
14 your comments on is the safety data that was presented in
15 detail and alluded to here in the earlier presentation this
16 winter that comes from the Pravachol secondary prevention
17 trials. One of the dilemmas there that I'm wrestling with,
18 regarding the use of aspirin, was that aspirin was
19 individually manipulated by the physicians. It was not
20 part of trial design as being a mandated Pravachol alone,
21 Pravachol plus aspirin, or aspirin alone.

22 So, I think a concern that might be discussed
23 by the committee is that we really don't have data, either
24 retrospectively or prospectively, about the sort of forced
25 co-use of both drugs without individual manipulation. So,

1 I think although we're all encouraged by the data that at
2 least for vascular operations, continuation of aspirin in
3 the net may be beneficial. There are many other kinds of
4 procedures where that risk-benefit is very unclear.

5 DR. FIEDOREK: Yes. In the trials you're
6 referring to, the pravastatin trials, the aspirin use may
7 have been done by the patients themselves.

8 I'd like to ask if Dr. Dacey would care to
9 comment on this from the point of view of cardiovascular
10 surgery, and we can then get to some of the other aspects.

11 DR. DACEY: Sure. At least in the
12 cardiovascular field, specifically coronary bypass, we
13 found in northern New England -- we talk about continuing
14 aspirin was beneficial with about a 27 percent reduction in
15 operative mortality just being on aspirin as opposed to
16 patients that had the aspirin stopped and had no increase
17 in transfusion, no increase in chest tube drainage, no
18 increase in re-exploration. Indeed, over time, as the
19 slide alluded to, the incidence of re-exploration has
20 continued to go down despite increased aspirin use.

21 It's not a finding unique to us. The Society
22 of Thoracic Surgeons keeps a database. When they looked at
23 this last in over 78,000 patients, they also found about a
24 30 percent risk reduction for mortality in patients that
25 take aspirin.

1 We looked at our own data in northern New
2 England over the last -- I believe it's 5 years, over
3 13,350 patients or so. Again, about a 28 percent risk
4 reduction in mortality in patients who are on aspirin prior
5 to surgery. Again, we've noted no harmful effects to this.

6 I know the company is not touting aspirin is a
7 good thing, but certainly in our literature, preoperative
8 aspirin definitely decreases mortality with no discernible
9 adverse effect that we can surmise. So, indeed, we
10 actually encourage our patients, if they're not taking
11 aspirin, to take it right up to and through surgery.

12 DR. FIEDOREK: Dr. Avorn, would you care to
13 make any comments on this?

14 DR. AVORN: I think the most relevant piece of
15 this is not whether it is necessarily for nonvascular
16 surgery a good thing or a bad thing to continue aspirin
17 because, as was mentioned, the data simply don't exist, but
18 rather whether the co-packaging or combination of these two
19 products together, as proposed, would increase, decrease,
20 or leave unchanged the likelihood of inadvertent
21 misadventures.

22 One of the compelling pieces for me is that
23 right now we're dealing with a situation where patients
24 often don't know what they're taking, as the Cook paper
25 demonstrated. Physicians often don't know what the patient

1 is taking. If it's a surgeon who gets a med list and
2 aspirin is not on it, they may not know what the patient is
3 taking.

4 So, without taking a stand on whether aspirin
5 should always or never or sometimes be continued through an
6 operation, I think the point here is that this packaging
7 will make it more likely that the doctors involved in the
8 patient's care will be able to make a proactive decision on
9 their own part, whatever their own lights tell them they
10 ought to be doing, and it's giving them more information
11 and that's probably the key distinction.

12 DR. FIEDOREK: Dr. Chaitman, would you care to
13 comment at all?

14 DR. NISSEN: Rather than having --

15 DR. BORER: Just a second, Steve. We have
16 several people. Why don't you finish your response and
17 then we have Mike and Steve and Beverly.

18 DR. FIEDOREK: I was just wondering, Dr.
19 Chaitman, if you had any answers. No, okay.

20 DR. BORER: Mike.

21 DR. ARTMAN: My point was raised already.

22 DR. BORER: Okay. Steve.

23 DR. NISSEN: I wanted to explore this a little
24 bit further with you. Dr. Dacey, your data is not
25 prospective, randomized data. Is that correct? It's

1 observational?

2 DR. DACEY: That's correct. Both in New
3 England and STS, it was all observational.

4 DR. NISSEN: So, how do we know that the
5 patients in whom aspirin was continued weren't different
6 from the patients in whom aspirin was stopped?

7 DR. DACEY: The one paper that we looked at in
8 detail looking at perioperative characteristics, there's no
9 significant difference between those two patients. So,
10 again, there's always a chance of bias, but as far as we
11 can tell as confounding, we didn't find any confounding.

12 DR. NISSEN: Wouldn't you think that a surgeon
13 that thought a patient that was at particularly high risk
14 for bleeding might stop aspirin and a patient that was at
15 particularly low risk for bleeding might continue it?
16 Obviously, observational data like that has some
17 significant limitations.

18 I guess I wanted to follow on with that. Would
19 you have different recommendations if a patient were going
20 for, let's say, reoperation?

21 DR. DACEY: No.

22 DR. NISSEN: Would you be more likely to stop
23 aspirin in patients undergoing reoperation?

24 DR. DACEY: Absolutely not. The only possible
25 scenario I could think of would be a Jehovah's Witness, and

1 then I think you're still dealing with a mortality tradeoff
2 versus bleeding. But reops, anybody else, we always keep
3 it going.

4 DR. NISSEN: The other issue was I heard said
5 several times that there was no prospective randomized
6 data, and I guess, as I read through the manuscripts -- and
7 I also did my own literature search -- there is some. The
8 VA cooperative study was prospective and randomized. I
9 think it's important at least we put the issue on the
10 table.

11 As I read the study, in that study, in patients
12 who were randomized to aspirin, there was a 6.6 percent
13 risk of having to go for reoperation, and those that were
14 not aspirin had a 1.7 percent risk of reoperation. So, the
15 risk ratio was about 4 to 1 for having to go back to the
16 operating room and have their chest reopened if they were
17 on aspirin. Now, that's prospective randomized data.

18 I think it's important that we not trivialize
19 the issues involved here. If you look at the manuscript --
20 and I'd like to just call your attention to page 237 of the
21 handout -- the differences were highly significant, p
22 values of .0001 for red blood cell transfusions, for
23 platelet transfusions, cryoprecipitate administration,
24 fresh frozen plasma, but not necessarily for whole blood.
25 So, something like cryoprecipitate obviously means that

1 when you see significant increases in the use of
2 cryoprecipitate, you're talking about a pretty important
3 clinical effect.

4 So, regardless of what decision we make -- and
5 I think the arguments are understood about whether or not
6 this product represents an increased risk or not. There
7 are reasons why people on this committee have been
8 concerned about this, and they relate to some of the data
9 that's available out there.

10 DR. DACEY: I guess my only rebuttal is sort of
11 in the current era, we just looked at other, again,
12 observational data. And I admit that we looked at over
13 10,000 patients and have a 2.6 bleeding percent for
14 patients who were not on aspirin, 2.7 percent for patients
15 who were on aspirin, and no statistical difference. At
16 least in the current era, it doesn't seem to be a problem.

17 DR. BORER: Blase and then Beverly.

18 DR. CARABELLO: I think it's fair to point out,
19 though, that that VA study is an old study. Surgery has
20 changed. At least the field of surgery that I'm interested
21 in, which is valve surgery, has changed so dramatically
22 since those data were reported, that it's likely that other
23 fields of surgery have also changed.

24 DR. BORER: Beverly.

25 DR. LORELL: I think one way that might be

1 helpful of thinking about this as a safety issue is there
2 really are at least a couple of components here. One is
3 the ambiguity and uncertainty about the risk of
4 inadvertent, which is a little different from what you're
5 talking about, continuation of aspirin for surgery,
6 biopsies, major invasive procedures. Perhaps your comments
7 I think are very important for how we practice but may not
8 be quite to point for this issue because I think in the
9 current era, most cardiologists, cardiac surgeons, vascular
10 surgeons actually make quite a deliberate, focused decision
11 about inclusion or exclusion of aspirin or other platelet
12 agents. So, I think the broader issue for a combination
13 drug that's not intended for use short term but for a very
14 long term is the much broader issue of risk of inadvertent
15 use of aspirin, perhaps for nonvascular procedures.

16 I think the second safety issue that is still
17 not really fully addressed is the issue -- it's been
18 postulated that there would be less confusion in a
19 prescription drug as to whether aspirin was present or not
20 compared to current over-the-counter use of aspirin for
21 secondary prevention.

22 But I'm concerned that we really don't have
23 data to support that one way or another. One could make
24 the argument that in a 70-year-old woman who's showing up
25 for a colonoscopy or a major breast biopsy, that she might

1 report that she's taking an anticholesterol drug. She might
2 not even know the name of that drug or bring the drug with
3 her to the doctor. It's a common scenario.

4 So, I think the second, very separate safety
5 issue is the issue of whether there is a safety problem
6 regarding ambiguity of combining a very potent antiplatelet
7 agent in a pill with something else. I guess it would have
8 been nice or might be nice to actually have some data to
9 address that. We have only hypothesis right now.

10 DR. BORER: One of the issues that you may want
11 to talk about, if you have some specific information to
12 bring to bear -- and I think you hit upon this in some of
13 your discussions thus far -- is what is the likelihood of
14 this happening, given that multiple layers of communication
15 that you're suggesting will be brought to bear here, in
16 comparison with the likelihood that somebody who might well
17 benefit from the combination therapy will not be getting
18 one component if the convenience of a combination product
19 isn't made available. You did discuss this to some extent
20 in your first presentation several months ago.

21 And I think to put this in context -- just as
22 Beverly says, it's a very important issue. I don't have a
23 sense of the magnitude of the likelihood that with the
24 prescribing doctor knowing what he or she gave and the
25 patient having been told and the package saying something

1 -- with all those levels, I don't know what the magnitude
2 is of the likelihood that somebody will slip sure although,
3 sure enough, somebody will and probably several.

4 There is, as against that, the benefit to that
5 patient for having been taking the combination therapy that
6 maybe wouldn't have been taken, which we also can't
7 determine the magnitude of. And I'd like to hear a little
8 bit of discussion about that. Perhaps, Charlie, you may
9 want to comment on that.

10 DR. FIEDOREK: Dr. Topol or Dr. Hennekens, does
11 anybody care to comment?

12 DR. HENNEKENS: I think, Jeff, as you're
13 pointing out, the overriding benefit of improving
14 compliance overall has to be put in context with the
15 concerns about safety. But I do think, going back to Bev's
16 comments about titration, that in fact the ability to have
17 a low-dose aspirin new data from the CURE study helps in
18 that regard with respect to enhancing safety. And I'd like
19 to just review that, if I could get the slides just to
20 point out.

21 As you know -- and I think Dr. Lorell
22 mentioned, of course, the acceptance of aspirin in
23 antiplatelet therapy. One important point from the recent
24 meta-analysis from the antiplatelet group -- and as you
25 know, this is a very large collation of data, over 212,000

1 patients in 287 trials.

2 What you can see in these data, of course, the
3 first thing of note is that the lower-dose aspirin in all
4 of these trials actually fared somewhat better. This is
5 not a direct comparison, but the dose of one or two baby
6 aspirin, less than 160, had the highest evidence of
7 reduction of vascular death, MI, or stroke, as compared to
8 the dose of greater than that level.

9 But importantly, as I mentioned, the next slide
10 shows recent data that's been available from this trial.
11 The first point, of course, is that this is not a
12 randomized dose of aspirin, but it's the best we have today
13 as of July 2002. It's a large population of 12,500
14 patients. Of course, in this particular study, it was at
15 the physician's discretion as to what dose of aspirin to
16 use. So, that's important. While not randomized, there
17 were no differences in the three different arms here with
18 respect to the patient characteristics, demographics, or
19 risk.

20 But as you can see, the efficacy of either 80
21 or 160 milligrams -- this was an international trial.
22 There are some doses outside the U.S. of 100 milligrams,
23 for example, or 150. The efficacy was at least as good at
24 the low dose.

25 And then most importantly, again to address the

1 concern regarding bleeding -- and this goes back to Steve
2 Nissen's point on the VA trial and Blase Carabello's --
3 that that study at the VA was a very high dose of aspirin.
4 Now, as it turns out, the dose of aspirin of 325, greater
5 than 200, is associated with the highest risk of life-
6 threatening and major bleeding. And as one goes down to a
7 dose of 81 milligrams, the bleeding risk is considerably
8 reduced. So, you can see for life-threatening bleeding,
9 it's half as much as the 325 milligram dose or in that dose
10 group and also for major bleeding. This would be
11 associated with biopsies or any other procedures that Dr.
12 Lorell is concerned about. The bleeding is considerably
13 less.

14 So, while the questions have been focusing on
15 the bleeding risk, my concern of course is enhancing
16 compliance. As you know, in the Heart Protection study
17 just published, only 68 percent of patients who were on
18 statins or study drug were taking aspirin. So, the
19 compliance still today remains low. All the recent studies
20 suggest 70 percent for statins of the 100 percent who
21 should use them and at best 85 to 90 percent of aspirin use
22 in, again, 100 percent of patients who should be in that
23 group. So, the idea of improving compliance and
24 particularly stressing low-dose aspirin, which I think all
25 the data suggests converges on a lower risk of bleeding, is

1 particularly attractive.

2 And I think this is one thing that the dose,
3 although many have been put into the idea of six different
4 doses of 20, 40, 80 of pravastatin and 81 and 325 of
5 aspirin, but actually most attractive is the 40 milligram
6 pravastatin anchor which has been tested in all the trials
7 and 81 milligrams of aspirin which shows to be the best
8 efficacy and safety tradeoff. So, it seems there's a lot
9 of data to support that as a very viable and helpful
10 combination not only to improve compliance, but to markedly
11 be associated with improved safety.

12 DR. BORER: Yes. I think you've hit the data
13 that would cover the specific issue I wanted to raise and
14 that is the benefit to the individual patient. Someone who
15 slips through the safety net may be at risk of excessive
16 bleeding if a procedure occurs, but up until that point,
17 that patient presumably has benefitted from the
18 combination. And it's that benefit-risk relation which may
19 be worth our considering as well.

20 Also, I want to share with everyone an
21 experience that I had recently that changed a little bit
22 the way I think about this. I have a patient, a very
23 prominent movie actor, whose name you would know, who is on
24 a statin to lower his very high cholesterol and I wrote a
25 prescription for that. I also prescribed aspirin, 81

1 milligrams a day. When I last saw him, we went through, as
2 we always do, his medications, and he had bought an over-
3 the-counter product. I don't write a prescription for
4 aspirin. The way he described it was different from my
5 understanding of the way an 81 milligram tablet looks. So,
6 I asked him to go back home and call up with the dose.

7 Well, he was taking 325 milligrams of aspirin a
8 day, not what I had told him to take, not what I suggested.

9 Had I written a prescription, I'm reasonably confident
10 that he would have been taking the combination that I
11 wanted him to take.

12 That's an anecdote, but I think we do have to
13 consider the possibility, as you've mentioned in several
14 other contexts today, that with aspirin being available in
15 many forms, many doses over the counter, even if we tell
16 people what it is we want them to take to co-administer
17 with the prescribed statin, they may not do that. So, that
18 makes the decision-making tree just a little bit more
19 complicated I think.

20 Tom.

21 DR. FLEMING: Jeff, I'm glad you're bringing
22 these issues up because I wanted to revisit them as well
23 today. What we're balancing, as I understand, is what I
24 think we referred to a lot on January 18th as accuracy and
25 adherence, and you've really alluded to the fact that it's

1 not just adherence. There is, in fact, a potential for
2 accuracy against these safety risks that we've been
3 spending a lot of time talking about for inappropriate use
4 in given settings.

5 So, I wanted to revisit what you've already
6 largely touched on and that is what is our best sense in
7 the intended target population here in secondary prevention
8 that statins and aspirin would be used. I'm hearing 70
9 percent statins, 85 percent aspirin.

10 My understanding -- correct me if I'm wrong --
11 is that a combination might enhance adherence to both
12 people that would be using aspirin but wouldn't have been
13 using statins now would adhere to statins; people that
14 would be using statins but not aspirin now would be
15 adhering to aspirin. Is that the logic here behind this
16 argument?

17 In particular, if we're trying to enhance the
18 aspirin use such that in settings in which it should be
19 used, as Eric Topol is arguing, we're going to achieve an
20 added benefit there and one has to look at whether that
21 benefit exceeds the hypothetical or real risk when it's
22 being used inappropriately -- and I'm trying to get a
23 better sense of how much benefit there really is. If in
24 fact we would enhance proper aspirin use, that's a real
25 plus. But are these 15 percent who aren't using aspirin

1 within the 30 percent who aren't using statins? Hence,
2 you're not going to increase aspirin use at all. What do
3 we know about who these people are and the relationship
4 between the group not using aspirin and the group not using
5 statins?

6 DR. BORER: Do you want to try that?

7 DR. FIEDOREK: I think we'll call on Dr.
8 Hennekens to answer that.

9 DR. HENNEKENS: The utilization patterns in
10 secondary prevention range for aspirin from a high of about
11 77 percent, but these are in the registry data from
12 academic centers that are participating in randomized
13 trials, to perhaps 51 percent in general population
14 surveys. That's the range of aspirin utilization in
15 secondary prevention today.

16 Secondly, with regard to the patients achieving
17 their -- on statin therapy, I think Tom Pearson has
18 published some data that suggests that it maybe as low as
19 37 percent. So, if you did nothing more than to increase
20 the utilization of aspirin and statins in the population
21 that's already receiving aspirin, with whatever benefits
22 and risks are attendant there, you'd avoid over 10,000
23 premature deaths in the United States each year. Now, that
24 has to be weighed against the hazards, but the benefits I
25 think are large.

1 In the Antiplatelet Trialists Collaboration, as
2 Eric pointed out, two to three years of aspirin therapy
3 were associated with 31 percent reductions in MI, 25
4 percent reductions in stroke, 15 percent reductions in
5 vascular deaths, and less than 1 percent are serious
6 bleeds. Indeed, that included patients who went on to have
7 surgery and either did or did not stop their aspirin.

8 So, I agree with Jerry Avorn that when one
9 considers that minority of patients who are going to
10 undergo surgery and may be inadvertently using aspirin when
11 you wished they weren't, that has to be viewed in light of
12 whether having this drug in the hands of a physician as a
13 prescription product would make it better, worse, or the
14 same than right now, when in our data so many people who
15 are told by their doctor to take aspirin are actually on
16 other agents and they don't know that some of the things
17 that they're taking contain products that range from a low
18 of 81 milligrams up to maybe 650 milligrams.

19 As Eric pointed out, while the benefits of
20 aspirin are similar across a wide range of doses, the risks
21 are related to the dose, and there are people who are not
22 only taking enough of it but people who are taking too much
23 of it. I think to put this in the real of the health care
24 provider would, on balance, be a net benefit.

25 But I don't mean to sweep under the rug the

1 concern about those surgical patients. I think that's a
2 real concern, but I think as Dr. Fleming pointed out, that
3 has to be viewed in light of the overall picture of how
4 much benefit there would be to getting better utilization
5 of these lifesaving drugs.

6 DR. FLEMING: Charlie, I'd like to just follow
7 up on this. Maybe two questions.

8 The first is the figures you've just given of
9 the prevalence of use of aspirin seem lower than what we
10 had heard a few minutes ago. If I understood, you were
11 saying it's in the 51 to 77 percent range?

12 DR. HENNEKENS: What I'm saying is that if you
13 look the surveys of registries of patients who were being
14 considered for randomized trials, not necessarily of
15 antiplatelet therapy, just randomized trials in academic
16 centers, you might see numbers as high as 77 percent in
17 that subset of the general population. But in our survey
18 that was done in the general population of secondary
19 prevention patients, 51 percent of them had been told to be
20 on aspirin.

21 DR. TOPOL: The numbers that I mentioned were
22 best case scenarios, the 75 percent statins and up to 90
23 percent use of aspirin. Those are the highest that have
24 been published to date in recent studies.

25 DR. FLEMING: What I actually want are real

1 world scenarios. So, let me come back to this because
2 others may have insight on this.

3 I would think a really critical point would be
4 among statin users what fraction are using aspirin. It's
5 entirely possible that we would only have 70 percent of
6 people using aspirin but the nonusers tend to be the non-
7 statin users as well. So, are the statin users also
8 achieving only 50 percent or 75 percent? If the statin
9 users have 95 percent aspirin adherence, then if I
10 understand the logic here, then there wouldn't be so much
11 of an up side. Do you have specific data on the
12 relationship of where these nonusers of aspirin fall
13 relative to users and nonusers of statins?

14 DR. HENNEKENS: Well, in secondary prevention
15 in my view, the nonusers of statins are much greater than
16 the nonusers of aspirin to begin with. So, it can't be
17 that 95 percent of the users of aspirin are taking statins.
18 It's just not possible.

19 DR. FLEMING: But what is still possible is
20 amongst the smaller group that you're saying are using
21 statins, a substantial fraction, a high fraction of them
22 may be on aspirin, and the non-aspirin users are falling
23 into this large non-statin-using group. So, we still don't
24 know from anything that's been said whether or not that's
25 not true. If my concern were true, then the logic that,

1 when you put the two together, you're going to enhance
2 adherence to aspirin doesn't seem to be as compelling to
3 me.

4 DR. HENNEKENS: What we do know from the
5 randomized trials of pravastatin are that on balance 80
6 percent of the patients who were randomized to a statin
7 were on aspirin, but again, these are academic medical
8 centers that are enrolling patients in randomized trials
9 where the utilization pattern is higher. That, as Eric
10 pointed out, may also be a best case scenario as well, that
11 of the people on statins, 80 percent of them are on
12 aspirin.

13 DR. FIEDOREK: Dr. Avorn, do you have a comment
14 to add?

15 DR. AVORN: Yes. In the materials that were in
16 the appendix to the briefing book, we were able to get some
17 data which are, unfortunately, not yet published -- but
18 we're in the process -- that were drawn from a set of
19 questionnaires sent out to about 26,000 people as they
20 enrolled in various insurance programs that asked them what
21 medications are you on both over the counter and
22 prescription. I think the data that point to the question
23 that you're asking is on the top of page 3. When we
24 crossed aspirin use with statin use -- this is the percent
25 of people who were not taking aspirin among statin users --

1 46 percent of men and 61 percent of women who were on
2 statins were not on aspirin. Granted, they may have had a
3 reason not to be on aspirin, but those are awfully big
4 proportions, and we can assume that a huge number of those
5 were secondary prevention patients.

6 There's other data presented there about people
7 who have a history of MI, diabetics, and so forth. But the
8 sense that we get from those data is that people who are on
9 statins are not, by self-report, taking aspirin, and
10 probably if there is a bias, given that it is an
11 observational study, if anything, the bias would be in the
12 direction of these being the boy scouts and girl scouts
13 because they sent in the questionnaire, they were
14 responsive, they filled in all the blanks, and they were
15 the ones who said that they were not taking aspirin in
16 these proportions.

17 So, I think the data need to be drawn from
18 recent data, and this is about 2000 and 2001 and was
19 mentioned by Dr. Topol and Dr. Hennekens from typical
20 settings. One of the problems in the literature is that
21 those of us who live in university settings do studies of
22 university patients, but most people in the country are not
23 university patients.

24 I guess the last thing I wanted to mention was
25 in response to Bev's concern, which I share, about

1 inadvertent use around operations. I think what we need to
2 think about is really the incremental risk versus the
3 incremental benefit of the combination because the concerns
4 that Bev raised were really about the prophylactic use of
5 aspirin, period. That somebody may not tell their
6 colonoscopist that they are taking baby aspirin or some
7 other version because in my experience as a primary care
8 doc, patients don't tell you about their over-the-counter
9 drugs. So, the issues you raised really are worries about
10 the use of prophylactic aspirin, period, because patients
11 go off and do things and don't tell doctors.

12 I think the question to really focus on is will
13 the incremental risk -- that is, how much more of that will
14 go on -- be worse or better than the current situation, and
15 as was mentioned by the chair, how will that relate to the
16 incremental benefit of will more people be getting this
17 product and will that benefit offset the incremental risk.

18 DR. FLEMING: So, if I could just close this
19 follow-up discussion of this then. If I'm following the
20 logic here, what we're saying is with this combination, if
21 someone would have been inclined to be using aspirin, then
22 the combination might provide a greater level of adherence
23 to the statin, and if somebody would have been inclined to
24 have been using the statin, if we take at face value what
25 you said, only half of them would be using aspirin, then in

1 this cohort of people that would be inclined to use
2 statins, we have in half of these people an enhanced
3 likelihood that they would be achieving a strikingly
4 improved adherence to aspirin. And that benefit would have
5 to be viewed in the context of the alleged potential risks
6 associated with inadvertent continued use of that aspirin
7 in those patients in the setting of surgery. Is that a
8 fair summary?

9 DR. BORER: Exactly. Before we go on to
10 Susanna, with regard to Dr. Avorn's last statement, while I
11 think it's very important for us to think in public health
12 terms how many people are going to be benefitted versus how
13 many people are going to be put at risk, again I think we
14 have to focus on the individual patient too and the
15 individual patient who's on what we may accept as
16 appropriate prophylactic therapy for coronary events is
17 benefiting. At some point there is a risk if an operation
18 occurs and the patient doesn't tell anybody about the drug,
19 or the doctor doesn't know about the drug, there's a risk.

20 But that risk has to be weighed against the benefit in
21 that individual, not just the benefit for society at large,
22 and I think that's an important thing for us to consider.

23 Susanna and then Tom.

24 DR. CUNNINGHAM: Yes, I have two points.

25 One is I'm ongoingly concerned about the lack

1 of randomized controlled data here because that's just a
2 real problem. We don't really know what we're talking
3 about for sure.

4 The second thing. We've been talking about
5 people not taking aspirin. I'm wondering about the problem
6 of people on the other side who are prescribed this
7 medication who have seen the package, it floated by, and
8 long since they're not looking at, and now they may make a
9 decision to take aspirin on top of it. How big is that
10 problem going to be? Because people are not going to
11 necessarily remember, even though the packaging is stellar,
12 that it's in there. And then they're going to be trying to
13 be good and take it. You know, it's a pretty common
14 product out there.

15 DR. BELDER: I would like to comment on that
16 because we believe that these situations are currently
17 already ongoing. The patients may take Goody powder for
18 their headache, but they take Nuprin for their backache,
19 and they will take a variety of products for various
20 reasons, some of which will contain aspirin, and they may
21 not know that it's aspirin because in some of these
22 products, the aspirin is indicated as acetyl salicylic
23 acid, and the patient will not know that it is actually
24 aspirin.

25 I think as Dr. Topol already indicated, the

1 prescription use of a low dose of aspirin will probably
2 diminish the likelihood that patients are taking multiple
3 products at the same time because now there's only 81
4 milligrams of aspirin for their heart instead of currently
5 a lot of 325 in addition to 650 milligrams of aspirin for
6 the headache and perhaps some other use of aspirin for
7 backache. So, we believe that there should not be an
8 additional risk by providing this prescription product.

9 Yet, we do agree and, as we have indicated
10 before, we are committed to make sure that patients will
11 realize that it is aspirin that they're taking by
12 developing packaging, patient information leaflets, and
13 again working with the agency, once we have the fixed
14 combination tablet, the clear indications will continue.

15 DR. CUNNINGHAM: But there's not much
16 likelihood that we're going to change their using headache
17 and backache powders I wouldn't expect.

18 DR. BELDER: Correct.

19 DR. BORER: Tom.

20 DR. PICKERING: I wanted to address this issue
21 of the number of patients who should be taking aspirin and
22 statins together but who aren't. There seems there are two
23 issues here. One, as we've heard, the physicians are not
24 prescribing either of these drugs enough, and the other is
25 the adherence or compliance which is sort of a related but

1 separate issue.

2 I haven't heard anything to convince me that
3 having the physician being able to write one prescription
4 as opposed to writing two prescriptions or writing a
5 prescription for the statin and then saying take aspirin is
6 actually going to make the physician more likely to do
7 this.

8 DR. BORER: Do we have any survey or other
9 information relevant to this issue? Is it likely that
10 doctors will begin -- there's no way to answer the question
11 I suppose -- prescribing a combination product, if it
12 becomes available, rather than doing whatever they're doing
13 now?

14 DR. TEMPLE: Someone will certainly tell them
15 to.

16 DR. BELDER: There is one way to find out I
17 guess. Obviously, we're going to investigate what happens
18 should this product indeed be approved. We hope that it
19 will stimulate more physicians to do the right thing.

20 DR. BORER: It seems to me that we have no idea
21 whether doctors will prescribe more, but again, there is a
22 difference, I think, in the compliance part of the equation
23 that you mentioned, Tom, if doctors prescribe both rather
24 than prescribing one on a piece of paper and telling the
25 patient about what to take without writing it on the piece

1 of paper for the second component. Doctors who would give
2 both are going to be sure that the patient is getting both,
3 which is perhaps a different situation than we have now.

4 Beverly.

5 DR. FIEDOREK: Can I call on Dr. Pearson? He'd
6 like to make a comment about that.

7 DR. PEARSON: We'd like to show a slide that
8 was, I think, presented in the initial presentation of
9 these data. I think the questions here are very important.

10 I think you could argue that the noncompliance
11 and the stoppage of essential therapies is a much bigger
12 issue than many of these side effects we've been talking
13 about in terms of the potential of lives lost. In that
14 context, it's interesting there's a minimal amount of data
15 on the effect of combination therapies on compliance. It's
16 really quite an interesting deficit, I think, in our
17 knowledge.

18 There are three diseases here, two of which are
19 getting close, diabetes and hypertension. The other is HIV
20 which, of course, nowadays is the penultimate in
21 combination therapies. I think you can see from these four
22 studies that there was an improvement in compliance and
23 consumption. Certainly in our writings of how to boost
24 compliance with preventive cardiologic therapies, the
25 number of different preparations and the number of

1 individual pills that a patient is requiring to take is a
2 major determinant of noncompliance. I think the clinical
3 epidemiology of noncompliance has shown that. These
4 suggest from a more randomized trial kind of period that
5 you can do something about it, and that is reduce the
6 number of pills by putting combination pills together. The
7 data are slim. I think this is what we have.

8 DR. LORELL: Well, I think we've moved really
9 into sort of a little different arena, talking about issues
10 of compliance. I think it's interesting that if one steps
11 back and looks at this, as Susanna pointed out, we don't
12 have data regarding prospective effects on efficacy of
13 major endpoints regarding co-packaging versus individual
14 manipulation and prescription of aspirin and a statin-
15 lowering agent. We can speculate but we don't have
16 prospective data regarding safety from either bleeding side
17 effects or much more rare statin side effects of individual
18 prescribing or explicit prescribing and manipulation of the
19 two agents separately versus in combination. So, we're now
20 on an argument that is somewhat compelling that as for
21 individual patients and for a broader public health issue,
22 that we would enhance compliance with the use of two agents
23 that clearly reduced cardiovascular risk.

24 But I think if the issue of compliance is on
25 the table, whether we're talking about broad populations or

1 the individual patient like your patient that you brought
2 up, we have to bring to the table that there are several
3 components of patients complying with what a physician
4 prescribes.

5 One of them is the benefit that's been
6 mentioned of potentially having fewer drugs to take and
7 less pills piled up on the counter. And that's very
8 compelling.

9 But the other issue that all of us around the
10 table face with individual patients and compliance is in
11 fact an economic one, that if a patient is given a
12 prescription for something that is costly, that
13 prescription may not even be filled or may be filled once
14 and not renewed. So, I think that it had not been my
15 intention to bring this up, but I think if we're arguing
16 that a strong rationale is compliance and enhancing that
17 piece of nonusers to be users, I think one concern is the
18 risk, a real risk, that a patient might not fill or use a
19 prescription drug compared to the ability to utilize two
20 drugs separately, one of which costs pennies.

21 DR. FIEDOREK: I'd like to address that. We
22 didn't bring that up as well, and we understand the issue
23 of medical economics is very real. Since we've been asked,
24 I would like to mention that we intend to offer the
25 pravastatin-aspirin combination. The aspirin component

1 will be offered at no additional cost to pravastatin as it
2 currently is available. So, that's one of the things that
3 I know would be a concern and that's our intention.

4 DR. NISSEN: Well, I had a debate with myself
5 about 3:00 a.m. last night about whether I was going to
6 bring this up or not, but since the cat is out of the bag,
7 I think it's time to talk about it. Let me see if I can
8 articulate a question. Again, I recognize this is not a
9 regulatory issue.

10 Let me also compliment the sponsor on doing a
11 nice job of resubmitting this and answering many of our
12 questions from the first time around. I think the
13 availability of multiple doses and so on is a very useful
14 thing.

15 But as I understand the situation, pravastatin,
16 which has been a very effectively used agent for quite a
17 number of years now, is due to go off patent within the
18 next several years. When that happens, typically a drug
19 falls in price by about 80 percent.

20 I would personally think it's important to
21 provide the medical community with some reassurance here,
22 and the reassurance would be that if patients in the next,
23 say, two years are switched from brand Pravachol to brand
24 combination and then subsequently the drug becomes
25 genericly available, then the pharmacist will be precluded

1 from substituting generic pravastatin. It's essentially an
2 evergreening of the patent on the drug.

3 Since we are talking about compliance and Bev
4 raised the issue, if the combination product is, say, four
5 or five times more expensive than the generic pravastatin
6 plus generic aspirin, won't compliance potentially go way
7 down? Patients are really worried about the cost of
8 medications.

9 I know, Bob and Doug, that this is not a
10 regulatory and approval issue, but I guess I feel in the
11 interest of public discourse on this topic -- and we
12 represent the public interest not just advising a
13 regulatory agency -- I need some reassurance here that what
14 we're doing is not to dramatically increase health care
15 costs by approving a combination product.

16 DR. FIEDOREK: Dr. Belder.

17 DR. BELDER: I can give an answer to that. The
18 approval of this product would perhaps lead to some
19 additional exclusivity that entirely falls within the
20 current patent life of pravastatin, and generic companies
21 would be able to come up with a combination product as well
22 after the patent life of pravastatin is over.

23 DR. NISSEN: That's very reassuring. Thank
24 you. I'm glad I asked. I wasn't going to ask, but I'm
25 glad I did ask.

1 DR. TEMPLE: I was just going to express some
2 slight discomfort because the setting could be considered
3 somewhat coercive as to the response. After all, they're
4 seeking approval and we really don't get to regulate that.

5 You did point out it could affect compliance which is a
6 sort of wedge, if you like, but I just want to express some
7 nervousness about this direction.

8 DR. NISSEN: Yes. And knowing that
9 nervousness, I literally had a little debate in the middle
10 of the night about whether it was even appropriate to bring
11 it up.

12 The major case for this is compliance. And
13 since this is a factor in compliance, I felt it was a nice
14 time to maybe get those issues out on the table.

15 DR. TEMPLE: It is true, though, whatever
16 exclusivity becomes associated with this product -- and I
17 would not be prepared to say what it would be because I
18 don't know -- it ends after an absolute maximum of three
19 years, barring some patent thing that I don't understand.
20 And then other people could make the same combination.

21 DR. NISSEN: So, in fact, my fear here has been
22 -- you reassured me that fears of a large increase in the
23 overall cost of these agents is unlikely to occur as a
24 consequence of any approval of this combination.

25 DR. TEMPLE: Or perhaps not for too long.

1 DR. NISSEN: Yes, I understand, but I want to
2 make sure that that's on the table.

3 DR. BORER: In the interest of Steve's sleep
4 tonight and Bob's, I want to reassure the sponsor that
5 nobody is trying to coerce anybody into anything.

6 I'd like to ask a slightly different question.
7 You dealt with this, as I recall, in January, but I just
8 want to hear it restated. I think that at that time Dr.
9 Belder presented data about the timing of administration of
10 pravastatin during the day since, for a long time, the
11 short-acting statins had been recommended for
12 administration in the evening, and I believe you showed
13 data that it really didn't make much difference. But it
14 may make a difference in terms of safety when the aspirin
15 is taken. One would not want to take it on an empty
16 stomach at night. So, can you tell us what you're going to
17 be recommending about the timing of administration of the
18 combination product?

19 DR. BELDER: The recommendation with respect to
20 the timing of the combination product will be identical to
21 that currently existing for aspirin. I'm afraid that I do
22 not know that by heart.

23 DR. BORER: That's fine. That's good enough.
24 Are there any other questions?

25 DR. CUNNINGHAM: I have a question. I would

1 like the sponsor to review for me -- you probably did this
2 in January; I don't recollect -- what the data for women is
3 on secondary prevention using aspirin. A lot of the data
4 that you include is for men, so I'd like for you to remind
5 me what all we have for women.

6 DR. BELDER: In the pravastatin trials?

7 DR. CUNNINGHAM: No. Aspirin.

8 DR. BELDER: Aspirin trials.

9 DR. CUNNINGHAM: I'm just interested in the
10 randomized, controlled trial data.

11 DR. HENNEKENS: In the randomized trials of
12 secondary prevention, a significant proportion were women
13 and the FDA has prescription-labeled aspirin for the
14 secondary prevention of MI, stroke, and vascular death in
15 women as well as men.

16 DR. TEMPLE: Correcting a longstanding error.

17 DR. HENNEKENS: Well, that's an excellent
18 point. In 1980, there was approval of aspirin for the
19 treatment of TIAs in men but not in women based largely on
20 a Canadian study that was woefully underpowered to address
21 the issue in women, but after the two cycles of the
22 Antiplatelet Trialists Collaborations increasing the sample
23 size of women, it showed benefits that were quite similar
24 to those in men. So, the indications in secondary
25 prevention for men and women are identical.

1 DR. BORER: Any other issues that we need to
2 raise?

3 (No response.)

4 DR. BORER: If not, is that the conclusion of
5 your formal presentation?

6 DR. FIEDOREK: That's it. That's the
7 conclusion, yes.

8 DR. BORER: Then let's go on. It says that
9 there's a break at 3 o'clock, and remembering what happened
10 the last time when I tried not to have a break, I think we
11 will. It's now 2:52. At 3:02 -- no. Let's make it 3:07
12 we'll be back here.

13 (Recess.)

14 DR. BORER: We'll structure our final
15 discussion around the questions.

16 Oh, sorry. I can't forget this one. Are there
17 any comments from the public about the topic under
18 discussion? There were no requests for presentation, but
19 I'm asking now if there are any impromptu requests.

20 (No response.)

21 DR. BORER: If not, we'll move on to the
22 committee discussion, and we'll use the questions as the
23 format. We'll have Beverly, as the committee reviewer, go
24 through them. I'll read the preamble here.

25 The Cardio-Renal Advisory Committee is asked to

1 reconsider the co-packaged product of pravastatin and
2 aspirin, based on the additional materials and references
3 provided by the sponsor.

4 This product was previously presented to the
5 advisory committee on January 18. At that meeting, there
6 was general agreement that a population could be defined
7 for which the co-packaged would be indicated. There was
8 also general agreement that the sponsor's meta-analysis of
9 the five lipid-lowering studies in a secondary prevention
10 population -- and they're listed -- demonstrated that both
11 pravastatin and aspirin individually contributed to the
12 beneficial cardiovascular outcomes seen in the separate
13 trials. The advisory committee also endorsed the choice of
14 the two doses of aspirin.

15 The advisory committee, however, felt that the
16 risk-benefit ratio of marketing the co-packaged product was
17 adverse based on the following considerations:

18 First, the potential for excessive bleeding
19 should the product be discontinued prior to a surgical
20 procedure.

21 Second, the potential for inappropriate
22 discontinuation of the pravastatin should the patient need
23 to temporarily discontinue aspirin.

24 Third, the use of the single fixed dose of the
25 40 milligram pravastatin dose, where a higher or lower dose

1 of pravastatin would be more appropriate for the
2 individual.

3 And fourth, the potential for use of this co-
4 packaged product in an inappropriate population such as for
5 primary prevention of cardiovascular events.

6 Not all members of the advisory committee
7 applied equivalent weight to each of the above concerns.

8 The sponsor amended their application by a
9 response addressing aspects of these concerns, including
10 the following: a proposal to include in the pravastatin-
11 aspirin co-packaged product two new doses of pravastatin,
12 that is, 20 and 80 milligrams, in addition to the
13 originally proposed 40 milligram dose, to be co-packaged
14 with 81 and 325 milligram doses of aspirin; and submission
15 of numerous publications.

16 So, we are asked to respond to two questions.
17 First, to what extent has the sponsor's submission
18 addressed your concerns regarding the following. And,
19 Beverly, why don't you go through them one at a time and
20 we'll see if we have any comment.

21 Just before I do, Doug, which, if any, of these
22 do you need formal votes on?

23 DR. THROCKMORTON: Certainly the second
24 question.

25 DR. BORER: Okay. Beverly.

1 DR. LORELL: Do you wish me to go through each
2 of these?

3 DR. BORER: One at a time so that we can get
4 other comment if there is any.

5 DR. LORELL: The first question is to what
6 extent has the sponsor's submission addressed concern
7 regarding the potential for excessive bleeding should the
8 pravastatin-aspirin not be discontinued prior to surgery?

9 My comment is based on the assumption that
10 we're discussing a single pill or capsule and not a co-
11 packaging of two distinct, different tablets. To my mind,
12 this concern has not yet been adequately addressed. I
13 think one could speculate in either direction regarding
14 issues of patient and provider recognition of the use of
15 aspirin and the separate issue regarding the magnitude of
16 risk if aspirin is inadvertently continued. In total, I
17 don't feel that this concern has been adequately addressed
18 for inclusion of a potent antiplatelet agent in the same
19 pill with a drug that acts very differently.

20 DR. BORER: Can I ask is it possible, if the
21 committee recommended such a thing and you agreed, for the
22 dispensers of this medication to be mandated to provide
23 with each box, each package, however it's distributed, in
24 large, bold type an insert or a piece of paper that says,
25 if you're going to have an operation, you must talk with

1 your doctor about stopping this drug at the appropriate
2 time? That kind of warning. I'm thinking about the
3 mandate that was approved with cilostazol, for example,
4 where it was absolutely necessary that something go in that
5 warned people about heart failure issues.

6 DR. THROCKMORTON: Well, certainly the PPIs,
7 the patient education materials, answer questions like that
8 aimed to sort of address issues that are identified as
9 concerns for a patient to understand.

10 I think probably less than the format
11 necessarily, for today the most useful thing would be to
12 have committee members identify those aspects of education
13 that you see as most critical and then exactly how those
14 things might be addressed. Again, Bob had pointed out some
15 things might be best addressed with unit-of-use packaging.

16 Other things might be addressed in patient education or
17 something like that. That would be something we'd work
18 with the sponsor on, but to hear the concerns I think is
19 going to be the most relevant thing for sure.

20 DR. TEMPLE: Jeffrey, the direct answer is we
21 can require material accompanying the dosage form. That's
22 not that common but we can.

23 DR. BORER: Number one, would the inclusion of
24 such material, appropriately designed with appropriately
25 big letters, help alleviate some of your concerns? And if

1 it would, can you begin to list the specific kinds of
2 issues you'd like to see in such a patient education
3 material piece?

4 DR. LORELL: I think that's a tough question.
5 There's no question that a very vivid and clear labeling
6 with the word "aspirin" in several places, as well as a
7 patient alert, as described would be helpful.

8 I think I am still concerned for two reasons.
9 One is that in my experience as a clinician for many years,
10 with chronic use of combination agents, regardless of what
11 they are, there is confusion on the part of patients as to
12 what they are taking. So, I am not confident that even the
13 most vivid packaging, such as the potential example that we
14 were shown today, would mitigate against this.

15 I think the second concern is --

16 DR. TEMPLE: Bev, can I just ask something?

17 DR. LORELL: Yes.

18 DR. TEMPLE: If there were unit-of-use
19 packaging, this would come with each new refill.

20 DR. LORELL: Well, I think the second issue is
21 that in some context in pharmacies, unit-of-use vivid
22 packaging is actually repackaged, as we've heard earlier,
23 into labeled bottles.

24 DR. BORER: Not unit-of-use, no. It's when
25 it's not unit-of-use that it's repackaged in general, I

1 think.

2 DR. LORELL: I'm sorry. I'm talking more about
3 one way of potentially managing this would be to have it in
4 very distinctive kind of packaging with sort of blister
5 units so that the packaging itself contained vivid
6 reminders. But even that I think is a bit of a concern
7 because of the potential that the drug could be repackaged
8 in a standard bottle with labeling in small letters. So,
9 it would help, but it wouldn't completely erase my concern.

10 DR. BORER: Can we just clarify that for
11 everybody? Because I think this is a key point in terms of
12 assuaging some concerns about safety. If unit-of-use
13 packaging is mandated and agreed upon by the sponsor, that
14 would make it very difficult, nigh impossible for a
15 pharmacist to repackage it. Am I incorrect about that?

16 DR. TEMPLE: I don't think we totally know, or
17 at least I don't totally know. I have heard that
18 sometimes, for example, if there's an odd number of pills,
19 not what's in the unit of use, that they will sometimes put
20 it into their own plain bottle. I can't swear to you that
21 that never happens. No, a blister pack would be more
22 difficult. I can't imagine anybody doing that. But they
23 didn't describe a blister pack for the single pill. Is
24 that what you said?

25 DR. BELDER: We haven't developed the packaging

1 for the single pill. The current co-packaged product is a
2 blister pack, and every time a patient punches out a
3 tablet, they will see aspirin or pravastatin.

4 DR. TEMPLE: That would be a relatively unusual
5 packaging for just plain, old, single pills, not that it
6 couldn't be done. And that would make it more difficult.
7 It also makes it bulky.

8 DR. BORER: But I thought that what you had
9 said was that you would work with the agency to deal with
10 this, if that's what was mandated.

11 DR. BELDER: Absolutely.

12 DR. LORELL: Jeff, I think the second issue --
13 and I want to try to be articulate about this. I think
14 that issue number 1 is, would very clear packaging that was
15 quite vivid help? Yes, it would.

16 The second issue, though, is that we're not
17 talking about short-term, 2-week or 30-day use of a drug.
18 We're talking about this drug being used for months to
19 years. This is a setting where a patient may well be
20 dealing with several different physicians, be dealing with
21 a colonoscopist, a surgeon, someone doing a biopsy, other
22 than the primary prescribing physician or cardiologist to
23 whom the patient is going to be reporting what drug they
24 are taking. I am concerned that even with the most very
25 meticulous and careful packaging that in long-term patient

1 reporting of what drug they're taking, that there is
2 potential for confusion or mistake that they are taking an
3 antiplatelet agent. So, that's the second level of my
4 concern.

5 DR. BORER: JoAnn.

6 DR. LINDENFELD: Well, I share Bev's concerns
7 somewhat, but I think this problem might be helped if the
8 labeling said to notify your physician if surgery is
9 planned. I think there's a jump from the patient knowing
10 they're on aspirin to being worried about surgery. But at
11 least for myself, I find patients pick up those kind of
12 signals quite clearly and often will tell me that if
13 surgery were planned rather than, wait a minute, I'm on
14 aspirin. So, that would be one labeling thing I might
15 think would be very clear to the patients that would help
16 somewhat with this concern.

17 DR. BORER: Are there other issues of that
18 level of concern that ought to be flagged that way? I
19 mean, I could conceive of a warning like the one you just
20 stated being printed right on the outside of a box if unit
21 dosing is used. What other issues, if any, do you think
22 need to rise to that level of patient education?

23 DR. LINDENFELD: I think that's the major one.

24 The major one we've discussed is bleeding. So, that would
25 be the major one.

1 DR. BORER: Beverly are there any other
2 specific issues besides the "talk to your doctor if you're
3 going to have an operation"?

4 DR. LORELL: Well, I think we haven't talked
5 too much about this today. I guess there's the formal
6 potential for confusion of a patient who thinks they're
7 taking prescription fancy aspirin and not recognizing or
8 forgetting that they're taking a statin regarding the
9 concerns that we all instruct our patients very explicitly
10 about warnings to report with use of statins. So, one
11 might consider -- I certainly haven't fully thought this
12 out -- but whether such unusual packaging might also
13 include a very clear warning, alert your physician if you
14 have myalgias, you know, the similar warnings that we talk
15 about with statins to a patient.

16 DR. BORER: Steve.

17 DR. NISSEN: I wanted to bring this up earlier,
18 but low-dose aspirin is associated with some increase in
19 gastrointestinal bleeding and so on, and I think it would
20 be nice to put in there that you should inform your
21 physician if you have abdominal pain, black, tarry stools,
22 that sort of thing because some of these patients will, in
23 fact, have that complication and you want to make sure that
24 it's brought to somebody's attention.

25 DR. TEMPLE: As part of the patient

1 information.

2 DR. NISSEN: Yes, I think so.

3 DR. TEMPLE: Yes. That would be consistent
4 with the eventual aspirin labeling. It doesn't really have
5 that yet, but it will.

6 DR. NISSEN: I think it's the right thing to do
7 because if people don't know about that, they may not bring
8 it to their physician's attention. All the studies I'm
9 aware of do show that that's a well-defined, not an
10 enormous risk and usually not life-threatening, but it can
11 be.

12 DR. BORER: Are there any other major concerns
13 that have to be flagged in patient education materials,
14 forgetting about the specific format for the moment, but by
15 some appropriate format should be flagged at a very high
16 level so they're not likely to be missed? We've hit three.

17 (No response.)

18 DR. BORER: Okay. Then let's go on to 1.2.

19 DR. LORELL: The second question is the concern
20 regarding the potential for inappropriate discontinuation
21 of pravastatin during times when aspirin is temporarily
22 discontinued.

23 To my mind, this is much less of an issue. I
24 think there's very little information in the literature
25 regarding risk, if any, of temporary discontinuation of a

1 statin. We actually didn't discuss it during the
2 discussion, but there is a paper that appeared in
3 Circulation that was part of our data to review that raised
4 the question as to whether temporary discontinuation of a
5 statin conferred an increased cardiovascular risk in a
6 population of patients with unstable syndromes. That paper
7 I would view as being a very provocative and a very
8 important hypothesis to be tested, but I don't think it's
9 to point in this discussion about co-packaging.

10 DR. BORER: Also, the concern is raised in the
11 context of purposeful temporary discontinuation, which
12 might be less likely to happen if somebody was having
13 crescendo angina when his or her doctor told them to stop
14 the drug. Okay, so that's less of a concern.

15 Does anyone else have any other comments about
16 that particular concern or are we all satisfied that that's
17 a lesser issue? Tom.

18 DR. FLEMING: Is it fair to say that there's a
19 key distinction between question 1 and 2? Question 1
20 relates to an important safety concern that can arise with
21 inappropriate continuation of aspirin, whereas question 2
22 relates to -- is it correct to interpret this as a
23 potential loss of more full efficacy if there is
24 inappropriate temporary discontinuation of the statin?

25 DR. LORELL: I interpreted it slightly

1 differently. Really the question as to whether statins are
2 providing a very important short-term, stabilizing factor
3 on unstable plaque as opposed to issues of lowering
4 measured lipids. So, this is a concern that I think many
5 have as to whether or not there is both short-term risk of
6 stopping a statin for a period of several days in patients
7 who are undergoing vascular surgery or other high-risk
8 surgery.

9 The converse of that, not relevant today, is
10 whether there's short-term benefit of aggressively starting
11 a statin very early in a high-risk population.

12 So, I interpreted this maybe a little
13 differently, Tom, not as whether you were going to impede
14 the long-term kind of benefit that's been observed in
15 clinical outcome trials, but whether there was a special
16 kind of niche safety risk in stopping a statin in unstable
17 patients.

18 DR. FLEMING: Well, that's the clarification
19 that I was hoping to get. Essentially what you're saying
20 is the issue here is not so simple as if there's
21 inappropriate discontinuation, you are getting a level of
22 nonadherence to an intervention, hence you're getting less
23 than fully optimal efficacy. You're saying there could
24 actually be a safety risk associated with these temporary
25 discontinuations.

1 DR. LORELL: Yes. That's the issue -- I'm
2 sorry we didn't have a little more discussion about this
3 earlier -- that was raised in the Circulation paper that's
4 gotten a great deal of attention. This was a retrospective
5 analysis not a prospective study.

6 DR. FLEMING: That's paper number 1, wasn't it?

7 DR. LORELL: Exactly. But it suggested some
8 very worrisome trends in terms of major adverse coronary
9 outcomes in patients who had discontinuation of statins.
10 So, it's a very different issue I think.

11 DR. FLEMING: Although unfortunately, as is the
12 case with the aspirin data, this is nonrandomized and it's
13 entirely possible that this is a very biased assessment.

14 So, just to close my thoughts on this, the way
15 I had been thinking about this issue was that you're
16 presumably intending to get meaningfully enhanced adherence
17 to the statins with the combination. One then has to look
18 at whether that benefit achieved by higher adherence to
19 statins overall exceeds the risks associated with potential
20 discontinuation in some patients.

21 DR. BORER: We don't actually know the risks.
22 The risks are largely theoretical and were heightened by
23 this article. But I think in all fairness, if they should
24 prove to be important, there is an obvious remedy. Since
25 the patients would be stopping their drug in most cases,

1 not all, because they had been told to do so, they can be
2 told to take the single component pravastatin by itself in
3 the interim.

4 DR. LORELL: There's another theoretic risk
5 that I'm sure all have thought about. Let me see if I can
6 articulate this.

7 In the use of a combination antihypertensive
8 medication or a combination antidiabetic medicine, I think
9 the way most clinicians use those medicines is to start the
10 two not only independently but often at different points in
11 time. In fact, in the use of aspirin and lipid-lowering
12 agents, that is also not an uncommon scenario. Some
13 physicians will start both at the same time, but it is not
14 uncommon and I would argue, in fact, often quite common to
15 start one first and then to secondarily add on the second.

16 The advantage of that strategy clearly seen in
17 the antihypertension combinations is that one has a track
18 record with a patient regarding both tolerance and knowing
19 that there are not major side effects that would require
20 one or the other drug to be stopped.

21 I suppose there is a formal possibility with
22 this drug that for secondary prevention, it might be
23 started right off the bat as the first drug being
24 prescribed for the patient, and we could think of some very
25 common scenarios for that. A patient presents with new

1 onset angina and then is begun on this combination agent as
2 part of other therapies.

3 So, there is some formal risk -- I don't know
4 what it is -- that when a combination drug is started
5 without first starting the drugs independently and getting
6 a clinical track record, that if there's an adverse event
7 -- let's say the patient develops severe GI indigestion or
8 develops a rash, even non-life-threatening -- that both
9 drugs might be permanently stopped because of reluctance to
10 rechallenge with the individual agents. So, that's an
11 unusual possibility with this drug that I think might not
12 have been seen by the agency in other combination products
13 that are prescription drugs.

14 DR. BORER: So, we've listed several concerns
15 that might be at least mentioned in packaging at some level
16 so that physicians would be aware of the possibilities and
17 perhaps could take some remedial action.

18 Let's go on to 1.3.

19 DR. LORELL: 1.3 asks about the concern about
20 the inappropriate use of a lower or higher dose of
21 pravastatin than is necessary or safe for a given patient.

22 This is a tough issue and I think it is one
23 that a lot of time was spent on in the winter meeting and
24 none today. It goes to the issue of what is the goal in
25 secondary prevention, how do you use a statin, and do you

1 aim simply for reduction to a goal measurement of either
2 total cholesterol, LDL, or elevation of HDL. We now have a
3 more recent study presented this fall that actually
4 suggests that use of absolute measurements may be
5 challenged.

6 So, I think that one of the concerns that was
7 raised by the committee last time is the scenario that if a
8 patient were started on this combination agent -- let's
9 take the scenario that one was using the highest dose of
10 pravastatin and had not yet achieved current guidelines for
11 secondary prevention. Would there be some risk that the
12 convenience factor would mitigate against the hassle factor
13 of getting the patient to transition to a different agent
14 and aspirin use separately?

15 I think that is some risk. However, I think
16 that's actually probably no more or less a risk than in
17 prescribing of any statin when you don't get to goal and
18 being willing to make a change and convince the patient to
19 change. So, I look at this, yes, it is an issue, but I
20 look at it as a lesser one.

21 DR. NISSEN: I think the sponsor has been
22 actually as responsive as they could here. One of the
23 objections I had to the first application was it was that
24 one dose. We've really been trying to educate our
25 colleagues to treat to a target with statins. So, I really

1 didn't like the original application in large part because
2 of that. Now we have the three commonly used doses of
3 pravastatin available and actually we have a total of six
4 combinations.

5 Now, there still may be patients in whom the
6 LDL is particularly high, in whom the highest dose of
7 pravastatin is not adequate to get to goal, and those
8 people have to be transitioned, hopefully, to something
9 else. But what the sponsor has done is they've been very
10 responsive to those concerns by offering us choices, and I
11 think that's all we can ask of them.

12 The concern doesn't totally go away here. If
13 you give this combination product to somebody with an LDL
14 of, say, 240, the odds are pretty good you're not going to
15 get to an LDL of 100. But hopefully physicians are savvy
16 enough not to do that.

17 DR. BORER: Does anybody have any lingering
18 concerns about this issue? Doug.

19 DR. THROCKMORTON: Jeff, I guess I'd like just
20 a little more conversation around sort of a related issue.
21 I heard two visions of how you would write a description of
22 how to use this drug. One model is the combination
23 antihypertensive model where the notion is usually you push
24 one drug to maximal dose and then you add a second agent,
25 and if that combination is available as a combination,

1 that's when we recommend you use the combination as a
2 possible convenience.

3 An alternative model would be to say -- and it
4 might be more appropriate here -- a lot of people are going
5 to come in on one or the other of these therapies at a dose
6 that's not driven by any measure, that is, no change in
7 blood pressure like you would have from hypertension. It
8 may be a change in LDL, but some of the dosing may not be
9 driven by that necessarily. It might be driven more by
10 safety concerns or driven by your following the outcome
11 data. How would you write a label for how you'd choose
12 which of these doses to use?

13 DR. BORER: Maybe I can take a quick crack at
14 that, and then we can have some other comments.

15 I don't see this as being a major concern. I
16 think that as Steve just pointed out, there is now the
17 entire range of labeled pravastatin doses, and if you score
18 the tablets, even below the lowest labeled dose is
19 available. For the lipid-lowering drug, which presumably
20 one might choose to titrate to a total cholesterol or LDL
21 goal, and the aspirin usage associated with that is now up
22 to the doctor because all the options are available. So, I
23 don't think that's a problem.

24 Yes, it's true that 80 milligrams a day of
25 pravastatin may not get every individual to the goal that

1 his or her physician has set for treatment for
2 hyperlipidemia. Then one would perhaps go off to the use
3 of a different statin and have to use a separate aspirin
4 tablet. But that's what medical practice might demand.
5 That's not an argument against making the convenience
6 product available.

7 I'm not particularly concerned, although I
8 think Beverly's example is absolutely on target. There
9 might be a rare toxic reaction that couldn't be clearly
10 ascribed to either component. Both components might be
11 stopped and the patient might be denied the benefits that
12 might accrue from one or the other. That's possible. And
13 I'm sure that appropriate wording can be added in the label
14 to suggest that doctors might then want to rechallenge with
15 one or the other. They might do it; they might not.
16 That's true.

17 But as you say, in the case of other more
18 commonly used combination products that we're more
19 accustomed to hearing about in cardiovascular medicine,
20 specifically antihypertensive drugs, there is a measure.
21 There is a goal. It's blood pressure. For aspirin there
22 is no measure. We're basing the use of aspirin and the
23 dosing of aspirin on well-controlled trials showing a
24 benefit, and we really don't have dose-response data. So,
25 there is no goal. It's merely the fact that we believe

1 that aspirin is more likely to be beneficial than
2 detrimental for everyone for whom secondary prevention is
3 indicated.

4 Again, for cholesterol we do have a target,
5 perhaps, that some people might use, and one can titrate
6 the drug as necessary to achieve that target if it's
7 achievable with this product and not alter the aspirin
8 usage.

9 So, I'm not concerned about the co-
10 administration of the two, starting the two at the same
11 time. I think Beverly's point is very well taken and that
12 information should be given to physicians to encourage them
13 to rechallenge if one of these rare problems occurs, but I
14 don't see it as a show stopper.

15 Beverly.

16 DR. LORELL: I think it's an interesting
17 question. I guess I would be interested in knowing what
18 the rest of the panel thinks as to whether or not the
19 optimum use for efficacy, as well as safety, would be to
20 formally treat this drug the way we do antihypertensive
21 combinations and to advise in patient and physician
22 education and marketing that the two should be started
23 separately, and if the desired level of lipid reduction is
24 achieved, then to move to the combination using the
25 precedent from antihypertensives.

1 DR. TEMPLE: That's not quite the precedent.
2 That's one way, but it also acknowledges that you can
3 titrate, for example, the diuretic by giving combinations
4 with increasing doses of diuretic. So, in this case, you
5 could accomplish the same thing, since there's nothing to
6 measure with the aspirin, by moving up the lipid
7 combinations, and it would more or less be equivalent to
8 what you do with the antihypertensives, mostly because
9 there isn't anything to follow for the aspirin part.

10 DR. BORER: Paul.

11 DR. ARMSTRONG: Doug's question raises, in my
12 mind, another issue which we haven't talked about and that
13 is the patient who arrives with an acute coronary syndrome
14 on prior aspirin therapy, which we know is a risk factor
15 for an unfavorable event. In large part, although the data
16 I don't know is all that well known, many of these patients
17 would be on 325 or more of aspirin and not on 81. So, in
18 the event that a patient then arrives on this new
19 combination of 40 and 81, under those circumstances -- and
20 there's a literature, of course, around aspirin resistance
21 -- the issue would be would a physician under those
22 circumstances be wise to prescribe a larger dose of aspirin
23 with the notion that there might be a better balance
24 between efficacy and safety in the context of a presenting
25 acute coronary syndrome. So, that's one situation where I

1 can conceive that this issue might come to quite a sharp
2 focus.

3 DR. BORER: Blase.

4 DR. CARABELLO: Obviously, the combination here
5 is being initiated for secondary prevention. So, it's hard
6 to think of a secondary prevention patient where aspirin
7 wouldn't be indicated. So, that's pretty much part of the
8 deal. I think most of the time you would start at 81
9 milligrams. You're not really titrating to anything. You
10 leave that in place and then titrate the pravastatin
11 portion of the drug, which now the sponsor has given us the
12 ability to do, to the usual targets. Since the indication
13 here is secondary prevention, almost 100 percent of those
14 people need to be on aspirin. Unlike the hypertension
15 situation where you might start with hydrochlorothiazide
16 and then add enalapril and then finally have the
17 combination drug.

18 DR. LORELL: I think the issue that was raised
19 in the wintertime about the concern about inappropriate use
20 of the drug with not getting to goal was more the elusive
21 issue, is would there be a very powerful incentive because
22 of the perceived convenience factor by maybe physician and
23 patient, that if you were started on, let's say, the
24 highest dose -- I mean, I think that will happen commonly
25 -- and whatever dose of aspirin you choose, to then not up-

1 titrate further. So, I think that's the only reason why
2 one could make an argument to start with the individual
3 agent and, if you get to goal, then to move to the
4 combination.

5 DR. BORER: Steve.

6 DR. NISSEN: There's at least one other concern
7 about using it as initial therapy, and that is that every
8 drug has a certain number of people who will not tolerate
9 it. Both statins and aspirin actually are both known to
10 produce GI intolerance, and so neither the patient nor the
11 physician will know, when you start a drug at the same time
12 and together in a fixed combination, what the source of
13 that side effect is. In general medical practice, it's
14 always desirable to start agents individually, and then if
15 you find that the right statin dose for this patient is 80
16 milligrams of pravastatin and then if you want to give them
17 81 milligrams of aspirin, you then give them the
18 combination for compliance enhancement.

19 But I don't think you want to mandate it
20 because, in fact, by offering the full dose range, the
21 sponsor has provided us with what I wanted last time
22 around, which is the ability to titrate. We didn't have
23 that before, and we have it now with this new application.

24 I think that enhances the attractiveness of the
25 application significantly.

1 DR. BORER: Have we given you sufficient
2 guidance with regard to number 1?

3 Then let's go to the meat of the issue for
4 which we have to vote. Do you recommend the approval of
5 the co-packaged pravastatin-aspirin as therapy for patients
6 for whom both products are indicated? Beverly, why don't
7 we start with you and we'll get a sentence or two from
8 anyone who wants to about why they vote the way they do.

9 DR. LORELL: Well, I'm going to actually divide
10 that question into two answers. As the question stands
11 there, my answer would be no. I have -- and I've voiced
12 them -- very serious concerns about both long-term patient
13 recognition that they're using aspirin in a combination
14 drug and some of the unanswered speculations and issues
15 about safety. So, as stated, my answer would be no.

16 As a subquestion, if the common tablet or
17 capsule were packaged somewhat uniquely, to both enhance
18 recognition that aspirin was in the pill and that there
19 were safety issues regarding surgery, as well as
20 recognition of major side effects of statin -- I'm not
21 talking about it being hidden on a small-print package
22 insert that many patients never read -- then my answer for
23 approval would differ and be yes.

24 DR. BORER: So, would it be reasonable to say
25 that assuming that the outcome of the entire vote was

1 negative and the FDA went away with that recommendation,
2 that if the sponsor showed you packaging that could answer
3 some of the concerns, that then you would find that
4 acceptable?

5 DR. LORELL: That's correct.

6 DR. BORER: Mike.

7 DR. ARTMAN: Jeff, did we really address 1.4?

8 DR. BORER: I'm sorry. You know, we did not
9 address 1.4. I'm sorry. We didn't even mention it. My
10 fault.

11 Do you want to make a comment about that?

12 DR. ARTMAN: That to me sort of gets at this
13 point number 4 up above that we were concerned about in the
14 January meeting, and I raised the issue at the time about
15 individuals for whom this is going to be prescribed for
16 really primary prevention. I think we need to have a
17 little bit of discussion about that, someone who's going to
18 be given aspirin who simply has elevated cholesterol and
19 who has not had any sort of event. Is that a problem? Are
20 we putting another segment of the population at some risk
21 for the adverse effects of aspirin?

22 DR. BORER: Is the company planning to remove
23 unmodified pravastatin from the market?

24 DR. FIEDOREK: No.

25 DR. BORER: So, anyone who wanted to use

1 pravastatin for some purpose other than secondary
2 prevention could still do it.

3 DR. ARTMAN: Sure, I understand that. But I
4 think that again the whole issue is targeting the
5 convenience, et cetera, et cetera. If I'm the only one
6 concerned about that, fine, we'll let that go.

7 DR. BORER: Does anyone have any comments about
8 that?

9 DR. TEMPLE: It's only convenient if you were
10 planning to give it off label, which I have absolutely no
11 doubt many people are doing.

12 DR. BORER: That people will do and perhaps
13 it's the right thing to do.

14 DR. TEMPLE: It might even be. I'm sure
15 Charlie could give a long lecture on all that.

16 DR. BORER: But I don't really think that's our
17 concern. That requires an active will by a physician to do
18 something that he or she believes is the right thing to do
19 and for the physician and the patient to accept the
20 potential consequences. That's true with any drug. I
21 don't think there's anything unique about the combination
22 here.

23 DR. ARMSTRONG: Could I just clarify then,
24 Jeff?

25 DR. BORER: Yes.

1 DR. ARMSTRONG: In the event that the
2 indications for statins change and cholesterol becomes an
3 irrelevant target and the sponsor then positions the statin
4 for a different population than is conventional, are we
5 saying that we do not need to be concerned about the
6 linkage to aspirin and that that's not our purview? I just
7 want to understand that.

8 DR. BORER: I'm not suggesting that the
9 linkage, if the two drugs were prescribed together, might
10 not be a concern in that situation, but rather that if the
11 unmodified drug is available for prescription and if
12 physicians are prescribing drugs for a specific purpose,
13 presumably they must know why they're prescribing the drug
14 and for what. And if they have the capacity to prescribe
15 the unmodified drug, I don't think that the fact that they
16 may inappropriately prescribe a combination precludes the
17 appropriateness of approving the combination. It's just
18 bad medicine.

19 DR. TEMPLE: What they said is that their
20 labeling will track the current labeling for the single
21 entities. If aspirin changes, their labeling will change.
22 If prava changes, then the combination labeling will
23 change too.

24 DR. ARMSTRONG: I'm not sure that's wise. That
25 is to say, if we open up the use of statins for all comers,

1 irrespective of their cholesterol, should aspirin
2 necessarily follow. That's the essence of the question.

3 DR. TEMPLE: Only if aspirin is indicated in
4 those people, not if it's not. I think Jeff was addressing
5 that. That would represent a decision by the physician to
6 use it in that particular setting, and he should be paying
7 attention to the labeling or deciding to ignore it,
8 whichever he chooses.

9 DR. LORELL: I think Dr. Artman's comment is
10 very important because to my mind the ante goes up a lot
11 for safety regarding confusion or inappropriate use of
12 aspirin in a primary care population. So, if I'm concerned
13 about that issue in secondary prevention, I'm very
14 concerned about it in a primary care prevention where the
15 potential risk-benefit ratio I think is quite different in
16 a primary population if they're using a statin and forget
17 they're using aspirin. But to my mind, that concern is
18 partially mitigated again by very, very clear and
19 distinctive labeling and warnings.

20 DR. BORER: Not to disagree with the importance
21 of the concern because it is an important concern, but I
22 don't think it's totally relevant to the approval issue
23 that we're facing here today. To paraphrase some of Dr.
24 Avorn's presentation, how are we going to change the
25 situation that now exists? Aspirin is available over the

1 counter. If people want to use it for primary prevention
2 because of information they get off the Internet or for any
3 other reason or if doctors want to suggest that it should
4 be used for primary prevention, even though the drug isn't
5 labeled that way, that's going to happen. That isn't the
6 issue I think we're facing. We're facing a different
7 issue.

8 If two drugs that are appropriate, as we now
9 believe, and labeled for use for a specific indication, are
10 appropriate to be used together and we put them together so
11 that it's easier to take them, is that a reasonable thing
12 to do? The answer that we're going to come to is either
13 yes or no, but I think that's our question, not what if
14 people use it some other way even though the label doesn't
15 say you're supposed to and even though the guidelines for
16 medical practice don't say you're supposed to. I don't
17 think we can deal with that.

18 DR. ARTMAN: Jeff, the point is you're
19 packaging a drug that's indicated for secondary prevention
20 with a drug that's indicated for either primary or
21 secondary prevention. That's the difference.

22 DR. BORER: All right. Well, that's reasonable
23 enough. It may be that doctors will choose to prescribe
24 the combination, and maybe they shouldn't be doing that.
25 But that's a matter of physician education I think not of

1 regulation of drug approval.

2 DR. ARTMAN: Well, if all this boils down to is
3 physician education, then we really don't need this
4 combination. People know they ought to be giving people
5 aspirin and people know they ought to be using statins.

6 DR. BORER: No. The issue here is to make the
7 use of drugs that the doctor wants the patient to use and
8 the patient agrees to use more convenient for the patient
9 to use by combining the two pills into one because the pill
10 burden may cause people not to use what seems to be
11 appropriate to use.

12 Now, the doctor doesn't have to prescribe the
13 combination drug because it's available. The doctor can
14 still say, well, here's your prescription for pravastatin
15 and I want you to go to the drugstore and buy some aspirin.

16 That's still an option. We don't preclude that option by
17 approving the combination. We just make something that's
18 convenient available for people who want to use it. So, I
19 don't think it's quite the same.

20 Steve.

21 DR. NISSEN: Michael's concern is not trivial.
22 I'm not saying it's necessarily compelling, but the fact
23 is when you mix together a drug that's designed for primary
24 prevention with a drug that can be used either in primary
25 or secondary, the potential of bleed-over is real. You

1 know, physicians are creatures of habit. Some physicians
2 -- who knows why -- tend to prescribe one statin versus
3 another statin. Well, now they have two products. They
4 have the pravastatin-aspirin combination; they have
5 pravastatin alone. There may be some tendency, when you
6 have a product of convenience, to use that product in
7 situations where it may not be the right thing to do. I'm
8 not persuaded that that's a huge approvability issue, but
9 there is an issue, and I think that there probably is some
10 risk here that some people will get aspirin that we
11 probably wouldn't want to have get aspirin. When you mix
12 the two together, somebody is going to get it that
13 shouldn't, and maybe it's going to be more people than
14 would get it if you had to separately talk about each of
15 the drugs.

16 DR. ARTMAN: But your sense is that's not a big
17 issue.

18 DR. NISSEN: I don't think it's a huge issue,
19 but to say it's no issue I think is wrong.

20 DR. ARTMAN: Your use of the term bleed-over
21 was intentional?

22 (Laughter.)

23 DR. NISSEN: It was not intentional.

24 DR. CARABELLO: But obviously then that same
25 concern has to be weighed against the number of patients

1 who should be on the two drugs who wouldn't get the two
2 drugs if you didn't have the convenience of formulating it
3 that way. Goodness knows what that is. Presumably there
4 is a risk in both directions. How you would weigh it, I
5 don't know.

6 DR. BORER: Mike, have we discussed that 1.4
7 sufficiently?

8 If so, let's go on to the vote. Beverly
9 already gave her vote and her reasoning. Mike.

10 DR. ARTMAN: Beverly voted yes and no. Is that
11 correct?

12 DR. LORELL: I voted no and yes.

13 DR. ARTMAN: No and yes, okay.

14 DR. FLEMING: Just before we go on, Beverly, to
15 clarify, it was yes under what specific packaging
16 restriction?

17 DR. LORELL: I voted no to the question
18 explicitly, and I voted yes in the context of very
19 distinctive packaging that both clearly alerted the patient
20 that the aspirin was in the pill or the capsule and that
21 secondly had built onto the packaging the warnings that
22 we've discussed. So, to put it another way, I'd be very
23 concerned if this drug ever ended up in a standard CVS or
24 Walgreen's little bottle with the tiny little type label.

25 DR. BORER: No trademark names, please.

1 (Laughter.)

2 DR. BORER: JoAnn. I'm sorry. Mike.

3 DR. ARTMAN: I'm not sure that putting these
4 two drugs together will increase the utilization. I think
5 we just don't know. A lot of this is just speculation and
6 conjecture.

7 I am somewhat reassured by the multiple dosing
8 combinations. I think that is, as Steve mentioned, a big
9 advance.

10 I'm not quite as concerned as I was before
11 about some of the potential risks. So, on balance, I think
12 I would say yes.

13 DR. BORER: JoAnn.

14 DR. LINDENFELD: I would say yes. There are so
15 many things we don't know that have been discussed, but the
16 most common question I get is, can I take fewer pills? Not
17 can I take fewer medications, but can I take fewer pills.
18 So, I think having more people take these two drugs will be
19 beneficial. We don't know how many more that will be, but
20 I think I know that in some patients, who are already
21 getting these two, they will take it more reproducibly if
22 they have a combination available. And none of the safety
23 concerns that we've heard has risen to the surface enough
24 for me to be concerned that there's a safety issue that
25 overcomes that potential benefit.

1 DR. BORER: Tom.

2 DR. FLEMING: I vote yes with proper packaging.

3 Just to quickly summarize and kind of bring in
4 a little bit of the extensive discussion we had back on
5 January 18th as well, I believe we do have a clear
6 indication, secondary prevention with preexisting cardiac
7 conditions, where I think the LIPID and CARE studies do
8 provide considerable evidence of substantial benefit on MI,
9 stroke, and CHD death, 25 to 30 percent with the addition
10 of pravastatin, 15 to 30 percent with the addition of
11 aspirin.

12 And as best I can understand from now two
13 meetings of discussion, there really does appear to be a
14 substantial medical need as evidenced by substantial
15 fractions of these people who are non-adherent or who are
16 not taking antiplatelet agents, maybe 15 to 50 percent of
17 this targeted population, and lipid-lowering agents, maybe
18 30 percent.

19 It's very unclear to what extent this will
20 enhance adherence, but I'm willing to believe that with the
21 magnitude of efficacy that would be achieved, that it's
22 very likely there would be meaningful improvement in
23 adherence. And so, that's the up side.

24 The down side against that, as we've had a lot
25 of discussions, I think first of all the sponsor's

1 providing now ability to titrate the statin is an important
2 enhancement to address one of the key issues or concerns in
3 January, and these concerns about excessive bleeding or
4 inappropriate use of aspirin -- it troubles me because of
5 what little we understand about this. It strikes me that
6 it's an issue that is important but one that would be
7 probably intrinsically very difficult to obtain the type of
8 data we really would like to have to understand the
9 magnitude.

10 But I've been persuaded that with appropriate
11 packaging that clearly would identify the aspirin content
12 and the warnings that Beverly is talking about that the
13 overall evidence at hand then, to my way of thinking, is
14 adequately favorable in benefit to risk to support a vote
15 of approval.

16 DR. BORER: I vote yes. I think the body of
17 evidence favoring the effectiveness of both components
18 combined is overwhelming even though the studies weren't
19 designed specifically in the way we might have liked them
20 to have been to specifically demonstrate that fact. I
21 think the total body of evidence is overwhelming.

22 I think that the sponsor is now presenting the
23 product in a way that it is truly a convenience product.
24 That is, it's possible to provide virtually any conceivable
25 combination of doses, the absence of which was my primary

1 concern in January and, therefore, that the drugs can be
2 used together in whatever way the individual physician and
3 patient believe they should be.

4 I would share Beverly's concern -- and it's
5 been echoed by others -- about the packaging. I think the
6 caveat to this yes vote is that the sponsor and the FDA
7 come to an agreement about packaging and warnings and
8 labeling and whatever that would deal with the concerns
9 that Beverly listed when she gave them to you, Doug. So, I
10 think that's important.

11 But there is one other point here, and that is
12 if we do recommend approval to the FDA, this could be seen
13 as precedent-setting in some ways, and I would like to say
14 a word about that.

15 The fact that we may recommend the approval of
16 this combination product is specific to this combination
17 product by which I mean there are two components all
18 conceivable, currently employed and justifiable
19 combinations of the components are being made available in
20 combination so that the drug doesn't dictate medical
21 practice. I think that's very important.

22 The fact that we may recommend to you to
23 approve this combination doesn't mean that every time two
24 different components that do two different things but are
25 aimed at the same disease process are put together in the

1 same pill somehow, that we would necessarily suggest
2 approval of that combination. I think each one has to be
3 reviewed on the basis of its merits and on the basis of the
4 various factors, including the doses involved that we've
5 talked about here. So, I think that should be on the
6 record. The precedent is very limited here.

7 With that, again my vote is yes.

8 Paul.

9 DR. ARMSTRONG: Yes. I'm persuaded by the
10 sponsor's preparation and work that the balance of benefit
11 and risk is supportive of a yes vote. My ancillary comment
12 would be that they have provided information and
13 hypothesis-generating information that such a combination
14 will enhance the way doctors prescribe drugs and the way
15 patients will take drugs. I think they would do a real
16 service to patients and physicians and other sponsors and
17 regulators if they were to test the hypothesis
18 appropriately, starting now. If this is precedent-setting,
19 then why not do the research that's necessary to establish
20 that this idea is verified? You've got a unique
21 opportunity and you would do people a real service to do
22 that.

23 DR. BORER: My guess is that Charlie already
24 has the protocol written.

25 Steve.

1 DR. NISSEN: My original objections in January
2 were most focused on the fact that I was worried that this
3 combination would undermine all the work that many of us
4 have done over the last decade in trying to convince
5 physicians that they should treat to goal for cholesterol.

6 And we have national guidelines and a national cholesterol
7 education program that said treat to goal. What we had in
8 January was one statin dose to choose from, and I was
9 concerned that the convenience of the product would
10 undermine all the efforts that we had made to try to get
11 people to treat to goal. Part of this was exacerbated, if
12 I may speak very candidly, by some of the work that the
13 sponsor has done over the years around the issue of whether
14 it is in fact appropriate to treat to goal. And I just saw
15 that whole issue being revisited.

16 So, when you reformulated to allow us the
17 ability to give at least three different doses of statin,
18 that went a long way toward reassuring me that this would
19 not undermine current medical practice. And so, that's a
20 big help.

21 I think you've done a nice job of partially
22 alleviating the safety concerns, but not completely. And I
23 share with Bev some of the concerns about safety. Perhaps
24 there are even some that we didn't talk about, but the
25 notion that some patients are going to get GI intolerance

1 and they're going to stop this product and they're going to
2 end up stopping both the aspirin and the pravastatin.
3 There are a lot of things to think about here.

4 On balance, I have been convinced by the
5 presentation today and by the reformulation that more
6 patients will benefit by having this available than will be
7 harmed by it, and I therefore can vote yes.

8 DR. BORER: Blase.

9 DR. CARABELLO: I vote yes.

10 I'm not particularly concerned about the issue
11 of bleeding. I'm sure that aspirin creates some, but I
12 think especially in the modern surgical era, it really
13 doesn't contribute an awful lot to postoperative or
14 intraoperative bleeding.

15 I think the issue of labeling is an important
16 one, but after the sponsor goes to whatever lengths they go
17 to to label the product, in the end it's up to us to figure
18 out what the patient is taking. Just like Jeff's
19 sophisticated, up-scale patient who was taking the wrong
20 stuff, the only way you would know that is to actually have
21 them drag the pills into your office and see what they are.

22 And I think that's the bottom line. It's the only way to
23 really know what our patients are taking anyway.

24 I think Paul's comment is very cogent. If we
25 could demonstrate as a medical community that this idea

1 works, that you can take two agents with entirely different
2 pharmacologic targets that are umbrellaed under the canopy
3 of here's a pill that makes you live longer and that could
4 be extrapolated to other formulations of different drugs,
5 we might be on to something here. It would be nice to see
6 somewhere down the road if in fact this has increased
7 utilization of those types of therapies.

8 DR. BORER: Susanna.

9 DR. CUNNINGHAM: Well, I'm just going to be
10 different. I'm going to vote no for the very reason that
11 we don't have any science. It actually says we're
12 hypothesizing this will improve compliance. I hope it
13 does. I think everybody has voted yes. My vote is not
14 going to change anything, but I really am not comfortable
15 with voting for something for which there is no science for
16 the combined. I mean, I know there's all the individual,
17 and I appreciate that and I understand that it may actually
18 have great benefit. But this particular combination has
19 never been studied.

20 DR. BORER: I think it's been studied. It just
21 hasn't been studied in the format that we might have liked.

22 Bob.

23 DR. TEMPLE: It's worth mentioning that the
24 combination policy has never said that there needs to be a
25 demonstration of advantage. Now, I think if you talked

1 about this more, there would be some desire to have a
2 reason for having a combination because you can immediately
3 think of some potential disadvantages, which certainly have
4 been discussed at great length. So, as a practical matter,
5 maybe you do need to have some sense that it's worth it,
6 but strictly speaking, many combinations couldn't possibly
7 have a medical advantage. They're just the same drug taken
8 in one pill. So, what can they do? And we have never said
9 that they have to. What we have tried to do is make sure
10 that some of the disadvantages are mitigated by having all
11 doses available and perhaps by additional labeling and
12 things like that.

13 DR. CUNNINGHAM: But aren't those usually just
14 for one thing like hypertension? I mean, here we're
15 treating two different things. Cardiovascular disease,
16 yes, but not just blood pressure and not just cholesterol.

17 DR. TEMPLE: You're right. Over-the-counter-
18 land drugs for different things are very common, but for
19 prescriptions it's certainly the exception. Almost all of
20 them have been combinations directed at the same thing.
21 So, this has some precedent with respect to that too. You
22 can easily think of a very large number of possible
23 combinations of drugs for treating various people's ills of
24 the elderly.

25 DR. BORER: I think that Susanna's point is a

1 very important one, but one might argue that this really
2 isn't different from the combination antihypertensive drug
3 product fundamentally because you're not really treating
4 people for their high blood pressure. You're treating
5 people to reduce strokes, myocardial infarctions, and
6 cardiovascular death, heart failure, and renal disease,
7 five different things here. And this combination is
8 intended to prevent myocardial infarctions, stroke, and
9 cardiovascular death. It's just that in the one case the
10 putative pathophysiology is one set of processes that both
11 drugs seem to hit, and here there are two different
12 processes aimed at the blood vessel in different ways. So,
13 I don't think the differences between the combination
14 products are as great as they might at first seem, but I
15 think the point is still an important one.

16 DR. TEMPLE: An interesting question could
17 arise. There are other lipid-lowering drugs that don't
18 have as much data on prevention, that have a couple of
19 studies on this and that, or no studies at all. You might
20 see a proposal sometime for a drug that lowers lipids and
21 has aspirin attached to it because aspirin is good for
22 people. That's a different set of considerations. We're
23 actually internally thinking about all this stuff. I ran
24 the numbers. You can think of many thousands of
25 combinations along these general lines.

1 DR. BORER: Well, that concern is the reason
2 that I said what I did about precedent. You have to see
3 and we then perhaps have to see the data that would support
4 such a combination.

5 Are there any other comments from the
6 committee?

7 (No response.)

8 DR. BORER: If not, I want to congratulate all
9 of you for finishing 45 minutes and 50 seconds early.

10 (Whereupon, at 4:14 p.m., the committee was
11 recessed, to reconvene at 8:00 a.m., Friday, July 19,
12 2002.)

13

14

15

16

17

18

19

20

21

22

23

24

25