

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

NONCLINICAL STUDIES SUBCOMMITTEE OF THE
ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE
VOLUME I

Monday, September 9, 2002

1:05 p.m.

Committee Conference Room
5630 Fishers Lane
Rockville, Maryland

P A R T I C I P A N T S

John Doull, M.D., Ph.D., Chair

Gloria L. Anderson, Ph.D., Consumer Representative

Kendall B. Wallace, Ph.D., DABT, Chair,
Cardiotoxicity Expert Working Group

William D. Kerns, D.V.M., M.S., Chair, Vascular
Injury Expert Working Group

Industry Participants

Jack H. Dean, Ph.D., DABT

Jack H. Reynolds, D.V.M.

James Green, Ph.D., Chairman, Pharmaceutical Drug
Safety Steering Committee

Government Participants

David M. Essayan, M.D.

James T. MacGregor, Ph.D.

James Selkirk, Ph.D.

Daniel Casciano, Ph.D.

Helen N. Winkle

Kathleen Reedy, RDH, MS, Acting Executive Secretary

Frank Sistare, Ph.D., FDA Staff

C O N T E N T S

AGENDA ITEM	PAGE
Welcome and Introductions, John Doull, M.D., Ph.D.	4
Meeting Statement, Kathleen Reedy	6
Introductory Comments	
John Doull, M.D., Ph.D.	8
James T. MacGregor, Ph.D.	9
Report of the Cardiotoxicity Expert Working Group	
Kendall B. Wallace, Ph.D.	10
Subcommittee Discussion of Cardiotoxicity Expert Working Group Report	
John Doull, M.D., Ph.D.	28
Break	
Administrative Oversight of the Subcommittee	
James T. MacGregor, Ph.D.	81
Subcommittee Discussion, John Doull, M.D., Ph.D.	97
Adjourn	132

1 P R O C E E D I N G S

2 DR. DOULL: Good afternoon. I'd like to
3 welcome you all to our subcommittee, Nonclinical
4 Studies Subcommittee. It's a subcommittee of the
5 Advisory Committee to Pharmaceutical Sciences.

6 We need to do a couple things to begin
7 here. We need to be sure everybody knows
8 everybody, so why don't we go around and introduce
9 everybody. I'm John Doull. I'm a clinical
10 toxicologist, and I chair the committee. Gloria?

11 DR. ANDERSON: Gloria Anderson, Callaway
12 Professor of Chemistry, Morris Brown College,
13 Atlanta.

14 DR. WALLACE: Ken Wallace, University of
15 Minnesota, and I chair the Expert Working Group on
16 Cardiotoxicity.

17 DR. KERNS: Bill Kerns, Pharma Consulting
18 Inc. in Boston. I co-chair the Expert Working
19 Group on Drug-Induced Vascular Injury.

20 DR. MacGREGOR: I'm Jim MacGregor from the
21 National Center for Toxicological Research at FDA.
22 I'm director of the Washington office here, and I'm
23 the principal FDA coordinator for the subcommittee.

24 DR. DEAN: I'm Jack Dean. I'm the head of
25 Preclinical Development for Sanofi-Synthelabo, and

1 I'm a member of the subcommittee.

2 DR. REYNOLDS: I'm Jack Reynolds from
3 Pfizer, and I represent Pharma here.

4 DR. GREEN: I'm Jim Green. I'm from
5 Biogen. I'm a toxicologist, and I'm currently
6 Chairman of the Pharmaceutical Drug Safety Steering
7 Committee.

8 DR. SELKIRK: I'm Jim Selkirk. I'm the
9 Deputy Director of the National Center for
10 Toxicogenomics at the National Institute of
11 Environmental Health Sciences.

12 DR. ESSAYAN: Dave Essayan, Center for
13 Biologics.

14 DR. CASCIANO: Dan Casciano, Director of
15 the National Center for Toxicological Research.

16 MS. REEDY: Kathleen Reedy, Food and Drug
17 Administration, Advisory Committees.

18 DR. DOULL: We have a couple members that
19 won't be here. Jay Goodman won't be here, and I
20 don't think Joy will be here. She'll be here
21 tomorrow. And will Ray be here tomorrow, do you
22 know?

23 VOICE: No, he won't.

24 DR. DOULL: Okay. I guess we'll go ahead,
25 then, with the formal meeting statement.

1 MS. REEDY: Acknowledgment related to
2 general matters waivers for the Nonclinical Studies
3 Subcommittee of the Advisory Committee for
4 Pharmaceutical Science, September 9, 2002. The
5 following announcement addresses the issue of
6 conflict of interest with respect to this meeting
7 and is made a part of the record to preclude even
8 the appearance of such at this meeting.

9 The Food and Drug Administration has
10 approved general matters waivers for the attending
11 special government employees which permits them to
12 participate in today's discussions. A copy of
13 these waiver statements may be obtained by
14 submitting a written request to the agency's
15 Freedom of Information Office, Room 12A-30 of the
16 Parklawn Building.

17 The topic of today's meeting is an issue
18 of broad applicability. Unlike issues before a
19 committee in which a particular product is
20 discussed, issues of broad applicability involve
21 many industrial sponsors and academic institutions.

22 The committee members and invited guests
23 have been screened for their financial interests as
24 they may apply to the general topic at hand.
25 Because the general topic impacts so many

1 institutions, it is not prudent to recite all
2 potential conflicts of interest as they apply to
3 each participant. FDA acknowledges that there may
4 be potential conflicts of interest, but because of
5 the general nature of the discussion before the
6 committee, these potential conflicts are mitigated.

7 In addition, we would like to disclose
8 that Drs. Jack Dean and James Green are the
9 non-voting guest industry representatives. They
10 are not government employees and, hence, we do not
11 screen them for conflicts of interest and can make
12 no comments on their actual or perceived conflicts
13 of interest.

14 In the event that the discussions involve
15 any other products or firms not already on the
16 agenda for which FDA participants have a financial
17 interest, the participants' involvement and their
18 exclusion will be noted for the record.

19 With respect to all other participants, we
20 ask in the interest of fairness that they address
21 any current or previous financial involvement with
22 any firm whose product they may wish to comment
23 upon.

24 A couple of housekeeping things before we
25 begin. On the right side of your blue folder is

1 the agenda and the background material for the two
2 topics to be discussed today. On the left side are
3 the materials for tomorrow's discussion, and these
4 are all the absolute latest versions of these
5 documents. These documents are also on the
6 Advisory Committee website, the address of which is
7 at the bottom of your agenda.

8 Ron would also like a count of how many
9 people would like to go to dinner at Copeland's
10 tonight.

11 [Pause.]

12 DR. DOULL: Are there any comments about
13 the statement of conflict of interest? You all
14 feel you're off the hook?

15 [No response.]

16 DR. DOULL: The purpose of the meeting
17 today, we have three things in mind. It's been a
18 while since this subcommittee has been updated on
19 what's been happening with our working groups, and
20 both the Cardiac Working Group and the Vascular
21 Working Group have really made an awful lot of
22 progress. So we felt it was really important that
23 the subcommittee hear about the activities that are
24 taking place with those two subcommittees.

25 The third item of business has to do with

1 a home for this committee. As some of you know,
2 we've been debating back and forth exactly how to
3 do it, and Food and Drug has made some
4 recommendations. And so we need to talk about that
5 today, and we'll do that after the break. Helen
6 will come down, and we'll spend some time
7 discussing the future of the Nonclinical
8 Subcommittee.

9 Dr. MacGregor, do you have additional--

10 DR. MacGREGOR: I guess just my only
11 comment is that having attended some of the working
12 group meetings, both these groups have been working
13 really hard, and I'm looking forward personally and
14 I know those of us at FDA are looking forward to
15 the discussion today because both of these groups
16 have come to a point where they have formed some of
17 their own preliminary conclusions, and they'll be
18 presenting those today, and we'll be all looking
19 forward to feedback from the committee.

20 Also, I'd like to thank Jim Green for
21 taking the trouble to come down. I think one of
22 the hopes we had when we put this committee
23 together was that we could build a structure to
24 interface with our major stakeholders and to
25 identify those areas of common interest that we

1 might pursue collaboratively. So as Chair of the
2 PhRMA Drug Safety Committee, we're very happy to
3 have him participating in the meeting today, and
4 also, thanks to Jim Selkirk for substituting for
5 Ray Tennant, who we were happy to have on this
6 committee as a representative both of NIEHS and the
7 National Center for Toxicogenomics. And since Ray
8 wasn't able to make the meeting, we're very pleased
9 to have a representative from the National Center
10 for Toxicogenomics participating in the meeting.

11 DR. DOULL: All right. I think then we'll
12 go ahead and proceed with the cardiac component.
13 You have slides, Ken?

14 DR. WALLACE: Yes, I do.

15 DR. DOULL: Okay, great.

16 Oh, yes, I guess before we--we missed you
17 going around.

18 DR. SISTARE: Frank Sistare, FDA, Center
19 for Drug Evaluation and Research.

20 DR. DOULL: I think we got everybody else.

21 DR. WALLACE: Well, thank you for this
22 opportunity to report back to the NCSS on the
23 progress that the Expert Working Group for
24 Drug-Induced Cardiac Toxicity has made since the
25 last time that we addressed this group.

1 It's my personal privilege to represent
2 this working group because it's staffed with very
3 capable and engaging members on the Expert Working
4 Group, and we've had very productive discussions.
5 The most recent was about two weeks ago as we tried
6 to bring this to some sort of forum for the NCSS.

7 If you recall, the last time that I
8 addressed this committee I updated you on our
9 progress at that time, and we had had a workshop
10 where we discussed troponins as possible biomarkers
11 of drug-induced cardiac toxicity, and I also
12 presented to this committee our intentions of
13 developing a report, a written report on the status
14 of troponins as biomarkers.

15 One of the feedback items that I received
16 from this committee was that the committee wanted
17 to have an opportunity to inspect the outline of
18 this document before it took on too much of a solid
19 form so that the committee could have an
20 opportunity to contribute to the development of the
21 document and have some input. And so that's one of
22 the first things that I would like to do today.

23 There are basically three orders of
24 business. I'm going to come back to this with the
25 last slide, but there are three points of

1 presentation today.

2 The first point--and that will take most
3 of the time--is to go through the outline of what
4 we hope will be the final report concerning
5 troponins. That's the first item agenda, and that
6 outline is in your blue folder, and it takes the
7 form of this kind of form. Hopefully you've had a
8 chance to review it.

9 The second thing is that during the course
10 of developing this outline, the Expert Working
11 Group identified some data gaps, information needs,
12 and I'd like to have an opportunity to discuss with
13 the NCCS some plans that the Expert Working Group
14 would like to pursue as far as filling those data
15 gaps and information needs.

16 And the third and final thing that I would
17 like to present to the committee is the Expert
18 Working Group's opinion that we need to look beyond
19 the troponins and look for additional biomarkers,
20 the next generation of biomarkers, of different
21 forms of drug-induced cardiac toxicity, and I'd
22 like to present that to the subcommittee and to get
23 some favorable feed-forward on that.

24 So if we can go to the outline first,
25 we'll walk through that.

1 Again, this is an outline of a document
2 that will hopefully eventually be published in the
3 peer-reviewed literature. But the purpose of that
4 document basically will be to assess the current
5 status of the scientific information, the evidence
6 that supports or doesn't support troponins, I or T,
7 as valid biomarkers of drug-induced cardiac injury
8 to be used both in nonclinical and clinical drug
9 evaluation studies. Along that process we hope to
10 identify situations where in the troponins could
11 benefit the nonclinical studies and to identify
12 barriers and knowledge gaps that would limit such
13 benefit. So that's the overall purpose of this
14 proposed document.

15 I suggest that the document will begin
16 with a justification why we even have to look at
17 biomarkers of drug-induced cardiac injury, and we
18 hope to support the need for this, justify it based
19 on two primary points. One is the attrition of
20 drugs during various clinical phases of development
21 and the cost that becomes involved when you lose a
22 drug during clinical trials, as well as postmarketing
23 attrition of drugs once they have already
24 gained the registration.

25 We then will move on. We're already using

1 clinical biomarkers, so the second part of this
2 document will be saying, well, what are the
3 limitations of the currently used biomarkers in
4 drug-induced cardiac toxicity, and we'll speak in
5 terms of three different issues. One is
6 specificity, sensitivity, and inter-species
7 differences.

8 The specificity currently, the current
9 biomarkers of drug-induced cardiac toxicity fall
10 short of the mark as far as specificity. Most of
11 these proteins that are found in serum are also
12 expressed in noncardiac tissue, so they're not
13 specific to cardiac tissue. And oftentimes there
14 are documented cases where you will get
15 histopathology, cardiac histopathology with no
16 change in these biomarkers. So there are several
17 examples of false negatives, if you will, with the
18 CK and myoglobin and other examples of the current
19 biomarkers.

20 There is also the issue of sensitivity.
21 The current biomarkers that we have in hand, are
22 they sufficiently sensitive that we can catch an
23 adverse cardiac effect early in its development
24 prior to having irreversible damage? And at this
25 point, they seem to fall short on that.

1 Then the third point is the interspecies
2 differences. For the most part the current
3 biomarkers--again, this is creatinine kinase,
4 myoglobin and such. There's a lot of interspecies
5 variability, and, therefore, it makes it very
6 difficult to bridge between two animal species, let
7 alone between nonclinical and clinical studies.
8 And so that represents a major limitation to the
9 current biomarkers. So there is indeed a need, a
10 significant need for better biomarkers of
11 drug-induced cardiac toxicity.

12 Then after we have developed the document
13 with the justification and rationale, we'll move
14 into the introduction of the biomarkers and what
15 constitutes a biomarker. And we presented this in
16 a different format at my previous address to this
17 committee. The Expert Working Group has identified
18 four different types, categories of biomarkers of
19 cardiac toxicity: There's markers of structural
20 damage. There's types of functional damage--one is
21 mechanical, contractile damage, and the other one
22 would be electrical or dysrhythmias caused by
23 drugs; the long QT syndrome is a good example of
24 that. And then a fourth type of category of
25 toxicity that we've identified is one of

1 homeostasis, where as a result of a stressor, the
2 response is the tissue will undergo alterations in
3 gene expression or, as an example, to establish a
4 new steady state, a new homeostasis where it can
5 survive secondary insults. This would be like the
6 remodeling or the conditioning that happens with
7 several tissues.

8 So there's four categories, and when we
9 start talking about any given biomarker, we'd be
10 remiss if we didn't remember that we have to
11 identify which category of toxicity or damage this
12 element, this molecule is marking.

13 We've also talked about the
14 characteristics. Once we identify a biomarker,
15 what are the characteristics of an ideal biomarker,
16 and we presented this the last time that I
17 addressed this committee, and that is that the
18 biomarker has to be specific, in this case specific
19 to the heart tissue, ideally not expressed in other
20 tissues. It has to be sensitive. It has to be
21 sensitive. It has to be released early in the
22 pathway of pathogenesis, hopefully well in advance
23 of reaching the point of no return, of
24 irreversibility. It has to have favorable kinetics
25 so that the diagnostic window is sufficiently

1 broadly that you can catch it, you can observe it,
2 detect it after the drug insult.

3 The assay itself has to be robust. It has
4 to be simple, it has to be inexpensive, accurate,
5 reproducible. And then the fifth characteristic of
6 an ideal biomarker would be that there would be
7 very little differences between species. That
8 assay would be useful in bridging between both
9 nonclinical and clinical studies. You could
10 transfer that platform, that technology easily
11 between those scenarios.

12 So we introduced the biomarkers in that
13 section. Now we introduce the troponins. In this
14 section we'll talk a little bit about the biology,
15 how the troponins are a part of one element of the
16 contractile complex, the thin myofilaments, and it
17 participates in the contractile process and just
18 some basic background biology of the troponins, the
19 different forms of the troponins, T, I, and C.

20 We'll also talk about the multiple
21 isoforms of troponins, the T, I, and C, and how
22 these may vary between tissues and such, along that
23 line.

24 We'll then get into characterizing the
25 troponins as a biomarker of drug-induced cardiac

1 injury. And, again, we're going to look at these
2 five points: the specificity, sensitivity,
3 kinetics, assay, and whether it's a bridge between
4 nonclinical and clinical. And I'll talk
5 extensively about this in the next couple pages.
6 And as we go through these five bullets, they will
7 then reveal where we have limitations and data gaps
8 within these five characteristics.

9 So based on the discussion the Expert
10 Working Group had on August 28th and 29th, we
11 arrived at some fundamental conclusions, and two of
12 the conclusions regarding the specificity of the
13 troponins T and I are that they are perhaps the
14 most highly specific of the currently employed
15 biomarkers of drug-induced myocardial injury.
16 These components--these are--two isoforms of the
17 troponins are expressed exclusively in the cardiac
18 tissue. They're not expressed in other tissues,
19 even under pathological situations.

20 The Expert Working Group also concluded
21 that the appearance of either of those troponins in
22 the serum would signify a generalized disrupting of
23 the limiting cell membrane or the disruption of
24 myofilaments and the leakage of the troponins from
25 the cell. That's what it signifies. That's the

1 type of damage. It's a structural damage, and that
2 cardiac injury that does not result in altered cell
3 permeability would not necessarily be reflected in
4 a change in the serum troponins. So there can
5 be--there are several examples of where troponins
6 may not change in response to cardiac injury.

7 As far as the sensitivity, in reviewing
8 the literature, much of which is at least
9 referenced in an abbreviated form in the written
10 document before you, the Expert Working Group
11 concluded that the serum troponins I and T, as long
12 as they're measured in the critical diagnostic
13 window, they're highly sensitive indicators of
14 myocardial injury. They're as sensitive as the
15 other biomarkers that are currently in use.

16 Also it was concluded that the serum
17 troponins are detected as early, if not earlier
18 than most of the other biomarkers in response to
19 drug-induced myocardial injury, so they are
20 sensitive.

21 With the kinetics, the troponins are
22 released during the active phase of cell injury,
23 and once that cell injury stops, the serum troponin
24 level would return towards control or a baseline,
25 or perhaps a new baseline, and so there is a

1 critical diagnostic window. An exception to this
2 would be a case such as with the anthracyclins
3 where you have a progressive myocardial cell injury
4 where the troponins would rise in the serum and
5 they would remain elevated for a long period of
6 time. As long as there was active cardiac cell
7 injury occurring, the troponins would stay high
8 until that would finally terminate and then come
9 back down towards a baseline.

10 In review of the literature that we had
11 available, a very thorough literature search and
12 review of that literature, it was deemed that the
13 increase in the serum components occur in
14 proportion to the extent of cardiac damage.

15 We looked in brief at the assays that are
16 used to measure the serum troponins, and it was the
17 opinion of the Expert Working Group that they are
18 simple, accurate, reproducible, and fairly
19 inexpensive. So they are robust.

20 Perhaps what's key with the
21 characteristics of the troponins is that they are
22 very good--have very high potential for bridging
23 between nonclinical and clinical studies. The
24 amino acid sequence is conserved across the
25 species. The antibodies to human troponins

1 cross-react with the cardiac troponins from a
2 variety of different animal species. The cardiac
3 troponins are expressed to less than 1 percent in
4 non-cardiac tissue of humans, and the same is true
5 for those non-human animal species as well. And,
6 therefore, it looks like these are very good
7 candidates for bridging between nonclinical and
8 clinical studies.

9 Some of the limitations that the Expert
10 Working Group came up with in the reviewing of the
11 literature is that we have issues of the critical
12 diagnostic window, and, of course, the working
13 group considers that that diagnostic window will be
14 defined on a case-by-case basis depending upon what
15 the specific drug is and its dosing regimen of that
16 drug. So that will be a moving diagnostic window
17 that has to be better defined.

18 Another limitation is that the assay for
19 the troponin T is available from only one vendor at
20 the moment. The troponin I assay is available from
21 probably 6 to 12 vendors currently.

22 Another limitation is that the baseline
23 values, at least quantitatively, change in serum.
24 They may be altered by disease. Whether it's a
25 muscular degenerative disease, a tumor, or

1 whatever, that baseline may--does seem to float, at
2 least on an experimental basis, and so that a
3 validation assay would definitely have to take into
4 account the fact that that baseline would be
5 changing. So we'd look at a -fold increase and not
6 just the absolute value.

7 And the final point is the validation of
8 the individual assays. With so many assays out
9 there, there's a lot of differences between assays,
10 and it's very important that the monoclonal
11 antibody that is used is directed at the specific
12 epitope that remains conserved between the
13 different species for that particular cardiac
14 isoform of that troponin. So assay validation.

15 We then looked--so that's the outline as
16 it is, is that basically the committee reports from
17 the literature that troponins seem to--are perhaps
18 the most appropriate biomarker of drug-induced
19 cardiac toxicity that is available, and they're
20 specific. They're as sensitive as the other
21 biomarkers. They mark a specific type of damage,
22 not all types but a specific type of structural
23 damage to the cell. The kinetics are such that
24 once we have defined the critical diagnostic
25 window, it would be useful in those terms. The

1 assays are robust, sufficiently robust, and they
2 are conserved across species so they're excellent
3 bridging biomarkers.

4 However, there are some weaknesses or data
5 gaps that need to be addressed to gain additional
6 confidence in troponins as biomarkers of cardiac
7 toxicity, and that is that based on available
8 evidence, most of which is clinical evidence, but
9 based on available evidence--well, first of all,
10 most of the data that's in the literature for
11 troponins I and T derives from clinical studies,
12 usually myocardial infarction types of studies.
13 And so there's a need to gain a better weight of
14 evidence from nonclinical studies, from animal
15 studies, to make sure, build our confidence that
16 the animal studies will mirror the human studies as
17 far as the specificity and sensitivity of the
18 troponins.

19 So we need to gather more nonclinical,
20 more experimental animal data to further validate
21 the troponins.

22 We also have the question of that the
23 Expert Working Group is quite uncertain
24 whether--which is the preferred marker, the
25 troponin T or the I isoform. At this point the

1 data even in the clinical studies is insufficient
2 to suggest that one is superior to the other. And
3 so the question is: Do you measure one, do you
4 measure the other, or do you measure both? And at
5 this point the data--there's not enough data there
6 to really draw any conclusions.

7 Another weak point where additional data
8 would be helpful is better characterizing this
9 critical diagnostic window, the rate at which the
10 troponins increase and then the duration of that
11 increase before it returns to normal, and
12 correlating that with the histopathology and the
13 pathogenic process, mechanism, mode of toxicity
14 would be very helpful.

15 And then, of course, to further validate
16 that the troponins are marking one specific type of
17 cardiac injury, and that is the cell lysis, the
18 alteration of cell membrane permeability, and that
19 cardiac toxins that do not affect cell membrane
20 permeability are not necessarily reflected or
21 associated with changes in troponin. So just to
22 make sure that we can know what type of toxicity
23 they're discriminating through.

24 The Expert Working Group talked about how
25 we'd go about gathering this non-human--this

1 nonclinical data, and what we propose to do is at
2 our next meeting of the Expert Working Group to
3 have a very comprehensive discussion of what kind
4 of data is most needed and how we perhaps would
5 design experiments to gather that type of data, and
6 then based on that, then it would kind of dictate
7 just how we would go forward with it, whether it
8 would be done in-house or through a consortium or
9 perhaps trying to get some RFAs issued to gather
10 that data. But at this point we don't have enough
11 of a discussion on this that we can propose any of
12 those at the moment.

13 The other data gap that we feel, the
14 Expert Working Group felt was needed is better
15 substantiation of the nonclinical, the clinical
16 correlations, comparing the kind of data that we'd
17 get in the animal studies with that which has been
18 generated in the clinics. And here we're
19 actually--to approach this, we probably have to do
20 some data mining. You're looking at data that
21 already exists either within the agency or within
22 PhRMA, and developing sort of partnerships where,
23 through various organizations, ILSI being one of
24 them, where the stakeholders that are involved in
25 this process can come together and share that data,

1 yet retain their confidentiality to any proprietary
2 advantage that they might have with it. So the
3 Expert Working Group would like to look into the
4 possibility of bringing these different
5 stakeholders together in such a forum to share data
6 and address the issue of the correlates between
7 nonclinical and clinical validity of the troponins.

8 The third item, third bullet in that first
9 slide or the second slide was approaching the next
10 generation of cardiac biomarkers. Again, I remind
11 you that troponins look to be the best biomarker,
12 according to the Expert Working Group, the best
13 biomarker available for drug-induced cardiac cell
14 leakage, cell disruption. But that's all they
15 mark. They report it. They don't predict it. And
16 so what would be ideal is if we had a biomarker
17 that would change in anticipation of an
18 irreversible event with a cell. And so we feel
19 there's a real need to look at biomarkers of other
20 types of cell injury that perhaps don't involve
21 changes in cell membrane permeability, and
22 especially those biomarkers that may occur early on
23 in the process that would give us a predictive
24 advantage. And we propose, again, the Expert
25 Working Group, to get together first as a group to

1 discuss this area, and then to plan a meeting, a
2 much broader meeting of the stakeholders to bring
3 the stakeholders together again in some sort of
4 forum where we can have an open discussion of what
5 some of the most promising biomarkers may be,
6 whether they're existing kind of biomarkers or
7 perhaps taking advantage of some of the emerging
8 technologies with the old mix and such. So the
9 Expert Working Group hopes to move in that
10 direction as well.

11 So I remind you of that second slide, and
12 I would really like the NCSS to address these three
13 points. I'd like to have a lot of feedback and
14 exchange of the outline of the document that the
15 working group hopes to draft within the next couple
16 of months. I would like the permission,
17 authorization, approval of the NCSS to move ahead
18 with the plans that the Expert Working Group has
19 for addressing the data gaps concerning the
20 troponins, as well as the same types of plans to
21 move ahead for looking at additional biomarkers of
22 other types of drug-induced cardiac injury.

23 So that concludes my presentation. I'd be
24 more than happy to answer questions. I'm real
25 pleased to see that there's a couple members of the

1 working group that are in attendance, and I'm
2 certain that they would be very happy to help as
3 well.

4 DR. DOULL: Thanks, Ken.

5 Questions from the--Jim?

6 DR. MacGREGOR: Before questions, I
7 thought perhaps I might acknowledge the other
8 working group members that are here and who are
9 available to participate: Elizabeth Hausner is
10 here in the audience, and she's the CDER liaison
11 who participates in the working group. Gene Herman
12 is a scientific member of the Expert Working Group.
13 David Essayan is the CBER liaison to the committee,
14 the NCTR liaison. And then the working members of
15 the group--I may mention I notice they're not in
16 the packet, and we apologize. We should have had
17 them listed. So at some danger of omitting
18 someone--check me--I'll mention who the other
19 members are that are on this group, and I should
20 also that two of these members have just themselves
21 prepared very comprehensive reviews of the troponin
22 literature that will be appearing soon, and that's
23 been a valuable resource to this committee. Those
24 two members are Gene Herman, who I already
25 introduced, and Malcolm York from GlaxoSmithKline,

1 who both will have comprehensive reviews appearing
2 soon.

3 Other members are Gordon Holt of Oxford
4 GlycoSciences; Alan Metz of Pfizer; Elizabeth
5 Murphy of the NIEHS; Rosie Rosenbloom of
6 Schering-Plough. And I think that's it. I don't
7 think I've forgotten anyone. Okay. Sorry for that
8 diversion, but I thought it would be--that we
9 should acknowledge who the members were.

10 DR. DOULL: Absolutely.

11 Questions for Dr. Wallace? Yes, Bill?

12 DR. KERNS: Ken, that was a very good
13 presentation, a good summary. Thank you.

14 You mentioned a couple of times the window
15 of opportunity for timing to catch troponins when
16 they're elevated. Can you talk a little bit more
17 about that? On the practical side, is it
18 realistic? That's my question.

19 DR. WALLACE: Thanks for that, Bill. It's
20 going to be on a case-by-case basis. Basically
21 there's two compartments for the troponins. Back
22 to basic biology. There's a small fraction, 5
23 percent or so, that is free troponin in the cell,
24 and then the majority of it is bound to the actin
25 filaments. It is believed that immediately after

1 an abrupt injury to the cell, you have an immediate
2 release of that free fraction. So you have an
3 abrupt increase in serum troponins. That then is
4 followed by a more prolonged release of what used
5 to be the complex, the bound fraction, into the
6 serum.

7 The kinetics of that, I would say that you
8 start first seeing the troponins appear--it
9 depends, like with an MI, as an example, within the
10 first hour, and there will continue--they might
11 continue to increase from between 4--peaking
12 between 4 to 12 hours. Gene, is that kind of--yes.
13 So there's plenty of time to catch the window.
14 Again, the objective is to see an increase, not
15 necessarily grab it at its peak.

16 What's nice is that the control, the
17 baseline serum value of troponins is near the
18 detection limit of most of the assays. So
19 basically any increase above that is a remarkable
20 increase.

21 Yes?

22 DR. ESSAYAN: Yes, Ken, it was a great
23 presentation. One point of clarification which I'm
24 sure is in your plans, but didn't come through as
25 explicitly as might be necessary. In correlating

1 the preclinical to the clinical, I assume you're
2 going to be looking also at the pharmacokinetics
3 and handling of the troponins across species to
4 assure that appropriate and analogous measurements
5 can be made if you're going to look at comparisons
6 of preclinical to clinical data. And I don't know
7 offhand the elimination half-life, say, of troponin
8 in mice compared to humans compared to dogs, things
9 like that. I assume it's roughly the same. But
10 there will be data in this paper that will look at
11 that as well, or is that adequately described to
12 put into the paper?

13 DR. WALLACE: As far as the paper document
14 itself, I'm not sure how much detail will be given
15 to this correlation between the nonclinical and
16 clinical, and it's just a matter of timing. The
17 intention of the Expert Working Group is that this
18 document would be prepared within the next few
19 months; whereas, to get the stakeholders around the
20 table and mine the data would take much longer than
21 that. So the document itself may conclude that
22 there are these limitations or these concerns where
23 additional evidence is needed.

24 As far as the actual development of that
25 discussion, you're absolutely right. We have to at

1 least--we have to consider the kinetics of the
2 troponins. We're also going to have to face
3 whether we're going to mine the data or we're going
4 to generate new animal data that would hopefully
5 mirror, parallel what already exists in the clinic.
6 And that's a discussion that hopefully this group
7 will have at that time. Thank you.

8 DR. DOULL: I'd like to go back to the
9 three things that you've asked the subcommittee to
10 address. One is improving on your draft.
11 Certainly, I think the subcommittee recognizes that
12 this is an excellent draft, that this would be a
13 very scholarly paper on troponins and used for
14 cardiac toxicity. The intent then, your plan, as I
15 understand it, is that you would put together this
16 draft of the paper which would deal strictly with
17 the troponins. All those alternative biomarkers
18 would be down the road for another consideration.
19 And the intent of this paper, then, would be to
20 make the argument that these are effective clinical
21 biomarkers and nonclinical bio--bridging
22 biomarkers, really, and, therefore, should be
23 considered for use, for that use.

24 DR. WALLACE: Let me answer that by saying
25 I purposely kept that slide to three bullets, so

1 the data gaps is a separate bullet from the
2 document, as is the next-generation biomarkers. So
3 the document is stand-alone without those two. So
4 the document will be focused, just as under the
5 outline of statement of purpose. The purpose of
6 the document will be to assess the evidence on the
7 troponins. To assess the evidence on the
8 troponins.

9 DR. DOULL: Okay.

10 DR. WALLACE: And that's it. It will be
11 focused just on that.

12 DR. DOULL: My impression, looking at your
13 conclusions and summation and so on, is that you're
14 making a fairly strong recommendation that your
15 committee feels, in fact, these are pretty good
16 biomarkers, and that there's a pretty good argument
17 for making some kind of a recommendation.

18 DR. WALLACE: Well, I remind you, my
19 committee was not asked to make a recommendation.
20 My committee was asked--our committee was asked to
21 weigh the evidence and evaluate the evidence. And
22 you're right in your perception. The committee,
23 when they look at this, the committee feels that
24 the troponins are--of our choices that are
25 currently available to us, troponins are perhaps

1 the biomarker of choice, are the words that the
2 working group used. The troponins are the
3 biomarker of choice for drug-induced cardiac cell
4 injury.

5 DR. DOULL: The reason I'm asking that is
6 that, you know, if you make that kind of
7 recommendation, that recommendation would come from
8 your Expert Working Group in some--

9 DR. WALLACE: To the NCSS. We report to
10 this subcommittee. So we will make a report that
11 will assess the current state of the scientific
12 evidence on the troponins. We'll write that up,
13 and we'll give it to this subcommittee.

14 DR. DOULL: Okay.

15 DR. WALLACE: As far as the published
16 document, that's a separate manuscript, and that
17 will still--since it's going to have the aegis of
18 coming through this subcommittee, we'll definitely
19 want the subcommittee to review it before we submit
20 it for publication. But...

21 DR. DOULL: Yes, I guess, you know, in a
22 previous discussion, I guess at the last meeting,
23 we talked about the fact that Food and Drug, in
24 fact, is involved in it because it is a
25 subcommittee of the Advisory to Pharmaceutical

1 Committee and so on.

2 This paper could be published simply as a
3 paper by your work group and would then stand there
4 as a recommendation in the peer-reviewed
5 literature. What you're saying is that you want it
6 come through the process so that this subcommittee
7 is going to be involved in a sense with you in this
8 paper.

9 DR. WALLACE: Yes, that's a good point.
10 What will happen is the working group has decided
11 that we want to publish this paper, and that the
12 authorship will be the same no matter how we do it.

13 What we'll want to do--and I'm going to
14 speak for the committee, for the Expert Working
15 Group, without actually addressing this at one of
16 our discussions. But my impression is that we'd
17 bring it to the Nonclinical Safety Subcommittee and
18 we'll say: Do you want to sign on? Do you want to
19 be, you know, a footnote, an acknowledgment that it
20 came through the subcommittee? Or if you want to
21 have no part of it, then that's fine, too. But
22 we'll give the NCSS first opportunity to have it
23 come through the subcommittee. And if they say no,
24 then hopefully they'll still give the blessing that
25 the individual members of the working group can

1 still publish it independently.

2 DR. DOULL: Yes, I think there are two
3 aspects to that. One is that, as I understand it,
4 there are some regulations that get involved here,
5 particularly if you publish it, for example, and,
6 you know, we put on there "Subcommittee" and all
7 that. That has some implications, and we need to
8 be sure that we can--you know, the appropriate
9 procedure for doing all that.

10 The second thing, I think, is that in the
11 paper, as you've proposed it, you're talking about
12 some limitations of this and some data gaps that
13 would be--should be filled, and then that would be
14 part of that paper, how you would approach
15 filling--handling that data gap situation.

16 T1B DR. WALLACE: Not necessarily. Defining
17 the data gaps would be part of the paper, but I
18 don't know--after talking to the other authors of
19 the paper, I would think perhaps just identifying
20 the data gaps, and it may stop there.

21 DR. DOULL: Yes, right.

22 Dr. Selkirk?

23 DR. SELKIRK: Yes, this kind of harkens
24 back to your presentation about the kinetics of
25 things. You mentioned that troponins are elicited

1 immediately, and I wondered, especially in
2 nonclinical studies, what that might mean, meaning
3 is this measuring in peripheral blood? Because
4 there has to be some kind of a time lag from the
5 insult to when you would begin to see the troponins
6 appearing. And I was wondering if any studies had
7 been done, possibly with things like cardiac
8 puncture, to see exactly when the troponins begin
9 to be elaborated. And, furthermore, is there a
10 gradient in the cardiac tissue where you begin to
11 see troponin appearing in terms of cutting through
12 the tissue itself? I'm thinking in terms of how
13 genes are turned on to begin this process and what
14 the pathway to it might be. Clearly it's early,
15 and I apologize for not having a better knowledge
16 of the troponin literature, but have those studies
17 been done, fairly early timepoints to exactly see
18 what the kinetics are?

19 DR. WALLACE: Yes, and if you'll allow me
20 to draw from my recollection of the literature--and
21 hopefully I'll quote the literature correctly.

22 Gene Herman has worked with doxorubicin
23 extensively, and with the low doses of doxorubicin,
24 I believe you first start detecting values of
25 troponin in rats above baseline at both the 2- to

1 4-hour point.

2 [Inaudible comment off microphone.]

3 DR. WALLACE: No--well, I'm going to talk
4 about that. Doxorubicin is about 2 to 4 hours?

5 [Inaudible comment.]

6 DR. WALLACE: Oh, those are lower doses.

7 Okay. Lower doses of doxorubicin, it was taking
8 like 12 hours. So with an acute dose, like with
9 isopurinal (ph) or isoproterenol, the values appear
10 in the plasma about 2 hours, I think--

11 VOICE: Within one hour.

12 DR. WALLACE: Within one hour.

13 DR. MacGREGOR: Excuse me. If members of
14 the audience comment, could they please use the
15 microphone so they can pick it up on the
16 transcript.

17 DR. WALLACE: Sorry. Sorry for getting
18 you in trouble here.

19 DR. MacGREGOR: Why don't you summarize
20 Dr. Herman?

21 DR. WALLACE: What Dr. Herman clarified,
22 with the model that he's done with doxorubicin,
23 he's given very small doses on a weekly basis, one
24 milligram per kilogram or so to a rat. And it
25 isn't until 12 hours or so that he seems them

1 appearing. But those are very small doses.

2 If he does an acute insult to the heart
3 with something like isoproterenol and another group
4 has done isoprenaline, there you see the values of
5 troponins rise above baseline within an hour of the
6 dosing.

7 There's also been studies done with the
8 isolated perfused Langendorf(ph) type of heart
9 where they'll do just a physical impact of it, and
10 they'll see it appear in a perfusate within
11 minutes. So it is a fast release.

12 DR. DOULL: Jack?

13 DR. REYNOLDS: So, Ken, one thing that
14 wasn't too clear to me is you talk about data
15 mining with FDA on PhRMA. What would that look
16 like? And what would you be wanting to get from
17 such data mining?

18 DR. WALLACE: Well, the state of the
19 evidence or the state of the science with the
20 troponins right now is that it's being used quite
21 extensively, but it's not being reported in the
22 public literature a great deal. So the Expert
23 Working Group is of the opinion that there's a lot
24 of data that exists within PhRMA, as well as within
25 the agency, that will be helpful in assessing the

1 utility, the validity of the troponins as
2 biomarkers of drug-induced cardiac toxicity. And
3 so the data mining would be to sit the respective
4 parties around the table and come to some sort of
5 agreement to a mechanism by which that data can be
6 made available to the Expert Working Group for our
7 assessment of sensitivity, specificity, kinetics
8 and such to see--you know, check the validity of
9 these as nonclinical markers. But it's with all
10 respect for the proprietary nature of the data that
11 we're asking for.

12 DR. DOULL: That's a complicated issue and
13 one that, you know, involves a lot of things. If
14 you're talking about proprietary information and
15 how do you protect that and how will it be dealt
16 with in a peer-reviewed paper out in the literature
17 and so on and what is the role of this subcommittee
18 and Food and Drug in accomplishing that, that
19 validation you're talking about is a crucial point
20 and a difficult one. I think it requires careful
21 moving in order to get that done properly in such a
22 way that will really help us get what we need to
23 get done.

24 DR. WALLACE: That's, again, why the
25 validation, the data gap, you know, that's a

1 separate bullet from the document itself.

2 DR. DOULL: The task of the subcommittee
3 is to help you, but I'm not sure exactly how.
4 That's complicated, Ken. We'd need to think about
5 that.

6 Jack?

7 DR. DEAN: Ken, I'm a little confused, and
8 maybe you can clarify for me. In the body of the
9 outline and the review of the literature, you talk
10 about the correlation between the clinical and
11 preclinical. You give some examples where with
12 various compounds there is some correlation between
13 clinical and preclinical. At least that's the way
14 I interpret it. But in the conclusion, you talk
15 about the gap between clinical and preclinical.

16 So is the intent to talk about the utility
17 as a bridging biomarker of the troponins? Or is to
18 say that in the human it's well established, in the
19 animal we don't have enough data to know? I mean,
20 I guess the bottom line for me: Is it the intent
21 of the working--is it the feeling of the working
22 group that these really are at a point of being
23 bridging biomarkers? And are the data there from
24 the preclinical side to say that's the case?

25 DR. WALLACE: Well, I believe that the

1 Expert Working Group would conclude that the data
2 that we have available at this point would suggest
3 that there's very great potential that these are
4 excellent bridging biomarkers, but there is
5 certainly a need for additional data in the
6 preclinical side.

7 Does that answer your question?

8 DR. DEAN: Not entirely. So you're going
9 to review the preclinical data as part of this.
10 That's what the outline seems to indicate. You're
11 going to review what exists in the literature on
12 the preclinical data.

13 DR. WALLACE: Yes, that's what we've done
14 so far. We've only been able to access data that's
15 available in the public domain. So we've looked at
16 all the peer-reviewed and all the published
17 literature on the troponins.

18 DR. DEAN: And then you'll define data
19 gaps, and this will be--what?--the correlation
20 between preclinical and clinical. And will the
21 paper then set out a work plan for what should be
22 done? Because it seems like that would be one of
23 the greatest utilities of the paper, to describe
24 these gaps and how they could be filled.

25 DR. WALLACE: Well, that goes back to an

1 earlier question, and in there I say basically we
2 just identified a gap, and without giving a lot of
3 work to how we would address them. But perhaps
4 you're right. Perhaps a second sentence in that
5 same paragraph saying that in order to address this
6 we'd have to bring the parties together, you know,
7 to create an environment where they can share the
8 existing preclinical--either generate new
9 preclinical data or mine that which is already
10 there through, you know, an agreement between the
11 various parties.

12 DR. DOULL: Those are both recommendations. One
13 would be to get the existing
14 clinical data that's hidden away someplace and use
15 that. The second would be to actually undertake a
16 research program, to go out and get animal data to
17 find out something about the kinetics of those.

18 DR. WALLACE: Right, and I would guess
19 that it would have to occur in that order, too,
20 that you'd mine the data before you'd generate any
21 new data. Because if the data's in existence and
22 if you can evaluate it, why repeat the experiment?

23 DR. DOULL: That's really true, that
24 there's a lot of data there.

25 DR. WALLACE: We have the impression that

1 there is.

2 DR. DOULL: Food and Drug has a lot of
3 data that would be helpful in dealing with this
4 issue, or PhRMA.

5 DR. WALLACE: We have the impression there
6 is, and so what we're looking to is to work with
7 the subcommittee to devise some sort of venue to
8 bring these parties together to have an open--to
9 discuss these data and yet protect the interests of
10 the participants at that table.

11 DR. DOULL: Good.

12 DR. DEAN: Mr. Chairman, could someone
13 just address the question you raise? Is there a
14 lot of data there that needs to be mined, or is
15 there sufficient data to do any mining? Because if
16 the recommendation is we mine and there's nothing
17 to mine, it would seem better to have a recommendation more
18 around some sort of prospective
19 study.

20 MS. HAUSNER: Ken, maybe you would like to
21 break that down into the--

22 DR. MacGREGOR: Could you identify
23 yourself?

24 MS. HAUSNER: I'm sorry. Elizabeth
25 Hausner, the CDER liaison to the Expert Working

1 Group. There are several types of data that we are
2 hoping to be able to mine. I think, one, we can
3 divide into what PhRMA has and what FDA has. And
4 as far as I'm aware in CDER, there is not a lot of
5 preclinical and nonclinical troponin data. I think
6 there is a hope that perhaps we can identify
7 problems in cardiac toxicity that with use of
8 troponins might have been picked up earlier,
9 respecting, of course, the proprietary nature, and
10 make this perhaps a numbers thing. And then, Ken,
11 perhaps you would want to address in more detail
12 what we're hoping to mine from other areas.

13 DR. WALLACE: I'm not sure where you're
14 going with that, Elizabeth. It's my impression
15 that PhRMA has generated a lot of troponin data.
16 They have a lot of data. Now, apparently they're
17 not submitting it to the agency.

18 MS. HAUSNER: Last year at the American
19 College of Toxicology, when we had our symposium on
20 clinical and preclinical use of troponins, there
21 were quite a number of people from PhRMA in the
22 audience who approached the microphone and shared
23 their companies' experiences. But it's data that
24 we have not seen published. So there does seem to
25 be a fair bit of exploration of troponins

1 preclinically.

2 DR. WALLACE: Very little of which has
3 been submitted to the agency, so most of the mining
4 is going to be done on the PhRMA side, apparently.

5 DR. DOULL: You also mentioned that there
6 are these different kits and that the results are
7 somewhat--is that a problem also, that you have to
8 figure out, you know, those variabilities within
9 the different kits?

10 DR. WALLACE: Yes. Whenever you have an
11 antibody-based kit, you're going--the specificity
12 and sensitivity of the assay is going to depend
13 upon that antibody. So you have to be very
14 cautious in designing the antibody to target the
15 epitope that is conserved with specific isoforms.
16 We call them the first generation. We're not as
17 specific as subsequent generations of the
18 antibodies. And so now there are several kits out
19 there, and there has to be some sort of validation
20 or normalization of the kits, or the procedure by
21 which you use any individual kit, so that what
22 you're looking at is a full change or you have an
23 internal standard that you can incorporate into
24 whatever kit you use, you use that internal
25 standard as a benchmark to assess whether you see a

1 change or not. But these are all, you know,
2 things--issues of validation that a group has to
3 sit down and deal with.

4 DR. GREEN: Just one point of
5 clarification. You mentioned that the kinetics of
6 the troponin with respect to onset of release of
7 the soluble early form is quick, relatively rapid,
8 and then it tails off. Is that correct?

9 DR. WALLACE: Well, I don't know if really
10 tails off before the second phase starts in, kicks
11 in, so it's more like a shoulder.

12 DR. GREEN: But early phase of leakiness,
13 essentially, with cardiac target cells. To the
14 extent of the data, certainly we can take the query
15 back to the PhRMA Drug Safety Steering Committee
16 and ask specifically, but I would hazard to guess
17 that those companies that perhaps have experienced
18 cardiotoxicity problems with their drugs may have
19 embarked upon follow-up mechanism-of-action studies
20 where they have that troponin data or other
21 experimental markers early on. But these early-on
22 sampling points usually aren't routine with the
23 bulk of the studies which are done. So it's much
24 like the analogy for toxico-kinetic exposure
25 sampling that years ago, before people realized

1 that this was an important qualification, a way of
2 presenting exposure and dose, these samples weren't
3 taken at a point in time when there was--there
4 might be essentially meaningful data measures.

5 So I wouldn't be surprised that we don't
6 have an awful lot of data, even with broad-based
7 member companies, but I think much of this is
8 probably related just to the way that the bulk of
9 the studies have been conducted in the past.

10 DR. WALLACE: That's a good point.

11 DR. DOULL: You might get some idea about
12 troponin on troponin T also from those early
13 clinical things, maybe.

14 Yes, Jack?

15 DR. REYNOLDS: So Jim kind of alluded to
16 what I guess the nature of my question was in terms
17 of the data mining. I don't think as a matter of
18 routine that at least our company--we don't use
19 troponin that much as a screening tool there. So
20 I'm not sure how rich the database would be.

21 Also, the notion that we generate data
22 around troponin and don't submit it, of course, if
23 we had a drug in development, when we were going to
24 seek approval of that, we would submit those data.
25 So it's those drugs that may have troponin data

1 that never made it to clinical development or we
2 stopped development on. Those are the data that
3 would not be submitted. So, again, I'm not clear
4 as to how rich the database would be around
5 troponin.

6 I do think what could be done, though, is
7 to look at those drugs that have had a cardiotoxicity
8 potential liability based on nonclinical
9 studies or even clinical studies, and from that in
10 the database of those compounds that had that
11 attribute, I think both partnering with FDA but
12 also industry, one could go back and use those as
13 models and generate data with troponin. That would
14 probably be, I think, the best way to mine the
15 database, not for troponin per se but for drugs
16 that may have caused that.

17 So if I might ask another question, Mr.
18 Chairman, around the discussion of who publishes a
19 paper or under what pretext it's published,
20 certainly it seems to me that this committee ought
21 to endorse this publication, and I would think the
22 Expert Working Group--I know you said that you
23 would make that recommendation back through this
24 committee. I understand that. But it seems to me
25 that probably the most value from this publication

1 other than its scholarly review of the state of the
2 art of troponins would be to provide some
3 endorsement as to the merits and value and even
4 limitations of troponins as markers of
5 cardiotoxicity.

6 So, in my mind, if that were done as an
7 independent body, not through this committee, that
8 would have one weight of, I guess, credibility.
9 But if it were to come through this committee where
10 there is, in fact, this open partnership of
11 regulatory agencies and they're regulated to have
12 some endorsement of this as being an
13 appropriate--at this time, anyway--measure of
14 cardiotoxicity, I think would be certainly
15 consistent with the objectives of this committee.
16 And so if we were going to take that to a vote,
17 that's what I would suggest, that we try to do
18 that: one, to make a recommendation, if possible,
19 if the Expert Working Group would say that, that
20 this is a measure of cardiotoxicity; and then this
21 committee try to endorse that recommendation.

22 DR. DOULL: Hopefully the subcommittee
23 would do more than peer-review this paper, that
24 they would be involved, because you are our working
25 group and we're looking for ways to help you do

1 something that will benefit the clinical use of new
2 drugs, bridging biomarkers. That's what we're
3 really looking for. And if we think this is a good
4 one, why, we really ought to somehow get on the
5 bandwagon.

6 I guess, you know, if you look at this as
7 a weight-of-evidence kind of argument, your weight
8 of evidence is going to lead you the conclusion
9 that you think is ready for prime time, pretty
10 much. But then the question is: How much is that
11 weight of evidence impaired by the data gap? And
12 it isn't just the one data gap, Ken. You know, you
13 listed several of them, and you've talked about
14 getting clinical data, the clinical data that's out
15 there, and if we're lacking animal data, that maybe
16 we need to study to do that. But there are some
17 other--you know, you have some other data gaps that
18 you've talked about. And I guess in a sense in
19 that paper, these have to be addressed, also,
20 because if you're going to do a weight-of-evidence
21 kind of evaluation as a basis for your conclusion,
22 then one is going to have to look at some of those
23 other data gaps, I guess.

24 DR. WALLACE: Again, I'm going to take a
25 risk of giving you my personal impression about

1 this, Dr. Doull. I think it might reflect the
2 consensus of the committee, the working group, but
3 I'm not certain because we haven't discussed it as
4 a group.

5 Where we draw the line and publish this
6 paper kind of depends on whether it's going to be
7 the seven of us as independent authors and we'll
8 then title it "The Current State of Knowledge," and
9 that would be fine. Identify where the holes are
10 and what might to them, but not address them, and
11 that would work, and then maybe a year from now or
12 two years from now, do "The Current State II."

13 However, if it comes through the NCSS, the
14 NCSS may decide that you're not comfortable with
15 that and you don't want to publish it until the
16 data gaps are more thoroughly addressed. And
17 that's fine. You know, we're a working group of
18 the NCSS. And if that's what you would like to do,
19 excellent, you know, we'll be very happy to pursue
20 that. But we need that direction from the NCSS,
21 and then if the NCSS wants us to address these data
22 gaps, we need help in convening, in formulating
23 this venue, where we convene the parties under
24 conditions that they can share this data that we
25 wish to mine.

1 DR. DOULL: And I think that really gets
2 to kind of the heart of it. This committee would
3 like to have ways to figure out how to get--if, for
4 example, you need a nonclinical evaluation of
5 troponins in animals, for example, you need the
6 study done, then this subcommittee would like to
7 have some options to recommend. This is one way
8 you might get this done or, you know, this is a
9 source of funding that you might seek to get these
10 studies done or something. And right at the
11 moment, we have not really crystallized exactly
12 where we--have we, Jim? Dr. MacGregor is going to
13 tell us.

14 DR. MacGREGOR: Well, no, I just thought I
15 might comment on my perception of what are the
16 expectations of the working group and the
17 subcommittee, and, Kathleen, correct me if I'm
18 accurate on the rules. But my understanding is
19 that the subcommittee we have formed as a fully
20 public venue for addressing the mandates of looking
21 for scientific opportunities to improve nonclinical
22 practice and to then, through the parent Advisory
23 Committee, make recommendations on implementations
24 of studies to fill gaps or to perhaps pursue
25 regulatory implementation.

1 The expert groups were asked, my belief
2 is, to assess the state of knowledge, identify
3 where we are, lay out paths forward to fill these
4 gaps, and then present them through the
5 subcommittee for recommendation.

6 So the expert groups, to my understanding,
7 wouldn't be making--wouldn't be the ones to make
8 the recommendation for either implementation of
9 collaborations or regulatory follow-ups, but would
10 provide the base of knowledge upon which to make
11 those recommendations.

12 So the hope is that through the work that
13 these committees have done, the subcommittee then
14 can identify areas where you feel there should be
15 collaborative follow-up or where you feel there
16 should be a recommendation to change current
17 practice in some way based on this knowledge of the
18 biomarker. And part of the reason for this is
19 because the subcommittee and the Advisory Committee
20 are always fully public with advanced notice
21 through the Federal Register, et cetera; whereas,
22 the Expert Working Groups, although we have kept
23 them public and we have issued notice for all the
24 expert groups and kept them open, there is not the
25 same degree of public involvement; that is, there

1 is not always a formal Federal Register notice
2 before meetings and so on. And so for that reason,
3 the knowledge should come forth and recommendations
4 should then issue from this level.

5 DR. DOULL: Actually, we have two kinds
6 of--we can make some recommendations to your
7 committee, your working group, Ken, but we also
8 would be thinking about recommendations that we
9 would make to the Advisory for the Pharmaceutical
10 Group Committee.

11 Frank?

12 DR. SISTARE: I think we're going to get a
13 little more clarification later when Jim, Dan, and
14 Helen speak. But in my mind, this group, as Jim
15 has just pointed out--there are always going to be
16 data gaps. You know, every good research leads to
17 more questions. There are always going to be data
18 gaps, and this group is going to define and maybe
19 prioritize the data gaps. I'm not sure. But
20 they're going to define the data gaps that exist.

21 Then there's sort of a bifurcation.
22 Someone needs to make a decision. Are the data
23 gaps so broad that more research is needed? If
24 that's so, then I believe the vision for the NCSS,
25 without stealing the thunder of what's going to

1 come later, would be that more research needs to be
2 done, and there's a committee that's going to
3 oversee that research.

4 If, on the other hand, the decision is
5 made that those data gaps are not real big right
6 now, someone decides this is ready, as you say, for
7 prime time, for implementation into regulatory and
8 drug development practice, then that would go to a
9 different committee, and then that committee would
10 need to, you know, in a very public way, make that
11 decision, yes, we're ready here. It may be
12 case-by-case. It may be investigational talks,
13 maybe something like this, or it may be it's going
14 to be measured every time. Every time you take a
15 clin chem measure we're going to include troponins.

16 So that's going to come with time, but I
17 think we have to sort of wait to see, you know, how
18 this document comes out in terms of how big are
19 those data gaps. I will say that this is a very
20 unusual situation in the sense that all of these
21 assays have been, quote, FDA approved for clinical
22 utility for myocardial infarction. So this is a
23 very immature biomarker, if you will.

24 We can haggle over, you know, which kit,
25 what's the baseline that this one measures, and,

1 you know, what big of a change is significant or
2 not. But there are also two very austere bodies
3 which have decided that cardiac troponins are going
4 to be truly, you know, thought of as a gold
5 standard in this sense for myocardial infarction
6 now. So any time there's any kind of ischemic
7 injury, they're going to rely on cardiac troponins
8 in clinical practice.

9 So this is a little unusual, and it's a
10 very interesting scenario that we've set up. We
11 may be at the point where we're ready to say let's
12 get some more nonclinical experience with these
13 things right away, let's start implementing the
14 regulatory practice. We feel the assay is well
15 validated, at least analytically validated.
16 They're FDA approved. To say that they're not
17 would be difficult, I think. But to say whether or
18 not they're appropriate for this species or that
19 species or this species is something that we need
20 to probably enumerate.

21 So this is an interesting situation that
22 we're in here, and this is probably the first time,
23 you know, for any biomarker to come across this
24 mature and to say are we ready to start changing
25 practice here.

1 In terms of the data that's available, I
2 would agree, there's just not a lot of data that we
3 have within our own data files. There is some.
4 There is some. I know that there is some troponin
5 data that has been submitted. But we've heard
6 Malcolm York talk to us about a lot of the data,
7 those generated on compounds that haven't come to
8 the agency. So, you're right, I mean, it's not
9 data that's not coming to us in any sort of
10 intentional way. It's just it's not an IND yet.
11 So I'm not sure how we can get that information.

12 One big gap, though, I think, is if you
13 can talk a little bit about some of the gap areas.
14 You know, one that comes to my mind is the issue of
15 specificity. And I'm talking about biological
16 specificity. Are there drugs which are
17 cardiac-active but yet not cardiotoxic that may
18 cause a release in troponin? I don't know how many
19 examples of those that we've evaluated and how
20 clearly we can define that boundary, because that
21 is going to clearly be, I think, a major concern to
22 sponsors to be able to define, you know, that
23 boundary line.

24 So I think we need--that may be a gap that
25 may be important to define before it's incorporated

1 directly into regulatory practice. I don't know.
2 There were certainly a lot of success stories, but
3 I don't know how many people have invested in
4 things. I know we've done a little bit, like, you
5 know, Cisplatin, you know, and get kidney toxicity,
6 but let's make sure there's not some reversion to
7 some fetal isoform of a smooth muscle troponin that
8 shows up and interferes with the assay and we
9 haven't seen that. But those kinds of things,
10 there are hints of those kinds of things in the
11 literature and the clinic, so there may be some
12 things like that that need to be done. I don't
13 know.

14 But I don't know if you can address some
15 of those things. You talk about some of the
16 kinetics, and those would be good for our reviewers
17 to point to a paper and say, you know, we would
18 like to see you do an analysis of, you know,
19 whether you pick up a biomarker to see the
20 histopathology of what you're seeing in your study.
21 Would you please look at troponin? Well, when do
22 you want me to look for it? You know, it would be
23 helpful to have a good document we could point to
24 to sponsors and say, you know, we feel that this is
25 a good time to start looking at these things.

1 DR. WALLACE: Thanks, Frank. You raised a
2 couple questions that I'll try to address.

3 What is the specificity? And you talked
4 about some isoforms reverting back to the fetal
5 form and being picked up, they're not cardiac
6 reactive.

7 Based on the animal data, the nonclinical
8 data, there is evidence in the literature that
9 you'll get--the cardiac isoforms will be released
10 into the serum in response to some non-cardiac
11 toxicity. The questions that we're not certain of
12 as a working group is: Is that because of an
13 artifact of the antibody that was used to detect
14 the cardiac isoform? That would be one
15 possibility. There was enough hesitation that I'm
16 not sure that if you used the newest generation of
17 antibodies you would pick that up of cardiac
18 troponins increasing in response to a non-cardiac
19 toxicity.

20 The other thing we talked about is we
21 talked about cardiac drugs that are cardiotoxic but
22 release troponins. We didn't talk about that so
23 much. We talked about situations where you'll have
24 like nephrotoxicity and you have a cardiotoxicity
25 that is secondary to the kidney damage, a volume

1 effect, blood volume effect, and you get the
2 release of troponins. Well, if you see the
3 troponins increase there, is it a--it's not a
4 primary cardiotoxicity, it's a secondary, and we
5 have to kind of understand that a little bit
6 better.

7 But when you look at the nonclinical data,
8 what you're drawing from as far as drugs, most of
9 your nonclinical data--of course, it's only a small
10 fraction of what the clinical data is. But other
11 nonclinical data is available. Most of it is
12 perfusion-related data, ischemia, reperfusion types
13 of stuff.

14 What's available in drugs are the
15 anthracyclins, doxorubicin, daunorubicin,
16 isoproterenol and isoprenaline. And I don't know
17 if there's any other published literature of any
18 other drugs out there. So as far as a primary data
19 need it would be--whether data mining or data
20 generation, is to look at other drugs, especially
21 those to see if we get any false positives,
22 because, of course, the false positives and false
23 negatives don't appear in the literature. So I
24 think there's value to look at the data that we do
25 have, at least, to get a better handle there.

1 DR. DOULL: I think it's clear that the
2 process that has been established is the correct
3 process, and the process is that the working group
4 does a weight-of-evidence evaluation and makes a
5 recommendation that comes to this committee then;
6 and if this committee feels that's a good
7 recommendation, we support that recommendation in
8 the proper thing and then carry that on up the
9 ladder. That seems to me to be scientifically the
10 appropriate way to go to get the job done.

11 I guess then in terms of the specific
12 things you've asked us about the outline, I think
13 by and large we are enthused about the outline.

14 Gloria, did you have any--you like the
15 outline. So, you know, that's going to produce, we
16 think, a very scholarly paper and one that we can
17 clearly endorse.

18 The second thing you're really asking is
19 support for the recommendations that you're going
20 to make to fill the data gaps, and I guess in part
21 that depends on, you know, whether you recommend
22 data mining, which you're recommending, as filling
23 part of it, but whether you then go ahead and
24 recommend additional animal studies or additional
25 other kind of studies. I guess we'd have to look

1 at those to see precisely whether we're on the same
2 team as you guys in terms of those recommendations.

3 I think the third thing, the alternatives,
4 I guess that's a down-the-road thing. It's going
5 to be a long-term committee. Ken, don't plan on
6 retiring because once you get through with the
7 troponins, why, obviously, then you want to come
8 back and take a second look at some other things.

9 It would be kind of nice in this paper to
10 say--you know, you've already said there's nothing
11 out there that's as good as, but there may be some
12 hints out there that there are some coming down the
13 road, there are some significant things that
14 deserve study.

15 DR. WALLACE: Basically, those are the
16 questions I'm asking of the NCSS that you addressed
17 right there. One is--well, as I recall, the Expert
18 Working Group was convened to look at biomarkers of
19 drug-induced cardiac toxicity, so more than just
20 the troponins.

21 DR. DOULL: Right.

22 DR. WALLACE: The indication to look
23 at--get a suite of biomarkers that would mark most
24 types of drug-induced cardiac toxicity and not just
25 one type. And in the process, of course, we'll be

1 very anxious to look at the developing technologies
2 and see if there's something on the horizon that we
3 can perhaps kind of spearhead and get a springboard
4 to its development. So that's Item No. 3.

5 Item No. 1 and 2 kind of go hand in hand.
6 Of course, the Expert Working Group, this whole
7 analogy--this is a whole new situation within the
8 agency, as I understand it. The working group
9 says, well, we're going to deliver this document to
10 the NCSS. The next question is: Are we done, or
11 do you want us to address issues of addressing the
12 data gaps? Do we just identify them, or would you
13 like us to continue to have input into the NCSS and
14 try to, you know, help convene sessions for data
15 mining or develop--help identify what types of data
16 need to be generated?

17 So we're really bringing it back to the
18 NCSS. What would you like the working group to do
19 as far as the second and third points?

20 DR. DOULL: I think, you know, our goal is
21 to get the whole job done, which means that we
22 improve the clinical--or using biomarkers in the
23 clinical introduction of new drugs, both
24 nonclinical and clinical, that whole business. So
25 it's the whole package, in a sense, that we're

1 looking at, and I think our hope is that through
2 this working group process that we, in fact,
3 develop a mechanism which facilitates that
4 long-range goal.

5 DR. WALLACE: Well, I think the Expert
6 Working Group would be very agreeable, very anxious
7 to continue on both filling in the data gaps with
8 troponins and looking at the next generation of
9 biomarkers. But we're not certain that that's what
10 we're being asked to do. We're not certain that
11 we're being charged to do that.

12 DR. DOULL: And we may have to leave that,
13 I guess, until we hear from the session following
14 this in which we're going to talk about the
15 mechanism of how this subcommittee really fits into
16 the whole process, because that would help us
17 answer that question of how best can we help you
18 guys.

19 I had a couple of very minor little
20 points. You talked about the classification of
21 biomarkers, and I think we had talked one time
22 before about biomarkers of effect and biomarkers
23 of--monitoring biomarkers and so on. There was
24 kind of a classic--

25 DR. WALLACE: Biomarkers of exposure

1 versus biomarkers of effect.

2 DR. DOULL: Okay. And you now have a
3 different group of--a different classification
4 system there somewhat.

5 DR. WALLACE: Well, these are all
6 biomarkers of effect.

7 DR. DOULL: Okay. So--okay. So within
8 that previous classification we talked about,
9 there's still this classification.

10 The other thing that you mentioned, Ken,
11 that struck me, you pointed out that new drugs, 80
12 percent of them are lost because of toxicity and so
13 on. But that 80 percent, what percent of that is
14 cardiac? Isn't it mainly liver that's--when we
15 lose all the new drugs? It isn't cardiac effects
16 that is the major cause, is it?

17 DR. WALLACE: Not to my understanding. Of
18 course, I'm not in the field, but talking with
19 friends who are, I understand that the incidence of
20 adverse cardiac effects in the clinical phase is
21 fairly small.

22 DR. DOULL: Which would be useful to kind
23 of mention in--

24 DR. WALLACE: But I don't know what the
25 incidence of failure in the nonclinical paradigm

1 is. And this is a nonclinical.

2 DR. DOULL: Well, that's true, and that's
3 really part of what you need the biomarker for.

4 Yes, Jack?

5 DR. REYNOLDS: I think based on our
6 portfolio, if you're talking about structural
7 cardiotoxicity, that is to say, troponin release,
8 histologic changes, it's not that common. But if
9 you're talking about--and I'm reluctant to use the
10 word, but other manifestations of cardiotoxicity
11 like rhythm and rate changes, that's extremely high
12 in terms of attrition. I don't know the exact
13 number in our portfolio, but it's high,
14 like--probably the foremost cause of compound in
15 our portfolio dying are from QT prolongation and
16 other dysrhythmias that we cannot predict. So
17 that's very high.

18 DR. DOULL: See, I think that would help
19 to put that in. It helps focus as to what the
20 problem is and why we really need a good cardiac
21 biomarker.

22 DR. WALLACE: Yes, and that's what we're
23 trying to include in the justification and
24 rationale section of this.

25 DR. DOULL: Do other members of

1 the--Gloria, do you have any other comments? I
2 think what we're saying is that, you know, we like
3 the outline, we want to help with the data gap
4 solution once we figure out exactly how best we can
5 help giving advice. But we are going to expect the
6 weight-of-evidence decision to come from the
7 working group, and then we would then respond to
8 that.

9 DR. WALLACE: How about the third bullet
10 as far as the additional biomarkers? Should the
11 Expert Working Group continue on--

12 DR. DOULL: Yes, my feeling is you'll just
13 dilute out your effort. You know, it's hard--you
14 guys got a great paper in the making here, and if
15 you wait around to get all the other options, you
16 know, you could have a chapter on genomics and
17 proteomics and PET scanning and the whole-

18 DR. WALLACE: Well, it would be a second
19 document.

20 DR. DOULL: Yes, that's my feeling.

21 DR. WALLACE: If we launched any work into
22 the next generation of biomarkers, it wouldn't be
23 at the expense of this. It would be that we'd
24 start growing it. We would launch that effort and
25 start growing it as we're finishing up the troponin

1 work.

2 DR. DOULL: Okay, how about other
3 committee members? Jack?

4 DR. SELKIRK: Could I make one point? I'm
5 sorry. With that regard, as you launch to the next
6 phase of this, and you mentioned for data gap
7 filling emerging technologies, and you mentioned
8 proteomics and genomics, and I think they will go a
9 long way in terms of redefining or refining what
10 you have in the pathways to other biomarkers, and
11 there may be precursors to troponin in terms of its
12 biosynthetic pathway that may even be earlier.
13 That is why I asked my question earlier, even at an
14 earlier time point, which may be diagnostic. So,
15 these, I think, will be extremely helpful in the
16 future.

17 DR. WALLACE: Maybe prognostic.

18 DR. DOULL: So maybe they should spend a
19 little time looking--researching that area.

20 DR. SELKIRK: Yes, I would think so. I
21 would think that probably there is not much out
22 there in the public literature in terms of data
23 mining, yet using array technology. But I think
24 it's ripe to be used in this way, and I think it
25 would produce tremendous amounts of information in

1 terms of gene pathways and viable proteins in the
2 pathway, too.

3 DR. DOULL: It would be nice to cover that
4 so that you don't get sideswiped by somebody coming
5 along and say if you wait three months, we'll have
6 a genomic array that would give you the answer to
7 that.

8 DR. WALLACE: Well, again, I share your
9 enthusiasm there just on a personal level. We
10 started with the troponins, as you said, John, that
11 it was mature. Or it was Jim who said that it was
12 already mature, and it was the obvious first marker
13 to look at.

14 But now as we are bringing that to
15 conclusion, at least near conclusion, the committee
16 is saying, well, what is next or is that going to
17 kind of sunset the committee with the troponins, or
18 should we continue to look on at alternate
19 biomarkers or alternate types of damage, or should
20 be worry about devising schemes for filling up
21 these data needs in that, so we are just bringing
22 those questions back to the NCSS.

23 DR. DOULL: It is my feeling that the
24 consensus of the committee is that we certainly
25 expect this working group to go ahead and follow

1 down this path. You know, you have made a great
2 start, and now we should see that through.

3 Yes, Frank?

4 DR. SISTARE: To help address that
5 question, because it is going to be complicated to
6 answer that, to begin this process, we went through
7 a number of steps to get to the point where we
8 identified these two areas. We will hear about the
9 other one tomorrow. But that is not to say that
10 there aren't five or six or seven or eight or ten
11 other ones that are important to address. So now
12 it is going to come down to priorities. How do we
13 establish our priorities? How do we choose where
14 to put our efforts next? And then I think it is
15 going to come out of the--you know, we are going to
16 hear how this committee is going to move into a
17 more research-oriented arena, and a parallel world
18 will be set up in a more regulatory arena. That
19 regulatory world is going to say these are our
20 needs, and that research world will say we can get
21 those, we can solve those for you, you know.

22 So, I mean, just without going through the
23 formal process of committee, you know, like we have
24 a research subcommittee that helps prioritize these
25 kinds of things. But we are seeing compounds that

1 are causing, you know, as Jack pointed out, you
2 know, the rhythm-type toxicities. That clearly is
3 a major issue. You know, ILSI is doing some--you
4 know, expending some efforts in there. If the
5 perception is that that effort is going to solve
6 the problem, we may not, you know, set up a
7 committee here.

8 So there are a lot of other factors that
9 go into the decision of what would be the next
10 thing to do. But I would also say--and then our
11 committee acknowledged that right up front when we
12 were deciding options. We mentioned that the QT
13 issue is a very important one to the agency that
14 needs some attention. But we felt that some of
15 those things are being addressed. I still think
16 there are other needs in there that need to be
17 addressed, and we are working through some
18 mechanisms to get that done as well.

19 But there is another issue, and you
20 brought up the mechanical. You know, the
21 drug-induced, sort-of hypertrophy response, whether
22 it is direct or indirect edema. We don't know
23 sometimes. But the agency is seeing this happening
24 in a non-insignificant frequency. And our
25 clinicians do wrestle with: How can we monitor for

1 this in the clinic? Is there a biomarker that we
2 could be using to help with that?

3 So, you know, if we were going to end this
4 episode here as coming attractions, that might be
5 something that, you know, we need to think about.
6 So, again, we haven't gone through the formal
7 process yet, but I would just leave and say that
8 that is an important issue to our center, and we do
9 need to solve it one way or another. Whether this
10 is the mechanism that is chosen or not, other
11 people will have to certainly enter into that
12 decision. But I would vote in favor of that.

13 DR. WALLACE: Well, that's basically what
14 my questions are: What is the life span of this
15 committee? Is it going to limit itself to the
16 troponins and sunset there, and start a new
17 committee to look at the volume-related effects or
18 the rhythm effects, and that be a whole new Expert
19 Working Group? Or is it same committee and perhaps
20 add additional new members and continue along that
21 line?

22 The urgency here, and before I surrender
23 the microphone, I am going to ask the Chair here
24 that we have--we're tentatively scheduling the next
25 meeting of the working group for November 8th or

1 10th--something in there, I forget--to coincide
2 with the ILSI biomarker one. And whatever this
3 NCSS decides as far as data gaps and next
4 generation of biomarkers is going to drive the
5 agenda for the November meeting on the Expert
6 Working Group.

7 So before we leave tomorrow, I really do
8 need some clarification.

9 DR. DOULL: And let's do it that way.
10 After we hear the discussion, the rest of the
11 discussion this afternoon, and after we hear the
12 vascular presentation, then I think we'll be in a
13 better position to come back and talk about this
14 issue.

15 One thing. You know, the Vascular
16 Biomarkers Group has some information, I think.
17 There needs to be some talking to one another
18 because you guys have talked about biomechanical
19 things and so on, and in reading that, I had tended
20 to feel, well, there's something in there maybe for
21 both.

22 When this committee was established, you
23 know, we were looking at all kinds of biomarkers,
24 the PET scanning, and we looked at genomics and we
25 looked at all the liver effects, for example, and

1 focused on troponin because it seemed like it was
2 out ahead, and vascular, because that was a clear
3 need that had to be addressed and nobody else
4 seemed to be addressing it; whereas, genomics,
5 there's a lot of activity going on in there, and
6 we're hoping that that's going to feed somehow into
7 our working groups, and also ILSI's doing that,
8 you're doing that, Dan, your group, and NIEHS. So
9 somehow, you know, we need to benefit from this
10 collaborative--but that's really what we're talking
11 about, is the goal of this committee is to find
12 good biomarkers. The second goal is to find good
13 biomarkers that are not only preclinical but are
14 clinical, bridging biomarkers. The third goal is
15 to get everybody involved, to get the public
16 involved, to get the pharmaceutical industry,
17 academia. And in doing those three things, I think
18 hopefully we'll work this all out.

19 Do we have other--Gloria, do you have any-

20 DR. ANDERSON: I'm find.

21 DR. DOULL: Anybody else have comments?

22 MR. PAPOIAN: I just wanted to give--

23 DR. DOULL: Give your name, would you?

24 MR. PAPOIAN: Tom Papoian, Center for

25 Drugs. I just wanted to give another way for the

1 subcommittee to think about how we can help the
2 everyday reviewer who has to deal with making
3 recommendations for additional animal studies when
4 their case arises. A hypothetical scenario would
5 be, say, a multi-dose study done in animals where,
6 upon sacrifice, when you do a histological
7 examination of the heart, you find damage, you find
8 necrosis. And this is, say, at the height of some
9 large multiple, what could be a therapeutic dose.

10 Most divisions, reviewing divisions, would
11 have some problem with that because they don't know
12 how that would relate to therapeutic dose, whether
13 that would occur in some individuals and not
14 others, how would they monitor for that.

15 What I would like to do is to recommend an
16 additional follow-up study where you do another
17 study and measure troponins.

18 Now, I feel sort of my hands are tied at
19 this point to make that recommendation because of
20 the gaps that we have in that knowledge, whether
21 such a study is appropriate based on the current
22 knowledge of whether troponins actually reflect
23 drug-induced injury in animals.

24 If that information were available and
25 there is consensus available that such

1 recommendations are a good thing to do, a study
2 could be done and showing that, yes, troponin
3 levels only increase upon a large multiple of a
4 potential therapeutic dose. And, further, one can
5 monitor for such toxicity in patients, and the
6 clinical trials can proceed because you can also
7 monitor troponins in ongoing clinical trials.

8 So from a recommendation of nonclinical
9 studies, having some additional for how to best
10 recommend additional animal studies in which
11 troponins can be measured would be very useful.

12 DR. DOULL: I think the subcommittee has
13 no problem supporting the science of that
14 recommendation. It's the mechanics, I think, that
15 we haven't exactly decided how best to support
16 that.

17 Jack?

18 DR. REYNOLDS: Yes, I think Tom pointed
19 out what I was going to say, too. I think an
20 important part of this committee, as opposed to
21 activities like the ILSI activities, is that
22 through this committee and the expert working
23 groups and the deliberations of this committee, it
24 seems to me like we should be able to provide some
25 endorsement of a biomarker or a model or an

1 endpoint that has some regulatory standing, if you
2 will. I think for many of us, that's really kind
3 of one of the difficulties we have.

4 For example, we may be working on a
5 compound and we think a particular biomarker or
6 model is our recommended endpoint, if you will,
7 around a particular toxicity. Well, others may
8 disagree with that or others may have data,
9 especially FDA may have data that would contradict
10 that. So we could end up, let's say, internally
11 supporting some biomarker when, in fact, the
12 reviewing agency or the individual reviewers know
13 that that doesn't have the weight of regulatory
14 practice, if you will. So I think that's an
15 important part of this committee, is to help define
16 the science, define the gaps, but to be able to
17 bring that back to regulatory practice, both so
18 that the regulated industry knows what they need to
19 do to demonstrate a lack of or the presence of
20 certain toxicity, but people in regulatory agencies
21 can do that and do that in a public forum in which
22 all stakeholders can come to the table and
23 deliberate the merits of the endpoint we're talking
24 about.

25 DR. DOULL: This committee should be able

1 to do that.

2 Any other comments that we want to give to
3 the cardiac--Jim?

4 DR. MacGREGOR: It seems, listening to the
5 discussion of the last few minutes, that there are
6 an obvious couple steps that need to take place,
7 and I would suggest that the first step would
8 be--which I think has already been taken--if the
9 subcommittee is in agreement that the outline is
10 appropriate and this should proceed to a formalized
11 report with references, I would think that would be
12 the first step. And I might also add, with regard
13 to that, I believe we did have a discussion about
14 the scientific publication a couple meetings ago,
15 and that there was encouragement that basic
16 findings that this expert group had produced as
17 this report could be published as a scientific
18 review article and that there not only wouldn't be
19 a problem, but there was encouragement, I believe,
20 for that.

21 The second step would be the consideration
22 by this subcommittee of the report and the gaps,
23 and to take a position on the kind of questions
24 that have just been raised in the discussion. In
25 other words, after reviewing that report, does it

1 suggest that this is the preferred biomarker? And
2 if it is, then perhaps there should be a
3 recommendation that the agency needs to consider
4 when that use is appropriate and that the agency
5 should put out some guidance, perhaps, on that. If
6 there are major gaps, then hopefully this
7 subcommittee could recommend how to proceed to fill
8 those gaps. And perhaps, I think, from what Ken
9 was saying, that consideration could happen at the
10 next meeting, perhaps, or two meetings. If this
11 report can be ready in a few months, then at that
12 time that question could be addressed.

13 DR. WALLACE: If the NCSS wishes the
14 working group to address that question.

15 DR. MacGREGOR: Right.

16 DR. DOULL: But if your working group
17 spells out a data gap and says that the lack of
18 animal kinetics for troponins in animals, for
19 example, is really needed in order to support the
20 weight-of-evidence conclusion that this is the way
21 to go, there's no problem with the subcommittee
22 supporting the science of that, the fact that
23 that's good science and it's needed, in fact.

24 But if the subcommittee has an obligation
25 also to help you develop some sort of procedure

1 whereby you're going to get that information, then
2 I think that's something that we need to give some
3 thought to because we haven't figured out exactly
4 how best we can do that. And that may depend on
5 the discussion we have today.

6 DR. WALLACE: I would urge you to begin
7 giving some thought to that now, at least in
8 private, because--

9 DR. DOULL: I will.

10 DR. WALLACE: It's a definite gap.

11 DR. DOULL: Other comments?

12 [No response.]

13 DR. DOULL: Well, I thank you. We're a
14 few minutes early for our break, but why don't we
15 go ahead and take a break.

16 We'll go ahead and stick with the
17 schedule. The schedule calls for coming back at
18 3:15 to deal with the administrative issues, and
19 we'll stick with that.

20 [Recess.]

21 x DR. DOULL: Well, as we mentioned in the
22 previous discussion, we're now scheduled to
23 consider the administrative oversight of the NCSS
24 Subcommittee of the Advisory Committee on
25 Pharmaceutical Sciences, and Dr. MacGregor is going

1 to start us off with a brief resum.

2 DR. MacGREGOR: I'll be brief because
3 everyone should have received the briefing document
4 in their packets, and this idea has been, I
5 believe, introduced for brief discussion
6 previously.

7 FDA, in considering where this
8 subcommittee has gone and the direction it's taken,
9 has had a number of internal meetings and reached
10 the conclusion that it would make sense for the
11 oversight of the subcommittee to move to the NCTR,
12 National Center for Toxicological Research, Science
13 Advisory Board.

14 The rationale is set forth in the document
15 that you received, but basically it is that NCTR
16 has the mandate and the structure to lead safety
17 research, and that's the direction that this
18 subcommittee has taken.

19 The general structure of the ACPS is
20 undergoing some revision, and Helen Winkle will be
21 speaking to that in just a moment. But basically
22 the ACPS is being restructured along four
23 disciplinary lines in a way that will focus
24 principally on regulatory implementation. And it's
25 felt that appropriate linkage between these two

1 groups should really be the optimal to optimize the
2 research and the regulatory implementation through
3 these two groups. And a lesser but other
4 consideration is that NCTR is also in a position to
5 coordinate adoption of new methodologies that may
6 arise out of the activities of the subcommittee
7 through ICCVAM and OECD processes, which NCTR has
8 the oversight function for in FDA.

9 So, as you all know, the NCSS, the
10 objectives are to recommend scientific approaches
11 to improve nonclinical drug development and, in
12 particular, to focus on the predictivity of
13 nonclinical tests for human outcomes and the
14 linkage between nonclinical and clinical studies
15 and to facilitate these approaches through
16 identification of collaborations that could advance
17 the scientific basis of drug development and
18 regulation.

19 So NCSS really is envisioned and has been
20 operating as a means to capitalize on scientific
21 opportunities with a focus on research needs and
22 collaborative research implementation through
23 processes that you're well aware of as members of
24 this committee. And just to illustrate the vision
25 for the new recommended structure, the key linkages

1 are shown in this document, the idea being that the
2 focus on safety research would move to the NCTR,
3 which is the center with the main focus on safety
4 research, and that the subcommittee would operate
5 essentially in the way that it has been operating,
6 with input from the public, government, academia,
7 and industry sectors, as well as input from the
8 centers on priorities through the parent Science
9 Advisory Board, as well as a close interaction with
10 the ACPS. And the idea here, again, is that the
11 ACPS will contain these four disciplinary
12 sub-groups with a pharm/tox group that's focused on
13 looking at the science of regulation and how to
14 implement the application to regulatory issues;
15 whereas, the NCSS would focus on collaborative
16 research to identify the areas where science could
17 be used to basically bring it to a point where it's
18 ready for those regulatory implementations.

19 Now, this was discussed recently at the
20 NCTR Science Advisory Board, and I'm going to ask
21 Dan Casciano to comment on that discussion, and
22 then Helen Winkle to discuss the proposed new ACPS
23 structure and the proposed linkages.

24 DR. CASCIANO: Thanks, Jim.

25 As Jim mentioned, in early August there

1 was a presentation by Jim and Ken and John Doull
2 and Bill Kerns regarding the structure of the NCSS
3 Expert Working Group and the NCSS, as well as the
4 potential of the Science Advisory Board of the NCTR
5 taking oversight of the NCSS. And the discussion
6 was very much like the discussion was just before
7 we broke where there was some discussion regarding
8 implementation and the process of what their role
9 would be.

10 So there are two concerns. They were
11 somewhat concerned about their role, what their
12 role would be, and they asked for a more detailed
13 road map of what that role would be, and I think
14 that's a similar discussion that we've just had.

15 They were also concerned by the fact that
16 there was no cardiotox expertise on our Science
17 Advisory Board, and there's also none at the NCTR.
18 And they had some concern about accepting the
19 recommendations of the EWG without prior
20 evaluations by them.

21 They also--and maybe we can have this
22 discussion here. I think we tried to get at it
23 earlier. How do we implement the recommendations
24 of the EWG and the NCSS committee? So the final
25 result was that they would accept--they would

1 conditionally accept oversight of the committee,
2 and it would be conditional upon a clearer road map
3 on what their role would be.

4 I'd be open to questions if there are any
5 questions.

6 DR. DOULL: Well, why don't we go ahead
7 and have Helen comment. She's the Acting Director
8 of CDER.

9 MS. WINKLE: Just the Acting Director of
10 the Office of Pharmaceutical Science. I appreciate
11 the raise.

12 [Laughter.]

13 MS. WINKLE: First of all, I appreciate
14 the opportunity to come and talk to you all again
15 on this subject. I think that it's really
16 important to come up with some resolution as to how
17 we're going to move forward. I know it's very
18 unfair for you all to be in limbo, and I think, you
19 know, Dan and Jim and I all want to see this
20 rectified so we can move forward.

21 Unfortunately, there's probably nothing
22 worse than being caught in the middle of a
23 transition, and basically that's where you are. In
24 the Office of Pharmaceutical Science, we are
25 transitioning our Advisory Committee for

1 Pharmaceutical Science in a different direction
2 than it was originally when it was set up with this
3 subcommittee and several other subcommittees under
4 it.

5 So what we're trying to do, because of the
6 work that has been done by the two Expert Working
7 Groups--and I think that we'll all agree that that
8 work has a lot of potential for us in CDER as we
9 move ahead. I think it's really important that we
10 come to some conclusions on how we're going to move
11 ahead. And I know that Dan and Jim have been
12 working very hard with NCTR to resolve this
13 problem, and we in CDER have been trying to figure
14 out how to best set up linkages, et cetera, that we
15 can ensure that we can continue to communicate on
16 these areas, continue to work together.

17 I want to start off with the first slide
18 basically and just reiterate a little bit again
19 what the role of the Advisory Committee for
20 Pharmaceutical Science is.

21 Basically, this Advisory Committee was
22 originally set up to handle various issues in the
23 generic drugs area, but we found that it was a very
24 good vehicle for bringing folks together and
25 looking at scientific issues, and so we decided to

1 expand it to take on the whole area of
2 pharmaceutical science that resides in the office.

3 The problem is, as we took on more areas,
4 we began to have a little harder time identifying
5 what our role was, and it got a little murkier. So
6 basically, though, the role of that Advisory
7 Committee is to have science advisors to help,
8 experts in the area. I mean, we don't have experts
9 in every area, obviously, that we regulate, but to
10 have experts to address scientific and technical
11 issues and questions.

12 They represent a number of different
13 scientific disciplines that are involved in OPS'
14 regulatory decisionmaking processes. Jim had a few
15 on his slide that showed--but it's a variety of
16 disciplines: clinical pharmacology, pharmacology,
17 toxicology, microbiology, just to name a few. And
18 so there's--and chemistry. So there's a lot of
19 areas. We only have like 12 or 13 people on our
20 Advisory Committee, so obviously, you have--you
21 know, you bring a question on chemistry, you may
22 have two people at the table that are experts in
23 chemistry. So it's very diverse, and so it has
24 been difficult for us to get directly to the type
25 of recommendations that we need on specific issues.

1 So that's one of the reasons we're in
2 transition, and I'll talk a little bit more about
3 that.

4 Also, the committee is charged with
5 providing recommendations to help in the
6 development of our regulatory policies within OPS
7 and also in some of the rest of the centers and
8 helping us develop standards. So that's really the
9 main focus, is answering the questions so that we
10 can come up with good regulatory policy and
11 standards.

12 Next slide?

13 As I said at the very beginning when this
14 committee was formed, or at least expanded, the
15 Nonclinical Studies Subcommittee fit very well into
16 that current paradigm. It became a subcommittee
17 under ACPS with Jim's help. It was basically set
18 up to develop recommendations on drug development
19 and on approaches in the nonclinical area, which I
20 think we have definitely--the Expert Working Groups
21 have been doing. But, again, being in transition,
22 that has become a little murkier, I'm sure, to all
23 of you as to where that fits into the Advisory
24 Committee. And I know several of you have come to
25 the Advisory Committee. Sometimes the interest is

1 not as much as I think we'd like to see because
2 we'd like them to take--you know, have a role in
3 this committee. But that has not been the focus,
4 so it's very difficult.

5 Basically, though, NCSS, too, was charged
6 to identify areas where research is needed to solve
7 problems--and I did change the tense of the verb
8 because I think we're still in that process of
9 determining what research is needed--and to foster
10 scientific collaboration in those targeted areas
11 where we're doing research. That was basically the
12 role of NCSS when it was set up.

13 You can see there's now sort of, as the
14 transition has taken place, a little bit more
15 disconnect between what NCSS was charged to do and
16 where the Advisory Committee is going. So let's
17 talk about the proposed structure of the Advisory
18 Committee just a second.

19 Basically, as I mentioned before, we've
20 moved more toward having subcommittees under
21 specific scientific disciplines. We're looking at
22 having a CMC-Manufacturing Subcommittee.
23 Manufacturing has become very important right now
24 in the center because of the new GMP initiatives
25 that have recently been undertaken with Dr.

1 Woodcock as the lead in this area. But even prior
2 to that, we've had a lot of chemistry questions and
3 a lot of manufacturing questions that we needed to
4 bring before the committee, and obviously, as I
5 said, you may have two people there that are
6 experts on a main committee. So we feel like this
7 is a really important area.

8 We're looking at a Clinical Pharmacology
9 Subcommittee. That subcommittee will actually meet
10 for the first time in October. We're looking at a
11 Microbiology Subcommittee. It's a really important
12 area that we have more and more questions. We have
13 not focused a lot in the area of microbiology. In
14 fact, recently I have taken the Office of
15 Microbiology for New Drugs out of the Office of New
16 Drug Chemistry and moved it up to the level of the
17 Office of Pharmaceutical Science so we can put more
18 focus, come up with more strategic planning on how
19 to look at microbiology in the future. It's one of
20 the main areas for recalls in pharmaceuticals, and
21 so obviously there's some disconnects there. We
22 need to focus on it.

23 Another subcommittee that we want to set
24 up is Pharmacology and Toxicology. Again, this is
25 the committee we see running parallel to what is

1 being done by the NCSS, but this committee would be
2 basically charged with looking at specific science
3 issues, not the resolution on how to get there but
4 identifying some of the issues. Some of the issues
5 will come out of the regulatory area, but also
6 identifying some of the other areas we need to
7 focus on and giving us some recommendations for
8 what we may want to do, and that's a variety of
9 things.

10 So moving on to the next slide, basically
11 establishing the Pharm/Tox Committee. This is the
12 one committee I know that, again, will have the
13 linkages to NCSS. I know this is really important
14 as NCTR and the Expert Working Groups move forward,
15 how those linkages will be, because I think it's
16 very important that what we do in the working
17 groups, regardless if it's these two working groups
18 we have now under NCSS or future working groups, we
19 want to be certain that the information to come out
20 of those groups finds its way back into the
21 regulatory arena of CDER and can be applied, the
22 findings of the research applied to the regulatory
23 decisionmaking.

24 So we are in a process of setting up the
25 subcommittee. We are in the process of looking for

1 a Chair, and we will have approximately five or six
2 members to this subcommittee to start with, to
3 begin to address specific issues. The way the
4 subcommittee system works, we'll have two members
5 from the Advisory Committee itself, and we're
6 looking for members who have strong pharm/tox
7 background. I know one of the new members we have
8 is mainly in toxicology. One of the other members,
9 I think, it's one of the disciplines that he too
10 has some background in. So those are who we will
11 look at to populate as members from the ACPS on
12 this subcommittee.

13 Then what we plan to do is to address
14 specific scientific questions to the subcommittee
15 once they're established that will arise in the
16 review process. And basically what I see is that
17 this subcommittee should meet for the first time,
18 I'm hoping in February or March. I would have had
19 it meeting as early as October, but I have two
20 other subcommittees that are starting up, and so
21 it's just very difficult to get a third one going,
22 too, in October. But as I said, I hope to go in
23 February or March. I can identify the members by
24 then, get the committee together, and talk about
25 the types of things we want to address with that

1 committee.

2 Basically, you know, I see the information
3 that's coming out of these working groups going
4 back into this subcommittee. I think there are
5 other questions that will come along in the
6 regulatory review divisions that will also be
7 important to bring questions to the group. And
8 I've had lengthy discussions with both John Jenkins
9 and with Bob Osterberg, who's currently in the
10 Office of Toxicology under John, about this
11 subcommittee. They're both very favorable for
12 having it set up. They understand the need for the
13 linkages with NCTR, and they also understand the
14 need to bring more expertise into the toxicology
15 area through the subcommittee.

16 Next slide?

17 As I said, I see this subcommittee
18 discussing questions, making recommendations back
19 to the main committee, basically either coming
20 directly with an answer on a specific question or
21 on what additional information is required, whether
22 it be research, whatever, to answer the question;
23 and then to provide follow-up on questions. Of
24 course, all questions often lead to more questions,
25 so this committee would be then charged with doing

1 that.

2 Next slide?

3 The important thing, though, here, I
4 think, is the linkages to NCSS, and I think this is
5 what's on most people's mind as they think for
6 where we're going in the future. And, again, I
7 know these two Expert Working Groups have put a lot
8 of effort in coming up with recommendations, and I
9 think it's up to Dan and I and Jim to make sure
10 that we continue to move forward in this area.

11 But the connections are important, not
12 only with the two groups we have but with future
13 groups. We need to be sure that the connections
14 are there between review and research. This is a
15 problem that we have continuously in the center. I
16 don't think that's anything that needs to be
17 hidden, and it takes more work, therefore, to make
18 sure that the connections are there.

19 We'd want a member of the NCSS on our
20 Pharm/Tox Subcommittee. I think this is really
21 important for the exchange of information, the
22 exchange on data. We also want a member of our
23 PTCC Research Subcommittee on the NCSS. Again,
24 this back-and-forth involvement with both groups.
25 So it's going to mean some work for whoever is

1 on--for one person on each one of these committees,
2 a little extra work. But I think that linkage is
3 very important.

4 The NCSS would independently identify
5 areas of concern which it could bring before the
6 subcommittee. So what we would like to be able to
7 do is either have the member from the NCSS
8 Committee--now, this is, of course, if it moves to
9 NCTR--be able to come into our Research
10 Subcommittee and talk about areas of concern,
11 things that have been recognized in their working
12 groups and bring it before the subcommittee, or
13 vice versa, the subcommittee could then go and talk
14 with NCTR and NCSS and begin to, you know, work out
15 future direction for questions or areas of concern
16 in the regulatory area, and the Pharm/Tox
17 Subcommittee could identify perceived research
18 needs, too, again, in discussion of the problems,
19 either at the subcommittee level or even at the
20 Advisory Committee level, and bring those issues
21 and concerns to the NCSS. So we see that as a very
22 good way to remain--to continue with the linkages,
23 but we also feel that these linkages are extremely
24 important as we move ahead.

25 I don't think they can be done currently

1 as we're set up with the NCSS as part of the
2 Advisory Committee, again, because of the
3 transition. But I think this is an excellent
4 resolution to that.

5 So I'm open to questions.

6 DR. DOULL: Jack?

7 DR. REYNOLDS: Just one question, Helen.
8 On the Pharm/Tox Subcommittee that you're forming,
9 will you have industry representation on that
10 committee?

11 MS. WINKLE: Yes, we will plan on industry
12 representation. This is the one thing that's
13 really been good about the subcommittees, is we
14 have had industry membership there. It's
15 especially been helpful. In our current Process
16 Analytical Technologies Subcommittee, we have a
17 number of industry folks there who are providing us
18 with their expertise, their knowledge, et cetera.
19 So, yes, I would plan to do the same thing here as
20 well.

21 DR. DOULL: Let's take a hypothetical.
22 Let's assume that the Cardiotoxicity Working Group
23 puts together a recommendation about use of
24 troponin and it envisions the need for a study to
25 provide--to fill the data gaps and so on, and then

1 makes that recommendation and the NCSS approves
2 that and it gets that level of peer review.

3 Then I guess if that were an NCTR, then
4 that recommendation would come to your Science
5 Advisory Panel who would look at that
6 recommendation for its science quality?

7 DR. CASCIANO: Yes, they would look at it
8 for the science, also how it fits into our
9 other--the other priorities that are ongoing at the
10 NCTR and what kind of expertise would be required
11 to monitor that kind of activity and how important
12 that was to the agency. And the importance of that
13 subject to the agency would bubble up the priority
14 as far as the NCTR is concerned.

15 We respond to five product centers and not
16 just to the Center for Drugs, and each one of them
17 thinks we work for them. So it's difficult to come
18 to grips with setting priorities, but we generally
19 do without too much difficulty. And it depends
20 upon what the subject matter is. Someone would
21 have to develop and design the experiment, write
22 the protocol and determine the kinds of biomarkers
23 that would be evident. And if the expertise didn't
24 exist at the NCTR, then we somehow would have to
25 obtain resources to develop that expertise.

1 DR. DOULL: I guess I--

2 DR. CASCIANO: Excuse me, and that could
3 be done through establishment of interaction
4 between the Center Director from Drugs and the
5 NCTR. So it's possible.

6 DR. DOULL: I'm thinking mechanics
7 somewhat. You know, if, for example, the study was
8 needed and it was a study that was of great
9 interest to industry and Food and Drug had some
10 interest in it and so on, then theoretically one
11 could have a joint kind of effort in which there
12 would be a protocol developed and approved, say, by
13 the Science Advisory Board, whatever, and then
14 funding would be sought, you know, from industry or
15 ILSI or government or whatever to get this study
16 done.

17 I guess the procedure for that
18 then--there's on problem with the procedure for
19 that, if that were all developed and approved by
20 the Science Advisory Board?

21 DR. CASCIANO: No, there are no
22 difficulties with that. As far as if you're asking
23 conflict of interest potential, we have mechanisms
24 to develop cooperative research and development
25 agreements as well as other mechanisms for carrying

1 out work. In fact, we've done--we had cooperative
2 research and development agreements with
3 Astra-Zeneca, so this is a regulated industry.

4 DR. DOULL: I guess the only reason I
5 bring that up is, you know, that was one of the
6 things that was attractive, I think, to our
7 subcommittee, is that we felt the Advisory
8 Committee was focused mainly on regulation and the
9 NCSS really was focused more on research. And,
10 therefore, we needed a mechanism whereby our
11 research would be evaluated by a science advisory
12 group and that it would be facilitated, whatever
13 plans were needed to get that research done.

14 DR. CASCIANO: Right. We have several
15 mechanisms at the NCTR for research evaluation. As
16 you know, we have a large interaction with the
17 NIEHS through the National Toxicology Program, and
18 we have a toxicology study group that's associated
19 with just that part of our efforts. And that's
20 separate from our Science Advisory Board.

21 And the Science Advisory Board meets on an
22 annual--the process is that the board meets on an
23 annual basis, and we evaluate status and the
24 Science Advisory Board votes on recommendations by
25 subcommittees of that Science Advisory Board. We

1 use the NIH site visit concept, so each program is
2 site-visited every three or four years by a
3 subcommittee of the Science Advisory Board, and
4 that's how the peer review takes place.

5 MS. WINKLE: I think, John, you make a
6 good point, too. I think as you said, the Advisory
7 Committee seems to be more focused on the
8 regulatory, where the NCSS is more focused on the
9 research. But I think this is where really the
10 beauty of having the two committees is, and that's
11 the fact that once the research is completed, it
12 can come back in, the data that comes out of the
13 research can come back into the subcommittee, the
14 Toxicology Subcommittee, and the Advisory Committee
15 and basically help set some of the bases for the
16 regulatory decisions that are being made or setting
17 those standards and policies. So I think that
18 that's a really good mix.

19 DR. CASCIANO: And just to get a little
20 more detail, we'd be very interested in the
21 -omics(?) application to these efforts because we
22 are developing an integrated -omics approach from
23 micro-ray to pereomics (?) and metabonomics (?).
24 And we have extremely unique animal facilities so
25 that we can apply these to a specific question, and

1 the question can either be a primary question or a
2 primary--whether toxicity is primary or secondary,
3 where the kidney is and cardio is secondary, and
4 perhaps we can weed this out using the tools of the
5 new technologies. We have interest in it.

6 DR. DOULL: I think in Dr. Wallace's
7 presentation he didn't mention -omics much,
8 although they've talked about it because they
9 focused on troponins. But tomorrow you'll hear
10 from Dr. Kerns, and -omics is certainly high on
11 their list of potential candidates.

12 Dr. Green?

13 DR. GREEN: Just one question for Helen.
14 Maybe you could comment on the kind of topics that
15 the Advisory Committee meeting might take on with
16 its subcommittees. Would these be topic-specific
17 or product-specific, or it depends?

18 MS. WINKLE: They would be topic-specific.
19 Most of the issues that we bring before our
20 Advisory Committee are very general. They're not
21 on any kind of specific product.

22 What I see--and, actually, Frank may be
23 able to talk even more to this because there are a
24 lot of examples that have come up in the Pharm/Tox
25 Coordinating Committee within the center. These

1 are the types of issues that would be brought
2 forward.

3 So basically I see, too, some things that
4 are being done through the working groups brought
5 there, too, such as like on determining troponins,
6 what are useful indicators for drug-induced cardiac
7 injury. We could take a look at that data and
8 determine if that data was strong enough to
9 basically support the routine measures for
10 troponins and whether we'd want to add those
11 particular clinical chemical endpoints into tox
12 studies.

13 I think questions like this, again, based
14 on some of the data we find in some of the working
15 groups we have or future working groups, but I
16 think there are, too, a number of other questions
17 that come up in the tox--general questions that
18 come up in the area of toxicology that we could
19 utilize this committee to address and come up with
20 either some possible answers or possible directions
21 we should be going in to get those answers.

22 DR. DOULL: Jack?

23 DR. REYNOLDS: I guess a couple things.
24 One, I thought what is being stated here is
25 actually two separate functions: one focused on

1 the research, the other focused on regulatory
2 implementation.

3 I guess for some reason I thought that was
4 what the original NCSS was migrating to or part of
5 the remit was to come to some of those recommendations. So
6 if that's not the case, then I
7 think that there are merits in what I'm hearing,
8 but just to kind of talk about it practically, what
9 I'm hearing is the Nonclinical Studies Subcommittee
10 will now in essence be an extension of your
11 Scientific Advisory Board. And I guess it will be
12 more of a working type of group than what the
13 Science Advisory Board was, and that the Advisory
14 Committee on Pharmaceutical Sciences will then be
15 what I--some of my comments I made earlier around
16 troponin, that this current committee as it's
17 structured I thought should be making
18 recommendations on the merits of a particular
19 biomarker. But apparently that's not in the remit,
20 or at least the current thoughts about the NCSS,
21 but that's what the new Pharm/Tox Subcommittee
22 would be doing is that.

23 So I think there's a clear need for both
24 of those. I would come back to that and I guess
25 just ask the question of why can't the current NCSS

1 as it's structured do both of those. Is there some
2 gap in administrative policy or maybe not the right
3 membership or what?

4 MS. WINKLE: Your questions are very good.
5 I think in some ways that we have focused so much
6 within the subcommittee on these two working groups
7 that the thoughts were that it would probably be
8 better to continue with these working groups and
9 start a committee that had sort of a different
10 agenda, different focus, and could be broader in
11 the scope of what they looked at. Certainly there
12 is some--we could give some thought to taking the
13 current committee and making--do some restructuring
14 around that.

15 But, again, part of the situation is the
16 difference between the research and the regulatory
17 area, and we were looking for some way to be able
18 to capitalize or take advantage of all the efforts
19 that have been put forth by these two working
20 groups in the research area, to continue to
21 capitalize on that and move it forward. So
22 certainly that is a possibility.

23 DR. DOULL: Yes, I think, you know,
24 regulatory affairs are the concern of NCSS only
25 after they have gone through the research and

1 developed whatever it is that they're going to make
2 a recommendation for. And you were on the
3 committee before, so you are--but all of the
4 initial ideas we had really were research-oriented.
5 We wanted to look at PET scanning and see how well
6 we could use that for something, and we'd talk
7 about genomics and where we were at, you know, with
8 all the -omics. And it was a research issue. It
9 really boiled down to a research issue because we
10 weren't far enough along to make a regulatory
11 evaluation of that.

12 So in that sense, the committee, since
13 I've been with it, has really been research-focused
14 because we're trying to figure out, you know, how
15 good these techniques are, how well they make the
16 right predictions and to develop appropriate
17 biomarkers. And troponins are the closest example
18 we have of one which turns out to have some merit,
19 which maybe could then approach a regulatory one.

20 I think the suggestion that Helen is
21 making is that, you know, we don't lose the
22 regulatory avenue. We still have the ability, once
23 the research tells us where we ought to be going,
24 to come to the Advisory Pharmaceutical Committee
25 and say, hey, this technique is really worthwhile

1 and you ought to think about doing a guideline or
2 getting it into the procedure some way.

3 Jack, you were going to--

4 DR. DEAN: I had about the same level of
5 confusion Jack had because it strikes me that this
6 committee would now have two subcommittees to
7 report to--or two committees to report to: the SAB
8 for NCTR and this new committee that Helen
9 described. So I'm confused what the remit is now
10 of this committee. It seemed like early on one of
11 the issues in this committee was that we didn't
12 have a vehicle to be able to fill in the research
13 gaps if they were identified, that we would
14 identify the research gaps, but there was no
15 vehicle or funds to fill the gaps. And I think
16 NCTR, if that's the direction, would provide
17 possibly through CRADA (?) the opportunity for
18 industry to come together, pool their resources,
19 and work with government to fill the gaps.

20 I assume, Dan, from what you've said, that
21 exists.

22 DR. CASCIANO: Yes, that's viable. And
23 when we initially discussed the movement to the
24 NCTR, this is what we had in mind, was
25 collaboration with industry and with Drugs--to

1 respond to Drugs' needs.

2 DR. REYNOLDS: So just to reflect, to me
3 the reason we have focused on science here is that
4 we looked at a number of things that the NCSS could
5 deal with, and for a lot of reasons, we eliminated
6 some. For example, one that I was championing was,
7 in fact, the efficient entry into clinical trials,
8 which, in fact, wasn't research as much as it was
9 adopting standard practice on what were
10 prerequisites to studies in humans and then what
11 would you have to do.

12 But the reason we focused on science here
13 is because both of the problems were dealing with,
14 the cardiotoxicity as well as the vascular injury,
15 are perplexing issues with regulatory agencies.
16 There were no clear measures of this. There was no
17 clear basis upon which to make regulatory decisions.
18 So, in my view at least, that's why we focused on
19 the science, was to generate the data or the
20 knowledge for which one could then make regulatory
21 decisions. So that's certainly what my mindset is
22 there. But what we're saying now is that we're
23 going to essentially separate the two. One, we
24 will have the science advisory thing, an extension
25 of the Scientific Advisory Board. Then we have

1 that group that decides whether these issues or the
2 data or the endpoint are appropriate for regulatory
3 decisionmaking.

4 So then I come back to the question. I
5 mean, this--NCSS has had difficulty, I guess, in
6 maintaining a focus and process and how we get
7 things done. I'm a little unclear how then
8 separating the two that have some overlap in their
9 overall objectives, how they would accomplish that
10 when we probably haven't been able to accomplish
11 too much in the last couple years.

12 MS. WINKLE: Well, I think that you make
13 some good points here. I think the disconnect for
14 us, for the Advisory Committee and NCSS, is, again,
15 the research versus the focus on regulatory review.
16 And basically all of the research or the science
17 has been vetted out before it comes to the Advisory
18 Committee. This is probably one of the few times
19 the Advisory Committee has gotten into trying to
20 develop the science themselves through one
21 mechanism or another. So that's part of the
22 disconnect, and I think that, you know, in original
23 discussions that we had, we felt like if the
24 science could be done through NCTR and then brought
25 back into the Advisory Committee with all of the

1 information, then they would be in the same
2 position, in a situation where the science was
3 there to help them in making their decisions or
4 recommendations.

5 So there is a disconnect right now. You
6 know, this was one way that we looked at that we
7 could solve that disconnect.

8 DR. CASCIANO: It was a convenient way to
9 solve the disconnect because you just can't develop
10 another Advisory Committee, and the NCTR's Advisory
11 Committee was in place, and this would attempt to
12 handle the transition. And we have interest--and
13 the NCTR is interested in supporting FDA
14 high-priority needs.

15 DR. SELKIRK: Can you give us some idea
16 how the--logistically how things will transcend,
17 that is, the ideas and issues will transcend down
18 through the NCSS to the Tox Subcommittee for work
19 and then work its way back up? Or will it come
20 down from NCTR directly? I'm just curious how the
21 issues will move their way through the system.

22 MS. WINKLE: I think it will work both
23 ways. This is what I would hope. I think there
24 will be areas where NCSS and NCTR would recognize
25 areas that they thought needed more vetting or

1 regulatory questions that they felt like needed
2 something and would make suggestions and we could
3 discuss those at the Advisory Committee and then
4 determine what direction we want to go and make
5 recommendations back down to NCTR and NCSS, or vice
6 versa.

7 I'm hoping that the questions will come
8 out of our regulatory review staff into the
9 Advisory Committee, and then we can work with NCSS
10 on areas that we can resolve.

11 Again, though, NCTR is going to have to
12 prioritize some of these things, just like the
13 center is going to have to prioritize. There's
14 only so many directions that we can go, so many
15 directions that we could continue to support. So
16 we'll have to work very closely with NCTR.

17 You know, from my past history with NCTR,
18 actually this is a very good linkage that we
19 haven't had in the past. I would say--and Dan can
20 certainly agree or disagree--it's been more an ad
21 hoc basis where we've made these connections. I
22 think these two committees give us this formal,
23 now, process in which we can work more closely
24 together in this area.

25 DR. CASCIANO: Well, I agree, Helen. Our

1 previous interactions were generally through the
2 National Toxicology Program, and that was one
3 mechanism for interaction. But this one seems more
4 scientific, more basic in nature, and the other
5 partner in developing the concepts is the committee
6 that Frank is a part of, and I don't--are you
7 leaving that committee, the PTCC?

8 DR. SISTARE: Research Subcommittee. I
9 co-chair that with the Chair of the--

10 DR. CASCIANO: Well, there will be input
11 from that group as well in identifying regulatory
12 needs that would come to this group, and I guess
13 this is how the cardio came to this group. And we
14 would be involved initially with that group in
15 helping develop the concepts that would come to
16 this group.

17 Is that your thinking process?

18 DR. SISTARE: Yes. The other thing is an
19 interesting way possibly of looking at it is sort
20 of like a system of checks and balances where you
21 have one group that's gotten very vested in
22 developing a product, getting it to a certain point
23 of maturity. It's hard to dissociate yourself from
24 that once you've gotten it. You want to make it
25 succeed because you put so much effort into it. So

1 it's almost, you know, logical to have an
2 independent body on the other side of the fence you
3 can dish it off to and say, here, take a look at
4 this, this is all fresh and new to you. You're
5 going to take a much more objective look at it now.
6 And you've got, you know, some experience with
7 respect to the regulatory arena: We think that
8 this is ripe now for regulatory practice, what do
9 you think? And they have another perspective.

10 So, in a sense, you kind of look at it
11 that way, by having the division between the people
12 that are really invested in making sure the
13 research is done right, gaps are identified, gaps
14 are filled where needed, and then the other group
15 that's got to integrate it in practice to sort of
16 take another fresh and independent sort of look at
17 it. So that's another way of looking at it.

18 Also, in terms of the process, you could
19 probably start in a number of different places, but
20 if we take as an example where we are right now
21 with the troponins or the vascular injury stuff, as
22 Dan pointed out, those issues, along with several
23 others, came out of the PTCC Research Subcommittee.
24 These were identified as big problems, some that
25 our own labs in CDER were working on at the time,

1 but yet clearly we're not going to be able to solve
2 in and of our own efforts. You know, it's going to
3 take publishing it out there and then having
4 someone else pick up on it, try to get others--this
5 is a way of getting the whole thing orchestrated
6 and getting it to a point of maturity where we
7 could really get it into practice quicker.

8 So I could see there would be examples of
9 things like that where CDER sees issues that come
10 up in review that are never really satisfactorily
11 addressed, but you've got to make a decision right
12 here and now. You've got to come to a certain
13 level of comfort, and you make a decision here and
14 now and then you forget about it, you go on. It
15 comes up again in another review division. You've
16 got to make a decision, you do it, but you never
17 really evolve, you never really change things.

18 But by having a group that's focused on
19 those common things that keep coming up time and
20 time again, different review divisions that have to
21 be abandoned, you have to leave them. But if
22 you've got someone thinking about research and
23 saying here's a way we can make things better,
24 bring it to this Advisory Committee, you know,
25 within CDER and say, you know, we're seeing this

1 problem time and time and time again, what do you
2 think?

3 So, you know, yeah, you're right. We need
4 to get this solved. We dish it off to the NCSS and
5 say, you know, our regulatory center is seeing this
6 with a certain amount of frequency, it's got to be
7 dealt with, can you guys come up with a research
8 strategy to help solve it? They do that. NCTR is
9 really geared toward research oversight, and that's
10 part of, I think, where the difficulty comes in.
11 In a perfect world, you've got research and review
12 all happening all at the same time and everything
13 is commingled. But in reality, CDER is really
14 geared toward, you know, making decisions here and
15 now on products, and you've got to make a decision.
16 Is this ready to go into clinical trials? Is this
17 ready for product approval, et cetera, et cetera?
18 NCTR is really geared toward a research process.

19 So we're going to do what we do best, and
20 they're going to do what they do best. And I think
21 that's what we're trying to do, is we're trying to
22 take advantage of those two and set up a committee
23 structure that integrates those two stovepipe
24 matrices in a sense.

25 There's no perfect solution, but I think

1 this is a good one.

2 DR. DOULL: One option would be to say we
3 don't need NCSS. We could simply, as Jack
4 suggests, hand that off. But the danger in doing
5 that is that we would lose the link to ACPS. And I
6 don't know by just having a member on the Pharm/Tox
7 Committee whether that would be a real enough link.
8 That link is important when you finally get to the
9 stage where you really want to impact the
10 regulatory process, and if we lost that, then, you
11 know, you would have to deal with--in the same way
12 you deal with the other five product groups in a
13 sense. This is kind of a useful tool to get to it.
14 But it may not be the best tool, and I don't know
15 that there are other ways that one could do that.

16 However it would be done, it must maintain
17 that link to the regulatory process because, bottom
18 line, that's really why we're all here, is to
19 figure out better ways to regulate new--in the
20 introduced new drugs and see that they get properly
21 regulated.

22 MS. WINKLE: I agree, Dr. Doull. There
23 are probably other ways to do this, but what's
24 happening is we're continuing--we're not moving
25 forward because we're sort of wrestling with how

1 best to do this. And I think, you know, that Dan
2 and I sort of pledged to help make this work, and I
3 think it would be good if we could move in this
4 direction and put the effort we have to put into
5 making it work. I mean, I think whatever direction
6 you go, whatever model you choose, you're going to
7 have to put some efforts into it. And I think
8 since this model is matured in our minds, at least,
9 we ought to go ahead and move forward, because if
10 we don't it's holding up what's happening in these
11 working groups, and it's holding up other issues
12 from having the proper forum for introduction.

13 So I really feel that we need to sort of
14 move ahead now.

15 DR. CASCIANO: Well, I just want to
16 confirm that we're committed to it as well, and
17 we're just interested in how we can develop the
18 best process so that we all can provide what we
19 think is needed by the new regulatory agency.

20 DR. GREEN: I just had a couple other
21 questions regarding your thoughts on the role of
22 the new Pharm/Tox Committee. And as Dr. Sistare
23 indicated, I think the day-to-day pressures
24 essentially of just getting the work done,
25 sometimes a decision has to be made, and it's made

1 under the best available data set in this division,
2 and we all know that occurrence is perhaps the same
3 circumstances or data set in another division may
4 be a different decision, and I think that is one of
5 the issues that amongst the PhRMA Drug Safety
6 Steering Committee we often here commented upon
7 that there's an inconsistency of seemingly the same
8 decision being made across different areas of the
9 agency.

10 Now, particularly in areas where we're
11 dealing with regulatory decisions on perhaps a new
12 biomarker and the significance of this kind of a
13 decision to make a go/no-go decision, or to perhaps
14 address a level of concern, do you envision that
15 this would be the kind of issue that this Pharm/Tox
16 group would make a decision on, that this is ready,
17 this is not ready, and take responsibility for
18 communicating how this data set should be treated
19 throughout the CDER divisions?

20 MS. WINKLE: Yes, except for the fact that
21 the Advisory Committee doesn't make decisions, it
22 makes recommendations to the agency. So what the
23 agency would do is go to the subcommittee basically
24 try to take advantage of the expertise, their
25 knowledge in the area, their experience in the

1 area, take all of that information along with the
2 internal information within the center, and based
3 on the Advisory Committee's recommendations as well
4 as what's internally within the center, make some
5 decision then as to what you want to do as far as
6 the regulatory policies or standards are concerned.

7 The one thing is if in talking to the
8 experts out there, there was a determination that
9 we didn't have enough data, that enough data didn't
10 currently exist on which to make that decision,
11 that's when we'd look toward NCSS, NCTR to help in
12 getting that data.

13 DR. GREEN: One follow-up to that. What
14 piqued my interest was your comment about the
15 establishment of the CMC-Manufacturing Committee to
16 deal with, in addition to many other issues, the
17 new GMP initiatives. In particular, when we're
18 dealing about applying new technologies to
19 standards that might historically--that we've been
20 dealing with for the last 20 years for safety
21 assessment data, these by some are thought to be
22 needed to be conducted at a certain level, and that
23 baseline level where most of these studies are
24 conducted is in compliance with good laboratory
25 practice regulations.

1 When you start now talking about the
2 introduction of a new test, a new system, a new
3 assay, a new technology, oftentimes it's very
4 confusing to those of us in industry with respect
5 to what standard they're expected to be held to,
6 good science aside. Is this also kind of a topic
7 that might be taken to this committee for
8 clarification, advice back to the reviewing
9 divisions?

10 MS. WINKLE: Is this for the CMC
11 Committee?

12 DR. GREEN: Well, for--

13 MS. WINKLE: For any of these
14 subcommittees.

15 DR. GREEN: Right.

16 MS. WINKLE: Yes. I think the issues will
17 vary, but obviously there will be advice
18 on--recently we looked at blend uniformity as to
19 whether we should continue standard blend
20 uniformity testing or discontinue it and use
21 stratified sampling instead. I see more general
22 questions like that being addressed to the
23 committee, and there's where we have done the
24 research--or the research had actually been done by
25 the Product Quality Research Institute and brought

1 forward to the group.

2 So this is the kind of information that I
3 think--I don't think that the group is going to
4 make recommendations that you need a certain test
5 to be done. It's more looking at the tests that
6 are done and making the decision whether they had
7 any value to the actual regulatory decisionmaking.
8 It will be more general, I'm saying.

9 Does that answer your question?

10 DR. GREEN: Yeah, I think that what I
11 might do is just be clear on this point because it
12 has to do with the application of the laboratory
13 practice regulations or the expectation of those
14 applications to new technologies. And it would be
15 very important, I think, that members or a
16 representative number of members of that committee
17 realize what the implications of complying to that
18 standard, what that really infers, because a simple
19 comment, yes, we expect this to be done to a
20 certain standard triggers a whole level of activity
21 within sponsor--within industry laboratories that
22 really might not reflect the intent if this
23 individual knew what, in fact, those
24 regulations--or how they impacted how things are
25 done. So that would be one concern that I would

1 have, particularly around the new technologies and
2 the ability of industry to adopt them and apply
3 them.

4 MS. WINKLE: One thing that's very helpful
5 for the Advisory Committee is, of course, these are
6 open public meetings. And there are often various
7 groups that come in and speak or representatives
8 from industry, you know, a specific industry that
9 will come in and speak. So it's very open to being
10 able to vet the issues from a variety of different
11 directions. And one of the things we've tried to
12 do, at the Advisory Committee level, anyway, is
13 bring in experts who have various opinions on
14 particular processes, et cetera, so that those
15 opinions can be vetted and discussed before any
16 kind of recommendation is made.

17 So, no, you have a very good point. It's
18 certainly something that we're very much aware of
19 the need to be certain that the directions we go in
20 are helpful to everyone concerned.

21 DR. DOULL: Jack?

22 DR. REYNOLDS: Mr. Chairman, are we going
23 to vote on this, or are you going to go around and
24 ask us what we think about this? I'm a little
25 unclear where we're going with this. And when I

1 find that out, I might offer some comments.

2 DR. DOULL: The recommendation that the
3 home of the NCSS be moved from ACPS to NCTR was
4 made sometime ago and has been suggested to your
5 Science Advisory Committee who has considered that.
6 So the decision is not the NCSS. It is the
7 decision of whoever created this committee. Jim,
8 who created--it was created as a subcommittee at
9 ACPS.

10 DR. MacGREGOR: Ultimately, the decision
11 to constitute these committees is an FDA decision
12 as to how they'll be constituted. I think the
13 point here is that I think we all want all of the
14 individual working groups to be comfortable with
15 what's being proposed and want to get a reading on
16 that to make sure that mistakes aren't being made
17 in the view of the people on the committee.

18 DR. DOULL: You know, it is important that
19 the NCSS, in fact, be aware of the change that's
20 occurring and be comfortable with that change. And
21 I guess, you know, it would perhaps be useful if
22 the NCSS committee members, in fact, did say they
23 felt this was a good idea.

24 I would simply say for myself, one thing
25 that NCSS has, in fact, done is to look out there

1 throughout the field for good biomarkers in all
2 sorts of areas and to decide which ones could be
3 mined most profitably right at the moment, and
4 that's why we've delayed on some and accepted
5 others. So if that committee vanishes, then
6 somebody else has to take up that task of figuring
7 out where the next best biomarkers are going to
8 come from. So I think--and this arrangement seems
9 like an arrangement that would preserve that
10 activity and would maintain the regulatory link.

11 So, from my point of view, I think it's a
12 useful sort of thing. But you guys are members.
13 What do you think? Do you think--

14 DR. REYNOLDS: Well, I guess that's an
15 invite to give my opinion. I think one of the
16 things that the current NCSS structure has
17 struggled with a little bit is kind of what we
18 talked about today. So we've seen evidence in the
19 outline, but I know the Expert Working Group has a
20 lot more data that they would incorporate into the
21 documents, that we have a very good biomarker here
22 for cardiotoxicity. And some of my comments
23 earlier were, then, so how do we get that into a
24 regulatory practice? I think the current NCSS has
25 struggled with that.

1 What I see in terms of the proposal to me
2 makes a lot of sense because it really does
3 separate the data gaps, the research to fill those
4 gaps, and the ability to create collaborations with
5 all stakeholders, including industry, under a
6 sanctity group that really focuses on safety
7 sciences as their business and then being able to
8 take that back to recommendations to divisions that
9 make decisions about the merits of endpoints and
10 the merits of data.

11 I think there's--I mean, based on, I
12 guess, the--I don't want to say lack of inertia in
13 a negative way, but I think our inability to get to
14 incorporation of some of these things into
15 regulatory practice, I see the current proposal as,
16 I think, a really good way to get there. So I'm
17 tending to see this favorably, what's being
18 proposed here.

19 DR. DOULL: Jack, you're a member. Do you
20 have an opinion on this?

21 DR. DEAN: I would echo what Jack said and
22 take a slightly different approach. I think one of
23 the comments that Frank made earlier that I was
24 quite impressed with, anytime we look at technology
25 and data, we always find data gaps, and the problem

1 with that is always how you fill the data gaps.
2 And with the current structure I don't think we
3 really have a vehicle to do that, and I'll take the
4 ILSI model where industry comes together with
5 government and academic people and does
6 collaborative work to fill data gaps and to look at
7 the direction of the safety science.

8 I think that NCTR might also provide that
9 kind of a vehicle through their CRADA(?) and
10 through their scientists. So it could be a very
11 rich collaboration, I think. So I'm very positive.

12 DR. DOULL: Good. Gloria?

13 DR. ANDERSON: I don't have any objection
14 to it. It seems to make perfectly good sense to
15 me. I do have a question, Jim, about this sheet
16 right here, your presentation, the linkages on that
17 sheet. I've been sitting here trying to figure out
18 the PTCC Research Subcommittee. Is that the same
19 as the other one over there?

20 DR. MacGREGOR: Probably Frank Sistare,
21 who is co-Chair of that, could comment on it and
22 its role.

23 DR. ANDERSON: Okay.

24 DR. SISTARE: The PTCC Research
25 Subcommittee is made up of an equal number of CDER

1 research, laboratory research principal
2 investigators and individuals from each of the
3 offices within the Center for Drug Evaluation and
4 Research that are focused on review in the
5 pharm/tox arena. So that is a subcommittee that is
6 totally internal FDA. We're dealing with
7 proprietary questions, proprietary issues. It's
8 not in the public domain at all. It's a very
9 private internal group, and we are involved in
10 review of our own internal research to make sure
11 that, you know, what we are proposing to do is
12 perceived as high priority and are there other
13 issues that are resurfacing in a--like I was
14 saying, you know, common questions that keep coming
15 up across different review divisions: You know,
16 why drugs are being put on hold? You know, is
17 there a question there? What's the science that's
18 lacking when we see certain consistencies coming
19 up? And are there things that we need to solicit
20 NCTR's help on? Are there compounds, old compounds
21 that may need to be tested for carcinogenicity?

22 There's a whole gamut of things that we
23 deal with--repro(?) tox--that we deal with in terms
24 of prioritizing and finding a vehicle to get the
25 questions answered and to get the research done in

1 some way. But it's a very internal group.

2 This other group over here under ACPS,
3 that now is your external Advisory Committee for
4 Pharmaceutical Science, and underneath there, Helen
5 is proposing that a Pharm/Tox Committee be set up.
6 Again, those would be external, non-CDER personnel
7 that would be there, much like yourself and other
8 people in academics and, as pointed out, a certain
9 number could be from industry as well.

10 So those would be the experts that we
11 would go to for consult.

12 DR. ANDERSON: So what is the relationship, then,
13 between the PTCC and the Pharm/Tox?
14 Would they--I guess where I'm confused is that I
15 think there are probably some other subcommittees
16 that interact with the other advisory committees.
17 From this chart, it seems as if that this
18 particular subcommittee is saying to CDER--telling
19 CDER whatever it should tell CDER for ACPS, and the
20 Pharm/Tox people, I don't know where they fit into
21 that. Am I making myself clear?

22 DR. SISTARE: Perfectly clear. I really
23 think the arrow--and I really shouldn't speak to
24 the diagram. It's Jim diagram. But I really think
25 that the dialogue is going to--

1 DR. ANDERSON: I always have trouble with
2 these equilibrium reactions.

3 DR. SISTARE: Right. The arrow of
4 discussion is probably going to be directly between
5 the PTCC Research Subcommittee and the Pharm/Tox
6 Subcommittee of the ACPS. That's really where the
7 arrows are going to go.

8 DR. ANDERSON: Okay. I understand.

9 DR. SISTARE: And also from the Pharm/Tox
10 Subcommittee of ACPS directly to NCSS, would be my
11 guess, is where a lot of that dialogue is going to
12 go. So some of the arrows may not be accurate.
13 All the boxes are there.

14 DR. ANDERSON: And the line to the
15 CD--okay. I understand now.

16 DR. DOULL: When we discussed this
17 previously at our last meeting, the subcommittee,
18 NCSS, said that in going to NCTR that we should say
19 that the subcommittee thought--reacted favorably to
20 that and should explore it. And I think now we're
21 in the position where we would say, well, we have
22 explored that, and the subcommittee, the NCSS
23 Subcommittee, feels comfortable with it and
24 endorses it, which we would say, then, I guess, to
25 the Science Advisory Board, your Science Advisory

1 Board, that it comes with the recommendation of our
2 subcommittee, and we'll try and figure out how best
3 to make it work to be a win-win situation for NCTR
4 and for ACPS.

5 Jim, you were involved in this from the
6 beginning. Do you think we're going in the right
7 direction?

8 DR. MacGREGOR: Yeah, I think we're going
9 in a profitable direction.

10 DR. DOULL: Any other final comments?
11 Have we solved the problem for Ken and for Bill
12 tomorrow? We'll come back and discuss where this
13 puts us in regard to the research to fill the data
14 gaps.

15 DR. KERNS: Maybe I could just make a
16 comment. I think having chaired this group with
17 Les, my colleague from GSK, whom I should have
18 announced this morning, but he's here in spirit, I
19 think our greatest frustration over the past 18
20 months is trying to--it's not really identifying
21 the gaps--the gaps are obvious, and, you know,
22 filling them--and putting everything down on paper,
23 but it's coming up with solutions as to how we can
24 implement the research programs necessary to bring
25 real new data to the table that will help the

1 regulatory--the authorities. And I think if
2 this--moving to NCTR I think is also a step in the
3 right direction. I don't know if it's the ultimate
4 solution, but it's a step in the right direction,
5 and I think it will provide us with an opportunity,
6 I think, to access the appropriate resources,
7 either internal or external to NCTR through CRADA,
8 as Dan mentioned, to bring solutions to the table
9 and do the research that's been identified in the
10 gap analysis. I support it.

11 DR. DOULL: Any final comments?

12 [No response.]

13 DR. DOULL: Tomorrow morning we meet at 8
14 o'clock, and tomorrow morning we'll spend the
15 morning dealing with the Vascular Working Group
16 results.

17 MS. REEDY: You may leave your materials
18 on the table if you like, and there will be a
19 shuttle service. Jim has offered a shuttle service
20 to the hotel. Is that right?

21 DR. MacGREGOR: I have a car, and I'll be
22 happy to make as many trips as we need to do.

23 [Laughter.]

24 DR. MacGREGOR: How many people need to
25 get to the hotel? Six. So I'll offer that. It's

1 a convenient walk, and I'll be happy to make two
2 trips. So why don't we, just those of you who
3 would like rides stay? Can I just ask, though, did
4 we settle on a time to meet for dinner? Do we need
5 to agree on a time at the restaurant?

6 MS. REEDY: Five o'clock in the lobby of
7 your hotel or 5:15 at the restaurant, which is a
8 block north.

9 DR. MacGREGOR: Okay. Is that okay with
10 everybody that was going to go? Any problem for
11 anyone?

12 [No response.]

13 DR. MacGREGOR: Okay. So if anyone
14 doesn't know where the restaurant is, it's just, as
15 Kathleen said, one block north of the Double Tree
16 on Rockville Pike. Okay. So those who would like
17 a ride just remain here and see me.

18 [Whereupon, at 4:27 p.m., the meeting was
19 adjourned.]

20 - - -