

SG

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

NONCLINICAL STUDIES SUBCOMMITTEE OF THE
ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

VOLUME II

Tuesday, September 10, 2002

8:00 a.m.

Committee Conference Room
5630 Fishers Lane
Rockville, Maryland

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Chair

John Doull, M.D., Ph.D.

Consumer Representative

Gloria L. Anderson, Ph.D.

Guests

Joy Cavagnaro, Ph.D.

Cardiotoxicity Expert Working Group (Chair)

Kendall B. Wallace, Ph.D., DABT

Vascular Injury Expert Working Group (Chair)

William D. Kerns, D.V.M., M.S.

Industry Participants

Jack H. Dean, Ph.D.,
D.A.B.T. (Sanofi-Synthelabo, Inc.)

Jack H. Reynolds, D.V.M (Pfizer, Inc.)

James Green, Ph.D. (Biogen)

Government Participants

David M. Essayan, M.D.

James T. MacGregor, Ph.D.

James Selkirk, Ph.D.

Daniel A. Casciano, Ph.D.

Helen N. Winkle, Ph.D.

Frank Sistare, Ph.D.

Executive Secretary, Acting

Kathleen Reedy, R.D.H., M.S.

C O N T E N T S

	<u>PAGE</u>
Welcome and Introductions John Doull, M.D., Ph.D.	4
Meeting Statement Kathleen Reedy, R.D.H., M.S.	5
Introductory Comments John Doull, M.D., Ph.D.	7
Report of the Vascular Injury Expert Working Group and Subcommittee Discussion of the Report William D. Kerns, D.V.M., M.S.	8
Open Public Hearing	
Subcommittee Discussion John Doull, M.D., Ph.D.	90

P R O C E E D I N G S

Welcome and Introductions

DR. DOULL: Let me welcome you all again to the second day of the meeting of the Nonclinical Studies Subcommittee. We're a subcommittee of the Advisory Committee for Pharmaceutical Sciences.

And yesterday we heard from Dr. Wallace. He presented the achievements of the working group—the Cardiovascular Working Group—and today we're going to hear from our other working group, and that's the Working Group on Vascular Injury.

Okay. We'll do all these official things.

I'm John Doull. I'm a Clinical Toxicologist from KU. Gloria?

DR. ANDERSON: Gloria Anderson, Callaway Professor of Chemistry, Morris Brown College.

DR. CAVAGNARO: Joy Cavagnaro. And I'm on the committee as a representative from Bio.

DR. WALLACE: Ken Wallace, University of Minnesota, Department of Biochemistry and Molecular Biology, and I chair the expert working group on drug induced cardiac toxicity.

DR. KERNS: Good morning. Bill Kerns. I'm from PhRMA Consulting in Boston, and I co-chair the vascular injury expert working group.

1 DR. SISTARE: Frank Sistare, from the
2 Center for Drug Evaluation and Research, FDA.

3 DR. MacGREGOR: I'm Jim MacGregor from the
4 National Center for Toxicological Research, FDA.

5 DR. GREEN: I'm Jim Green. I'm from
6 Biogen, a toxicologist, and I represent Parma.

7 DR. SELKIRK: I'm Jim Selkirk. I'm from
8 the National Center for Toxicogenomics, part of the
9 National Institute of Environmental Health
10 Sciences.

11 DR. CASCIANO: I'm Dan Casciano, of the
12 National Center for Toxicologic Research, FDA.

13 MS. REEDY: I'm Kathleen Reedy, Executive
14 Secretary of the Advisory Committees and
15 Subcommittees.

16 **Meeting Statement**

17 MS. REEDY: This is our meeting statement
18 for today. If you notice any differences from
19 yesterday, I'll be surprised.

20 Acknowledgment related to general matters
21 waivers for the Nonclinical Studies Subcommittee of
22 the Advisory Committee for Pharmaceutical Science,
23 September 10, 2002.

24 The following announcement addresses the
25 issue of conflict of interest with respect to this

1 meeting, and is made a part of the record to
2 preclude even the appearance of such at this
3 meeting.

4 The Food and Drug Administration has
5 approved general matters waivers for the attending
6 special government employees which permits them to
7 participate in today's discussions. A copy of
8 these waiver statements may be obtained by
9 submitting a written request to the agency's
10 Freedom of Information Office, Room 12A30 of the
11 Parklawn Building.

12 The topic of today's meeting is an issue
13 of broad applicability. Unlike issues before a
14 committee in which a particular product is
15 discussed, issues of broader applicability involve
16 many industrial sponsors and academic institutions.
17 The committee members and invited guests have been
18 screened for their financial interests as they may
19 apply to the general topic at hand. Because the
20 general topic impacts so many institutions, it is
21 not prudent to recite all potential conflicts of
22 interest as they apply to each participant.

23 FDA acknowledges that there may be
24 potential conflicts of interest. But because of
25 the general nature of the discussion before the

1 committee, these potential conflicts are mitigated.

2 In addition, we would like to disclose
3 that Drs. Jack Dean and James Green are the
4 non-voting guest industry representatives. They
5 are not government employees, and hence we do not
6 screen them for conflicts of interest, and can may
7 comments on their actual or perceived conflicts of
8 interest.

9 In the event that the discussions involve
10 any other products or firms not already on the
11 agenda for which FDA participants have a financial
12 interest, the participants' involvement and their
13 exclusion will be noted for the record.

14 With respect to all other participants, we
15 ask in the interest of fairness that they address
16 any current or previous financial involvement with
17 any firm whose product they may wish to comment
18 upon.

19 **Introductory Comments**

20 DR. DOULL: Thank you, Kathleen.

21 Are there any comments on the disclosure?

22 [No response.]

23 I have two quick announcements.

24 The topic that we're going to deal with
25 today—the Vascular Working Group report, was

1 available, I think--isn't that available to
2 everybody?

3 MS. REEDY: Yes, it's on the Web.

4 DR. DOULL: And it's out on the table.

5 MS. REEDY: And it's out on the table--yes.

6 DR. DOULL: Okay. So if you don't have a
7 copy of that report, it is available.

8 I think the subcommittee got it a week or
9 so ago. So they've had a chance to review that.

10 The other thing is I need to mention that
11 after the break we will have an open public
12 hearing, if there are any public comments. We
13 don't have any formal requests for public comments.
14 But we'll do that after the break.

15 Do we have any other housekeeping?

16 Okay. Then why don't we go ahead and
17 proceed with out--Dr. Kerns can tell us about what's
18 happening with the Vascular Working Group.

19 Bill?

20 **Report of the Vascular Injury Expert Working Group**
21 **and Subcommittee Discussion of the Report**

22 DR. KERNS: Thank you, John. And thank you
23 to the NCSS for asking Ken and I to come today and
24 yesterday to speak.

25 I'm here representing the Vascular Injury

1 Expert Working Group, and our committee is composed
2 of several members that represent industry,
3 academia and government--I think evenly balanced,
4 and a skill set that has brought great value to the
5 document that you see in front of you on the table.

6 And I want to acknowledge everyone for
7 having contributed to the document. Everyone did a
8 very good job of having it come together.

9 Importantly, along the way over the past
10 18 months to two years, we have--several active
11 contributors, as I'm calling them, have joined the
12 committee as ad hoc members, and have made
13 substantial contributions, also, to the document in
14 front of you. And I'd like to recognize these
15 members, as well, on this page, who represent,
16 primarily, industry and I want to also acknowledge
17 Jin Zhang from CDER, who was inadvertently left off
18 of the membership list in the document in front of
19 you.

20 And to let you know that we've been very
21 busy--this isn't to read, but just to point out that
22 we have been alive for 18 months--close to two years
23 now. And we have met many times on the telephone,
24 face-to-face, to bring this document to fruition.

25 Our next planned face-to-face meeting is

1 at the ACT meeting in Hershey, Pennsylvania in
2 early November.

3 Today's objectives are to review with you
4 once again our mandate, as we understand it. I
5 want to spend some time describing to you why we
6 think, and we agree, that this is an important
7 issue that requires resolution. I want to review
8 our progress that we've made to date. And,
9 finally, and importantly, a set of slides of
10 discussion points for discussion and feedback from
11 NCSS to the committee.

12 Our mandate, as stated in the document on
13 the table, as we understood it, was to evaluate and
14 develop a thorough and current understanding of
15 vascular injury issues, both pre-clinically and
16 clinically. We have done this—and it has taken
17 quite some time, to bring the whole committee up to
18 the same level of experience and expertise.
19 Keeping in mind that the committee is populated by
20 many people who had no knowledge of this issue
21 prior to getting together, and that took quite some
22 time.

23 We're charged also with identifying
24 opportunities for new biomarkers, based on probable
25 mechanisms of action. Important to note here—and I

1 will show you in some slides, that we don't know
2 mechanism of action, so we're really focused our
3 biomarker search at this point on what we think to
4 be most likely probable mechanisms.

5 Ultimately, we will develop validation
6 plans to bring the biomarkers to fruition so that
7 they can be used preclinically and clinically. And
8 that is the last two bullets or items that I don't
9 think will actually come to fruition for a couple
10 of years.

11 So what is the issue? Drug-induced
12 microscopic polyangitis in humans—also known as
13 hypersensitivity angitis, or leukocytoclastic
14 angitis, is not—or certainly rarely, observed in
15 preclinical animal species. This is a fundamental
16 problem. So, in summary, the most common even that
17 occurs in humans with drug-induced vascular injury
18 does not—we do not see in the nonclinical
19 toxicology. It is, however, observed occasionally
20 in clinical veterinary medicine in pet animals, as
21 an adverse event to antibiotics, primarily.

22 The current animal models are then poor
23 predictors of drug-induced lesions in humans.
24 Because the common drug-induced vascular lesions
25 that we see in animals are "not know"—in quotes—to

1 occur in humans, and they have unknown relevance.
2 I say they're not known to occur in humans, because
3 we have no way to really look, at this point in
4 time. So we've been moving forward for the past
5 decade—many compounds are on the market, compounds
6 that cause lesions in animals—and thus far there
7 have been no serious related adverse events that
8 we're aware of that occur in humans. But we don't
9 know, because we don't know how to measure.

10 Equally important, the lesions that we see
11 in animals, induced by drugs, generally occur in
12 vascular beds that are also prone to spontaneous
13 disease. This is important, but I—and I don't
14 think we as a committee—understand clearly what
15 that means. But it must mean something, that these
16 vascular beds, where we induce lesions with
17 compounds, are also vascular beds that are prone to
18 spontaneous disease, and we need to do something to
19 understand why.

20 To bring you up to speed with what we see
21 to be the problem, I want to show you a few slides
22 as to—so you can understand what the lesion looks
23 like.

24 [Slide.]

25 This is a rat exposed to a dopamine

1 agonist, a mesenteric artery. Dopamine-Fenoldopam,
2 in this case—induces a segmental medial hemorrhage
3 and necrosis in the rat mesenteric arteries. This
4 artery is about 400 microns in diameter. It's one
5 of the main-secondary branches of the splenic
6 artery. Ultra-structurally, you can see the—if
7 you're looking down at the surface of the
8 endothelium, in this case, and the honeycombed
9 appearance off to the sides are areas where smooth
10 muscle cells have disappeared—undergone necrosis
11 and disappeared—and the voids are filled with red
12 blood cells. You saw the hemorrhage in the
13 previous slide. You can see the red blood cells in
14 this picture. And look at the endothelium, which
15 normally—

16 [Pause.]

17 Now you'll all see my fine tremor.

18 [Pause.]

19 How do you work it, Jim? Which button.

20 Ah. Okay.

21 We talked about the red blood cells that
22 have filled the voids in the smooth muscle that has
23 disappeared. Also, look at the endothelium, which
24 is important—and we'll come back to this later.
25 But the endothelium is normally flat, paved, paved,

1 it's pushed against the internal elastic lamina,
2 because it's this pressure on top of it, and shear
3 stress and hoop stress.

4 The endothelium in this animal is raised,
5 it's swollen, it's separated one cell from another,
6 and there are many white blood cells attached to
7 the endothelium, and there are several endothelial
8 cells that appear to be sloughing off into the
9 circulation.

10 [Slide.]

11 This is another animal, also exposed to
12 Fenoldopam. And you can see in this animal,
13 the--this is an area where smooth muscle used to be,
14 and it's now filled with almost 100 percent
15 platelets, and a few red blood cells. I'm showing
16 you these slides to sort of see some ideas as to
17 the kinds of biomarkers that we would look for,
18 given this kind of scenario.

19 The endothelium in this case, which is
20 here, is--we haven't--there's not a lot of it in the
21 picture, but it is necrotic and, essentially, not
22 present.

23 If you look at an animal that has been
24 allowed to recover from this lesion for three days,
25 again exposed to Fenoldopam, you can see that the

1 smooth muscle is beginning to be replaced. There's
2 still some hemorrhage cells that are sticking to
3 the endothelium are neutrophils, primarily.
4 They're migrating through, and there's adventitial
5 inflammation, including mass cell
6 degranulation—which was recently reported by Dr.
7 Zhang at FDA.

8 So, again, that should give you some more
9 ideas as to the potential kinds of soluble factors
10 that we might look for in plasma.

11 I mentioned in my opening slides that
12 these vascular beds are also beds where we see
13 spontaneous disease. And if you look at 90 percent
14 of retired SHR breeder rates, the vast majority of
15 these animals will have a disease which is known as
16 polyarteritis nodoza in the mesenteric bed.

17 If you look at an animal exposed to
18 Fenoldopam for two years, you see a dramatic
19 increase in the same kind of lesion. So, again, we
20 have a drug-induced lesion that occurs in an area
21 where we have spontaneous disease.

22 Many, but not all, of the compound-induced
23 lesions in the rats occur in the mesenteric
24 vasculature—not 100 percent, but a vast majority.

25 I want to move on now to some slides on

1 dogs—just a few more.

2 [Slide.]

3 This is a spontaneous disease in a dog in
4 the coronary artery, known as idiopathic
5 polyarteritis—also known as "Beagle pain syndrome."
6 But this is a spontaneous disease, the cause is
7 unknown. But it is a florid, inflammatory
8 response, with lots of neutrophils, fibronoid
9 necrosis of the medial smooth wall. The media is
10 totally absent in this case. And this is a
11 spontaneous disease—the dogs spontaneously recover.
12 And there are lots of biomarkers that we know to
13 look for in this disease syndrome.

14 Unfortunately, this occurs in the coronary
15 arteries, and it occurs—yes, spontaneously, but it
16 also occurs in toxicology studies. And, in many
17 cases, more animals on a high dose will have this
18 syndrome than in the low dose.

19 On the other hand, we have a wide variety
20 of therapeutic agents that cause, morphologically,
21 a very different lesion in the coronary artery and
22 right atrial appendage of dogs. But in many times
23 it's difficult to distinguish the spontaneous
24 disease from clear drug-induced coronary artery
25 lesions in the dog.

1 So, again, in the dog we have a vascular
2 bed—namely, the extramural coronary arteries—that
3 is frequently affected by a wide variety of
4 pharmacological agents. And in the same vascular
5 bed, we have a spontaneous disease.

6 So, once again, I there are some links
7 here that we need to more clearly understand,
8 through new technologies.

9 [Slide.]

10 Lastly, one slide from a human case of
11 hypersensitivity angitis—and I hope, by now, you
12 can see that what occurs in humans is
13 morphologically very different than what we see in
14 animals. And I think, if you look carefully, you
15 can see some eosinophils in this lesion—which is
16 the hallmark of hypersensitivity angitis in humans.

17 And this is a case—this is a skin biopsy
18 from a patient who was taking an experimental drug,
19 and the patient developed a rash, and this is what
20 you see histologically. It's not that uncommon,
21 but it doesn't happen in toxicology studies—in my
22 experience.

23 So I want to talk, then, through a few
24 cartoons about what the problem is.

25 Normally, we have blood flowing through

1 blood vessels—neutrophils very happily swimming
2 along. And the neutrophils—the blood is under a
3 variety of different biomechanical stresses. One
4 is called "shear stress." Shear stress is a
5 function of flow, divided by the cross-sectional
6 area of the vessel. Shear stress is the force that
7 tends to strip the endothelium off the internal
8 elastic lamina as the blood flows by. And you can
9 appreciate, based on the formula, that in order to
10 control shear, the only one way the vessel can
11 reduce shear, and that's by dilating or thoroughly
12 relaxing. Because you can see that the diameter is
13 indirectly proportional to flow—I'm sorry, shear.

14 However, if the vessel thoroughly relaxes,
15 the vessel wall becomes very thin, and that does a
16 very good job at controlling shear, but it does a
17 very poor job of controlling tension. Because
18 tension, or the forces that tend to explode the
19 blood vessel wall, is a function of pressure and
20 radius, but also indirectly related to wall
21 thickness.

22 So if the vessel is controlling its shear
23 by getting thinner, the hoop stress increases
24 dramatically. And many of us believe that these
25 biochemical events are very important in the

1 induction of lesions, and endothelial compromise.

2 So how does this work, then, in a cartoon?

3 And I think if you remember the three-day recovery
4 slide from Fenoldopam, you saw the neutrophils
5 paving against the endothelium, there's
6 endothelial compromise, red blood cells and
7 platelets—and the platelets in this slide are the
8 white oval things at the bottom—have migrated
9 through the compromised endothelium, and that's all
10 been influenced by a variety of potential
11 biomarkers—the selectins, ICAMs, VCAMs, PECAMs and
12 all the items I have listed at the bottom of the
13 slide, then all become fair game for—as opportunity
14 areas for biomarkers. And sufficient to say, if
15 you are human, this similar kind of even would be
16 happening, but resulting in a very different
17 morphological picture—and, primarily, we would be
18 looking at eosinophils in that case, which are
19 depicted in this slide, and not neutrophils.

20 So then, in summary, we have identified in
21 our document that you've studied, I'm sure, three
22 potential mechanistic areas where we might look for
23 potential biomarkers. And one is injury as a
24 result of biomechanical change within the vascular
25 lumen.

1 Second—which I have not discussed today in
2 detail—direct pharmacological or chemical injury to
3 the vascular endothelium—and we have many examples
4 in this category as well.

5 And, lastly, immune-mediated vascular
6 injury, or vascular compromise, which is
7 classically seen more frequently in humans—but it
8 is seen in animals with some of the biological
9 agents.

10 So the Committee, then, intends to focus
11 on identifying biomarkers that would be involved in
12 these three major categories of drug-induced
13 vascular injury.

14 So, in summary, regardless of mechanism,
15 endothelial compromise appears to be an early and
16 important—and an important event in vascular injury
17 in rats and dogs. Drug-induced lesions in the rat
18 and the dog appear in sites of spontaneous disease
19 frequently, and this complicates the interpretation
20 as to what this exactly means, I think, from the
21 regulatory and safety perspective.

22 As stated early, in the beginning, common
23 drug-induced injury in humans is not, or is rarely,
24 observed in toxicology studies. Our animal models,
25 then, you might conclude, are not that good at

1 predicting these events in human.

2 Common drug-induced vascular lesions in
3 animals are not known to occur in humans, and have
4 unknown relevance; not known to occur, once again,
5 because we don't know how to measure. And that's
6 the purpose of being here, is to figure out and
7 identify potential biomarkers.

8 [Pause.]

9 I'd like to stop here, just briefly, and
10 ask if there are any questions about the issue that
11 we're here to resolve?

12 Lastly—and in the white paper—one of the
13 Committee's charges was to confirm that this is an
14 issue worth resolving. And let me say, in this
15 slide, that I think it's from the EWG's
16 perspective, I think this clearly is an issue that
17 requires resolution, and we're prepared to move
18 forward and to do that.

19 But let's just ask a few questions about
20 the issue, so that we clearly understand it.

21 Joy?

22 DR. CAVAGNARO: Okay. Can you comment on
23 other species—I guess, most, notably, non-human
24 primates. But the information that you have from
25 other species?

1 DR. KERNS: That's a good question. I
2 haven't really focused on primates or mice in this
3 presentation, because there isn't a lot known. But
4 I can tell you that many of the compounds we deal
5 with do cause lesions in primates and mice.

6 DR. CAVAGNARO: More similar to the dog and
7 the rat, versus the humans.

8 DR. KERNS: That's correct.

9 DR. CAVAGNARO: So even when you suggest
10 that there's no predictive model, that includes
11 non-human primates.

12 DR. KERNS: That's correct. So that
13 lesions in the species that we usually
14 use--primates, dogs, rabbits, as well--what have I
15 missed?--mice and rats--

16 DR. SISTARE: Pigs.

17 DR. KERNS: --pigs--yeah, right, pigs,
18 Frank--the lesions that we see do not mimic what we
19 see in humans.

20 But, nevertheless, we have to deal with it
21 from a safety perspective and a regulatory
22 perspective. We're trying to learn how to do that.

23 Any other questions about the nature of
24 the issue?

25 DR. GREEN: Two questions, Bill. I didn't

1 see anywhere in the paper the stated clinical
2 incidence of this lesion?

3 DR. KERNS: That's a good question.

4 The--well, the lesion we see in animals--keep in
5 mind--

6 DR. GREEN: The human.

7 DR. KERNS: The human lesion--yes.
8 Hypersensitivity angitis, also known as
9 leukocytoclastic angitis is a rare event. I don't
10 have the actual numbers, Jim, but it is--can anybody
11 help me?

12 DR. SISTARE: One study just recently done
13 was looking at drugs approved, like, up to 1996.
14 It was a member of my staff, Jim Weaver, actually
15 did the study, and looked at all adverse events
16 reported--I forget how far back it went--since the
17 original data base. We've switched to a new data
18 base in 1996. But up until that point, looked at
19 all adverse events, and he was focusing on immune
20 events. He counted things like rash, and he
21 counted anaphylaxis, and he counted vasculitis.
22 And when he looked at all those adverse events, and
23 he tabulated--rash was the most common, the most
24 common adverse event. But vasculitis actually
25 counted for 6 percent of the immune-related adverse

1 events--the term "vasculitis" appeared.

2 Okay? Now, that's a very broad term, and
3 it would cover all these other things--angitis and
4 these kinds of things. But that is the
5 immune-related--to be clear. I mean, what Bill is
6 saying is that oftentimes the animal is not a good
7 indicator of immune-related human events. So, you
8 know, our animal models to predict hypersensitivity
9 reactions in humans, there's a blind spot there.
10 WE need to do a better job at that. We have guinea
11 pig tests and things like that, which some people
12 question how good they are. But vasculitis, the
13 immune-related--again, accounts--I don't know what
14 the overall incidence, but of immune-related
15 events, it accounts for 6 percent.

16 But what Bill is talking about here now,
17 in terms of the kinds of histopathological findings
18 that are being seen in these preclinical studies,
19 we don't know what the incidence of that might be
20 in the clinic, if those same agents are given in a
21 clinical setting, at certain doses. That's a--we
22 can't answer that question, I think.

23 DR. KERNS: I mean, I can provide some
24 anecdotal information. My experience in talking
25 with medical pathologists over the years--people

1 have a lot of experience. You know, the kind of
2 changes we see in animals, they don't recognize as
3 being seen in humans in post mortem, from autopsy
4 studies.

5 So it is--this is the conundrum.

6 So, Jim, I think the answer to the
7 question is: it's not common, it's not rare, it's
8 somewhere in the middle.

9 DR. GREEN: One other question: I take it
10 that, particularly in the nonclinical studies,
11 attention was drawn to those lesions in the dog and
12 rodent because gross observations were seen
13 initially.

14 DR. KERNS: Yes, these observations were
15 initially recorded in dogs and rodents.

16 DR. GREEN: So--I mean, thinking about the
17 sampling strategy that is employed in all of the
18 toxicology studies, where a vessel--a small segment
19 of a vessel is identified and taken, in the absence
20 of any kind of directive, gross lesions to draw
21 your attention to that, is there--has there been any
22 discussion about the fact that maybe these lesions
23 have been missed in a more subtle form just because
24 they were there but we didn't see them? I mean,
25 we're always subject to sampling that's done,

1 essentially, within these studies. And what is
2 noted in the paper is some comment about different
3 sensitivities in different anatomic regions.

4 So if you weren't drawn there, there could
5 perhaps be more subtle lesions that are occurring
6 at a very low level, and we're just-our incomplete
7 sampling strategy missed them.

8 DR. KERNS: It's a very good point and,
9 quite possibly, you're correct. I think one of the
10 things that I think people in the PhRMA are tuned
11 into now is that certain classes of drugs you would
12 expect to see these kinds of events. And so there
13 is focus on these vascular beds.

14 We're going to talk a little bit later
15 about our standard model that we've put together in
16 the rat, and we have addressed the sampling issue
17 in the rat in that study.

18 DR. SELKIRK: In that same regard I was
19 wondering if you can't-based on what you've seen in
20 animals, and lack of what happens in humans and
21 what the literature says, if you can differentiate
22 that possibly in animals it's a more mechanical
23 problem versus some sort of mediated hormonal or
24 biochemical addition in human vasculitis?

25 DR. KERNS: Let me just clarify that. In

1 animals, one of three possible mechanisms of
2 vascular injury is potentially biomechanical. You
3 know, immune mediated is also a realistic
4 probability and it happens. And direct
5 drug-induced cytotoxicity does happen, as well.

6 DR. SELKIRK: But does there seem to be a
7 mechanical component in the human problem, then?
8 At this point?

9 DR. KERNS: In humans with hypersensitivity
10 angitis it is probably a type-3 mediated
11 response-immune mediated.

12 Do you agree with that, Frank?

13 DR. SISTARE: I think your answer that
14 these are--there seem to be at least three
15 mechanisms, and they're probably overlapping.
16 Maybe some classes of compounds may dominate one
17 mode of action versus another. I think it's really
18 difficult to answer the question that if you have
19 something which has a biomechanical effect, does
20 that potentially contribute to--if you also activate
21 immune components, so you activate cellular
22 signaling which up-regulates adhesion molecules or
23 something like that, and does some other things,
24 would a biomechanical effect also contribute to
25 that. It's possible. It's hard to answer that

1 question.

2 You know, there seems to be a growing
3 attention to cardiovascular disease in humans, as
4 long have been anchored in our diet, our
5 cholesterol, our lipids, but there's growing
6 attention to the fact that inflammatory mediators
7 also seem to be growing—good prognostic indicators
8 of vascular disease, and risk for heart attack, and
9 stroke, and things like that.

10 So, it seems to be, again—I don't want to
11 over speculate, but it could be that there's an
12 underlying vascular inflammatory component to a lot
13 of human cardiovascular disease that has been
14 ignored for a long period of time. And I don't
15 want to say that, you know, 90 percent of the drugs
16 we're taking are causing problems. I don't think
17 that's the case at all.

18 But this—I think, you know, the kind of
19 questions we're asking here will bring attention to
20 an element of something that we may have ignoring
21 for a long period of time. And I think Jim's
22 point—you know, the fact that a lot of these
23 lesions are seen microscopically—you know, once you
24 know where to look, and you start using the
25 microscope, you start seeing things that you may

1 not have seen had you just looked at five or six
2 different tissue sites, and a routine tox study,
3 and not done--not been drawn there by some sort of
4 macroscopic observation.

5 So, you know, I think this is an
6 interesting set of experiments that need to be
7 done, and bio-markers that can be identified which
8 could prove fruitful in a clinic.

9 DR. CAVAGNARO: So, just to clarify--so the
10 strategy is to try to be--to find more sensitive
11 indicators of the current clinical situation, and
12 not be more sensitive of the current lesions that
13 you're observing in animals right now.

14 DR. KERNS: Just the reverse. I think the
15 charge, as I understand it, is to first identify
16 biomarkers that are robust in preclinical species,
17 and then try to transition them into the clinic.
18 So we can--primary objective being to prove one way
19 or the other, do the changes we see in animals
20 occur in humans?

21 DR. CAVAGNARO: Okay. So I'm right,
22 because--

23 [Laughter.]

24 DR. KERNS: Okay. Maybe I didn't
25 understand your question.

1 DR. CAVAGNARO: We don't really—I mean,
2 what I understood is it's not predictive of what
3 we're seeing in the humans. And it seems to me
4 that if you understand the human pathology, and can
5 understand biomarkers, the goal would be to try to
6 capture those biomarkers more sensitive in the
7 animals.

8 But to characterize—I guess I'm a little
9 bit confused—to characterize the lesion being more
10 sensitive, and characterize a lesion that's
11 occurring in animals that's not predictive of
12 humans seems, to me, a little—I guess I don't
13 understand the logic.

14 DR. DOULL: I think, Joy, Frank is saying
15 that the primary mechanism for the two things
16 probably is the same. Therefore, one—the charge to
17 the committee is to find preclinical biomarkers
18 that are predictive. And the second charge is to
19 find bridging markers—markers that work in
20 preclinical situation that can also be used
21 clinically.

22 So, you know, you could, in fact,
23 accomplish the first charge and not the second one,
24 which would be less useful.

25 DR. CAVAGNARO: But if your goal isn't to

1 find --- if your goal isn't to focus on the
2 currently known human potential consistent with the
3 human disease. I mean, you showed that cartoon
4 there. That's where you're going to focus on--of
5 that acute injury, those biomarkers--right?

6 DR. KERNS: In animals first.

7 DR. CAVAGNARO: No, no, I understand it's
8 in animals. But the biomarkers are focusing on the
9 human lesion versus the animal lesion.

10 DR. MacGREGOR: But one of the things
11 that's not clearly known is whether those--just
12 because you do see a particular kind of
13 immune-mediated lesion in the human, that doesn't
14 necessarily mean that if the animal effect carried
15 over that the--that may be largely spontaneous in
16 the human. I doesn't mean that would necessarily
17 carry into the human model.

18 So one of the key questions we're hoping
19 this group will address is the following.

20 We know that in animals this type of
21 lesion that's seen in animals occurs frequently.
22 And the question is how confident can we be that
23 that does not occur in humans. And do we have
24 appropriate biomarkers to be able to address that
25 question--and I think we're all in agreement that we

1 do not have those currently. And then one of the
2 questions for the group is there a potential for a
3 biomarker that will allow us to address that
4 question; that is, that what we see in animals in
5 fact is not occurring in human.

6 DR. DOULL: If we don't do that we're just
7 predicting for rats and dogs is all.

8 DR. KERNS: I mean, that's well stated,
9 Jim, and that's my understanding of the charge.

10 DR. CAVAGNARO: Oh, okay. Well, then
11 I'm--because I guess I was trying to see whether or
12 not, as part of that, we were also going to try
13 to--this incidence of 6 percent or whatever you're
14 saying, try to--I guess that was--okay, so I'm at the
15 other part of the equation--

16 DR. DOULL: I think so.

17 DR. CAVAGNARO: --and that is to try to be
18 more predictive--to design the animals to be more
19 predictive of what's actually happening in the
20 clinic, which we're not doing that as well, either.

21 DR. SISTARE: So that the human
22 vasculitities--I mean, that's a good question, too.
23 But you're right--I mean, that isn't the primary
24 focus. The primary focus is we're seeing this
25 huge--we're seeing a very--an--we're seeing a

1 relatively common signal in a number of
2 pharmacological classes of drugs which are coming
3 to the agency for development, for approval for
4 clinical trial capability, and there is
5 consternation. We don't know what do to here. We
6 can only go so high with a dose, then we start to
7 see these lesions in animals. So you've got to
8 stay below that dose.

9 But, it may be totally irrelevant, and we
10 don't know. So we need a way forward. We need a
11 scientifically grounded approach that will allow us
12 to monitor what's happening here. Okay. So that's
13 one approach.

14 Now, you bring up a very good question.
15 Now-but I think our thinking is that as we learn
16 more about all the different components that are
17 involved in the generation of these biomarkers in
18 these animal models, I think our thinking is that
19 there will be a collection of these biomarkers that
20 would be applicable to the human scenario, and that
21 some of these manifestations that we're seeing in
22 the human, there may be some biomarkers that arise
23 that would be overlapping, even though the
24 mechanism may not be exactly the same, there's
25 going to be some overlap. So that it may be

1 helpful.

2 So, that's a hope. The problem is that
3 these types of injuries that we're seeing in humans
4 are so unpredictable, it's so difficult to do those
5 kinds of studies, to systematically look at that,
6 so the light is brighter on the animal sides, and
7 we can systematically control all that, and we can
8 make a faster speed, I there for Frank, I
9 guess—from the chemical—since it's a generalized
10 chemical phenomenon, meaning large amounts of drugs
11 seem to do this in humans, has there been any
12 attempt at just a straightforward structural
13 activity relationship, to see if certain chemical
14 structures have a predilection for this effect?

15 DR. SISTARE: In humans—again, it's
16 different from animals. There is an SAR effort
17 going on. I mentioned Jim Weaver—and Ed Matthews,
18 and Joe Contreras' group—are doing an SAR analysis
19 for all immune-mediated type events, and trying to
20 develop a model that may capture it categorically,
21 whether there is some sort of alert that indicates
22 that kind of a thing. That's ongoing. That is
23 ongoing.

24 But the other side of the coin, in terms
25 of alerts that indicate what compounds are causing

1 these things in animals, and we don't know what's
2 happening in humans, we're not going there yet.

3 No.

4 DR. PAPOIAN: I'm Tom Papoian, Sanford
5 drugs.

6 I just wanted to comment to the question
7 Joy brought up about the bridging, or the
8 commonality, or the differences between the lesions
9 that we see in the animals and the predominant
10 concern for humans, it's not necessarily the
11 immune-mediated lesions, but atherosclerosis and
12 ruptural plaques, which is attributed to an
13 inflammatory reaction, which leads to heart attacks
14 and stroke.

15 Wether those two phenomena are similar or
16 different; whether the animal lesions or the effect
17 of inflammation on animals has the applicability to
18 humans, I think, without quoting the--some results
19 from our previous expert work in group meeting,
20 I'll say one of the active contributors presented
21 some preliminary data to show that the drugs--one of
22 the drugs that was tested on animals produced
23 increases in a marker that is commonly associated
24 with inflammatory reactions in humans.

25 So, there is a very good case correlation

1 there that the lesions that we do see in animals
2 may have applicability to the human clinical
3 situation.

4 I just wanted to make that comment.

5 DR. KERNS: Okay, thank you. I'd like to
6 continue now.

7 Progress, to date, over the past two
8 years: we have, through the committee, as I
9 mentioned earlier—the committee is a collection of
10 disparate skills and interests, many of which have
11 nothing to do with vascular injury. And we spent a
12 lot of time over the first year, just bringing
13 everyone on the committee up to speed with the
14 issues that we were trying to solve.

15 And that is behind us now, and we've
16 addressed a lot of issues along the way, dealing
17 with that, including terminology and lots of
18 details and mundane things. But I think the
19 committee is now 100 percent on board, with a clear
20 understanding, as you are, of the issue.

21 We spend a considerable amount of time
22 bringing together a standard protocol in the rat,
23 that we have reviewed in its final form at SOT last
24 year, and has now been agreed and published in our
25 minutes.

1 This is the protocol, Jim, that addresses
2 the sampling bias, in addition to a number of other
3 things, and it will be the protocol that we will
4 use to generate tissues to pass around to different
5 investigators around the country.

6 We still require some more discussion,
7 though, as to how to manage the data, and movement
8 of samples around, and all these issues coming from
9 these s, if you have a standard protocol, you must
10 have standard compounds, so that we're all looking
11 at disease induced by a standard set of compounds.
12 Sounds simple, but to get the compounds we would
13 really like to have, they're really not available
14 to us, because they're proprietary and
15 difficult—and you can always make somebody else's
16 compound. But I think in this environment, that
17 would not be the appropriate thing to do.

18 So we've identified three compounds that
19 we think are available to us. One is Fenoldopam.
20 One is a PD-IV inhibitor named CI-10-18, and one is
21 dopamine. Why these three compounds? We had one
22 agonist. CI-10-18 is a phosphodiesterase IV
23 inhibitor. And dopamine, at high doses, is
24 primarily an alpha agonist.

25 The lesions induced by dopamine versus

1 Fenoldopam are morphologically different. I
2 haven't gone into the detail today. And we—you
3 might expect the biomarker profiles might be
4 different—but we don't know.

5 So, we've chosen these three compounds
6 because we believe they're accessible. Dopamine we
7 can buy. And a lot of us at the committee level
8 have a lot of experience already with these
9 compounds. And certainly FDA does.

10 We are in the process of crafting a letter
11 to the suppliers of Fenoldopam and CI-10-18, and
12 that letter—we're going to come to that later, is
13 something that I need some input on from the NCSS.

14 We have put together—and it's published in
15 the White Paper—a list of--large list of potential
16 biomarkers in the table, with references to support
17 them. And, also, we have come to—put together a
18 short list of biomarkers that we think have high
19 probability that we can deal with today. And I'll
20 tell you about that.

21 We've identified soluble E-selectin as a
22 high priority potential marker, and we have a
23 proposal on the table that is currently being
24 reviewed to develop the reagents required to pursue
25 this.

1 Another thing that you need to understand
2 is that the—in the table in the report is a huge
3 list of potential markers, but in order to do
4 studies you need reagents. And rat reagents and
5 dog reagents are not that readily available. So,
6 to really do good work, we have to make the
7 reagents, and that's the purpose of the E-selectin
8 proposal. And it's just the beginning.

9 Joy?

10 DR. CAVAGNARO: So those three agents,
11 there has been some incidence of—in humans, for all
12 those three agents.

13 DR. KERNS: No, these are compounds that
14 cause lesions in animals—not humans.

15 DR. CAVAGNARO: So there are no data—so, in
16 your clinical data base that you're looking at,
17 these agents don't show up.

18 DR. SISTARE: Not the hypersensitivity
19 angitis. These don't show up in that category.
20 You know, we're talking about a different type of
21 lesion, and we don't know, in humans, whether these
22 might cause those types of lesions.

23 But I will say, for example, though, that
24 if you think about the dopamine category here—okay,
25 so, phenylpropanolamine, I know that that's kind of

1 mired in debate, but that was a drug that was taken
2 off the market because of stroke and things like
3 that—in women that were taking it for weight
4 control. There is some old data showing that—in
5 the rat—one could reproduce a cerebrovascular
6 injury event.

7 Is it the same thing? I don't know. You
8 know, we don't have those kinds of markers to see
9 if, you know, you could measure in the rat model.
10 Would you see changes in biomarkers that you could
11 then dose into women and start seeing biomarkers
12 increase—some of which go on to develop strokes,
13 most of which do not.

14 Again, I know phenylpropamine is a little
15 debatable, whether it should have been taken off
16 the market, were the signals real and all this kind
17 of stuff. But, you know, it is enough of a—you
18 can't ignore it. You know, you can't ignore some
19 of these things.

20 The PD-IVs—I don't think there's a PD-IV
21 on the market yet. Am I—there's not one on the
22 market yet. There's a lot of them in development,
23 a lot of them in clinical trials, and a lot of the
24 dose escalation—the concern is that we're seeing
25 vascular injury. And some of those PD-IVs, they

1 happen in monkey studies, not human primates. So,
2 you know, it adds to the concern.

3 Fenoldopam is approved. It is approved
4 for emergency use—short-term use. And that's a
5 risk-benefit decision that went into the approval
6 of that compound.

7 And, I think, in addition, dopamine, given
8 at high doses and as an alpha agonist, does cause
9 peripheral vascular disease in humans, and that's
10 been reported and published. But, once again, it's
11 morphologically a little bit different lesion.
12 But, nevertheless, it should be an area where we
13 can induce change and look for biomarkers.

14 DR. KERNS: Lastly, I'll try to allude to,
15 as I go through the rest of the slides, the
16 exciting things that are going on on the research
17 side from member companies that are sitting around
18 the table, and active contributors that are sitting
19 around the table.

20 So, I've tried to summarize, in brief,
21 the—some, but certainly not all, of the biomarkers
22 listed in the White Paper. And into acute
23 biomarkers, ones that might be more reflective of
24 the inflammatory process that we talked about
25 earlier. And lastly, how can we use the links to

1 address this issue and bring a whole other new set
2 of data to the table that we haven't seen yet.

3 Acute markers—I alluded to the fact that
4 there was some endothelial sloughing in the slides
5 that I showed you. There is some published data
6 now, I think, from FDA showing that apoptosis
7 markers are increased. And so we intend to develop
8 reagents to look at soluble fasligend and soluble
9 CD-44.

10 We're looking at circulating endothelial
11 cells, as well. Surprisingly, we all have a low
12 level of circulating endothelial cells. But when
13 you perturb the endothelium via a variety of
14 different mechanisms, both with catheters or
15 natural disease in humans, your circulating
16 endothelial count increases dramatically.

17 The reports in the literature are a little
18 bit confusing but, nevertheless, this is an area
19 that we intend to pursue with vigor. And some of
20 the companies around the table are already doing
21 this and generating data, using our standard rat
22 protocol.

23 Colleagues at FDA have done a great job of
24 pointing out the value of acute phase proteins in
25 addressing—as a biomarker of inflammation. And

1 certainly they are increased, particularly
2 C-reactive protein that Tom was alluding to. They
3 are increased in a variety of different vascular
4 injury syndromes in the animal models. But we need
5 more data, and we need to validate.

6 And, lastly, figuring out how to
7 capitalize on using tissue from our studies, doing
8 GNRAs, doing proteomics, and metabonomics on urine
9 or plasma, of which many of us around the table are
10 already doing, there's a lot of data that we review
11 when we get together, and it's always exciting, but
12 it's difficult to interpret at this point. And I
13 think that's the problem.

14 So we need—I think the solution to that is
15 a lot more data, and a lot more people
16 participating in the program.

17 We have a short list—at least I think it's
18 a short list of markers that we're tending—but this
19 isn't immutable—but tending to focus on at this
20 point. And that's urinary metabonomics, an
21 initiative being taken forward right now by Pfizer.
22 Don Robertson is a member of our group, and he's
23 supporting that effort.

24 GSK is spending a lot of time—and
25 others—looking at circulating endothelial cells.

1 Frank's group at FDA, through CREDA, with
2 Boeheringer-Ingelheim, and perhaps others I'm not
3 aware of, are looking at acute-phase proteins. And
4 also other companies around the table are doing
5 that as well.

6 We have a proposal—many of us are
7 interested in soluble E-selectin as a potential
8 marker. And we have—the group has identified an
9 investigator at DelHause University in Canada who
10 actually has the rat E-selectin cell line—has a
11 cell line that produces E-selectin antibodies—rat
12 E-selectin antibodies. And we're working with this
13 investigator right now to put together a proposal
14 to allow—that will allow him to generate an assay
15 system so that we can look at soluble E-selectin
16 the rat.

17 There's a proposal on the table, and we're
18 right now looking for ways to fund it.

19 So now I have four or five slides, in
20 closing, which I've entitled "Discussion Points."
21 And I think, Dr. Doull, I'm looking for—the
22 committee is looking for an exchange of information
23 here, or exchange, comment—we're looking to hear
24 what you folks think of what we're doing.

25 [Slide.]

1 The White Paper, you have a draft of. And
2 please consider it as a working draft. It's by no
3 means a polished document.

4 However, it is our intention—and we're
5 looking for your comment—to publish this as a
6 review, somewhere—in the next six to nine months.
7 The timeline of the committee is not really
8 established. But the document needs polish.

9 I'm looking for your comment on this
10 initiative, and also I—the committee would like to
11 learn about the formal process to get NCSS
12 ratification—and confirmation.

13 I think—my opinion, that's just me
14 speaking—I think it would be very important, as Ken
15 said yesterday, to publish these papers, having
16 agreement, or the blessing of the NCSS. But I
17 don't know clearly how to do that, and I'm looking
18 for guidance.

19 [Pause.]

20 That can come from anyone.

21 [Pause.]

22 Any comments?

23 [No response.]

24 DR. DOULL: Well, as we mentioned
25 yesterday, with the cardiovascular one, there are

1 two issues. One is a scientific issue. And I
2 think, clearly, the NCSS will give you feedback on
3 our scientific interpretation of where you're going
4 and so on.

5 Then there is the other problem, and that
6 is the one that meets the FDA requirements. And
7 I'm not sure we fully understand what those FDA
8 requirements are, in order to publish it with the
9 imprint of the NCSS on the publication.

10 DR. KERNS: On behalf of the committee,
11 would it be possible to action some one, or some
12 small group to help Ken and I understand the
13 process more clearly? Jim, is that something that
14 you could take on?

15 DR. MacGREGOR: Well, I mean, I think
16 clearly the first step in the process is the
17 discussion that's happening right now, and to get
18 feedback from the subcommittee, and to determine if
19 the subcommittee feels that the document that's
20 been put forth is a valuable document, and is on
21 the right track. And then, the next step would be,
22 if that's the case, when this comes to a point that
23 everybody's comfortable, that clear paths forward
24 have been defined, then for the subcommittee to
25 consider which of those might be followed. And if

1 there--and to come out with a recommendation to FDA
2 that certain paths should be followed, and that
3 support for those paths should be sought.

4 DR. DOULL: Let me back-follow-up on what
5 Jim is saying.

6 We have talked off and on about
7 publication as a scientific review and a peer
8 review journal, and so on. And we've also talked
9 about submitting that report simply to the
10 subcommittee. I think it's going to be more
11 valuable for what we want to accomplish if it's out
12 there in the peer review literature. And so I
13 think that's really what you're asking--is how do we
14 go about putting a review paper out in the peer
15 review literature which, in fact, has the
16 cooperation, and collaboration and ratification, if
17 you will, of the NCSS.

18 DR. KERNS: That's correct.

19 DR. DOULL: And that's what we'll explore.

20 DR. KERNS: Okay.

21 DR. DOULL: But before, actually, we do
22 that, of course, we will need both from the
23 cardiovascular paper--we've had the outline, now
24 we'll need the White Paper which spells out, as you
25 have done, Bill, all of these different things for

1 the committee to actually go through piece by piece
2 and give you our comments on that.

3 DR. KERNS: Okay.

4 DR. DOULL: We may have to have a little
5 time to do that, because there are several members
6 of our subcommittee who aren't here, and I think
7 they all ought to have an opportunity to review the
8 paper, and we'll send you our conglomerated
9 comments.

10 DR. KERNS: So, I'd like to suggest, then,
11 that--and please comment, Frank and Tom and what
12 others are here--that, you know, what we have given
13 to you is a working draft. We would like to
14 finalize that, then, over the next--month? That's
15 a positive nod?

16 [Laughter.]

17 DR. KERNS: And send you a final--a
18 penultimate draft for peer review, then, in October
19 sometime.

20 DR. DOULL: That's fair enough. We gave
21 you a challenge, now you can give us a
22 challenge--time challenge, at least.

23 DR. KERNS: Okay. Good.

24 Sorry--Jim?

25 DR. GREEN: Just one comment first. I think

1 that in my review of this paper, I think it would
2 be an excellent contribution to the literature, and
3 a review, not only for this particular issue, but
4 it can serve as a template for other issues that
5 we're looking for biomarkers of either activity,
6 efficacy or toxicity.

7 So, I think—I encourage the committee to
8 proceed forthwith, and get something like this out
9 into the literature.

10 One comment that I will have, though—and
11 it gets back to my question about, you know, how
12 big a problem is this issue? And I don't think
13 it's well reflected in here. And I think, in order
14 to—and, obviously, you're getting a lot of interest
15 and support from major pharmaceutical companies who
16 are committing their resources. So they believe
17 that this is an issue that somebody—or a group
18 within the FDA thinks it's an issue. So perhaps
19 this is why they're paying attention to it. But
20 it's not clear to an independent reviewer as to
21 why—you know, what is triggering this.

22 Now, one of the things that I would also
23 encourage you to think about, and perhaps reflect
24 in here is—you know, why—what is the rationale for
25 focusing essentially on the model that you're

1 choosing—the rodent model. And is it just history
2 of experience? It's practical?

3 Well, you know, the situation that you're
4 presented with right now is that there's a
5 disconnect, essentially, between the presentation
6 of the pathology in the human setting, and then
7 what's reflected in both the dog and the rodent
8 models.

9 So, some would perhaps say that either of
10 those models don't reflect a—quote-unquote—"gold
11 standard" to reflect the human pathology. So
12 unless you're making a case in here as to why the
13 rat, why the dog—I mean, perhaps it's just simple
14 anatomy.

15 DR. KERNS: Right.

16 DR. GREEN: I mean, I would think—is the
17 blood vessel anatomy and biochemical structure of a
18 rodent most similar, essentially, to that in a
19 human, normal or pathologic setting? Is the dog
20 that way? Or is a primate that way? Or some other
21 model.

22 It's not clear to me, essentially, what
23 the rationale for that selection would be. And the
24 context that I'd bring this up in is we develop
25 drugs all the time in animals, and we're looking

1 for activity that is putatively thought to reflect
2 activity in humans. So if we find a model that we
3 believe--this, for example, clot-busting
4 drug--essentially if it's active in dogs then it's
5 likely to be active in humans. And why is that?
6 Because the correlation has been set up, and then
7 some would refer to perhaps that model as the gold
8 standard.

9 So whatever comes out of this with respect
10 to biomarkers, we're going to be in a situation of
11 trying to--or at least somebody's going to look:
12 what's the clinical relevance of this observation?
13 So if you haven't made a good case, essentially,
14 for why you've selected this model, there's
15 somebody that's going to look at this and say,
16 "Well, it's a different lesion."

17 That's the only comment I would offer.

18 DR. KERNS: I think all very good comments,
19 and we'll certainly take those on board, I think,
20 in the next iteration of the document.
21 Particularly, I think, reviewing the incidence
22 data--clinically--I think is an important point that
23 we need to cover.

24 I think--let me just address your final
25 comment, though, Jim--just to make it clear. And

1 this is my understanding of the charge.

2 And that is, we have a problem in animals.

3 And I think what we're interested in doing is
4 developing a set of biomarker profiling to
5 demonstrate that these changes do not occur in
6 humans. Okay? So I think, for me, that's the first
7 objective.

8 And so in that sense, then, we're not
9 really dealing with the human disease—okay?

10 Because of leukocytoclastic angitis, there are
11 markers in clinical—human clinical medicine that
12 are elevated in hypersensitivity angitis. They're
13 not good markers, but they are used.

14 We've looked at those markers in our
15 animal models and we don't see increases—okay? So,
16 once again, what we're doing is developing a set of
17 markers that we can use in a phase I, eventually,
18 we hope to demonstrate that the changes we see in
19 animals do not occur in humans.

20 Sorry to keep reiterating that, but that's
21 an important point.

22 DR. CAVAGN-invasive or non-terminal. I
23 mean—so we can't focus on histopathology.

24 DR. KERNS: No. These are all
25 non-invasive.

1 DR. CAVAGNARO: Right.

2 DR. DOULL: I agree. I think Joy and Jim
3 have pointed out something that would enhance the
4 document considerably. You know, there's the
5 argument in tox that the rat gives us the wrong
6 answer. In actual fact, when you look at most of
7 those cases, the rat really isn't all that wrong.
8 It's just that we don't understand while alpha-2
9 globulin is different, you know, in one species and
10 not in another. Once you really understand that,
11 then you understand it's not really an exception
12 it's just an aberration in most of those cases.
13 And that really is what we need to understand here.

14 That's why it's so research focused,
15 rather than the presentation that we heard
16 yesterday on the tropins.

17 DR. KERNS: Good points, Jim, and we'll
18 take those on board in the next iteration of the
19 document.

20 Joy?

21 DR. CAVAGNARO: So, in the survey of the
22 lesion in--presumably the studies were done in both
23 dogs and rats, classically. Is it a hundred
24 percent of the time that both species get it? Do
25 rats get it more predominantly than dogs, and

1 that's the rationale for the selection of the rat?

2 DR. KERNS: In my experience, some drugs
3 cause lesions in multiple species, and some drugs
4 cause lesions only in rats or dogs or monkeys.

5 DR. CAVAGNARO: Right. But this particular
6 lesion.

7 DR. KERNS: But the lesions that we're
8 talking about here are seen, at the right dose, in
9 rats at 100 percent. Okay?

10 DR. DOULL: Those four biomarkers you were
11 talking about--specific ones. The selectin and
12 the--

13 DR. KERNS: Well, we don't have any data
14 yet on the biomarkers, but the compounds, I
15 think--Joy was alluding to the compounds, the model
16 compounds we're going to use: Fenoldopam, dopamine
17 and the PD-IV inhibitor cause lesions in 100
18 percent of rats at the right dose.

19 And it just so happens, in this case, the
20 PD-IV compound also causes changes in the dog, and
21 dopamine also causes changes in the dog--and humans.

22 Jim?

23 DR. MacGREGOR: I might just get back to
24 the reports and ask if everybody's clear about the
25 possibilities for reporting. Because I think the

1 group has really discussed how to publish this
2 report in two different contexts. And I think it's
3 important for the group to have some clear
4 understanding how they're going to proceed.

5 Clearly the group has done a tremendous
6 job of pulling together a lot of useful scientific
7 information that I think we all have agreed
8 warrants publication as a scientific review
9 document.

10 But the reason the committee was formed
11 was really to develop a background that could be
12 the basis for recommendations of the subcommittee,
13 with the concurrence of the parent advisory
14 committee, might make to FDA as the paths that
15 should be pursued.

16 So that opens the possibility of a
17 slightly different type of report, which would be a
18 formal committee report that incorporates the
19 scientific background into recommendations for
20 courses of action that might be pursued.

21 And I think either or both of those are
22 possible, and they could be done in a variety of
23 ways, depending on the desires of this group and
24 the working group.

25 I think clearly the scientific basis for

1 the scientific review is there. The question is
2 the degree to which the committee feels they want
3 to use these conclusions as the basis of a formal
4 report, and whether that should go public, or
5 whether that should be an FDA document, with some
6 recommendations about what's the most valuable path
7 forward to address this problem.

8 DR. DOULL: I would remind the committee
9 that, you know, the name of our committee is the
10 "Nonclinical Studies Subcommittee." And that is
11 the charge essentially. The bridging biomarkers is
12 the second charge. We would hope that the
13 biomarkers that are developed in nonclinical
14 studies would, in fact, be could bridging markers.
15 But that whole issue would have to be dealt with in
16 the kind of paper you're talking about, Jim.

17 DR. MacGREGOR: Well, maybe that's
18 something that would warrant a little bit of
19 discussion right now. I guess, in my mind, just
20 expressing my personal opinion, for a non-clinical
21 marker to be truly useful, it needs to be a
22 bridging biomarker. And you really cannot
23 completely separate the non-clinical from the
24 clinical. You need to be thinking about those
25 characteristics in both the animal models and the

1 human that are going to make it useful in that way.

2 In a way, this is an excellent model
3 choice for an effort like this, because I think
4 this is a clear need, where there are some
5 morphological and mechanistic differences in things
6 that are, at least normally, seen in the two
7 species, with a very clear need for bridging
8 biomarkers that can help bridge those pieces of
9 information, and understand whether what's
10 happening in animals does or doesn't happen in the
11 human, and whether it's manifest in a different
12 way, or just not happening—all those questions are
13 still somewhat murky because we don't have
14 appropriate mechanistic biomarkers to answer the
15 questions.

16 DR. DOULL: But if those three mechanisms
17 that Bill talked about are, in fact, the primary
18 mechanisms by which these effects occur in dogs and
19 in rats, then those mechanisms are likely to be
20 primary mechanisms for damage in people.

21 So that, you know, that forms the basis
22 for providing a set of biomarkers which have
23 potential to be bridging biomarkers, if we
24 understand them more full. I read that in your
25 document.

1 DR. KERNS: I mean--both very good parts.
2 But I think, from the committee's perspective, yes,
3 it's a nonclinical studies subcommittee, but we are
4 incredibly influenced by the fact that these need
5 to be bridging biomarkers. So we can't ignore that
6 as we move forward.

7 DR. DOULL: Well, when we circulate the
8 document to the other members of the subcommittee,
9 I think we need to talk about those issues, Jim,
10 that, you know, we're thinking about a scientific
11 review which includes all the information and so
12 on, and also what we might present to, say the
13 Advisory Committee on Pharmaceutical Science--as a
14 recommendation.

15 DR. CAVAGNARO: So--for the clinicians in
16 the audience--no clinicians? So, I was just
17 wondering, in terms of the data, with tconcerned
18 about, so that when we bring them forward to the
19 clinic, we won't be able to distinguish--

20 DR. KERNS: Right.

21 DR. CAVAGNARO: --because they're elevated
22 in a basal--

23 DR. KERNS: Good question. There are many
24 clinical diseases where circulating endothelial
25 cells--E-selectin and acute-phase proteins--clinical

1 vascular diseases are elevated. And that's all
2 been published. And that is—in part, that
3 influences our decision to look at these markers in
4 animals.

5 But please keep in mind that our primary
6 objective—if you remember the mandate on page two
7 of your paper—it says, "To look for biomarkers that
8 we can transition into Phase I." It says "Phase
9 I/II," actually.

10 So I would envision, you know, in the
11 first instance, we're looking at normal volunteers
12 with a baseline level of circulating endothelial
13 cells, E-selectin, is non-detectible or at
14 baseline—whatever it is. So in that clinical
15 experiment, then, we're going to be looking—and we
16 should be able to see, in theory—clear increases in
17 their changes.

18 So—as we get into patients with lots of
19 diseases—vascular diseases, diabetes,
20 atherosclerosis, smoking—I think it would be very
21 difficult to find biomarkers that have specificity
22 and sensitivity. And I think that's why we need to
23 focus, at least initially, on phase I volunteers.

24 Anybody else have an additional comment on
25 that point?

1 Was there another question here? Yes.

2 DR. ANDERSON: Do you know what they're
3 looking for in the urinary NMR and, two, do you
4 know whether or not they compare the animal NMR—I
5 think you said plasma—to human the NMR of the human
6 plasma.

7 DR. KERNS: Right. It's a good question.

8 At this time, the urinary NMR has
9 primarily been focused on rat urine from rats
10 receiving the Pfizer PD-IV inhibitor, as well as a
11 couple of other compounds—but only in the rat. And
12 I can tell you that there are clear pattern
13 differences in the NMR spectra from rat's urine
14 receiving these compounds.

15 To my knowledge, you know, this has not
16 been transitioned into humans—to my knowledge. But
17 that is something that we would plan to do
18 eventually, you know, in the bridging stage.

19 DR. ANDERSON: Well, their probably looking
20 at metabolites, I would guess. So that's why they
21 were different than--

22 DR. KERNS: Yeah.

23 DR. ANDERSON: —those that don't have it.

24 DR. KERNS: I'm not a metabonomics person,
25 but I think—you know, the—yes, there are

1 metabolites in urine, but also lots of fatty acids,
2 many other compounds that are being looked at in
3 the metabonomics profiling.

4 You know, metabolites clearly differ
5 across species, and that could be--

6 DR. ANDERSON: I'm not a metabolite person,
7 either. I'm thinking about what NMR does, and
8 looking at--well, it might be interesting to look at
9 the human NMR, as well.

10 DR. KERNS: The--

11 DR. ANDERSON: The human urinary NMR.

12 DR. KERNS: Right. But we're not--we agree,
13 eventually that will--

14 DR. ANDERSON: Differences or sameness
15 could suggest a lot of thing.

16 DR. KERNS: Right.

17 Frank?

18 DR. SISTARE: Some of the beauty of this
19 effort is while there's some common protocols and
20 sharing of data, many of the people that are
21 working in this area are also doing things--you
22 know, as Bill mentioned, we have CREDAs with other
23 people, we have transfer agreements with other
24 people, and there are other people that are
25 doing--that are contributing to, like, the formation

1 of the document that are doing some investigations
2 on their own—maybe with proprietary compounds,
3 these kinds of things.

4 So, some of the investigators that are
5 involved here, we've been working with—we generate
6 samples, and we would share them with a
7 collaborator who would do urinary metabonomics, for
8 example, on some animals that we have dosed. And
9 we're looking at, say, protein biomarkers in the
10 serum. We'll have the urine, we'll send it to
11 them, and they will do some of these analyses.

12 Now, I do know that some of the people
13 that are involved here are looking at human urine
14 as well; looking at cohorts of normals, and the
15 human vasculitities—the clinical vasculitities, the
16 Shirk Strauss, the Takiasu's, these kinds of
17 diseases. And they are seeing differences. So
18 it's encouraging.

19 It is a challenge—I mean, to see—you know,
20 do like a principal component analysis, and look at
21 patterns of these—they're intermediary metabolites
22 of carbohydrate metabolism and protein metabolism,
23 and fat metabolism that they're seeing in the
24 urine. And when—it is a challenge to identify
25 which of these may be the critical ones that end up

1 being the discriminatory biomarkers that you can
2 hang your hat on. And there are certain controls.
3 You have to watch diet—control diet, and make sure
4 of these things.

5 But some of these things are being done.
6 There's a lot of success. Jeremy Nicholson's
7 group, for example, has really lon of vasculitis at
8 Pfizer. And at Pfizer they are looking at human
9 applications, as well.

10 DR. ANDERSON: I know that metabonomics has
11 made a lot of progress in the last 30 years, but 30
12 years at Chicago they were tagging compounds with
13 fluorine. And at that time I was the fluorine in
14 spectroscopies, and I was running the samples for
15 the people in the cancer research center. And then
16 there's a big difference in what they do now. But
17 that's why I was wondering about if there's a
18 comparison between the animals and the humans,
19 because that could perhaps tell a lot about what's
20 going on.

21 DR. CASCIANO: I'd just like to make a
22 comment, to follow up on that.

23 There are studies being proposed that
24 would link metabonomics to the other omic
25 technologies which would then help understand that

1 the portobations that are occurring in discovering
2 specific intermediary metabolites by also
3 understanding pathway changes that might be linked
4 to them.

5 So those are beginning to occur in
6 specific cases in rodents, so that hopefully that
7 metabonomic data developed in the human would be
8 more definable and credible.

9 DR. CAVAGNARO: So, can I understand--so the
10 protocol, the proposed protocol--the rat protocol,
11 then for that protocol--do you have the list of the
12 end points? Right.

13 Okay, so for the protocol with the
14 three--so each of the three drugs will have all of
15 these markers? Or will they be selective? So for
16 each of the three, will have urinary NMR,
17 circulating--so that's the idea of the protocol.

18 DR. KERNS: The answer's yes--but minimally.
19 Because we have--as Frank pointed out, we have a
20 standard protocol, but there is lots of independent
21 work going on within companies around the table.
22 So, in addition to these and others, there will be
23 many biomarker data sets coming to the table.

24 [Comment off mic.]

25 DR. KERNS: Yes. There's some technical

1 challenges. Doing the urinary NMR primarily has to
2 be done at one site. It's difficult to do that and
3 send the urine around. So this—I've got some
4 negotiating to do. If Don Robertson and Pfizer's
5 going to do all this work, I think I'm going to
6 have to talk to Jack. So—but, in essence, yes.

7 I mean, we would hope to have a robust set
8 of data, of not just these four but many different
9 biomarkers including these, from at least these
10 three compounds in the rat. And then, hopefully,
11 we'd be in a position, as you'll see later on, in
12 about a year, to make some decisions.

13 Moving on with points for discussion, I
14 was very happy yesterday with the discussion about
15 potentially moving under the umbrella of NCTR. And
16 opens a lot of opportunity for us within the
17 committee to not only get work done, but to
18 collaborate with other scientists in an independent
19 but collaborative way.

20 I think, with the—and I just learned
21 yesterday about—and when I visited NCTR a month
22 ago—about the new initiatives there in genomics,
23 proteomics, metabonomics—Dan just mentioned.

24 I think in addition to those—that
25 resource, in addition to the resource we haveully,

1 to expand our data base and knowledge.

2 And we believe—many of us believe—that
3 there's great opportunity. You know, we focused on
4 the logical biomarkers. That's the table in the
5 report. These are the logical biomarkers based on
6 what we think we know about pathogenesis. But we
7 have no idea what's going on at the gene level and
8 the protein level. And there certainly is a lot of
9 information there that we need to generate, bring
10 to the table, and influence decision-making.

11 And that remains to be done. Some of
12 it—some member companies have already been moving
13 in this direction, but the data are evolving.

14 So I see this as an opportunity. I hope
15 you do, as well. And, you know, I think what
16 remains for me as a committee chair is to
17 understand the process as to how we get in the
18 queue, so to speak, to access resources at NCTR—not
19 something we need to talk about today, but it's
20 information I think, as committee chair, I'd like
21 to receive back from NCSS and NCTR.

22 I mentioned earlier that we have a
23 letter—it's just a one-page letter that's being
24 crafted, to send to the key stakeholders at Abbot,
25 who owns Fenoldopam, and Pfizer, who owns CI-10-18,

1 two of the model compounds we intend to use.

2 We would like to access, say, a half to
3 one kilogram of each one of these compounds. I
4 have already received informal agreement that this
5 is possible, but we need to send a letter to the
6 key stakeholders—Jack is one of them, Reid
7 Patterson and his colleagues at Abbot are the
8 others—a letter from the NCSS, FDA, requesting the
9 compound. And I need your comment or discussion on
10 that topic, and agreement to move forward.

11 The second thing I need to know is that
12 that is the easy part. Having receiving the
13 compound—whatever we can get—how do we manage
14 it—it's distribution to the customers on the
15 outside? Is that something that we want to do
16 through FDA? Or is it something we want to try to
17 do through, in this case, Pfizer and Abbot?

18 In an informal discussion I had with Jack
19 yesterday, his suggestion was—give it to FDA.
20 Probably simpler than having Pfizer receive a
21 hundred requests for material transfer. And
22 perhaps that's the smart thing to do, but I don't
23 know if we have the infrastructure here to support
24 that.

25 So I'm looking for some discussion around

1 this topic.

2 Joy?

3 DR. CAVAGNARO: Let me understand. The
4 Abbot Drug, and it's an approved drug, and is a
5 marketed drug?

6 DR. KERNS: That's correct.

7 DR. CAVAGNARO: But the Pfizer drug is an
8 investigational drug?

9 DR. KERNS: That's correct.

10 DR. CAVAGNARO: So that right there, that's
11 a huge distinction, in terms of, I think,
12 understanding—I mean, one's an approved drug and
13 the investigational drug. And so now the
14 investigational drug that—so I'm understanding that
15 the data from these studies will all be public,
16 obviously, because of the relationship, in terms
17 of--

18 DR. KERNS: We have agreed within our
19 committee that everything we do will be published--

20 DR. CAVAGNARO: Right.

21 DR. KERNS: —and public.

22 DR. CAVAGNARO: So now you're shipping
23 investigational drug versus—well, I guess that
24 doesn't make any difference in terms of animal
25 studies.

1 DR. KERNS: It's not an investigational
2 drug. It is CI-10-18 is a drug that has been
3 looked out at Pfizer and terminated.

4 DR. CAVAGNARO: Oh, it's terminated.

5 DR. KERNS: Okay. But they are developing,
6 as are many other companies, other PD-IV
7 inhibitors.

8 DR. CAVAGNARO: Oh, okay. Okay. All
9 right.

10 DR. KERNS: So the PD-IV inhibitors are
11 being developed. They're in Phase III. And I'm
12 sure, shortly, one or two of them will be
13 submitted.

14 DR. CAVAGNARO: So the drug that they've
15 discontinued, is that on stability? And is it
16 being characterized? So, obviously, the approved
17 drug will have its qualifications and, you know--so
18 this drug, now that has been terminated, is it
19 still being--

20 DR. KERNS: Tracked--

21 DR. CAVAGNARO: --tracked, in terms of
22 stability--

23 DR. KERNS: Yes. Sure.

24 DR. CAVAGNARO: --and etcetera?

25 DR. KERNS: Yeah. That shouldn't be an

1 issue.

2 Dopamine, on the other hand, each
3 investigator can buy from Sigma. That really is
4 not an issue.

5 So it's these two compounds that, to
6 really kick off our experimental programs—you know,
7 we need access to drug. And my—the committee's
8 recommendation is to try to see if we can receive a
9 gift from these two companies.

10 DR. DOULL: The mandate of this
11 subcommittee is to help our working groups in any
12 way we can. So I think what we're saying is, we
13 need to find out exactly how best we can facilitate
14 what it is you want to do, Bill.

15 DR. KERNS: Okay. Well, our request then
16 is to agree with the strategy to pursue this, if
17 you think it's a good idea, via this introductory
18 letter, in this case, to Jack Reynolds and Reid
19 Patterson.

20 DR. MacGREGOR: I think this topic clearly
21 moves to the mandate of the subcommittee, in terms
22 of—as you said—recommending to FDA paths forward
23 and mechanisms for taking these steps. So I think
24 if a letter is to go out asking that materials be
25 made available, I think in order to do that, the

1 subcommittee needs to endorse the recommended, or
2 the identified approach--approach that's been
3 identified by the expert working group. And I
4 think, in my mind, the letter should probably go
5 from the subcommittee, asking that they endorse the
6 concept that these materials be made available for
7 study under a common protocol that would be useful
8 to FDA and its collaborators.

9 DR. DOULL: Yes, and I think we're at that
10 point. The working group has made the request, and
11 I think we need to explore our ability to, you
12 know, facilitate that request. And we'll do that.

13 DR. KERNS: So, with your permission, then,
14 we will draft a letter for signature by at least
15 yourself, Dr. Doull, and maybe me and Jim. I don't
16 know who the others are. But I think, minimally,
17 yourself, from ht. So, in that letter, are we
18 going to try to work through the other pieces?
19 Because, one, you need to know a mountain, and how
20 are you going to manage that.

21 DR. KERNS: Yes.

22 DR. CAVAGNARO: So the expectation is that
23 that should probably also be part of the letter, as
24 well, and not just--

25 DR. KERNS: Yeah--the detail will be in the

1 letter.

2 DR. CAVAGNARO: Yes, I think we probably
3 need to provide some detail.

4 DR. KERNS: As to how it will be managed.
5 Yeah.

6 DR. CAVAGNARO: Right.

7 DR. KERNS: I think, ideally, for the
8 companies, it's one MTA coming to FDA. But I need
9 to talk to Frank, and others here, as to how that
10 could be managed. Maybe it could be managed at
11 NCTR, as a distribution site--also is a possible
12 way to do it.

13 Frank, do you have any thoughts?

14 DR. SISTARE: Yeah. Traditionally,
15 whenever we obtain a compound from the regulated
16 industry, it is through a legal document; through a
17 material transfer agreement. And the standard
18 format indicates that we cannot give that to anyone
19 else. It has to--you know, when we're done with the
20 study, we have to give it back to them or destroy
21 it.

22 DR. KERNS: Really?

23 DR. SISTARE: So, the way it's written
24 right now, that's the standard. That doesn't mean
25 that it can't be amended. So that's something that

1 would need to be explored. And with the permission
2 of the supplier, I'm sure, you know, we could come
3 to some agreement. It just has to—we just have to
4 make sure that, you know, there's no perception of
5 any impropriety and all that kind of stuff.

6 DR. MacGREGOR: Yes, I think—I mean, I
7 think clearly you'd need a material transfer
8 agreement to do this. But I believe those
9 agreements certainly can be structured to make
10 material available for research purposes. I think
11 another question is if the material is to be made
12 available and distributed by FDA, then how are
13 priorities set for how that would be distributed?
14 I mean, I think that's something that needs to be
15 done through the oversight of the subcommittee,
16 really working with the expert group. I mean,
17 that's the mandate that we gave to the
18 subcommittee, was to identify paths forward to
19 solve certain problems to advance the science, and
20 to provide oversight of collaborations that could
21 be formed to pursue those objectives.

22 DR. KERNS: That's a good point, Jim. So I
23 think all that needs to come out in this --

24 DR. DOULL: Where we're at—we've received
25 your request, and we'll do our best to find out how

1 we can help.

2 DR. KERNS: Okay. Very good.

3 Second, I think it's time for us to begin
4 to think about planning for some sort of symposium
5 in a year's time. It could be independent. It
6 could be linked with cardio-tox group. It could be
7 linked with ILSI, it could be linked with ACT,
8 SOT--any one of a number of organizations.

9 But I think between now and 12 months from
10 now, the contributing scientists around the table
11 will have generated a lot of data. And I think we
12 need to begin to plan the presentation of those
13 data, and get other people around the table--more
14 ideas, and so on.

15 So I think our recommendation is that--you
16 know, I think Ken and I really haven't had time to
17 talk about this, but how do we move forward along
18 these lines, and, I guess, looking for
19 discussion--what are your ideas. What are you
20 thinking--with regard to the current state of the
21 project? And how is it best organized? Is it
22 independent, or is it aligned with some other
23 meeting?

24 DR. CAVAGNARO: So, is the expectation--if
25 drug is available, that some of these studies--that

1 there will be data to--so the October symposium of
2 next year is to discuss the concept. Is it to --

3 DR. KERNS: There will be --

4 DR. CAVAGNARO: --will there be data
5 available from these --

6 DR. KERNS: -- data.

7 DR. CAVAGNARO: --okay. So they'll be from
8 this rat protocol --

9 DR. KERNS: Right.

10 DR. CAVAGNARO: --and now it will be an
11 opportunity to present that at a more--

12 DR. KERNS: That's my whole--right.

13 DR. CAVAGNARO: Oh, okay.

14 DR. KERNS: Not just the rat protocol.

15 Keep in mind there's lots of independent work going
16 on around --

17 DR. CAVAGNARO: Right.

18 DR. KERNS: --the periphery, within
19 companies, that is currently not available to our
20 committee--formally. And I would hope that in the
21 next 12 months a lot of that information could come
22 to the table and be presented, as well.

23 DR. CAVAGNARO: Is it my understanding that
24 this initiative won't be picked up by ILSI or other
25 organizations; that we're not duplicating

1 anything—that this is given the go ahead that, you
2 know, if we so bless this activity, that there
3 won't be—or, if there are, it's more—not so much
4 competition, but they'll look at another piece of
5 it. So, in the end, we have really a collective
6 data base?

7 DR. KERNS: That's a fair question. I
8 think—my understanding currently, this is an FDA
9 project. And ILSI is not involved. But I know Jim
10 is—sits on the biomarker group in ILSI. Maybe he
11 might have a comment?

12 DR. MacGREGOR: Well, I think, actually,
13 this enters into yet another topic that we really
14 haven't addressed, which is: having identified
15 paths that should be pursued, you know, then where
16 would the resources come from to do that.

17 Now, we've already heard that
18 spontaneously, some of the people who've been
19 involved around the table have already begun to do
20 some things along the lines of the discussion—and
21 that's terrific. And I think once courses are
22 defined, we need to think about—and in my mind,
23 ILSI would be one of the organizations that I might
24 think about, that might be interested in certain
25 biomarker initiatives that would come out of here.

1 And both at this group in its early stages, as well
2 as some of the ILSI discussions, there's been
3 discussion of trying to coordinate between FDA and
4 ILSI priorities, to move forward in this way.

5 So I would think that at some stage we
6 would want to make initiatives that come out of
7 this group known to groups like ILSI, and other
8 groups, who may wish to participate or collaborate,
9 or to bring it int

10 I think we need to give some thought to
11 what kind of a conference we're talking about;
12 whether it will be a small conference, dealing with
13 biomarkers for vascular injury, a broader
14 conference dealing with biomarkers in general, or a
15 big biomarker-type effort. And that would depend
16 somewhat on whether it's ILSI, or SOT.

17 The ACT thing that Ken did was very
18 valuable to that committee, and it was very
19 focused. And, you know, that has some merit in
20 that it gets a lot of feed back to your working
21 group.

22 I guess what I'm thinking is that we also
23 need to explore that, Jim. That has to do with the
24 future activities, really, of NCSS—you know, how we
25 begin to put those together to publicize all that

1 kind of activity, and how we begin to plan the
2 future things that NCSS will do. We're not going
3 to be limiting only to cardio and vascular-type
4 biomarkers. Eventually we'll broaden that.

5 DR. KERNS: Well, it sounds like there's
6 reasonable consensus that this is something we
7 should pursue in some format -- and in 12 months'
8 time. And I think the committees, and maybe Ken
9 and I and Jim, need to take that on Board and come
10 up with some plans and strategies to send back.

11 DR. MacGREGOR: I think we need to not
12 necessarily at this moment--but we need to set a
13 time-line for the NCSS formal review of these
14 proposals, and then to get some clear feedback from
15 the subcommittee, their endorsement of these
16 payouts. And then structure that into the next
17 meeting of the subcommittee to determine where
18 things should move.

19 DR. KERNS: Just for information, when is
20 the next meeting?

21 DR. DOULL: We haven't actually decided.
22 This meeting--you know, we're a little unstable,
23 because we weren't sure exactly where NCSS really
24 was--at home, or functioning and so on. I think
25 now, then, although all the details of that have

1 not been resolved, the intent is clear—Dan's intent
2 and Helen's intent.

3 So I think—the subcommittee now feels
4 fairly comfortable about all that, and I think we
5 are now in a better position to move ahead and make
6 some plans for the future.

7 DR. KERNS: Let's move on.

8 The next item relates to the biomarkers.
9 You know, we have provided to you in the table, in
10 the report, a long list of potential markers, based
11 on probable pathogenesis.

12 My question, I think, from the committee
13 is what do you think? Do you have some other
14 ideas—other things that we should be thinking about
15 at this point in time? We've also tried to
16 identify what we think is the low-hanging fruit, so
17 to speak, on this slide. And, you know—what are
18 your thoughts, comments? Do you have other ideas,
19 or should we be moving in other directions?

20 That was a question to the committee?

21 DR. DOULL: It's an overwhelming list.

22 DR. KERNS: Any ideas?

23 [Pause.]

24 Ken? It's just a comment, and I'm sure
25 that your working group has already discussed this,

1 and that is being able to distinguish between a
2 primary and a secondary adverse vascular event.
3 And that some of the markers that you have listed
4 up there would probably change in response to a
5 secondary toxicity, as well as a primary.

6 I think it's just the nature of the beast
7 that you're looking at that there's not real clear
8 primary specificity.

9 DR. KERNS: Very good point. And, I think,
10 you know, specificity, sensitivity are issues that
11 we will have to deal with down the road. And I
12 think, at this--what you see is a shotgun listing at
13 this point in time.

14 I think the ones--the short list that I
15 pointed out, are ones that perhaps have more
16 specificity and sensitivity in normal animals and
17 normal volunteers. But there's a lot more homework
18 to be done there--but, ver good point.

19 Joy?

20 DR. CAVAGNARO: And is the protocol such
21 that you will have multiple readings of those
22 individual markers, or is it a single end-point?

23 DR. KERNS: When you say "multiple," what
24 do you mean?

25 DR. CAVAGNARO: I'm sorry--over time.

1 DR. KERNS: Over time--yes.

2 DR. CAVAGNARO: Right. So the protocol
3 is--and that's--what--can you describe just an outline
4 --

5 DR. KERNS: Briefly, the protocol--these
6 will be single--the model that we've set up are
7 single-dose studies, subcutaneous exposure, high
8 doses; animals are sacrificed at various times. I
9 forget the details now--number of times--but maybe
10 seven or eight time points over a period of about
11 48 hours, roughly. I can't remember the details.

12 And we'd be looking at a variety of
13 different endpoints at each one of those time
14 points, varying from, you know, PK to potential
15 biomarkers, a routine clin path, histology,
16 addressing the sampling issue that Jim pointed out.

17 So, a single-dose study. And so--so you
18 have data to suggest that a single dose--you've seen
19 these lesions at a single dose, obviously.

20 DR. KERNS: sure. These lesions occur
21 within hours. The lesions I showed you this
22 morning, early on--earliest events, endothelial
23 compromise can be seen ultra-structurally within
24 hours.

25 DR. DOULL: okay. Your committee--working

1 group has developed that protocol, and it's not in
2 here, but we probably --

3 DR. KERNS: We should put that in there.

4 DR. DOULL: Yeah.

5 DR. KERNS: Actually, that was my intent --

6 DR. DOULL: --you could --

7 DR. KERNS: --actually, I forgot to do that,
8 yeah.

9 DR. DOULL: Yeah.

10 DR. KERNS: It's a good point. We'll put
11 that in the next draft.

12 That's a very important point. I
13 didn't--and I forgot to mention that. But, in terms
14 of time course, these lesions occur--can be
15 initiated very rapidly. And that's why I think
16 biomechanical is a high probability mechanistic
17 path, I think--for some of the compounds, but
18 certainly not all of them.

19 DR. DOULL: And I would add --

20 DR. KERNS: And, you know, regarding the
21 model data, this--a lot of this has been published
22 by people around our table. So we're all quite
23 familiar with the details. And the single dose--the
24 study paradigm is--should be no problem.

25 DR. SISTARE: I'm sure I would add that

1 we're sort of starting there, because that would be
2 one of the easier ones to tackle—to be able to
3 develop some consensus, in terms of being able to
4 pick a lesions that you can pick up within 24
5 hours, for example.

6 There are other examples of compounds
7 within the same class at lower doses that make take
8 weeks or months to develop in some of the
9 nonclinical studies.

10 And that's probably going to be more like
11 the clinical scenario, where we won't go with such
12 high doses. We'll go with a lower dose, and then
13 it's going to—it may surface at some late time. So
14 we have to be cognizant to those kinds of things,
15 and sampling times, and—you know, markers that may
16 go up and down real quick. Other markers that may
17 go up and stay up for a length of time.

18 So, a lot of these markers have certain
19 virtues, in terms of those kinds of kinetics. So
20 that's why we had to take the shotgun approach to
21 begin with.

22 DR. KERNS: Just for clarity, although
23 we've talked about our standard rat mode, we'll put
24 the protocol in the next iteration of the document.
25 But there are several companies around the table

1 who are still looking at other species, which is
2 important, as well. I know Calvert, sitting behind
3 you, they're looking at dogs still, and that's very
4 important.

5 But, on the practical side, Jim, we chose
6 the rat for strictly practical reasons. And
7 compound supply issues.

8 DR. CAVAGNARO: So, when we look at the
9 dog, will we also be looking at the same endpoints,
10 at least? Those core--so, you're defining a core
11 set, you know, of minimal endpoints that will track
12 with most protocols. Because if--we're not going to
13 be ab--

14 DR. KERNS: Yeah.

15 DR. CAVAGNARO: --you know, if we're looking
16 at too many different things. And so, for the dog
17 initiative, then, is it clear that we're going to
18 look at the same endpoints?

19 DR. KERNS: Right. At this point in time,
20 the EWG does not have a dog initiative. There are
21 companies that are going to continue to work in the
22 dog, and as the reagents become available for the
23 dog--yes, we'll try to look at E-selectin across
24 species.

25 The problem, once again, is reagent

1 availability. So we're--and we're constantly
2 looking and trying to address all those kinds of
3 issues.

4 DR. CAVAGNARO: But you can at least take
5 samples --

6 DR. KERNS: Sure.

7 DR. CAVAGNARO: --when you can, and store
8 them, and for future. It just seems a missed
9 opportunity if somehow--I know it isn't our
10 initiative, but if it's going to add to our
11 initiative, presumably, at some point, that at
12 least whoever else is doing it will be aware, and
13 be able to --

14 DR. KERNS: Save tissue.

15 DR. CAVAGNARO: Right.

16 DR. KERNS: Yeah. That's a very good
17 point. And I--although we haven't specifically
18 addressed that with the dog folks, or the monkey
19 folks, I'm sure it's happening.

20 Can assume that Calvert--?

21 AUDIENCE: Yes.

22 DR. KERNS: Thank you.

23 Ken?

24 DR. WALLACE: Just a comment on the design.
25 You've picked a few compounds where you expect to

1 see an adverse event, and you've done a very good
2 job at formulating the path in which you should be
3 able to detect those.

4 But I'm concerned about the possibility of
5 seeing false positives. And have you considered
6 including compounds that you could also assess the
7 potential record of false positives, where you
8 don't have a primary vascular injury?

9 DR. KERNS: That's a very good point. And
10 we've talked about it within the group. And I
11 think it's certainly something that we must do when
12 we get to the validation stage--okay? But I think
13 to keep the workload manageable at this point,
14 we've chosen not to go down that path right away.
15 But it's certainly something that needs to be done
16 before we close out this project--to look at
17 specificity. We agree.

18 DR. DOULL: One thing that our committee
19 has talked about--subcommittee has talked about in
20 previous things is this--what Joy mentioned, the
21 data banking. You know, storage of samples and so
22 on. There need to be facilities in order to do
23 that sort of thing, and hopefully, that's some
24 area--another area that we could perhaps facilitate
25 in some way--as well as the agents that you use, the

1 samples that are collected--or both programs, Ken.

2 DR. KERNS: Additional comments on this
3 slide--or questions?

4 I'd like to tell you a little bit--there's
5 two last slides--our timelines, the EWG timelines,
6 that were established in November of '01, which was
7 this committee had it's first meeting in May of
8 '01. November of '01 we established this timeline.
9 And I'm only showing this to you to show you how
10 hard the committee has worked to stick to the
11 timeline.

12 And I think if you look at the items that
13 we would set--we would do and accomplish, according
14 to the timeline, you'll see--and I'm sure everybody
15 will have copies of the slides later, that we're
16 pretty much on target with meeting our objectives
17 of coming to SOT in March of next year, having
18 initiated --past tense--a lot of standard studies,
19 begun to generate lots of information and data that
20 we can review at a face-to-face meeting in March of
21 next year.

22 The only thing that I think remains to be
23 done is to develop a mechanism as to how we can
24 begin to organize and manage all this information.
25 And that's something the committee needs to take on

1 board.

2 We've talked about the symposium today,
3 and I think that's something that is—I don't know
4 the exact timeline, but it's an important piece to
5 this that needs to happen. And it's on target.

6 And we're committed to working with the
7 member companies, and the NCSS, to bring forward in
8 November of next year some potential biomarkers for
9 further investigation, supported by real data.

10 DR. CAVAGNARO: Well, I'll have to echo
11 with Jim. I mean, this is an amazing effort, to
12 coordinate as many folks as you have, in terms
13 of—and their contributions. I mean, again, it
14 shows that the concept is actually—you know,
15 hopefully, may work, and hopefully we'll be able to
16 support, you know, the concerns that you have and
17 so -- to support, so that you'll meet those—the
18 future, the future timelines as well.

19 But, I guess I was a skeptic, in terms of
20 this whole initiative, I'll have to admit, back, in
21 terms of vasculitis as being a huge—huge area, of
22 great unknown. And I think you all have done a
23 great job in terms of at least putting it into
24 some, I think, context that I think that we
25 actually can design studies. So thanks.

1 DR. KERNS: Well, thanks, Joy. And thanks
2 from the committee. We appreciate it.

3 DR. DOULL: I talked to Marion Erlich about
4 the program for the SOT for the Utah—it is, of
5 course, locked up, so that any kind of workshop or
6 whatever would have to be ancillary to that
7 meeting.

8 That's not true for the ILSI meeting—for
9 some of their meetings. But I think—that's one of
10 the problems we'll have in our planning, is that
11 those things do get pretty much locked up early on.
12 So we need to think far enough ahead so we can
13 really do that planning.

14 DR. KERNS: Right. And that's why I'm
15 talking about October '03 today.

16 DR. DOULL: Right.

17 DR. KERNS: I think we're not ready in
18 March for the SOT—we're not ready at that time.

19 I believe that's the conclusion of my
20 slides.

21 DR. DOULL: Well, for the subcommittee, I'd
22 like to echo what Joy says. We are really
23 impressed. You guys have done a fantastic job.
24 Both of our working groups have just, I think, far
25 surpassed anything that we would have anticipated.

1 And it's--that's the important part of what's going
2 on, is the momentum. Both committees now have
3 developed a momentum which is crucial to the future
4 success of the program. And we're committed to
5 maintain that.

6 Are there any questions for either of our
7 working groups?

8 [No response.]

9 Well, I guess, then we'll go ahead and
10 break.

11 Let's see. We're scheduled to come back
12 at 10:15? Why don't we go ahead and do that.

13 [

14 Open Public Hearing

15 DR. DOULL: Kathleen is out getting an
16 agenda for the Advisory Pharmaceuticals meeting,
17 which is October. And we will present a summary of
18 where our working groups are in that October
19 meeting--Dr. MacGregor and I.

20 And so in order to do that we will need to
21 get the couple committee members that aren't here,
22 we'll need to get some feedback from them before we
23 do that.

24 At this point, I think, officially, we are
25 asking if there is any public comment. We have no

1 formal requests for public comment, but if there is
2 any public comment we would welcome it.

3 [No response.]

4 Okay, I guess the minutes can show,
5 Kathleen, that there was no public comment.

6 MS. REEDY: All right.

7 DR. DOULL: And we can move on.

8 MS. REEDY: Yes.

9 DR. DOULL: Okay.

10 **Subcommittee Discussion**

11 DR. DOULL: Well, at this point, we're
12 scheduled to talk about subcommittee discussion,
13 and the next steps. We've done that partially in
14 the meeting that we had yesterday, and partly in
15 the meeting, again, that we've had today.

16 And as I indicated previously, one of the
17 concerns that the subcommittee has had in dealing
18 with our working groups is that we haven't exactly
19 known where the NCSS subcommittee, in fact, is
20 going to end up.

21 As you heard from Helen Winkle yesterday,
22 they are planning to do some reorganization within
23 the Advisory Committee for Pharmaceutical Sciences.
24 And at the present time it's not exactly clear what
25 that reorganization--how that will look when it's

1 done. But I think we heard from Helen yesterday,
2 clearly, a commitment to preserve the ability of
3 the NCSS to make recommendations to the Advisory
4 Committee for Pharmaceutical Sciences that would
5 come for from our working groups. And we certainly
6 will intend to do that.

7 And we also heard yesterday from Dan about
8 the anticipated support from NCTR. So we're
9 looking for that arrangement. We think it will
10 really be exciting.

11 Well, I think, then, the question is, in
12 terms of the working groups. And I - Ken, when we
13 did-yesterday, when we talked about things that
14 your working group was bringing to the
15 subcommittee, you really brought us three issues
16 that you wanted us specifically to deal with.
17 First, you have an outline for the paper which
18 you're going to put together, and you want approval
19 of the subcommittee for that outline. I think
20 yesterday we said that we did approve that. We
21 thought that was an excellent outline. And I guess
22 the minutes should show that the subcommittee
23 encourages the working group to go ahead and put
24 together that-the final draft of that.

25 Now the second issue that had to do with

1 that outline was the next step. If you identify
2 the data gaps in there, which we're assuming that
3 is part of that outline, then the question is the
4 plans to fill those data gaps, and whether that
5 should be part of the outline.

6 I think, as I recall from what we said
7 yesterday, that was our intention—that you would,
8 in fact, put together some kind of proposal which
9 would fill the specific data gaps that you were
10 talking about. And that the subcommittee, then,
11 would look at those proposed plans and try to
12 develop a procedure whereby we could help you
13 achieve those plans to fill the data gaps.

14 I think how the subcommittee responds
15 specifically to those recommendations depends
16 somewhat on the kind of recommendations that you
17 bring us. But our intent, you know, was to be
18 supportive, to accomplish the goals of the
19 subcommittee.

20 And the third thing we talked about is the
21 cardiac group has focused, of course, on troponin,
22 because that's a prime candidate. And the issue
23 was: well, how about all the other biomarkers, you
24 know. That certainly is a down-the-road agenda,
25 and the question that you asked us was: how much of

1 that should be in the present document? And, I
2 guess, my own feeling is we would leave that
3 somewhat to you all. Clearly, you're going to have
4 to indicate in there that the committee, in their
5 judgment, looked at all the potential biomarkers
6 and decided that the troponins were, in fact, the
7 ones that they wanted to focus on initially, and
8 have done.

9 The question then is, you know, whether
10 there should be any review of other potential
11 biomarkers to indicate the basis for the decision
12 to select troponin. And I think that's all that's
13 really required in the document that you're putting
14 together.

15 The down-the-road document—you know, once
16 you go through—we go through the troponin exercise,
17 you're going to have to then do what Bill's
18 committee has done, in a sense, and go back to the
19 drawing board and decide, are there other ones
20 there, and which ones would be the ones that would
21 be most profitable? I like Bill's term, "the
22 low-hanging fruit"—whether there are other
23 low-hanging fruit that would be done.

24 But I think if we suggested to the working
25 group that they do that now, that's probably going

1 to slow them down, don't you think? To add a big
2 section on that to the present document?

3 DR. WALLACE: Yeah. I would like to
4 minimize how much effort we commit within the
5 document to discussing the next generation, if you
6 will, of cardiac biomarkers. That more--the last
7 two points were more points for the future
8 activities of the working group, and not necessary
9 for inclusion in the document.

10 The point--the information gaps, was does
11 the NCSS want to engage the working group to take a
12 lead role on identifying, devising paths
13 forward--plans for filling those information gaps?
14 Does the NCSS want to engage the working group in
15 that activity.

16 And then the third point is just to affirm
17 the understanding that the NCSS does want the
18 expert working group to continue on the theme of
19 biomarkers once the troponin document is complete,
20 and engage the working group in discussions, much
21 like Bill's group has done--alternative biomarkers,
22 including the emerging technologies.

23 DR. DOULL: Right. And I think yesterday
24 the comments from the members of the subcommittee
25 were that we have a great team put together, and we

1 want that team to continue to work—they already
2 have done all the leg work, and therefore it would
3 be most efficient and—to just continue that
4 process, so that—yes, Gloria?

5 DR. ANDERSON: I think it would be helpful,
6 at least to me, if in your introduction you gave
7 some background information on other—potential
8 other biomarkers; no any in-depth discussion, but
9 at least to put this in context.

10 DR. DOULL: Joy?

11 DR. CAVAGNARO: I'm sorry, I had a conflict
12 yesterday—but, so, if we understand initially we
13 set out that these two initiatives were priorities
14 within the—based upon current regulatory hurdles,
15 if you will—but there were some significant impact,
16 in terms of moving forward.

17 And so would it be that once we identify
18 these certain initiatives through this committee,
19 that, in fact—and they've addressed the issue, that
20 they somehow move on and other—other issues may
21 then be considered.

22 I guess my concern is is that these
23 becoming living groups, and then we then
24 prevent—you know, resources, for example, would be
25 key—that the issues that were brought up—you know,

1 like PET imaging--some of the newer technologies
2 that I thought we were--shelved before, because we
3 can only prioritize two.

4 I just want to make sure that, you know,
5 we still, as a committee, have opportunity to
6 introduce the newest and the key areas. And it
7 would almost be like this would be their
8 initiation, and then once they succeeded as a
9 committee, perhaps they could somehow evolve into
10 another--you know, graduate out of this committee,
11 and somehow be supportive in some other initiative.

12 I don't know if that's clear. But, I
13 mean, I just want to make sure that we don't lose,
14 as a committee, what I think our charge is, is to
15 keep our pulse on those areas where--may be
16 constantly--you know, it might be changing, and may
17 need--you know, we may need to set up another
18 working group, etcetera. So I don't know --

19 DR. DOULL: Yes, I think the limitation of
20 the groups we set up is they're focused--the cardiac
21 group and the vascular group. So that it's only
22 biomarkers which are related to those two areas,
23 which they would be considering as alternative
24 biomarkers.

25 There are other issues--you know, the omics

1 issue as a biomarker, the imaging issues as
2 biomarkers and so on, are issues that the
3 subcommittee as a whole needs to return to to
4 see—you know, we decided to delay imaging because
5 we didn't feel it was mature enough, really, to
6 move into that area. We need, of course, to
7 reevaluate that. And if that's changed, then we
8 would need to do something appropriate.

9 I think we probably do need re-look at
10 omics. I think we bypassed omics because we
11 thought, gee, there's a lot of activity going on in
12 that, and we'll be rediscovering the wheel to some
13 extent. I'm not sure that's—you know, in
14 retrospect that was the best decision. And we
15 certainly need to re-look at that again.

16 Dan?

17 DR. CASCIANO: Can I comment?

18 DR. DOULL: Sure.

19 DR. CASCIANO: Well, we have to keep in
20 mind that omics are just technologies and
21 techniques. And so what we really should be
22 focusing on are the biological questions, and not
23 on the technologies that are available to answer
24 the biological questions. And omics can be applied
25 too any biological question that comes to this

1 committee. So I'm not sure if we should just focus
2 on omics itself.

3 DR. DOULL: As a source of biomarkers.

4 DR. CASCIANO: Well, to me, omics are sort
5 of like a spectrophotometer. And I don't think
6 we--yeah, and it's more expensive. It's a tool that
7 will help us answer the basic question that we
8 have, and, in my mind, it's just a tool.

9 DR. SELKIRK: Just a couple of--to go a
10 little further with the omics prospect. I think
11 omics can do at least two things. One, it'll
12 confirm biomarker placement in a pathway. In other
13 words, it will tell you if you have a biomarker out
14 in space, where that biomarker fits. Because
15 things like micro-ray and proteomics will look at a
16 lot of things at once, and then attempt to help you
17 place that single biomarker in a pathway and sort
18 of validate its--it will sort of validate its
19 placement as a true biomarker.

20 But it also will help tremendously in
21 discovery of biomarkers, because it can look at so
22 many things at once, and build pathways that will
23 evolve biomarkers with time.

24 So, yes, it's a tool, but the more data we
25 build into it, the more knowledge we will have

1 coming out of it at the other end.

2 So we're in the early stages, but I think
3 with time we'll see great acceleration, in terms of
4 biomarker discovery and validation, using all the
5 various omics that we are now beginning to have at
6 our fingertips.

7 DR. DOULL: Yes, and hopefully, this
8 committee would have a mechanism to keep our finger
9 on some of those exciting things so that we would
10 know the right time to step in and say, "Hey
11 there's a tool that's potentially valuable in liver
12 damage, for example, or whatever.

13 Does anybody have any other comments on
14 the cardiovascular one?

15 Frank?

16 DR. SISTARE: I think some of the comments
17 have been excellent with respect to sort of next
18 steps, and we don't want to create an Expert
19 Working Group to live in perpetuity, just because
20 -- they've done a great job, we've got excellent
21 people here, and it's a shame to disband them.
22 But, clearly, the charge to this group was focus on
23 biomarkers of tissue injury in the heart. And
24 they've done a great job. And there's still work
25 to be done. So we're premature, in terms of

1 talking about disbanding them. But, they are
2 looking to the future. They can see an end to
3 their work, and they're saying, "When we're done
4 with us, what do you want us to do?" Okay.

5 The charge really is on CDER, I think, to
6 answer that question. CDER needs to answer that
7 question, go through their committee, and say—"We
8 still have a problem"—okay—and figure out what the
9 best way to deal with it. "We still have a problem
10 with QT." We do, and we need to deal with that.
11 Is this the best mechanism, or are there other
12 mechanisms to do that? We have to figure that out.

13 We have another problem that has been
14 brought to our attention with respect to the
15 mechanical injury aspect of what you're saying.
16 There's clearly a class or two of compounds that
17 have surfaced, and then clearly a problem in
18 clinical trials—some post-marketing indications of
19 problems. Clearly the animal is showing these
20 issues. You see it on histology. The question is,
21 is there a biomarker? We could clearly use the
22 expertise of this group to help us with that. Is
23 that the most important pressing problem? We've
24 got to go through a system to figure that out, you
25 know.

1 Maybe—you know, there may be a system
2 where the committee doesn't meet quite as often.
3 You know, there may be—you know, the frequency of
4 this particular committee meeting may go down to
5 once a year, whereas vasculitis there's still a lot
6 of activity. That was a much more immature
7 problem, much more—a lot more effort needed to go
8 into that, whereas troponin was a pretty mature
9 biomarker. So meeting frequency enters into the
10 thing as well, in terms of budget considerations.

11 So, these are important—very important
12 things that need to be worked out.

13 What I'm hearing, you know, as Helen sets
14 up this group, over on the CDER side, is that pharm
15 tox community in CDER needs to communicate with
16 them and say here are our needs, and make the case,
17 you know, as you would in a court of law. We need
18 to make our case and be really clear with it, and
19 then let the chips fall where they may. It may say
20 this committee in CDER may go to the NCSS and CTR
21 and say, "We really feel that there's a need from
22 some research to be done here," you know. That may
23 be what happens.

24 But these are good questions. I don't
25 think we're going to be able to answer them today.

1 I think--what I'm hearing, though--as a
2 member on the CDER side of things--we need to be
3 clear about getting some of these priorities
4 addressed.

5 DR. DOULL: Yes, we were fortunate when we
6 created these working groups, that we--we were lucky
7 enough to get really first-class people to serve on
8 both of these working groups.

9 And so--but, you know, as you change
10 priorities, then that may require a different group
11 of experts with different focus, and so on. So I
12 think that's--that's what NCSS, in fact, has the
13 ability to do, is to hopefully get the best experts
14 to deal with a particular issue.

15 Just because it worked twice doesn't
16 necessarily mean it's going to work again, Frank.
17 [Laughs.]

18 DR. MacGREGOR: I think I would endorse
19 what I think Joy and Frank are both saying, is that
20 I think when both of these reports are final and
21 come in to the subcommittee, that would be the time
22 that the subcommittee should step back and take a
23 look at the big picture, and the broad charge given
24 to the subcommittee, which is where are the most
25 fertile opportunities for collaborative science

1 that can really move things forward?

2 And I think it may be premature to decide
3 where the cardiac tox group should go at this
4 point, because we don't really have the assessment
5 of the gaps and what really needs to be done. And
6 I think probably you need to know where the
7 committee really feels you should go, and the role
8 that the committee should play in going there, to
9 deal with those gaps on the very specific issue
10 they were charged with, which was the biomarkers of
11 cardiac injury.

12 And I think it's been--Bill said it's been
13 about 18 months since the groups were formed, and
14 it's probably been about two years since the
15 subcommittee set its initial priorities. And a lot
16 of new things have happened in those two years--new
17 programs have been established at FDA. One of the
18 areas that was discussed and decided not to pursue
19 was hepatotoxicity, partly because there was a
20 focus in ILSI and in other places at that time.
21 But now NCTR has a new hepatotoxicity program, and
22 new capabilities to bring some of the newer
23 technologies to bear.

24 And so I think the committee really needs
25 to reassess where the priorities should be reset

1 when these two reports are in and final, and
2 decisions are made on how to follow-up on those
3 recommendations.

4 Well, I think, Ken, in terms of our
5 response to your—the working group, essentially
6 those are the way would deal with those three
7 issues pretty much. Is that adequate for—do you
8 have other concerns to bring to us?

9 DR. WALLACE: The only—I'm sorry. The
10 only other concern I have is one that was echoed by
11 Bill, and that is, when we have this document that
12 we want to publish in the peer review literature,
13 is that we have to know what the policies are
14 within the structure of the agency, as far as
15 publishing them with some sort of approval by the
16 NCSS, or if they should be done independently. We
17 just need—are both looking for guidance back from
18 the NCSS on that.

19 DR. DOULL: Yes, and I think our response
20 to that is that, you know, we strongly encourage
21 the publishing of the scientific paper, because we
22 think it has great merit for the clinical—for the
23 scientific community.

24 And we support the idea that the NCSS
25 will, in fact, endorse that paper, and will be part

1 of it in the sense that that adds to the
2 credibility, somewhat, of the whole process.

3 Now, the mechanics of that I guess we
4 haven't fully explored yet. Jim tells us that
5 there are certain hoops we have to go through in
6 order to do that. And we'll explore that, to
7 figure out how best we can do that in a way that
8 helps you accomplish what you want to do, which is
9 to put a paper out there that does, in fact,
10 present the situation clearly.

11 Whether or not we may eventually have to
12 have two publications—one which we would take to
13 the Advisory Committee, for example, with a
14 recommendation, as opposed to that one that's in
15 the peer review literature, I think we have to
16 explore that and figure out which is the best way
17 to help you folks get the job done. And we'll do
18 that.

19 DR. DOULL: Okay. The report that we heard
20 this morning from Bill on the vascular side also
21 raised another—other questions that the
22 subcommittee needs to deutes so that our response
23 is clear. And one of those had to do with the
24 suppliers to the agents—or, the suppliers of those
25 three compounds that you're concerned about.

1 And you said that you would draft that
2 letter, and that the question, then, is whether
3 those compounds should come to the Food and Drug
4 and be distributed by Food and Drug, or whether
5 there's some other mechanism which would be more
6 preferable.

7 I gathered, from the discussion, that
8 coming to Food and Drug seemed to be the method
9 that would be most desirable. And I gather from
10 Frank that there are some precedents for that, and
11 some problems also with doing that. And so,
12 clearly, we have to explore that, Bill, in order to
13 be able to respond as to how best we can do that.
14 And I think we'll do that. We'll find out what is
15 the best way we can undertake that in a way that
16 will help you all.

17 DR. KERNS: I think, then, that along
18 those lines, that I'll finish drafting the letter
19 as much as I can, and send it to the NCSS, and then
20 we can fill in the details once we more clearly
21 understand the MTA requirements and what
22 flexibility the FDA has.

23 And I think we also mentioned that perhaps
24 NCTR might be a home for distribution as
25 well--something to consider.

1 DR. DOULL: We probably ought to do that a
2 little more broadly, in a sense, because we're down
3 the road talking about storage of samples, and
4 collection of blood and what have you and so on.
5 And, you know, we may need a mechanism that is, in
6 fact, broader than simply distributing the dopamine
7 or those three compounds, so that—I guess if
8 there's some regulations, Jim, that hamper that in
9 some way, we probably ought to know about that
10 early on, because they may be different at NCTR, in
11 terms of data—that storage.

12 DR. CASCIANO: There are some similarities,
13 and there are some differences in here. I mean,
14 we're a little bit more flexible than the product
15 centers, because of the different mandates.
16 But we early we need to look into all those things,
17 and we really shouldn't lose sight of what may be
18 the simplest solution to the compound distribution.
19 That is, we need to ask do we really need an MTA,
20 or could the materials just be made available from
21 the company that makes them. That might be the
22 simplest to do, unless they have a problem with
23 that.

24 DR. DOULL: Well, I was thinking, you know
25 since we have an opportunity at the end of October

1 to talk to the Advisory Committee for
2 Pharmaceutical Sciences, if there is some mechanism
3 that would be facilitated by that opportunity we
4 perhaps could ask the Advisory Committee to help us
5 do whatever we're going to do.

6 But, hopefully, we would have some
7 indication as to what we ought to do--by then.

8 Okay, the second thing, Bill--you talked
9 about comments and responses to the White Paper,
10 which you provided us with, and we gave you some
11 this morning. I think, there again, we'll--these
12 have gone out to the other members, to Jay Goodman
13 and to Ray Tennant, and I'll get in touch with
14 those--both of those members and add their comments
15 to what we have from this morning's comments. And
16 we'll then provide those to the working group,
17 along with the thanks of the subcommittee for
18 your--to both of you--your groups for the hard work
19 that you've done, and for the fact that you've
20 reflected glory on the intent of this whole effort.

21 If it had been a disaster, we'd have been
22 in trouble. Instead, it's a superlative
23 achievement, and we're delighted.

24 DR. KERNS: Thank you, John, and I'll
25 communicate that to the people who did the work.

1 DR. DOULL: I guess I'm like Joy, I held my
2 breath for a little bit. You know, we didn't know
3 this was going to work out--gangbusters.

4 Okay. I have, in there, the
5 justifications of the selection of the agents, and
6 the selection of the four tests that you're going
7 to do. And you said you would include something in
8 there?

9 D--

10 DR. KERNS: Yep.

11 DR. DOULL: --and some justification for the
12 specific biomarkers. You had that huge table,
13 which listed them all. And then that was distilled
14 down to the four that you selected. And, I guess,
15 the question was exactly how did that process work?

16 DR. KERNS: Yes. Agreed. In addition, we
17 will add the rat protocol. We will add some
18 justification about how we chose the rat versus the
19 monkey, etcetera.

20 And we will add some discussion on the
21 incidence of the drug-induced lesions in humans.
22 No problem.

23 DR. SISTARE: And I think, you know, Jim's
24 suggestion is how big of a problem is this? Not
25 just based on the human known angitis-type

1 reaction, but in terms off what we're seeing in
2 terms of the animal--try to put a perspective on how
3 big of a problem that is, and why is this an
4 important thing to draw our intention to.

5 DR. KERNS: That's no problem.

6 DR. DOULL: Is there--Frank, is there data
7 on the--why compounds or new drugs are rejected?
8 The most prevalent --

9 DR. SISTARE: The only data you get out of
10 the FDA is why drugs are pulled off the market. We
11 often don't know why drugs are stopped--why
12 developed gets stopped by sponsors. And a lot of
13 times that decision is made by them--they decide to
14 not pursue a particular candidate, and that
15 information isn't always shared. We can make
16 guesses, but that information isn't always shared.
17 And there's a lot of complex decisions. They go
18 into that.

19 So FDA could not provide that information.
20 The only information we could provide is when a
21 drug is pulled off the market, why. But why a drug
22 is stopped in a Phase II, or Phase I or a Phase III
23 trial, we don't always know why.

24 DR. DOULL: And you hear that all the
25 time--that 80 percent of the new drugs somehow go

1 down the toilet.

2 DR. SISTARE: Right. We know the
3 numbers—we know the numbers. We don't know the
4 reasons behind them, though, always. And that
5 would be beautiful. We'd love to have that
6 information, you know. There's—I don't know—I just
7 don't know of any definitive source for that
8 information. We'd love to have that information.

9 DR. MacGREGOR: You know, PhRMA has
10 published that kind of information, but in very
11 broad categories, like things that have failed due
12 to economic considerations versus drug toxicities
13 and so on. But I'm not sure it's been ever done in
14 terms of specific causes of toxicity—failure due to
15 specific toxicities.

16 DR. DOULL: I'm just thinking, as a front
17 end for these papers—you know, that certainly would
18 be a lead-in, because it would say Food and
19 Drug—you know, we're wasting a lot of money. We're
20 wasting a lot of effort. We're doing a lot of
21 redundant studies and so on. Certainly, we ought
22 to figure out a way to do it better if we could.

23 You had mentioned, Bill, also the need for
24 the NCSS to do some future planning. And we
25 agreed, that's a charge that we'll take, and try

1 and figure out, with-in conjunction with the
2 working groups, how best to bring this information
3 out.

4 We have a lot of information which would
5 be of great use and value to the scientific
6 community out there, and the question is whether a
7 workshop would do that--a small workshop focused on
8 one of these problems, or a larger workshop focused
9 on biomarkers--how best to do that.

10 DR. KERNS: Well, that would be very
11 helpful, I think--receiving that directive to Ken
12 and myself. It would be very clear, then, what we
13 needed to be doing. It would be very helpful.

14 DR. DOULL: Yes, I would think the working
15 groups could advise us as to where --

16 DR. KERNS: Sure.

17 DR. DOULL: --they think it would be most
18 helpful --

19 DR. KERNS: Yep.

20 DR. DOULL: --you know--we're happy to do
21 that, too.

22 DR. CAVAGNARO: Can I just make a comment?

23 Jim--since Jim is currently or outgoing
24 chair of the PhRMA drug safety committee, can you
25 discuss, you know, the--whether or not this

1 initiative has been discussed in a broader forum,
2 amongst all the member companies and, you know—and
3 the support and enthusiasm, or—of the—group?

4 DR. GREEN: Sure. Yeah.

5 Well, I think both—there's a high degree
6 of awareness amongst—let's see, there's 15 member
7 companies that are on the Drug Safety Steering
8 Committee of both of these initiatives, as well as
9 the committee's activities.

10 So, speaking on their behalf, and
11 reflecting my own opinion, I think they would be
12 remarkably impressed. I think what—the questions
13 would remain, especially with the pending changes
14 within the advisory committee structure in
15 Pharmaceutical Sciences, and the creation of a tox
16 path group in that, is an understanding of the
17 logistics into how—and particularly these areas
18 where new technology is producing potentially
19 decision-making data sets, or may be viewed as
20 decision-making data sets by those folks that are
21 reviewing our applications. And if you have
22 several hundred reviewers, in several divisions,
23 it's very easy for there to be mixed impressions
24 about the state of readiness of a particular new
25 technology, a new approach, a new marker, etcetera.

1 So I think, to the extent that this kind
2 of committee, in addition to the new evolving
3 advisory committee structure can have some kind of
4 influence, and sponsors can see that, with respect
5 to communication of points of science and
6 interpretation that affect the day-to-day review, I
7 think there would be remarkable support.

8 Easier said than done, though.

9 DR. SISTARE: Along that line, I will just
10 mention one event—one thing happening in CDER.
11 CDER has formed two committees, for example,
12 focused on pharmacogenomics and toxicogenomics—a
13 clinical and a nonclinical committee—to coalesce a
14 consensus thinking, in terms of should a sponsor
15 choose to submit such data, you know, how would we
16 want that? You know, that kind of thing. And
17 that's something that will allow us to generate
18 internal thinking—internal consensus—but then
19 having this mechanism allows us to externalize our
20 thinking and get some feedback on that.

21 So, I would just add that, in terms of the
22 new technologies and how to get reviewers tuned
23 into a consensus, in terms of how it should be
24 approached across the different review divisions,
25 it is important to have that internal committee,

1 and then to have a place where we can interface
2 with PhRMA on that.

3 And one could potentially see, you know,
4 that we have a problem with standards. And then we
5 need to go to the NCSS, through NCTR, to help with
6 standardization. That may be an issue. There may
7 be some experiments that need to be done. I don't
8 know.

9 But I think having the matrix, having
10 something in place really, I think, helps—helps the
11 process. And I think we're going a long way toward
12 that.

13 DR. DOULL: I think, actually, when this
14 subcommittee was created, that kind of was the hope
15 that, you know, we would find—it seems logical, in
16 a sense that, you know, having the group looking at
17 biomarkers which would contribute nonclinical and
18 bridging markers and so on—all that makes good
19 sense.

20 I think when the committee was formed—or
21 subcommittee was formed, we had no real idea of the
22 complexity of forming subcommittees, particularly
23 one that's doing this kind of activity, because
24 it's somewhat different than activities have been
25 done before. So, you know, I enjoy some of the

1 results of all that experience. And, hopefully,
2 we're going to move in the direction you're talking
3 about, Jim.

4 Ah-let's see, the only thing I had
5 written-else written down, Bill, was the timeline
6 thing that Joy raised, and you also said that would
7 be part of your report. For the timeline of those
8 effects, and in animal studies?

9 DR. CAVAGNARO: Right.

10 DR. DOULL: She was asking about, you know,
11 how long it takes in a rat?

12 DR. KERNS: Time to injury.

13 DR. CAVAGNARO: Right.

14 DR. DOULL: Yeah. I guess the
15 inter-species argument also would be something that
16 should be mentioned there, because the dogs-as I
17 recall what you were all saying-the dogs don't
18 totally replicate the rat stuff. Well, at least
19 you need to talk about that in the report.

20 Have we got other issues, Bill, that the
21 subcommittee needs to address?

22 DR. KERNS: No. I'm happy.

23 DR. CAVAGNARO: So-one more question. So
24 there will be this protocol-the rat protocol-with
25 the various-you know, the four areas that have been

1 discussed. And then you mentioned the fact that
2 there are ongoing activities.

3 Who is capturing. You know, it's not your
4 charge, but who will be capturing the overall data
5 base, if you will. So there's the NCSS initiative
6 and then, clearly, companies—I mean, we heard
7 that—Calvert—oh, Astra-Zeneca, is going to do some
8 --

9 Now, I mean, when—I presume that those
10 initiatives, when we have the October symposium or
11 workshop, that would be a forum for others, you
12 know, engaged in this area to present. Is that
13 what you're envisioning?

14 DR. KERNS: That's my hope.

15 DR. CAVAGNARO: Yeah.

16 DR. KERNS: Once again, it is their
17 choice—to share or not to share. But my hope is
18 to, over the next 12 months, to interact with, you
19 know, the companies doing work independently. And,
20 hopefully, we can share at the table. And I
21 think—we've already initiated that process, and
22 it's worked quite well so far. So I would
23 anticipate that will continue and culminate in a
24 symposium sometime next year—next fall, where
25 people will formally share these data.

1 As far as capturing and organizing,
2 collecting and collating, I think, for the people
3 doing independent work, that will come from their
4 publications, and we'll see it once it's published.

5 DR. SISTARE: Actually, it raises a very
6 good point. Maybe this might be an opportunity to
7 try to capture a conference proceedings. You know,
8 like, maybe get Tox Path interested in maybe
9 publishing the proceedings. That might be a really
10 nice way to capture everything and really encourage
11 some of the individual investigations going on to
12 bring it to a point of fruition, where it can be
13 shared.

14 Sometimes a stumbling block is you're
15 working with a compound that you can't put the
16 structure—you know, you can't cite it, you can't
17 put the structure in. We always have to figure out
18 how to do that. That's always a problem. But
19 there's probably some way of doing it. We just have
20 to figure it out.

21 DR. DOULL: At our last meeting you raised
22 the issue about confidentiality of results and how
23 that was being handled amongst the various members
24 of the group. And you didn't bring that up this
25 time, and so I gather you're—the methodology that

1 you developed for that is --

2 DR. KERNS: Well, we've agreed amongst the
3 members sitting around the table that everything we
4 do will be in the public domain.

5 DR. DOULL: Okay.

6 DR. KERNS: And I think when we contact
7 our--the suppliers of Fenoldopam and Abbot, the
8 PD-IV inhibitor, I think we need to make the same
9 agreement, or put the same words in that letter so
10 that, you know, there's nothing proprietary will
11 surface out of this.

12 And we need to make it clear, as we
13 distribute compound, that the--you know, the other
14 customers understand the rules here. Because
15 that's the way that we circumvented this issue, of
16 creating intellectual --

17 DR. DOULL: I recall we had no easy answer
18 to that problem. So--[laughs].

19 DR. KERNS: Well, that's what we
20 decided--that's what would be easiest. It won't
21 happen--theoretically.

22 DR. DOULL: That's an old adage. If you
23 out wait the problems they go away--a dean's adage.

24 Any other arguments, comments or
25 suggestions?

1 Yes-go ahead, Gloria.

2 DR. ANDERSON: Mr. Chair, as we move to
3 what I perceive to be a different level, having
4 gotten these two reports, and having given some
5 instructions as to how they should proceed, it
6 might be a good time to look at the background
7 paper that we received when the committee was
8 formed, and review the objectives that were set
9 forth and see where we are, and perhaps either get
10 some guidance from the advisory committee, or give
11 them some recommendations on how we might proceed,
12 beyond these two papers.

13 DR. DOULL: I agree. And since that--the
14 next Advisory Committee on Pharmaceutical Sciences
15 is scheduled for October 21st and 22nd, and Jim and I
16 will give some thought to that specific mandate for
17 our subcommittee, and also to report on the
18 progress of the two working groups.

19 [Pause.]

20 All right, we heard the recommendations by
21 Helen on the reorganization of the advisory
22 committee, and I think--you know, other than
23 commenting on the fact that for the subcommittee
24 we're delighted with the fact that she's going to
25 maintain the link to the regulatory recommendation

1 pathway. It's premature, really, to get into that
2 too much because we don't know exactly, other than
3 what she told us will happen.

4 I think, Jim, we need to be sure that the
5 members of the subcommittee are fully informed
6 about what happens in the October meeting.

7 DR. MacGREGOR: Mr. Chair, with regard to
8 the October meeting, I might encourage the
9 subcommittee to complete your review of these
10 documents, if possible, and to be able to go to
11 that committee with a—you know, a clearly formed
12 view of the subcommittee that, hopefully, could be
13 endorsed by the parent committee at that point, so
14 that endorsement could go back to the working
15 groups.

16 DR. DOULL: Yes, we will that we will be
17 able to bring those to the advisory group if we
18 have additional things.

19 And Gloria and I—you're going to be at the
20 October meeting. Yes—we'll be there. So,
21 hopefully, if there are questions about the working
22 groups or where we're going and so on, we'll be
23 able to respond to those.

24 DR. CAVAGNARO: [Off mic.]

25 DR. MacGREGOR: You're aware of the date?

1 guess I would like to formally go on record with my
2 compliments to the two working groups. I think
3 they've made tremendous progress and I think the
4 outline in the report really show the fruits of a
5 lot of labor that went into those. So my thanks--my
6 thanks to them for a job well done.

7 DR. DOULL: We are adjourned.


8 [Whereupon, at 11:18 a.m., the meeting was
9 adjourned.]

10

- - -

C E R T I F I C A T E

I, **SONIA GONZALEZ**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



SONIA GONZALEZ