

1           Just one very brief question, and then I'll let  
2 you move on.

3           Was there an internal examination on the  
4 females or just external?

5           DR. PARISI: My understanding is that, for the  
6 females, particularly those who had the concerns  
7 about the clitoromegaly and the labial scrotal  
8 fusion or the other?

9           DR. JOHNSON: All infants.

10          DR. PARISI: I do not believe there was an  
11 internal examination. That was not the standard of  
12 the physical exam.

13          DR. JOHNSON: Thank you.

14          DR. VISCARDI: Thank you. I am an  
15 neonatologist, so some of my questions are going to  
16 focus on the neonatal outcomes.

17          I guess my first comment is, as I looked at the  
18 table that was provided to us on outcomes, all of  
19 the morbidities were fairly low.

20          And then I realized that, yes, these are --  
21 many of these are babies who are born greater  
22 than 32 weeks, but I also wondered if the incidences

1 that are given -- for instance, like for intra-  
2 ventricular hemorrhage, to diagnose that, you have  
3 to have done a cranial ultrasound.

4         And was this just recorded if they had an  
5 ultrasound done, or was that part of the protocol?

6         And how many ultrasounds did each of the babies  
7 have?

8         Because, again, you're only going to ascertain  
9 whether they had that outcome if you did more than  
10 one ultrasound.

11         The other cranial ultrasound outcome that would  
12 have been of considerable interest is  
13 peri-ventricular luekomalacia and that was not  
14 reported.

15         So I was just curious as to whether that just  
16 was not found in any of the infants or whether  
17 that wasn't looked for or recorded?

18         And the other incidence that was reported to be  
19 different was the patent ductus arteriosus.

20         And, again, depending on the unit, they may  
21 diagnose that either as a clinically significant PDA  
22 on clinical findings, whereas other units might make

1 that diagnosis by screening all infants of a  
2 particular size by doing a cardiac echocardiogram.

3       So, again, I wasn't sure if there was specific  
4 criteria for which some of these diagnoses were  
5 made?

6       DR. HICKOK: Yes. Let me review with you just  
7 briefly the findings on this.

8       And, again, in the study, because these were  
9 not primary endpoints of the study that were looked  
10 at, there was not a pre-specified, for example, you  
11 know, an intra-cranial ultrasound shall be done on  
12 all infants and shall be done every two to three  
13 days, or things like that.

14       So we do know that the physicians managing  
15 these patients actually manage them clinically as  
16 they would, and there was not, you know,  
17 pre-specified tests that would be ordered at a  
18 regular interval like this, and that the  
19 intra-ventricular hemorrhage was a diagnosis by  
20 ultrasound.

21       Your second question, I think, unless you have  
22 another comment about that, relates to PDAs?

1 DR. VISCARDI: Well, I guess this would actually  
2 go towards both of those, in that the incidences are  
3 then given for the total sample when and what should  
4 have happened is the incident should have been given  
5 for those who actually had a scan done.

6 And I don't know if that was different between  
7 the two samples.

8 So could the difference that you're seeing just  
9 be because you did more scans in one sample than the  
10 other?

11 Because the other thing I can tell you is in  
12 most units they're not going to do ultrasounds  
13 routinely in babies over 32 weeks unless there is  
14 some clinical reason to suspect an intra-cranial  
15 problem, like seizures or an enlarged head, or, you  
16 know, some clinical indication. But they're not  
17 going to screen all those children.

18 And some units have a very specific criteria  
19 for which they -- you know, they do one in the first  
20 week, and a month of age, and prior to discharge,  
21 and may do several in between.

22 And the number of scans matter as to whether

1 you'll make that diagnosis or not.

2 DR. HICKOK: Again, I believe that the study was  
3 done, and these findings recorded, based on clinical  
4 examination, with the assumption that the most  
5 severe intra cranial hemorrhages, at Grade 3s and  
6 Grade 4s, that the majority of those would  
7 probably be detected because of suspicion from, you  
8 know, the clinical findings of the baby.

9 But we do not have, you know, pure incidence  
10 rates, as you have pointed out.

11 DR. VISCARDI: I guess the other thing to point  
12 out, was you reported the total incidence of IVH,  
13 but, in fact, since severity is Graded from 1 to 4  
14 with 1 and 2 being considered more mild and maybe  
15 having less impact on the child's later development;  
16 but, as you point out, Grade 3 and 4 being more  
17 severe, there was no Grade 3 and 4 in the placebo  
18 group. The only Grade 3 and 4s were reported in the  
19 treatment group.

20 DR. HICKOK: Yes. And --

21 DR. VISCARDI: And the only reduction in IVH was  
22 in Grade 1 and 2.

1 DR. HICKOK: Yes. And the data that you're  
2 referring to, again, when we broke these -- I'm  
3 sorry, when we broke these out by Grade 3 versus  
4 Grade 4, there were, you know, two cases in the 17-p  
5 group, Grade 3 or 4 versus none in the placebo  
6 group.

7 And other rates of intra-cranial hemorrhage;  
8 again, 0.3 percent versus, I'm sorry, I can't see,  
9 thank you, versus 1.3 percent.

10 But, again, there's a lot of variability in  
11 these numbers because, as you pointed out, they're  
12 low-level incidence rates.

13 And the study, itself, was looking primarily at  
14 pre-term birth prevention and prolongation of  
15 pregnancy.

16 These neonatal outcomes are certainly of  
17 importance, but it would have been a much more  
18 complicated study had there been a lot of  
19 pre-specified examinations done on children during  
20 that time period.

21 You also asked me a question about patent  
22 ductus arteriosus, and I would be pleased to --

1 DR. VISCARDI: I guess my question was, was that  
2 diagnosis made if it was a clinically diagnosed PDA,  
3 or was it on the basis of a cardiac echocardiogram,  
4 which gets back to the same point that -- with the  
5 IVH; that if it's based on a screening test, then  
6 the denominator should be the number of children who  
7 were screened?

8 DR. HICKOK: Yes. I'd like to actually ask Dr.  
9 Michael O'Shea, a neonatologist, at Wake Forest  
10 University, and ask him, at Wake Forest, at the time  
11 that this was done what general diagnostic criteria  
12 were used, Dr. O'Shea, at that point?

13 Again, recall that Wake Forest was one of the  
14 17-p study centers.

15 DR. O'SHEA: Mike O' Shea from Wake Forest.

16 I think Dr. Viscardi's point is well taken.  
17 There probably is an ascertainment bias, in that, at  
18 Wake Forest, and I suspect many center, cardiac  
19 echos are done not on a screening basis but rather  
20 if symptoms develop, then later dependency.

21 I think the same is also true for the  
22 ascertainment of intra-ventricular hemorrhage.

1 However, necrotizing enterocolitis, I would suspect  
2 to be less subject to ascertainment bias, and  
3 certainly days on the ventilator would be, I think,  
4 very unlikely to be very affected by ascertainment  
5 bias.

6 DR. HICKOK: All right. Thank you.

7 And I certainly don't want to ignore Dr.  
8 Davidson and his question about the heart  
9 abnormalities.

10 I would be pleased to turn back to that, if you  
11 would like me to, Dr. Davidson?

12 (Pause.)

13 DR. HICKOK: In terms of the cardiac findings,  
14 as we stated before, there is a low rate of cardiac  
15 abnormalities that were observed at birth, in both  
16 in the 17-p and the placebo groups.

17 And these rates were 0.5 percent in the 17-p  
18 versus 0.5 percent in the placebo.

19 And going back to the previous question, just  
20 about the incidence of about patent ductus  
21 arteriosus, again, it was slightly higher in the  
22 placebo group.



1           At the time of the follow-up study  
2 examination, as I mentioned before, there were a  
3 number of infants in the 17-p group that had the  
4 check box, you know, indicating that there were  
5 areas in the heart examination.

6           And, specifically, 4.6 percent of the infants  
7 in the 17-p group had a heart murmur and 0.5 percent  
8 were recorded as having an irregular rhythm.

9           What NICHD did at that time is to go and look  
10 at other parts of the follow-up examination in terms  
11 of functional capabilities, and things like that.

12          And then, also, to go back to the initial  
13 birth hospitalization and look for, you know,  
14 problems that occurred during that period of time.

15          And it was determined, again by NICHD, that all  
16 of these children that had murmurs noted in the  
17 infant follow-up study did not have any indication  
18 of ongoing functional disorders, and in one case had  
19 a cardiac -- one of the cases there was a cardiac  
20 anomaly noted at birth with no further follow-up.

21          One of the cases there was a patent ductus  
22 arteriosus.

1           And, again, I would just like to remind people,  
2 as Dr. Parisi pointed out, that the heart is  
3 essentially formed by the time 17-p is administered  
4 at this point in pregnancy. Nonetheless, these are  
5 good questions.

6           DR. GILLEN: Yes. You noted earlier that, based  
7 upon the results of a formal in-term analysis, that  
8 DSMC had recommended termination on this study  
9 early.

10           I was wondering if you could specify the  
11 stopping rule that was used in the protocol, and  
12 also how many previous interim analyses had taken  
13 place, if any? And what points, in terms of numbers  
14 of patients enrolled, those had taken place?

15           DR. HICKOK: Yes, thank you.

16           And I'd like to invite our bio-statistician,  
17 Dr. Anita Das, up here to respond to that.

18           DR. DAS: Anita Das, representing Adeza.

19           The Data Safety and Monitoring Committee  
20 interim analysis, use a land of mats procedure  
21 with an O'Brien Fleming (ph) boundary.

22           And there were two previous analyses conducted.

1 The first time when 15.2 percent of the patients had  
2 been enrolled, and then the second time when  
3 approximately 70.2 percent of the patients had  
4 actually not been enrolled but completed follow up.

5 And at the second meeting, the efficacy had  
6 crossed the bounds, and the boundary was 0.015, and  
7 that's when the DSMC stopped the study.

8 And, at that time, 463 patients had been  
9 enrolled.

10 DR. GILLEN: And the results that we are seeing,  
11 are they adjusted at all in terms of the point  
12 estimates or, inference that we're seeing, adjusted  
13 for the interim analyses that took place?

14 DR. DAS: Yes. The primary outcome of pre-term  
15 delivery less than 37 weeks is adjusted for the two  
16 interim analyses.

17 The final alpha level is 0.035.

18 DR. GILLEN: Okay. Thank you.

19 DR. DAVIDSON: Dr. Steers.

20 DR. STEERS: Yes.

21 While it is recognized that 17-p was  
22 administered probably after genital development was

1 complete, my theoretical concern is, given this drug  
2 has been around since the 1950s, is there any  
3 available data at the time of puberty or after  
4 puberty, sexual function, fertility and  
5 reproductive function in children, who had been  
6 exposed in utero to this drug, especially germane  
7 with the congenital hyperplasia concerns that have  
8 been raised in adulthood and the long-term effects?

9 Is there -- they had any either animal data  
10 with reproductive function or human data that  
11 anyone's aware of?

12 DR. HICKOK: We're not aware of animal data on  
13 17-hpc and reproductive function.

14 There is some information that I will present  
15 to you here that may be pertinent.

16 Dr. Charney, would you like to describe -- or  
17 Dr. Singh?

18 Dr. Pamela Singh, whose interest is in  
19 preclinical studies and toxicology, and she will  
20 describe the findings from this one study that is  
21 pertinent, I believe, to your question.

22 DR. SINGH: Pamela Singh, representing Adeza.

1           Excuse me, first, I'd like to request a  
2 different slide.

3           DR. HENDERSON: I'm sorry?

4           DR. SINGH: That's all right. I'll ask A/V to  
5 help me out with a different slide.

6           (Pause.)

7           DR. SINGH: And, specifically, I'm only going to  
8 speak to the point of the animal studies, and then,  
9 perhaps, I can pass this question on to Dr. Melissa  
10 Parisi.

11          Okay. So the question really was, are there  
12 any animal studies that indicate any issues with  
13 congenital anomalies.

14          And, yes, in fact, there were animal studies;  
15 however, these were negative.

16          And I'd like to point you to the slide that  
17 will be up shortly.

18          Okay. So in the rodent model for reproductive  
19 toxicity, teratogenicity was evaluated in mice.

20          And, as you can see, in the C-57 block, six mice,  
21 there was no teratogenicity or maternal toxicity up  
22 to 10 times the clinical dose.

1           And then, also, in Swiss Webster mice, a  
2 different strain, teratogenicity was tested up to  
3 approximately 200 times the clinical dose. This, in  
4 fact, by a subcutaneous route.

5           However, at that extreme amount of exposure  
6 you would imagine that the systemic exposure was  
7 certainly well beyond the clinical.

8           So, again, you see two negative studies in  
9 terms of teratogenicity in mice, with 17-hpc the  
10 active.

11          Now, I'd like for you to look at the non-human  
12 primate data.

13          You'll notice this slide has shifted upwards.  
14 I actually -- the title of the slide is "17-hpc  
15 Teratogenicity Data in Rhesus and Cynomolgus  
16 Monkeys."

17          So there are actually two different species of  
18 monkeys here. You just can't see it because it's  
19 above the line on the screen there.

20          But the important part of this slide is just  
21 that studies were conducted in both Rhesus and  
22 Cynomolgus monkeys to evaluate teratogenicity in

1 17-hpc, and no teratogenicity was found.

2       And I'll point out that, in this study,  
3 treatment -- exposure actually occurred earlier than  
4 clinically indicated.

5       It was during the first third of gestation when  
6 treatment was initiated; whereas, in the clinic,  
7 exposure is not initiated during the first  
8 trimester. That is one point to consider.

9       And then I also want to just point out that  
10 this is an intramuscular injection just like the  
11 clinical round of exposure.

12       DR. STEERS: My question isn't directed at  
13 teratogenicity; more as, did they let the primates  
14 grow through adolescence and adulthood and look at  
15 reproductive potential or sexual functioning in  
16 these animals? That's the point I'd like to make.

17       DR. SINGH: Okay. So those two sets of studies  
18 in rodents and non-rodents, did not look at an  
19 evaluation of sexual functioning, as you say.

20       They were just under fairly standard  
21 teratogenic evaluation, which, as animals go through  
22 the Caesarian -- there is the Caesarian section and

1 then there is an evaluation, of the fetuses at that  
2 point.

3       However, there are other studies that I don't  
4 actually have a slide prepared for but that did  
5 evaluate an F-1 generation in mice.

6       And there are some data that suggests that  
7 there may be interference with male spermatogenesis.  
8 But, to my knowledge, that is the only interference  
9 that I've seen on a non-clinical.

10       DR. HICKOK: Dr. Steers, would it help you if we  
11 looked more on molecular level to, you know, how 17-  
12 p is metabolized, and androgenic or estrogenic  
13 properties? Would that be of assistance to you?

14       DR. STEERS: Well, it is not so much the acute  
15 effects, but, obviously, if this is a chronic  
16 exposure in uteral to receptor development, et  
17 cetera, that you might not see expression until  
18 during puberty or later of things like genital  
19 growth, things like sexual orientation, things like  
20 sexual functioning.

21       So it would almost be in case reports of  
22 anything long-term, or even like fertility, on what



1 would happen with spermatogenesis in particular, if  
2 these levels are raised, and what would happen long  
3 term.

4 DR. HICKOK: Yes. I would like to remark that  
5 there is, you know, the ADR and AERS database that  
6 are available; again -- you know, going back some 30  
7 years, that can be voluntarily brought up, you know,  
8 in response to questions about Delalutin because it  
9 was approved in 1955.

10 We have reviewed those data and found really no  
11 consistent patterns of things like that that were  
12 noted.

13 Of course, there is not good denominator data  
14 for that, but the AERS/ADR database does provide a  
15 way at identifying safety concerns.

16 DR. STEERS: Do we have access to that database  
17 from the Delalutin data as long-term?

18 DR. HICKOK: I'm sorry, I didn't --

19 DR. STEERS: Do we have access to that database  
20 for safe, long-term follow-up from the Delalutin?

21 DR. HICKOK: There is -- there are database --  
22 the AERS and ADR databases, specifically, for

1 Delalutin, yes. And we have reviewed those.

2 DR. DAVIDSON: Dr. Carson.

3 DR. CARSON: I have several related questions,  
4 so let me just ask them and then you can discuss  
5 this.

6 They all are based on the fact that I noticed  
7 the impressive wide-range of body mass index in your  
8 patients in the study, from a BMI of 15 to 72.

9 And it made me wonder how you came up with the  
10 dose to treat all these patients at the same dose,  
11 and whether you compared efficacy in groups of  
12 obese, overweight, et cetera, in groups of body mass  
13 index?

14 And, then, finally, what kind of serum  
15 concentrations you had in all of these patients?

16 DR. HICKOK: Let me answer your questions  
17 separately here if I can.

18 The NICHD 17-p study, again, was not a variable  
19 dose study. It was to replicate that some of these  
20 very promising findings that had been identified  
21 before, so there was not consideration given to, you  
22 know, looking at variable different doses.

1           The 250 mgs per week that was administered, you  
2 know, again from 16 through 37 weeks of gestation or  
3 delivery, was noted to be effective in a number of  
4 these other studies, so there wasn't any notion at  
5 the time of varying that dose.

6           And, in fact, the degree of efficacy was so  
7 great one might even argue that, you know, why try  
8 it when you've got 34 percent reduction in pre-term  
9 birth, over all, you know, should you look beyond  
10 that.

11          The second part of your question, I believe,  
12 related to serum studies.

13          Serum studies were not part of the evaluation  
14 of the NICHD study. We do have some PK studies that  
15 we would -- and serum studies that we would be  
16 pleased to present to you, if that would be of help?

17          DR. CARSON: I would like to see that. Do you  
18 have it with you?

19          DR. HICKOK: Yes. Yes.

20          DR. CARSON: Oh, great.

21          DR. HICKOK: I'm going to invite Dr. Martha  
22 Charney up, who is going to describe about what is

1 known about pharmacokinetics.

2 DR. CARSON: And this is in pregnant women?

3 DR. HICKOK: This is not in pregnant women.

4 This is in a sample of women, as she'll describe to  
5 you, that were not pregnant at the time.

6 DR. CHARNEY: Martha Charney, representing  
7 Adeza.

8 There was one published study, which was all we  
9 could find in the literature, on the  
10 pharmacokinetics of 17-hpc.

11 This shows the single -- the plasma  
12 concentrations after a single dose of 1,000 mgs  
13 of 17-hpc to subjects who had endometrial carcinoma.

14 Next slide, please, 437.

15 From that data -- these are the pharmacokinetic  
16 parameters, and you can see that the T-Max occurred  
17 quite late. That's 4.6 days after injection.

18 The C-Max was about 30 nanograms per milliliter  
19 at this high dose. The half life was 7.8 days.

20 And it is my opinion, based on the long T-half  
21 and the long T-Max, that the driving force in the  
22 pharmacokinetics of 17-hpc is actually the

1 release of the drug from the intramuscular depot.

2       And, given that, I think that would be  
3 independent of whether or not it was a pregnant  
4 woman or a non-pregnant woman.

5       There is additional data that came from the  
6 same source.

7       These were, again, patients with endometrial  
8 carcinoma who received an initial 5 doses, 1 per  
9 day, followed by either once weekly or twice weekly,  
10 and continued administration of the 1000 mgs.

11       And you can see that it does tend to level out  
12 and provide a long-term plateau of concentration on  
13 that.

14       DR. CARSON: So, do you -- I'm sorry, I just  
15 don't know the nanomole conversion to --

16       DR. CHARNEY: Oh, yeah. That's a little  
17 confusing because they reported it in nanomoles --  
18 or in micro moles -- nanomoles, and the FDA, for its  
19 submission, we converted it all to nanograms per  
20 milliliter.

21       But on the single dose study, it was --  
22 C-Max was approximately 60 nanomoles, which

1 converted over to about 30 nanograms per milliliter.

2       So the other with the multiple dose, which was  
3 around 200 nanomoles per liter, would -- I think we  
4 -- that would be about four times.

5       We're talking probably 100 nanograms per  
6 milliliter or less.

7       DR. CARSON: But you're using a quarter of the  
8 dose.

9       DR. CHARNEY: And we're using quarter of a dose.  
10 So, yes.

11       DR. CARSON: So you're probably raising the  
12 pregnancy concentration by about 3 percent?

13       DR. CHARNEY: Oh, if you're talking about --

14       DR. CARSON: With, with 200, you have your  
15 baseline 17-hydroxyprogesterone in pregnancy, and,  
16 by giving 250 mgs, you're raising the concentration  
17 by maybe 3 percent? Is that right?

18       DR. CHARNEY: Actually, this is the  
19 hydroxyprogesterone caproate. It does not  
20 metabolize to either hydroxyprogesterone or  
21 progesterone. It has a totally different metabolic  
22 pathway, and I think our chemistry expert, if you

1 want, can speak to that.

2 DR. CARSON: Yes. So you're measuring the hpc  
3 rather than just the --

4 DR. CHARNEY: Yes.

5 DR. CARSON: Gotcha.

6 DR. DAVIDSON: Okay. I know we have a number  
7 of other Committee members who have questions. I  
8 have a list of half dozen. We will probably give  
9 you priority later.

10 I want to thank Dr. Hickok for giving us  
11 this bonus question and answer period.

12 (Applause.)

13 I think we needed it.

14 And let's take a 15-minute break and reassemble  
15 at 10:45.

16 (Recess.)

17 DR. DAVIDSON: We have a large agenda, and it is  
18 really important that we stay on schedule.

19 We next have the presentation for the Agency,  
20 and this will be led with Dr. Wesley.

21 DR. WESLEY: I'll give you a few minutes to get  
22 to your seats.

1 (Pause.)

2 Advisory Committee members, representatives  
3 from Adeza Biomedical, representatives from the FDA,  
4 and guests, I am Barbara Wesley, and I am the  
5 primary medical reviewer for this new drug  
6 application, or NDA.

7 In my presentation, I plan to review, again,  
8 the clinical program of NDA 21-945, provide you with  
9 the FDA analyses of the data submitted, and  
10 summarize the issues for you to consider.

11 The proposed indication for 17 alpha  
12 hydroxyprogesterone caproate, which I will also  
13 call 17 hydroxyprogesterone, proposed name Gestiva,  
14 is a prevention of pre-term birth in pregnant women  
15 with a history of at least one spontaneous  
16 pre-term birth.

17 Gestiva is to be administered in the  
18 intramuscular route at a dose of 250 mgs once a  
19 week, beginning between 16 weeks, zero days and 20  
20 weeks, 6-days gestation, until week 37, or birth,  
21 whichever occurs first.

22 An overview of the clinical studies will be



1 presented in the next slide.

2       This application included data from three  
3 studies conducted by the National Institute of  
4 Child Health and Development, Maternal Fetal  
5 Medicine Network Units.

6       The initial formulation study, 17-pIF, was a  
7 randomized vehicle-controlled study with a target  
8 enrollment of 500 subjects, but only 150 subjects  
9 were enrolled and treated.

10       It was terminated prematurely due to a recall  
11 of the study drug.

12       The principal efficacy and safety study,  
13 17pCT-002, had the same design as the initial  
14 formulation study.

15       It also was to enroll 500 subjects; however,  
16 because the boundary for the test of significance  
17 for the efficacy threshold was crossed before  
18 enrollment was completed, enrollment in the trial  
19 was stopped prematurely.

20       A total of 463 subjects were enrolled in this  
21 study; 310 in the 17-hydroxyprogesterone arm, and  
22 150 in the vehicle arm.

1           At the request of the FDA, another study, 17-p  
2 follow-up, was conducted.

3           Children whose mothers participated in the  
4 principal safety and efficacy were evaluated for  
5 long-term health and developmental milestones.

6           278 children, from 30 to 64 months of age, were  
7 enrolled; 194 from the 17-hydroxyprogesterone arm,  
8 and 84 from the vehicle arm.

9           An overview of the principal study is shown in  
10 the next slide.

11          The principal study was a double-blind, vehicle  
12 controlled trial that randomized subjects 2-to-1 to  
13 17 alpha hydroxyprogesterone caproate or vehicle.

14          Inclusion criteria were pregnant women with a  
15 history of a previous spontaneous, singleton,  
16 pre-term birth, who were at a gestational age  
17 between 16 weeks, zero days, and 20 weeks, 6 days at  
18 randomization.

19          The main inclusion criteria included a known  
20 major anomaly.

21          I want to make sure I said "exclusion  
22 criteria."

1           Included a main -- a known major anomaly, prior  
2 progesterone or heparin treatment in a current  
3 pregnancy, a history of thrombo embolic disease and  
4 maternal medical obstetrical complications,  
5 including a current or planned cerclage,  
6 hypertension requiring medication, or a seizure  
7 disorder.

8           Studied medications were 17 alpha  
9 hydroxyprogesterone caproate, 250 mgs per  
10 milliliter, in castor oil, benzyl benzoate, and  
11 benzyl alcohol, or vehicle, which also consisted of  
12 castor oil, benzyl benzoate, and benzyl alcohol, but  
13 without the progesterone.

14           The dosing regimen was 250 mgs, weekly  
15 injection of 17-hydroxyprogesterone or vehicle  
16 through week 36, 6 days, or delivery, whichever  
17 occurred first.

18           The primary efficacy endpoint was percent  
19 births less than 37 weeks gestation.

20           Additional endpoints requested by the FDA  
21 included percent births less than 35 weeks and less  
22 than 32 weeks gestation, and a composite index of

1 neonatal morbidity.

2       The composite was based on the number of  
3 infants who experienced any one of the following:  
4 death, respiratory distress syndrome, bronchial  
5 pulmonary dysplasia, Grade 3 or 4 intra-ventricular  
6 hemorrhage, proven sepsis, or necrotizing  
7 enterocolitis.

8       This study was designed to enroll 500 subjects.

9       However, as mentioned previously, because the  
10 boundary for the test of significance for the  
11 efficacy threshold was crossed before enrollment was  
12 completed, only 463 subjects were randomized and  
13 treated with studied medication; 310 in the 17-  
14 hydroxyprogesterone arm and 153 in the vehicle arm.

15       The disposition of these subjects was as  
16 follows:

17       279 subjects completed the study in the 17-  
18 hydroxyprogesterone arm versus 139 in the vehicle  
19 arm;

20       27 subjects withdrew from treatment in the 17-  
21 hydroxyprogesterone arm versus 14 in the vehicle  
22 arm, but remained in the study.

1           In the 17-hydroxyprogesterone arm, 6 withdrew  
2 due to an adverse event compared to 3 in the vehicle  
3 arm; 4 subjects were lost to follow-up, all in the  
4 17-hydroxyprogesterone arm.

5           The primary efficacy endpoint was percent of  
6 pre-term births less than 37 weeks gestation.

7           The primary efficacy analysis was based on the  
8 intent to treat ITT population all subjects who  
9 received studied medication.

10          Of the 310 subjects treated with 17-  
11 hydroxyprogesterone, 115 or 37.1 percent, delivered  
12 prematurely.

13          Of the 153 subjects treated with vehicle, 84 or  
14 54.9 percent delivered prematurely.

15          There was a 17.8 percent reduction in pre-term  
16 birth below 37 weeks.

17          The 95 percent confidence interval for the  
18 reduction in pre-term births ranged from minus 28  
19 percent to minus 7 percent.

20          It is noteworthy that the pre-term birth rate  
21 of 54.9 percent in the vehicle arm was considerably  
22 greater than the background rate of 36 percent that

1 was used to power this study.

2       The rate of 54.9 percent pre-term births is  
3 also considerably higher than that of the control  
4 arm; 36 percent in another Maternal Fetal Medicine  
5 Network study, the Home Activity Uterine Monitoring  
6 study.

7       Finally, I bring to your attention that the  
8 pre-term birth rate of 37.1 percent in the 17-  
9 hydroxyprogesterone arm is no lower than the  
10 pre-term birthrate of 36 percent in the control arm  
11 of the Home Activity Uterine Monitoring study.

12       We were particularly interested in the pre-term  
13 birth rate at gestational ages less than 37 weeks  
14 since births at these lower gestational ages are a  
15 more accurate predictor of infant mortality or  
16 morbidity.

17       This slide lists the percentages of pre-term  
18 birth at selected gestational ages less than 37  
19 weeks.

20       The analysis present on this slide is slightly  
21 different from that provided in our background  
22 package.

1           In the previous analysis, no data from the four  
2 subjects who were lost to follow-up were included,  
3 and these subjects were considered as treatment  
4 failures at all time points.

5           In the analysis presented in this slide, all  
6 available data from these subjects were included.

7           In this analysis requested by the FDA  
8 statistician, confidence intervals were adjusted for  
9 the two interim analyses and the final analysis,  
10 using a "P" value boundary of .035 to preserve the  
11 overall Type 1 error rate of .05.

12           The percentages of pre-term births in the 17-  
13 hydroxyprogesterone arm, at less than 35 and less  
14 than 32 weeks were numerically lower than those in  
15 the vehicle arm.

16           The point estimates of the differences were  
17 negative 9.4 percent and negative 7.7 percent, lower  
18 than in the vehicle arm at less than 35 and less  
19 than 32 weeks, respectively.

20           However, based on the adjusted 95 percent  
21 confidence intervals, the upper limits suggest that  
22 17-hydroxyprogesterone may be no better than

1 vehicle.

2       In the previous slide, the percent differences  
3 in pre-term birth at specific gestational ages, were  
4 shown.

5       In this slide, the proportion of subjects  
6 continuing to be pregnant at each week after  
7 enrollment is shown.

8       The vertical line marks 37 weeks gestation, the  
9 primary endpoint.

10       Not shown on the previous slides is that a  
11 lesser proportion of subjects in the 17-  
12 hydroxyprogesterone arm continued to be pregnant  
13 compared to the vehicle arm, up to 24 to 25 weeks  
14 gestation.

15       Beginning at about 27 weeks gestation, a  
16 greater proportion of subjects remain pregnant in  
17 the 17-hydroxy-progesterone arm, at each week of  
18 gestational age.

19       The early increase in fetal loss in the 17-  
20 hydroxyprogesterone arm is of concern. I will  
21 further discuss this finding later in my  
22 presentation.



1 Another way to look at the potential efficacy  
2 of 17-hydroxyprogesterone treatment is to compare  
3 the mean gestational ages between both arms.

4 The mean gestational age in a 17-  
5 hydroxyprogesterone arm was one week greater than  
6 the vehicle arm; 36.2 weeks in the 17-  
7 hydroxy-progesterone arm versus 35.2 weeks in the  
8 vehicle arm.

9 Consistent with the finding of a higher  
10 gestational age in the 17-hydroxyprogesterone arm,  
11 the mean birth weight was also 178 grams higher in  
12 this arm. However, this difference was not  
13 statistically significant.

14 Another way to assess the effectiveness of  
15 treatment is to determine the percentage of birth  
16 below 2,500 grams and below 1,500 grams, which is  
17 also consistent with 32 weeks gestation.

18 The percentage of infants less than 2,500 grams  
19 was 13.8 percent lower in the 17-hydroxyprogesterone  
20 arm.

21 For infants less than 1,500 grams, the  
22 percentage was 5.3 percent lower in the 17-

1 hydroxyprogesterone arm.

2       However, based on the 95 percent confidence  
3 interval, the percentage of infants less than 1,500  
4 grams in the 17-hydroxyprogesterone arm was not  
5 statistically significant.

6       Reduction of neonatal deaths, without an  
7 increase in fetal wastage, is the ultimate goal in  
8 preventing pre-term birth.

9       This slide describes all deaths in the  
10 principal study.

11       There was an observed increase in second  
12 trimester miscarriages; 5 in the 17-  
13 hydroxyprogesterone arm versus none in the vehicle  
14 arm.

15       In contrast, there was an observed reduction in  
16 neonatal deaths in the 17-hydroxyprogesterone arm --  
17 2.6 percent versus 5.9 percent in the vehicle arm.

18       However, the observed reduction in neonatal  
19 deaths was offset by an increase in second trimester  
20 miscarriages and stillbirths; thus, when considering  
21 the overall mortality, there was no net survival  
22 benefit.

1           This graph illustrates the proportion of fetal  
2 or neonatal deaths from the onset of treatment.

3           On the "X" axis, you see days from the onset of  
4 treatments to fetal or neonatal death.

5           On the "Y" axis, you see the proportion of  
6 fetuses or neonates who are surviving.

7           The red line represents the 17-  
8 hydroxyprogesterone arm, the blue line represents  
9 the vehicle arm.

10          I want to bring to your attention once again,  
11 that there is a lower proportion survivors in the  
12 17-hydroxyprogesterone arm until about 75 days after  
13 the onset of treatment.

14          Thereafter, the proportion of survivors in the  
15 17-hydroxyprogesterone arm remain slightly above  
16 that in the vehicle arm.

17          To gain additional insight into the  
18 significance of the findings of early fetal losses,  
19 we reviewed the literature.

20          Data in a 1990 review by Keirce described four  
21 studies where treatment with 17-alpha-  
22 hydroxyprogesterone caproate was begun early in

1 pregnancy, and data on miscarriages were provided.

2 Two of the trials, the Johnson and Yemeni  
3 trials, showed a numerically greater proportion of  
4 miscarriages in the 17-hydroxyprogesterone arm.

5 The other two trials, those by LaVine and  
6 Sherman, did not. The LaVine trial reported more  
7 miscarriages in the vehicle arm.

8 In addition to reduction of mortality,  
9 reduction of neonatal morbidity is a goal of therapy  
10 to prevent pre-term birth.

11 Major neonatal morbidities are listed on this  
12 slide.

13 We have chosen not to provide "P" values for  
14 the differences for several reasons.

15 These comparisons were post-hoc analyses. Event  
16 rates were low, and no adjustments were made for the  
17 multiple endpoints.

18 However, there are some noteworthy  
19 observations.

20 There was a decrease in the percent of  
21 respiratory distress syndrome, broncho-pulmonary  
22 dysplasia, and necrotizing enterocolitis in the 17-

1 hydroxyprogesterone arm.

2       However, there was also a small increase in the  
3 percent of Grade 3 and 4 intra-ventricular  
4 hemorrhage and proven sepsis in the 17-  
5 hydroxyprogesterone arm.

6       The individual morbidities listed in this slide  
7 were grouped to form a composite index of morbidity.

8       All infants with one or more of the listed  
9 morbidities were counted in the index.

10       A lower percent age of infants in the 17-  
11 hydroxyprogesterone arm, 11.9 percent, compared to  
12 the 17.2 percent in the vehicle arm, had one or more  
13 of the morbidities that comprise the composite  
14 index.

15       However, the difference between the treatment  
16 arms was not statistically significant.

17       I will now turn your attention to maternal  
18 safety findings.

19       Adverse event data were not collected in the  
20 usual manner for data submitted to the FDA.

21       Rather than collecting all adverse events,  
22 subjects were asked if they had any symptoms or

1 complaints that they thought were related to the  
2 study medication.

3       There were no maternal deaths.

4       There were three reports of a serious adverse  
5 event, all in the 17-hydroxyprogesterone arm. None  
6 were thought to be, by the investigators, to be  
7 related to the study drug.

8       The serious adverse events were a  
9 pulmonary-embolus eight days after delivery, a case  
10 of cellulitis at the study medication site, and a  
11 patient with postpartum hemorrhage, respiratory  
12 distress, and endometritis.

13       Eleven (11) subjects discontinued because of an  
14 adverse event;

15       Seven (7) subjects were in the 17-  
16 hydroxyprogesterone arm; 3 with urticaria, 2 with  
17 injection site pain or swelling, 1 with arthralgia,  
18 and 1 with weight gain.

19       Four (4) subjects were in the vehicle arm,  
20 two with pruritus, one with urticaria, and with  
21 injection site pain.

22       Common adverse events will be described in the

1 next slide.

2       The majority of all adverse events were  
3 related to injection site reactions.

4       Injection site pain was the most commonly  
5 reported adverse event affecting a third of  
6 subjects in each arm.

7       Injection site swelling was the next most  
8 common adverse event, followed by urticaria,  
9 pruritus, and injection site pruritus.

10       We identified three out of nine complications  
11 of pregnancy reported by the applicant where the  
12 percentage of effected subjects was proportionately  
13 greater in the 17-hydroxyprogesterone arm.

14       The pregnancy complications were: Gestational  
15 diabetes, oligohydramnios, and preeclampsia.

16       The numbers of subjects with these  
17 complications in both the principle study, CT-002,  
18 and the initial formulation study, IF-001, that was  
19 terminated prematurely due to a recall of the study  
20 drug, are listed on this slide.

21       There was a small increase in the percentage of  
22 subjects with gestational diabetes in the 17-

1 hydroxyprogesterone arm in the principal study.

2       In the initial formulation study, there were  
3 eight cases of gestational diabetes in the 17-  
4 hydroxyprogesterone arm compared to no cases in the  
5 vehicle arm.

6       This difference approached statistical  
7 significance.

8       In terms of oligohydramnios, there was almost a  
9 three-fold increase in the percentage of subjects  
10 with oligohydramnios in the 17-hydroxyprogesterone  
11 arm of the principal study.

12       The percentage of subjects with pre-eclampsia  
13 in the 17-hydroxyprogesterone arm in the principal  
14 study was almost twice that in the vehicle arm.

15       The percentage of subjects with pre-eclampsia  
16 in the 17-hydroxyprogesterone arm in the initial  
17 formulation study was also higher.

18       Although the initial formulation study was  
19 terminated prematurely, I will briefly describe some  
20 of the findings from this study.

21       The design of this study was identical to  
22 that of the principal efficacy and safety study;



1 namely, double-blind, vehicle controlled, and  
2 randomized 2-to-1, 17-alpha- hydroxyprogesterone  
3 caproate to vehicle.

4 This study was terminated prematurely because  
5 of a recall of the study drug.

6 150 subjects were randomized prior to the  
7 recall; 104 subjects either completed treatment or  
8 withdrew for reasons other than recall of the study  
9 drug.

10 Of these 104 subjects, 65 subjects were in the  
11 17-hydroxyprogesterone arm, and 39 subjects were in  
12 the vehicle arm.

13 Key findings from this study are presented in  
14 the next slide.

15 The top of this slide shows the proportion of  
16 subjects who delivered at less than 37 weeks  
17 gestation, among those subjects not affected by the  
18 study drug recall.

19 These are the subjects who either completed  
20 treatment or terminated for reasons unrelated to the  
21 recall.

22 The percentage of pre-term births in the 17-

1 hydroxyprogesterone arm was slightly higher than  
2 that in the vehicle arm, 43.1 percent versus 38.5  
3 percent.

4       The lower portion of the slide lists all fetal  
5 and neonatal deaths from all enrolled and treated  
6 subjects.

7       The increased miscarriage or stillbirth rate  
8 that was observed in the principal study was not  
9 seen in this study.

10       There was only one case of miscarriage in each  
11 treatment arm.

12       In terms of stillbirths, there were two cases  
13 in the vehicle arm compared to one case in the 17-  
14 hydroxyprogesterone arm.

15       There were two neonatal deaths in the 17-  
16 hydroxyprogesterone arm, and none in the vehicle  
17 arm.

18       The next slide provides an overview of the  
19 follow-up study of children born in the principal  
20 study.

21       The objective of this study was to evaluate the  
22 long-term health and development of children who

1 were born in the principal study.

2       Only 14 of the original 19 sites were remaining  
3 in the Maternal Fetal Medicine Network at the time  
4 this follow-up study was conducted; therefore,  
5 approximately 80 percent of the children were  
6 eligible to participate.

7       Of these eligible children, 278 enrolled, 194  
8 from the 17-hydroxyprogesterone arm and 84 from the  
9 vehicle arm.

10       Some demographic information for the children  
11 in the follow-up study are listed in this slide.

12       The mean gestational age of the children who  
13 participated in the follow-up of each treatment arm  
14 was one week greater than that in the principal  
15 study.

16       As such, the follow-up children may represent a  
17 slightly lower risk subset of the total group of  
18 children from the principal study.

19       The mean age of the children in the follow-up  
20 study at the time of evaluation was 47.2 months from  
21 the children from the 17-hydroxyprogesterone arm,  
22 and 48 months in children from the vehicle arm.

1           As stated previously, the primary objective  
2 of the follow-up study was to determine if there  
3 were differences in achievement of developmental  
4 milestones between children whose mothers received  
5 17-hydroxyprogesterone, and those whose mothers  
6 received vehicle, in the principal study, as  
7 measured by the Ages and Stages Questionnaire,  
8 otherwise known as the ASQ.

9           This primary endpoint of the follow-up study  
10 measured the proportion of children from each  
11 treatment arm who fell below a specified cutoff, at  
12 least one of the five developmental areas listed --  
13 communications, gross motor, fine motor, problem  
14 solving, or personal/social.

15           A positive screen was defined as a score which  
16 was two standard deviations below the mean in any of  
17 these five areas.

18           The secondary objective of the study was to  
19 determine if differences existed between children  
20 whose mothers received 17-hydroxyprogesterone and  
21 those whose mothers received vehicle in the  
22 principal study in any of the following factors:

1 activity motor control, vision/hearing,  
2 height/weight, head circumference, gender specific  
3 play, or diagnosis by a physician.

4       These children also received a physical exam.

5       The results of the ASQ, the primary endpoint  
6 assessing developmental milestones, will be shown on  
7 the next two slides.

8       This slide lists the number of children whose  
9 ASQ scores were screened positive or two standard  
10 deviations below the mean.

11       The proportion of children below the cutoff in  
12 each developmental domain was similar for each  
13 treatment arm.

14       The area with the highest percentage of  
15 children with low scores was fine motor skills with  
16 approximately one in five children scoring below the  
17 cutoff.

18       Approximately one in ten children had scores  
19 below the cutoff in communication or problem  
20 solving.

21       Few children had low scores for gross motor, or  
22 personal social skills.

1 Overall, approximately 28 percent of children  
2 from each treatment arm, shown by the numbers in  
3 yellow at the bottom of the slide, scored below the  
4 cutoff in at least one domain.

5 The absence of an apparent difference between  
6 the treatment arms should be interpreted with  
7 caution because the number of children in this study  
8 is relatively small.

9 A second integrated evaluation concerned  
10 identification of the true positives among those  
11 children identified as potentially at risk for  
12 developmental delay based on their ASQ scores.

13 As stated previously, the purpose of the ASQ  
14 was to identify children who may require further  
15 evaluation by a physician.

16 Those children with at least one score below  
17 cutoff and who had a parental report of a diagnosis  
18 of developmental delay, made independently by a  
19 physician, were reviewed in more detail.

20 13, or 6.7 percent, of the children from the  
21 17-hydroxyprogesterone arm, and 8, or 9.8 percent,  
22 of the children from the vehicle arm had an ASQ

1 score below cutoff in at least one developmental  
2 area and a reported diagnosis of developmental  
3 delay.

4       Of the 21 children, total, meeting both  
5 criteria, the most common ASQ domains falling below  
6 the cutoff were: Fine motor and communication for  
7 the 17-hydroxyprogesterone exposed children, and  
8 communication and problem-solving for the vehicle  
9 exposed children.

10       The results of the follow-up study revealed no  
11 substantial difference in the outcome of the  
12 children exposed to 17-hydroxyprogesterone compared  
13 to vehicle.

14       To summarize, the applicant is seeking approval  
15 for 17- alpha-hydroxyprogesterone caproate based on  
16 findings from a single clinical trial and a  
17 surrogate endpoint for infant mortality and  
18 morbidity, pre-term birth less than 37 weeks  
19 gestation.

20       We are concerned that these findings may not be  
21 applicable to other populations and that the  
22 pre-term birthrate in the vehicle arm is

1 considerably higher than that reported in another  
2 large Maternal Fetal Medicine Network study.

3 We are also concerned that there is a potential  
4 safety signal of increased fetal wastage in the 17-  
5 hydroxyprogesterone arm.

6 We are asking the members of the Advisory  
7 Committee to consider these issues during your  
8 deliberations later today.

9 Thank you.

10 (Applause.)

11 DR. DAVIDSON: I'm sorry. This will cover both  
12 the sponsor and the agency presentations.

13 I think, in fairness, I should start where we  
14 left off this morning with our incomplete list of  
15 questions.

16 Dr. Liu.

17 DR. LIU: I wanted to ask about the first study  
18 that was stopped because of the medication.

19 One was, what was the problem with the  
20 medication in terms of the quality in terms of the  
21 manufacturer.

22 And, two, have you had the opportunity to



1 combine the results of the completed datasets from  
2 the first and the second study for the outcomes as  
3 opposed to just the followup?

4 DR. HICKOK: Yes. Let me make sure that I  
5 have your questions correct.

6 In the response to the recall of the study  
7 drug, as we mentioned before, in the 001 Study,  
8 there was a Consent Decree cited; "Significant GMP,"  
9 Good Manufacturing Practice, you know, violations,  
10 and that information is -- that is the only  
11 information that we have in the public domain.

12 So FDA, at that point, and the manufacture,  
13 recalled the study drug in the 001 trial.

14 And we don't have any other information other  
15 than that.

16 NICHD, as I stated, following that, decided  
17 that since there had been a recall of the  
18 manufacturer, and 17-p was no longer available at  
19 that point, basically, to initiate a new study.=

20 And, at that point, they also found a  
21 different manufacturer.

22 In terms of your second study about, you know,

1 did the sponsor go ahead and give information and  
2 integrate the data, even though the 001 Study was  
3 not complete, yes, we did go ahead and do that.

4       And I might remark, though, that it is  
5 percentage in the 001 Study to look at the  
6 percentage of women who actually went through the  
7 whole study; in other words, had an opportunity for  
8 a full course of drugs, and that was, between the  
9 two groups, only approximately 55 percent.

10       So for the purpose of efficacy, we chose to  
11 present the data from the 002 Study.

12       If I can present the results to you, though,  
13 of, you know, integrating these two studies, which  
14 we did for the purpose of efficacy, you will see the  
15 following findings here.

16       For pre-term birth less than 37 weeks of  
17 gestation in the integrated data, again, 17-p,  
18 404 versus 209 in the placebo group, we saw the  
19 following pre-term birth rates: 38.1 percent versus  
20 49.8 percent.

21       And, again, this "P" value was significant at  
22 the .0052 level.

1 For birth less than 35 weeks, the difference  
2 was 22 percent versus 30.6 percent, again, a "P"  
3 value of .02. Birth less than 32 weeks, these  
4 differences, with a "P" value of .003067.

5 And, again, for the primary outcome of birth  
6 less than 37 weeks, as we described previously, we  
7 did adjust that by logistic regression for the  
8 imbalance in the prior pre-term birthrate.

9 So I guess I would say, in conclusion -- I'm  
10 sorry, I'm looking at you over a monitor here.

11 In conclusion, now, even though we didn't feel  
12 that it was completely correct to integrate these  
13 two studies for the purpose of efficacy because the  
14 001 Study received less than 60 percent full  
15 opportunity to get the full trial drug, nonetheless,  
16 we see that, integrating these results, we still see  
17 statistically significant endpoints for the  
18 primary endpoint of less than 37, but also less than  
19 35, and less than 32.

20 DR. DAVIDSON: Dr. Simhan.

21 DR. Simhan: This is a question for Dr. Hickok.

22 Your intent or proposal is that the trial

1 inclusion and exclusion criteria should apply to  
2 clinical use; specifically, the inclusion criteria  
3 that I'm speaking of is the history of prior  
4 spontaneous pre-term birth of a singleton pregnancy.

5 And the two exclusion criteria in 002 that I'm  
6 asking about are hypertension requiring treatment,  
7 and seizure disorder.

8 DR. HICKOK: Yes, we do, Dr. Simhan. Thank you.

9 We do propose the same labeling indication  
10 because that is all we have information on, and it  
11 would be unfair to include people on those labeling  
12 that were not studied during the NICHD trial.

13 Specifically to your question about a single,  
14 you know, prior pre-term birth, we do not propose  
15 that Gestiva be labeled for anything other than that  
16 sole indication, because there are not clinical data  
17 supporting other indications.

18 DR. DAVIDSON: Dr. Harris.

19 DR. HARRIS: Yes. Thank you.

20 Could you address the stillbirths in the study,  
21 please?

22 You had, I think, eight in the treatment group

1 and only two in the placebo group.

2 Percentages weren't statistically significant,  
3 but it appeared to be a trend towards an increase in  
4 the treatment group. Part of that appeared to be  
5 infection.

6 Does that mean that bacterial vaginosis at the  
7 time of entry would be a contraindication, and/or  
8 should we look at stillbirth rates in this  
9 population a little closer before or as part of the  
10 Informed Consent for treatment?

11 DR. HICKOK: I'm sorry, Dr. Harris. At the very  
12 end -- if you would clarify the very end of  
13 your question about Informed?

14 DR. HARRIS: The question is, if there is a  
15 towards -- which appears to be a trend towards  
16 stillbirths, how do we address that as part of this  
17 overall approval process?

18 Do we need to look at more patients, or do we  
19 need to make that part of the drug labeling or  
20 Informed Consent? What is your --

21 DR. HICKOK: I see. Thank you for the -- yes.  
22 Thank your for the clarification.

1 Yes. Let me review the stillbirths with you  
2 from the 001 and 002 Studies.

3 And, again, to give you the overall integrated  
4 conclusions from the 17-p and placebo groups, there  
5 were seven stillbirths that occurred in the 17-p  
6 group, for a frequency of 1.7 percent, and four in  
7 the placebo group, for a frequency of 1.9 percent.

8 Six of these occurred antepartum, and one  
9 intrapartum in the 17-p group. Two in the placebo  
10 group antepartum and two intrapartum.

11 And, again, remember, when you compare across  
12 columns for raw numbers here, there is a 2-to-1  
13 ratio of 17-p versus placebo patients.

14 You saw the analysis that I previously  
15 presented to you about stillbirths, and we  
16 actually took the -- or about miscarriages. I'm  
17 sorry, I misspoke.

18 We took the same approach with stillbirths, in  
19 that we know that stillbirth risk varies across  
20 populations. There are high-risk and low-risk  
21 groups for stillbirth, as described in a couple of  
22 very good, large recent surveys.

1           So we took the approach, and we looked at other  
2 information from clinical studies, both Network  
3 studies and from the literature, and have summarized  
4 this information for you on this slide.

5           And I want to remark, first, that four of these  
6 studies that I'm describing are actually  
7 randomized trials of 17-p versus placebo.

8           And these were the studies by John Hauth that I  
9 described to you previously, that used active  
10 military duty as a criteria for randomization.

11          And then a second study, the Johnson study,  
12 that we are all aware of from 1975. That's very  
13 well known.

14          Then I've included the 17-p study here with the  
15 data that I previously have shown to you.

16          And then one other study that's received a fair  
17 amount of attention because it is a recent study,  
18 and this is a study by Carrodo in Italy, that  
19 randomized women with 17-hpc versus placebo  
20 following a mid-trimester amniocentesis.

21          So, again, you know, the outcomes for pre-term  
22 birth are not presented, but, specifically, these

1 investigators examined that interval following the  
2 amniocentesis to see if there was any -- you know,  
3 any risk or any benefit from 17-hpc.

4 But going back to other Network, studies,  
5 again, one of the studies that has been performed by  
6 the Network that we feel has extremely valuable  
7 information is the Factor Five Leiden study, which,  
8 again, was an observational study.

9 Women were enrolled very early in the Factor  
10 Five Leiden study, you know, on average of 12 weeks  
11 or so.

12 So they were followed longitudinally  
13 throughout pregnancy, and there is good opportunity  
14 of, you know, getting very valid data on  
15 stillbirths.

16 And, in addition, the Factor Five Leiden study,  
17 again, as a Network study, is likely to comprise  
18 patients who are quite similar to other Network  
19 studies, like the 17-p study.

20 So for that reason, we feel that these numbers  
21 are quite good.

22 So when you look across the different columns



1 here, we see the Factor Five Leiden study.

2 We see that in the three randomized studies of  
3 17-p versus placebo, we have 3.8 percent versus 1.3  
4 percent for stillbirths in the Hauth Study.

5 We have 4.5 percent versus zero percent in the  
6 Johnson Study; 1.1 percent versus 0.6 percent in  
7 Corrodo; 1.9 percent versus 1.7 percent.

8 And our summary conclusions on these are that  
9 there is really no apparent association that we can  
10 determine from all the available data that we have  
11 collected that we feel are valid comparison groups.

12 So there is no association between 17-p  
13 exposure and the risk of stillbirth based on these  
14 numbers.

15 Did you wish for me to go further into the  
16 questions about BV and occurrence of bacterial  
17 vaginosis during pregnancy?

18 DR. HARRIS: Not necessarily. I should clarify.

19 The question I had was really about the  
20 antepartum versus the intrapartum. Presumably,  
21 unless there is a catastrophe, most intrapartum  
22 stillbirths should be preventable.

1 But it is the unmonitored, supposedly low- risk  
2 antepartum stillbirth that I was raising the concern  
3 about.

4 And since you mentioned the thrombophilia area,  
5 which is associated with an increase in stillbirths,  
6 it raises even more questions about selection  
7 criteria for the treatment with progesterone.

8 DR. DAVIDSON: Dr. Merritt.

9 DR. MERRITT: I would like to go back to the  
10 presentation of the studies on animal data and ask  
11 again about the teratogenic effects in two  
12 populations.

13 In the rodent population, as I read the slide,  
14 it appeared that the number of animals studied were  
15 between 8 and 15 in each study.

16 When the primate data was presented, I didn't  
17 see.

18 And could you please clarify those study  
19 numbers for us?

20 DR. HICKOK: Dr. Singh, will you review these  
21 studies again for us, please?

22 DR. SINGH: I am going to have to tell you that,

1 from my memory, I believe, it was three. An N of 3  
2 for the monkey studies.

3 But I will have to -- in fact, at lunch, I can  
4 verify that. I have the actual references and  
5 everything with me.

6 But -- so for the two -- for the Cynomolgus  
7 monkey study -- if you want to bring that slide back  
8 up -- and the Rhesus monkey study, which is actually  
9 one and the same -- we want the next slide, please.

10 Okay. So this slide actually represents two  
11 different studies.

12 The Hendricks, et al, paper that was published  
13 in 1987 is the one that contains the data from both  
14 the Rhesus monkeys and the Cynomolgus monkeys.

15 And that is the study in which I believe there  
16 was an N of 3.

17 And, I'm sorry, I just need to pull that  
18 reference, and I will confirm that with you later  
19 on.

20 So, and then in the second studies, well, I  
21 have that reference, actually, in the Boardroom,  
22 and, again, I can make that available to you.

1           If there's any follow-up question for now on  
2 content?

3           DR. MERRITT: Could you go back to the rodent  
4 slide, please?

5           DR. SINGH: That's one slide back.

6           So you're correct. The C-57 Black Six Mice  
7 study. In that study, the N was 8 per group.

8           And in the Swiss Webster Mulhouse study, that  
9 the N was between 11 and 15 per group.

10          Again, you will notice that the route of  
11 exposure is different.

12          There are sub-dermal pellets or subcutaneous  
13 injections, so this is different than the  
14 intramuscular route. So there is a bit of  
15 extrapolation there.

16          DR. MERRITT: Thank you for that clarification.

17          I have one other question, which is why was  
18 castor oil included in the vehicle as opposed to  
19 some other compound?

20          DR. HICKOK: Yes. Castor oil has  
21 traditionally been included in a vehicle as a depot  
22 injection to, again, prolong the duration of action

1 at the 17-hpc.

2 If given orally, it is rapidly degraded and  
3 not bio-available.

4 DR. DAVIDSON: Dr. Lewis.

5 DR. LEWIS: Yes. I also was wondering a little  
6 bit about the castor oil.

7 Is Delalutin also in a castor oil? That's one.

8 And, secondly, it is bothersome that there is  
9 such a high background rate of pre-term births in  
10 the 002 Study.

11 And I know that if you compare it to the other  
12 Maternal Fetal Medicine Network Unit study, they had  
13 a much lower rate.

14 Were the same centers involved?

15 And what is the speculation on why the  
16 difference is so great?

17 Were the time periods overlapping at all?

18 You know, it's just -- that is bothersome.

19 DR. HICKOK: Thank you, Dr. Lewis.

20 Let me address each one of your questions  
21 separately, as I can.

22 And the first one I'll go to is, you had a

1 question about Delalutin and the formulation. And  
2 let me just show you some data on the comparison  
3 between the two.

4 Here, you see the Adeza-proposed product, or  
5 Gestiva. You see the studies 17-p 002, and, here,  
6 Delalutin.

7 And you see, again, the quantity of 17-hpc and  
8 the concentrations of benzyl alcohol, benzyl  
9 benzoate, and benzyl and castor oil are all  
10 identical between the three.

11 For your second question, I believe you're  
12 getting at the question of the pre-term birthrate  
13 and the placebo that Dr. Wesley raised.

14 And I'd like to invite Dr. Anita Dos, our  
15 bio-statistician, to address the issue of the  
16 pre-term birthrate in the placebo group.

17 DR. DAS: There are a lot of reasons why the  
18 pre-term delivery rate in HUAM which is the Home  
19 Uterine Activity Monitoring study, and the Study 002  
20 could be different.

21 The most quantifiable reason is that Study 002  
22 enrolled the population at higher than the HUAM

1 study.

2       And this is evidenced by looking at the number  
3 of previous pre-term deliveries in the 002 Study.

4       In the 002 Study, there was 32 percent that had  
5 greater than one previous pre-term delivery, and in  
6 the HUAM study, there were 22 percent of women.

7       The gestational age at the worst previous  
8 pre-term delivery was also slightly lower, at 29.7  
9 weeks versus 30.2 weeks.

10       But, also importantly, the gestational age of  
11 the qualifying delivery in Study 002 was early, at  
12 30.8 weeks, showing that this is a higher risk  
13 population.

14       There is other non-quantifiable reasons why  
15 these two studies might differ.

16       One would be the temporal reason in that Study  
17 002 was completed in 2002. The HUAM study was  
18 completed in 1996.

19       And the MFMU Network was slightly different,  
20 with 19 participating centers in 002, and 11  
21 participating centers in the HUAM study.

22       But, also, very important is the study design.

1 The HUAM study was not a randomized trial, it was an  
2 observational study.

3 Study 002 is a randomized trial with very  
4 intensive intervention. An injection once a week.

5 And we know from anecdotes that the women who  
6 participated in this trial were extremely motivated.

7 One: Because of their prior pre-term history  
8 and their adverse obstetrical history.

9 So, again, one of the non-quantifiable  
10 differences, truly, is an observational study versus  
11 a randomized trial.

12 I'd also like to have Dr. Savitz come and speak  
13 a bit to this point.

14 DR. HICKOK: And Dr. Savitz, I might add, is a  
15 reproductive epidemiologist.

16 DR. SAVITZ: Thank you.

17 David Savitz, Mount Sinai School of Medicine.

18 I can just maybe comment and just add to that  
19 that the -- sort of the art of predicting the  
20 baseline rates in randomized trials is a  
21 challenging one for those who have engaged in  
22 trials, and you use the -- of course, the best



1 historical data you have the best estimates.

2       But, as Dr. Das explained, the constitution of  
3 the patient groups will often differ and especially  
4 the willingness to participate, is a more subtle,  
5 but, I think, can be a very important influence on  
6 the baseline risk.

7       I don't think there has been so much a question  
8 about maybe whether the placebo group accurately  
9 reflects the baseline risk.

10       That is an issue of randomization, I think has  
11 been well taken care of.

12       But I think probably the concern is maybe with  
13 one of generalize-ability; that is, whether these  
14 results would apply to the full spectrum of women  
15 who meet the eligibility criteria of one or more  
16 prior pre-term births.

17       And, there, I think the data are clear in the  
18 various subgroup analyses, saying that all of the  
19 groups of varying background risk seem to share the  
20 same benefit.

21       That is, whether the groups are defined by  
22 number of prior pre-term births or other criteria --

1 bacterial vaginosis, and so on, as Dr. Hickok  
2 presented.

3       There's every reason to think that a different  
4 group with a different mix of those attributes would  
5 probably have a lower risk of pre-term birth. but  
6 there is a consistent pattern that they would be  
7 predicted to show the same benefit.

8       DR. DAVIDSON: Dr. Henderson.

9       DR. HENDERSON: I, too, am struck by the high  
10 background rate of pre-term delivery.

11       I wonder, from the literature, do you know  
12 what the background rate was in any of those  
13 publications, the ones that you used to cite in  
14 support of what the Maternal Fetal Network did?

15       DR. HICKOK: Yes. You know, it is quite  
16 remarkable about having spent, it seems like over a  
17 week looking, for this type of information.

18       You know, you probably go back to, you know,  
19 the quote from Robert Goldenberg that's widely  
20 cited, that there's a 20 to 40 percent risk of  
21 recurrent pre-term birth kind of period.

22       And we did look, and we can actually, you know,

1 show you some data from the 002 Study on the risk of  
2 recurrent pre-term birth, by the number of prior  
3 pre-term births, which is, you know, certainly a big  
4 risk.

5       And that goes up dramatically with each  
6 consecutive number of prior pre-term births.

7       In other words, those women that have one,  
8 versus those that have two, then those that have  
9 three. And it makes quite a -- it's quite  
10 remarkably higher as you move up.

11       A second variable that's been pointed out by  
12 the Network studies, and specifically Dr. Brian  
13 Mercer, has been a lower gestational age at the time  
14 of, you know, prior pre-term birth.

15       And I think, as Dr. Das pointed out to you  
16 in her presentation, that the average gestational  
17 age of the prior pre-term birth was about 30.9  
18 weeks, which really is very low when you consider  
19 the data that Dr. Nageotte presented, that 75  
20 percent of pre-term births occur between 34 and 37  
21 weeks of gestation.

22       So, obviously, the women that entered into the

1 NICHD clinical study were at high risk. Very high  
2 risk, by virtue of number of prior pre-term births,  
3 and by the low gestational age at the qualifying  
4 pre-term birth.

5 DR. HENDERSON: One thing that strikes me, the  
6 age certainly is getting younger, gestational age.

7 But part of that is the multiple gestations,  
8 and that group was excluded from this trial.

9 So, in looking at the incidence of pre-term  
10 delivery is increasing, the age of gestation is  
11 decreasing, and part of that is the contribution of  
12 multiple gestations, and so that's not part of what  
13 we're looking at.

14 I'm just still struck by the high incidence of  
15 pre-term delivery in the placebo group.

16 And just other than just saying that the rate  
17 has increased over the baseline rate, in general, do  
18 you have any thoughts of how or what may be -- I  
19 mean, the vehicle or what -- the intervention?

20 And you would think that women who are in  
21 randomized clinical trials because of their history,  
22 as was stated, they are very motivated and they're

1 very cooperative, and they show up, and they don't  
2 know that they are getting placebo.

3       So it is very likely that they were really,  
4 really good patients, and they did what they were  
5 supposed to. So you would think that just the  
6 intervention would lower their risk.

7       So I just -- I can't get my hands around the  
8 50-so odd percent of pre-term delivery.

9       DR. HICKOK: Yes. The women were certainly  
10 motivated, and they had, had, you know, a prior --  
11 at least one prior very bad experience.

12       And I might even give you a little, you know,  
13 flavor for that at the study site by asking Ms.  
14 Gwendolyn Norman to talk a little bit about her  
15 relationship with patients. And she -- you know,  
16 she recruited them, she followed them.

17       Ms. Norman, would you step forward and just  
18 give us a little bit of flavor for the risk status  
19 of your patients and their motivations and  
20 compliance and all?

21       MS. NORMAN: Certainly. Gwendolyn Norman from  
22 Wayne State University.

1           In the original trial, the 002, we did find  
2 that the women were very willing to participate.

3           They had had, as you said, a very high risk of  
4 exposure. They had had a previous loss, were very  
5 compliant, and participating in coming weekly or, if  
6 they were on bed rest, for us to come out and do  
7 home visits for them.

8           DR. HICKOK: And I'd also like Dr. Paul Meis,  
9 the principal investigator of the study -- we're  
10 fortunate to have him here today -- to remark on  
11 this subject.

12          DR. MEIS: Paul Meis, Wake Forest University.

13          I can only say that, anecdotally, when I would  
14 recruit patients for this study, that when we  
15 explained the study to women, that they would  
16 receive weekly intramuscular injections from 16 to  
17 20 weeks, all the way up to 36 weeks, and that there  
18 might be a chance that they're getting the placebo  
19 for no benefit, the women who had had a prior  
20 pre-term birth at, say, 35 weeks or so and the  
21 baby had done very well, they were not very  
22 interested in participating in this study.

1 But if the woman had had a pre-term birth at 28  
2 or 29 weeks and the baby had stayed in the hospital  
3 for a long time and had problems, they were very  
4 interested in this study.

5 So I think there was a self-selection process  
6 involved.

7 DR. HICKOK: Thank you, Dr. Meis.

8 DR. DAVIDSON: Dr. Gillen.

9 DR. GILLEN: Thank you.

10 I hate to beat a dead horse here but, clearly,  
11 this is a sticking point in terms of the generalize-  
12 ability of what we're looking at.

13 So, it seems like one of the most plausible  
14 explanations that's been offered is that there's  
15 co-variate imbalances, effectively, with respect to  
16 risk factors for pre-term births between the 001  
17 Study and the 002 Study.

18 And, I guess, I'm just wondering if the  
19 Committee can offer us any sorts of -- so, I mean,  
20 it begs the question, effectively, to say, which way  
21 are the imbalances going in terms of the general  
22 population or the target population that you're

1 going to be targeting here?

2         And so, is there any sort of literature or  
3 review that we have evidence for that says, you  
4 know, the target population currently today is more  
5 like the placebo group that was enrolled, or the  
6 group that was sampled for the 002 Study versus the  
7 001 study, in order to help us make this distinction  
8 between the two?

9         DR. HICKOK: Yes. The answer off the top of my  
10 head, is, again, these were very motivated women  
11 that had had a bad experience.

12         And we would expect, you know, going forth, at  
13 least -- and, again, this is opinion on my side --  
14 we would expect women who perceive themselves at  
15 higher risk to be more likely to engage in a course  
16 of treatment that involves something like weekly,  
17 you know, injections of a -- you know, of a drug and  
18 castor oil then we would people that, as Dr. Meis  
19 and Ms. Norman described, as those at 35 or 36 weeks  
20 that had had a child, but perhaps had a longer  
21 neonatal stay.

22         In terms of your -- I think you had almost a



1 second question about generalize-ability and all,  
2 too, and Dr. Savitz addressed that briefly.

3 But the stratified analysis that we presented  
4 to you, we sent to you during the core presentation,  
5 I think a very strong argument about the generalize-  
6 ability of the benefit of 17-p.

7 And, again, if we go to the first slide that I  
8 showed, this gets at the prior question, also, that  
9 was raised about risks by number of prior pre-term  
10 deliveries.

11 Again, we see in a population, with a lot of  
12 pre-term deliveries, those baseline risks in the  
13 placebo group can be very, very high if you  
14 have a large number of pre-term deliveries.

15 But on the issue of generalize-ability,  
16 whenever you start dividing groups into different  
17 strata and get consistent effects, it's a very  
18 strong argument about generalize-ability of the  
19 results.

20 And what we showed you here, previously, was  
21 the effect by number of prior pre-term births.

22 And then, secondly, we divided the population

1 into African-American versus non-African-American  
2 and saw the same general pattern as we did with the  
3 benefit of 17-p over placebo.

4       A third stratification was by bacterial  
5 vaginosis, which is a known risk factor, as Dr.  
6 Nageotte showed you.

7       And we would see the same kind of pattern  
8 about, you know, an increased risk in people with  
9 bacterial vaginosis in the placebo group, which you  
10 would expect.

11       But, similarly, a decrease that paralleled one  
12 and another between the "BV" and the no "BV" group.

13       So, because of those, you know, four ways that  
14 we stratified and all, it is a very strong  
15 argument that there is generalize-ability of those  
16 study results.

17       Dr. Savitz, would you have any further comments  
18 on this regarding our statistician's question here?

19       DR. SAVITZ: Very briefly.

20       I think that the best guess about what would  
21 happen if you reconstituted a different that had a  
22 lower risk distribution is to look at the data that

1 Dr. Hickok presented, and imagine a group with fewer  
2 multiple prior pre-term births or a lower rate of  
3 bacterial vaginosis.

4 Or, if you will, an average -- a more favorable  
5 risk factor profile.

6 The best evidence from the study says that  
7 group with a lower risk profile would share the same  
8 benefit as was observed in this population, given  
9 that the stratum specific results were so  
10 consistent.

11 So if you had a different mix of strata, if you  
12 will, you would still predict and anticipate the  
13 same kind of benefit.

14 DR. GILLEN: I certainly agree that there is  
15 consistency; I guess, that they're -- and true in  
16 terms of the point estimate, all pointing in the  
17 correct direction.

18 But, I mean, you know, there is variability  
19 there in terms of pre-gestational or pre-term births  
20 of less than one. You only have an 11 percent  
21 difference, going up to, you know, what we see as an  
22 average of 17 percent differences, and a maximum, I

1 think, 30 percent difference from what I saw on the  
2 previous slide.

3       So, you know, when we're weighing sort of  
4 efficacy versus safety, you know, the magnitude of a  
5 point estimate is very important; and so, therefore,  
6 what constitutes the population later on is going to  
7 be very important in terms of how that point  
8 estimate is going to fluctuate between, say, a 10  
9 percent improvement and a 30 percent improvement,  
10 for example.

11       And so, I guess, that's my main point in terms  
12 of saying, you know, what is the population, or  
13 target population, truly going to look like.

14       And is it what we've seen in the past or what  
15 we see now with this 002 trial?

16       And I understand that is a very difficult  
17 question. I'm just trying to raise it and  
18 illustrate some of the things.

19       DR. SAVITZ: I think that, again, the data  
20 provide the basis for speculating about a different  
21 mix of the known risk factors.

22       But I think, as Dr. Meis mentioned, I think one

1 of the biggest -- you know, the issues is the self-  
2 selection into the study.

3       And, again, there is no reason to  
4 anticipate that a different mix of women with  
5 different motivation would experience a different  
6 consequence.

7       I think there is an issue, though, about the  
8 challenge of simply -- for this kind of a protocol,  
9 of having in a trial situation where there is that  
10 placebo arm, obviously, that people are aware of, to  
11 generate a group that really is fully representative  
12 of the clinical source population.

13       So there is that nature of generalize-ability  
14 always from randomized trials.

15       DR. DAVIDSON: Okay. Dr. Wenstrom.

16       DR, WENSTROM: A lot of concern was expressed  
17 about the five miscarriages in the 17-p group.

18       But a miscarriage was defined as a loss between  
19 16 and 20 weeks. And I believe we were told  
20 that the average gestational age at the first dose  
21 was almost 19 weeks.

22       So do we even know that those five women got a

1 dose of 17-p or, if they did, if fetal viability was  
2 confirmed before they got that dose?

3 DR. HICKOK: So, Dr. Wenstrom, that has to do  
4 with combining the 001 data with the integrating.  
5 That's a very -- a very good question on your part.

6 And we actually did go back and look at,  
7 specifically, the number in Study 001 who completed  
8 treatment through 20 weeks of gestation.

9 In other words, we had a full course of  
10 treatment through 20 weeks gestation.

11 That number was 94.5 percent, so we felt very  
12 good about combining that with the data from 002,  
13 you know, and giving a bigger estimate and more  
14 stability of the numbers with, you know, again,  
15 almost 95 percent of the women in that 001 study,  
16 did complete treatment through 20 weeks.

17 DR. WENSTROM: Does this mean they had one dose  
18 at 19 weeks? The average -- wasn't that correct?

19 DR. HICKOK: It is possible that they had one  
20 dose.

21 But, again, the average gestational age at the  
22 time of randomization was almost identical between

1 the 001 and the 002 Study.

2       So there was a balance -- I'm sorry, between  
3 the 17-p and the placebo groups.

4       So there was a balance on, you know, when  
5 people entered the study and the average number of  
6 injections they received by 20 weeks.

7       DR. WENSTROM: But it's possible that some of  
8 those five women hadn't even received a dose;  
9 correct? They could have been randomized and  
10 counted as a loss?

11       DR. HICKOK: No. They were all randomized and  
12 given an injection of 17-p at the same day.

13       DR. WENSTROM: Okay.

14       DR. HICKOK: And that had -- again, that had to  
15 occur before 20 weeks, 6 days of gestation.

16       DR. DAVIDSON: I understand Dr. Kammerman from  
17 the FDA may have a question or comment on this.

18       DR. KAMMERMAN: Yes. One of the concerns I have  
19 regarding this discussion of safety, is that we're  
20 ignoring the time on study drug that you were  
21 getting at.

22       And if we looked at the distribution of

1 gestational age at randomization, 25 percent of the  
2 subjects were enrolled by 18 weeks, 75 percent by 20  
3 weeks, and there were 25 percent that were enrolled  
4 during that last week.

5 So, right off, there is only 75 percent of the  
6 subjects that we're talking about.

7 And we need to look at the amount of time that  
8 they were actually on study drug.

9 For example, there was one subject who was lost  
10 follow up, and I think that person was counted as  
11 one day in the study.

12 So if we account for the exposure to the study  
13 drug, the percent of stillbirths -- I'm sorry,  
14 miscarriages is actually 3.5 percent. The  
15 percentage of deaths at 21 weeks is 6 percent versus  
16 just about zero for placebo.

17 And if the rate of death adds up, fetal death  
18 at 24 weeks, is 7 percent for placebo versus 3  
19 percent -- I'm sorry, 7 percent for 17-p, and 3  
20 percent for placebo, and then that's when you start  
21 seeing the curves come back together.

22 So if we do look at the amount of time that  
23 patients were on study drug, the rates become  
24 elevated when we use the proper denominator.

25 DR. HICKOK: Should I respond to that, Dr.  
Davidson, or are you going to take another question?  
Does that mean that I can respond?

DR. DAVIDSON: I think we will have to cut off  
for one hour for lunch to stay on schedule.

And, as usual, our list is longer than the time  
we have.

So we will pick up this afternoon with the  
discussion in terms of those that did not have an  
opportunity to raise a question.

Dr. Watkins may have some logistical comments  
about lunch.

DR. WATKINS: Just two housekeeping issues.

For the Committee, the hotel's restaurant has  
an area cordoned off so that you can quietly enjoy  
your lunch.

If so, if you will proceed to the restaurant,  
I would appreciate that.

For those members who have pre-registered to  
participate in the Open Public Hearing but have not  
yet checked in at the registration desk, please do  
so.

Thank you. And we'll see you after lunch.  
(Whereupon, a luncheon recess was taken.)

25



1                                   A F T E R N O O N   S E S S I O N

2           MS. WATKINS: We'd like to call the first open  
3 public hearing speaker to the microphone. The first  
4 speaker is Senator Connie Lawson.

5           SENATOR LAWSON: Good afternoon. I am Indiana  
6 State Senator Connie Lawson and Vice Chair of Women  
7 in Government, a national 501(c)(3) non-profit  
8 bipartisan organization of women state legislators  
9 providing leadership opportunities, networking,  
10 expert forums, and educational resources to address  
11 and resolve complex public policy issues.

12          Women in Government leads the nation with a  
13 bold, courageous, and passionate vision that  
14 empowers and mobilizes all women legislators to  
15 effect sound policy. In the interest of disclosure,  
16 my trip today was paid for by Women in Government,  
17 and Women in Government does receive unrestricted  
18 educational grants from Adeza Biomedical.

19          As you all know, preterm birth is a burden to  
20 the American health care system. According to the  
21 March of Dimes, every week in the United States,  
22 nearly 9,600 babies are born preterm. In the course

1 of one year, over 12% of all live births are  
2 preterm.

3       Beyond the stress this causes for each family  
4 across our country, preterm birth has a lasting  
5 financial stress on our states and our nation, with  
6 over \$18 billion spent nationally each year in  
7 hospital charges for babies born with low birth  
8 weight or prematurity.

9       I understand both these stresses on a personal  
10 level as a grandmother to two premature babies, one  
11 born at 29 weeks, one born at 32 weeks, and as a  
12 state legislator for 10 years.

13       We now understand the science and have the  
14 ability to prevent preterm birth. We also know  
15 that women who have previously had a premature baby  
16 are more likely to deliver prematurely in a  
17 subsequent pregnancy.

18       Progesterone treatments, such as 17P, have been  
19 shown in clinical studies, as we've all heard today,  
20 to have a positive effect on preventing preterm  
21 delivery. In the study conducted by the National  
22 Institute of Health, 17P was successful in reducing

1 preterm delivery by 34%.

2           Furthermore, the American College of  
3 Obstetricians and Gynecologists has recommended the  
4 use of progesterone in certain high-risk  
5 pregnancies, particularly for women who have  
6 previously had premature deliveries.

7           With available medicine and screening  
8 technologies, we can save lives, health care  
9 dollars, and undue stress on families in our nation.  
10 Women in Government has convened several  
11 educational forums on the issue of preterm birth,  
12 and many women state legislators across the  
13 country are addressing this important topic in  
14 women's health.

15           On behalf of my colleagues across the country,  
16 I urge the Advisory Committee to make  
17 recommendations to the Food and Drug Administration  
18 to improve the availability of preventative  
19 treatments for preterm delivery and to ensure  
20 access to life-saving technologies, such as 17P, for  
21 all women.

22           I thank you for the opportunity to speak to you

1 today, and I look forward to the important decisions  
2 you will make for the women of the United States, my  
3 family, and the people I represent.

4 DR. DAVIDSON: Thank you.

5 MS. WATKINS: Our next open public hearing  
6 speaker is Barbara Dehn.

7 MS. DEHN: Good morning. I'm Barbara Dehn. I'm  
8 a women's health nurse practitioner, and previously,  
9 I was a pediatric ICU nurse at Stanford University  
10 Medical Center, so I know first-hand about the  
11 long-term issues of prematurity. Next slide.

12 When children are fortunate enough to survive  
13 their stay in the NICU, they go home to mom and dad  
14 and then if they become ill, they go back to peds or  
15 peds ICU, where I was a nurse. So I saw some of  
16 the things that they came in for. Next slide.

17 One of the things I saw a lot of was broncho-  
18 pulmonary dysplasia. This is also known as chronic  
19 lung disease. Those babies have very fragile lung  
20 tissue, so when they're mechanically ventilated,  
21 they can have scarring, and they can develop what's  
22 called chronic lung disease, almost like COPD in an

1 elderly person.

2       These children have a propensity to asthma, and  
3 small colds or flus that your child would brush off  
4 and be able to go to school with, these children  
5 can't, so they'd end up in the PICU with me and  
6 sometimes, they'd have to be ventilated. Next  
7 slide.

8       Another thing I saw was necrotizing  
9 enterocolitis. We called it NEC in the ICU. This  
10 is more common in children who are very low birth  
11 weight. If they did survive -- next slide -- this,  
12 because the mortality is very high, they often  
13 needed surgery, where a small portion of their  
14 very small intestine was removed.

15       So these children had chronic diarrhea and  
16 malabsorption syndrome. And so it was very  
17 interesting taking care of them in the PICU with  
18 chronic diarrhea, especially because they didn't  
19 grow very well. Next slide.

20       The other thing that was particularly difficult  
21 for me as a nurse was to see children who had  
22 developed intra-ventricular or peri-ventricular

1 hemorrhaging, and this is when their cerebral  
2 arteries or cerebral capillaries, excuse me, bleed  
3 and it would cause almost like a stroke in an older  
4 person.

5 Now, this is much higher risk in people who are  
6 delivered before 32 weeks, and small things that we  
7 did routinely in the ICU could trigger this. Just  
8 suctioning a child on a ventilator could trigger  
9 IVH. Next slide.

10 Now, the long-term consequences, I also saw.  
11 Children who had grade three or grade four IVH had  
12 much more serious sequelae and what I saw were  
13 children who came in for seizure disorders. So they  
14 seized and seized and seized and we couldn't get  
15 them under control.

16 Or their IVH made them more susceptible to  
17 hydrocephalus, and that's water on the brain.  
18 They needed shunting, and often times, they had to  
19 have shunt re-dos or their shunts became infected.  
20 And of course, we saw a lot of cerebral palsy, and  
21 those poor kids needed a lot of tendon-lengthening  
22 surgery. Next slide.

1           This is a partial list of risks factors. You  
2 know that. Next slide. You all know about the  
3 study by Meis, but what you may -- we should talk  
4 about is that using 17P decreases the rates of NEC,  
5 it decreases IVH, and it decreases the need for  
6 supplemental O2, or oxygen. Next slide. Next  
7 slide.

8           So what I want to talk about is the difference  
9 one week can make. So one extra week can make a  
10 huge difference in a child's life for their  
11 lifetime. Babies really do need to spend a lot of  
12 time in mommy's tummy. That's really where they  
13 develop best.

14           One extra week can mean the difference between  
15 reading at grade level and needing special  
16 education. It can mean the difference between  
17 wearing glasses and not wearing glasses. It can  
18 mean the differences between spitting up once in a  
19 while and having chronic reflux. It can mean the  
20 difference between running with your friends and  
21 being able to play soccer or having cerebral palsy,  
22 having spasticity, and needing tendon-lengthening

1 surgery.

2       Now, why don't we use more 17P? I work in the  
3 San Francisco Bay area. Stanford is nearby, we have  
4 Valley Medical Center. Both of those institutions  
5 have very different protocols for 17P. So it's  
6 difficult for me, as a women's health nurse  
7 practitioner, to initiate this for my patients, and  
8 that means limited access, and that also means  
9 under-treatment of women at risk. Next slide.

10       Because we don't have an FDA-approved  
11 formulation, it's not on every hospital  
12 formulary. It's not on my hospital formulary, and I  
13 work at El Camino Hospital in Mountain View,  
14 California in Silicone Valley. It's not covered by  
15 a lot of insurances. So for me, it makes it more  
16 difficult for me to do my job, and my job really is  
17 to help ensure healthy babies and healthy moms.

18       Because it has to be compounded, a lot of us  
19 are concerned about the quality assurance, and it is  
20 available through some pharmacies, but we're not  
21 really sure whether or not we should be using that  
22 for our patients. So I want to strongly -- next



1 slide -- I want to strongly encourage you to  
2 consider approving 17P, because I think it would  
3 help me do a better job of preventing the  
4 long-term consequences of prematurity.

5 I thank you for your time. In the interest of  
6 disclosure, a portion of my travel was paid for by  
7 Adeza Biomedical. Thank you.

8 DR. DAVIDSON: Thank you. Let me put this  
9 statement in the record. Fortunately, the first two  
10 speakers, I think, have complied with this. Both  
11 the Food and Drug Administration and the public  
12 believe in a transparent process for  
13 information-gathering and decision-making.

14 To ensure such transparency at the open public  
15 hearing session of the Advisory Committee meeting,  
16 FDA believes that it is important to understand the  
17 context of an individual's presentation.

18 For this reason, FDA encourages you, the open  
19 public hearing speaker, at the beginning of your  
20 written or oral statement, to advise the committee  
21 of any financial relationship that you may have with  
22 the sponsor, its product, and if known, its direct

1 competitors. For example, the financial information  
2 may include the sponsor's payment for your travel,  
3 lodging, or other expenses in connection with your  
4 attendance at the meeting.

5 Likewise, FDA encourages you, at the beginning  
6 of your statement, to advise the committee if you do  
7 not have any such financial relationships. If you  
8 choose not to address this issue of financial  
9 relationships at the beginning of your statement, it  
10 will not preclude you from speaking.

11 MS. WATKINS: Thank you, sir. Our next  
12 presenter is Dr. Michael Paidas.

13 DR. PAIDAS: Dr. Davidson, members of the  
14 committee, ladies and gentlemen, thanks for the  
15 opportunity for being here. My name is Michael  
16 Paidas. I'm Associate Professor and Co-Director  
17 of the Yale Blood Center for Women and Children. I  
18 have paid for this on my own to attend here today.  
19 I've been part of the speakers bureau for the March  
20 of Dimes and Adeza Biomedical in the past. Next  
21 slide, please. Thanks.

22 So as you've all heard, preterm delivery is a

1 distressing problem, continues to have major issues  
2 for us for a number of different areas, and  
3 you've heard about the use of progesterone as a  
4 preventative strategy. Next slide, please.

5       You've heard a lot about the randomized trial  
6 completed by Dr. Meis and colleagues which showed  
7 that progesterone caproate IM weekly early on in  
8 pregnancy significantly reduced the risk of preterm  
9 delivery. Next slide. And you've also heard that  
10 it's improved the number of neonatal morbidities, as  
11 shown here.

12       You've also seen -- next slide. Thank you.  
13 You've also seen that a number of progestational  
14 agents have been used in the preterm delivery  
15 prevention, and in a recent med analysis that's  
16 shown here, you've seen -- and the conclusion was  
17 the use of these agents and particularly 17P has  
18 been shown to reduce the rate of preterm birth and  
19 low birth weight. Next slide.

20       Recently, also, ACOG has issued a committee  
21 opinion, also identifying that progesterone has  
22 greatly reduced the risk of preterm delivery, and

1 also stressed, I might add, that much more research  
2 is needed in these areas for patients with other  
3 high risk factors. Next slide. Thanks.

4       So I just want to highlight a bit about  
5 some of progesterone's actions and show you a little  
6 bit of the work that may have relevance to this  
7 topic. As you can see, progesterone has a number of  
8 actions. It relaxes the myometrial smooth muscle,  
9 it blocks the action of oxytocin, it inhibits the  
10 formation of gap junctions.

11       It also inhibits uterine prostaglandin  
12 production. It also inhibits T-lymphocyte mediated  
13 processes. It also seems to create a barrier to the  
14 entry of pathogens into the uterus, which is very  
15 important in terms of prevention of infection.

16       More recently, we've identified a number of  
17 issues of progesterone regarding the regulation of  
18 decidual cell homeostasis, those cells that come in  
19 direct contact with the placenta, and it seems to be  
20 that one of its effects is to block the effects of  
21 thrombin, which is involved in the clotting cascade.  
22 Next slide.

1           So we know that hemorrhage is one of the  
2 discrete pathogenic mechanisms involved in preterm  
3 delivery. In this cartoon here, you see the diagram  
4 where hemorrhage has occurred. When that does  
5 occur, there's an extravasation of a number of  
6 clotting factors, and that sets off the cascade to  
7 create thrombin.

8           Now, thrombin is one of the most potent uterine  
9 contractile agents that we're aware of. It's also  
10 involved in clot formation, certainly, but also,  
11 it's very much involved in the degradation of the  
12 extracellular matrix through the activation of a  
13 number of MMPs that you see on the right-hand side  
14 of the screen, which we think is important for  
15 involvement in preterm delivery. Next slide.

16           Recently now, we understand that thrombin  
17 induces decidual interleukin-8 expression, and  
18 interleukin-8 is very important in terms of  
19 recruiting neutrophils in the area. The panel on  
20 the right are two slides demonstrating a number of  
21 neutrophils in cases where you have abruption  
22 occurring, and in other cases on the top panel,

1 preterm delivery unassociated with abruption.

2       So now, we have a clear mechanism of  
3 thrombin being important in extracellular matrix  
4 degradation, and we've shown at least one compound  
5 of progesterone to reduce the risk of thrombin. So  
6 we have a potential mechanism of its effect. Next  
7 slide.

8       So as you know, there are a number of different  
9 candidates in various trials, but what we're talking  
10 about here today is women with a risk of preterm  
11 delivery based on a prior history. You've already  
12 heard already about the candidates for therapy.  
13 Next slide.

14       You've heard a lot about safety today, and a  
15 number of reviews have come out really attesting  
16 to the safety of progesterone. Next slide. So the  
17 main problem that we have right now is that we can't  
18 get doctors to access this drug, and having an  
19 entity that might be helpful for physicians  
20 nationwide to access the drug would be of great  
21 benefit.

22       So I would urge the committee to consider

1 seriously approving this drug for the treatment of  
2 -- prevention of preterm delivery. Thank you very  
3 much.

4 DR. DAVIDSON: Thank you.

5 MS. WATKINS: Our next presenter is Nancy Green.

6 DR. GREEN: Thank you. My name is Nancy Green.

7 I'm the Medical Director at the March of Dimes, and  
8 I'll be representing the foundation. First, in  
9 terms of the conflict of interest, I have no  
10 personal conflict to reveal. The March of Dimes has  
11 accepted donations from Adeza, and I can just say  
12 we've never discussed the topic of prevention of  
13 preterm birth or this application or progesterone  
14 with them.

15 So as many of you probably know, the mission of  
16 the March of Dimes is to prevent birth defects,  
17 prematurity, and infant mortality. On behalf of the  
18 over three million volunteers and 1,300 staff  
19 members of the March of Dimes nationwide, I will  
20 provide the foundation's perspective on this  
21 application for 17-alpha-hydroxyprogesterone  
22 caproate.

1           The March of Dimes offers the following  
2 recommendations to the committee based upon the  
3 promising results, and we've heard about it now  
4 several times already today from the Meis et al  
5 study through the (inaudible). It is our  
6 recommendation that: (1) the FDA approve the  
7 application to license 17- hydroxyprogesterone; (2)  
8 to direct that the FDA direct the product labeling  
9 to clearly be for the specific indications during  
10 pregnancy; i.e, prevention of recurrent preterm  
11 birth; and (3) that the FDA require a structured  
12 post-marketing evaluation of 17-hydroxyprogesterone  
13 by its proposed manufacturer.

14           Well, we've heard about the IOM (phonetic)  
15 report as well, so I won't mention that, but I would  
16 like to point out that based on the Meis et al  
17 study, the March of Dimes did an analysis based on  
18 2002 birth data to estimate the impact of  
19 hydroxyprogesterone on prevention of recurrent  
20 preterm birth. This paper is published in  
21 Obstetrics and Gynecology in 2005, and we -- noting  
22 the historic rate of recurrent preterm birth



1 reported by Brian Mercer of 22%.

2 We looked at actually retrospective  
3 longitudinal data from two state health departments,  
4 maternal linkage, data sets that represent the  
5 ethnic distribution of the U.S., and actually, also  
6 found a recurrent preterm birth rate of 22%.

7 So all of those women who were eligible for  
8 progesterone as outlined by Meis et al, there would  
9 be 30,000 -- this is a estimate extrapolating from  
10 the Meis data -- approximately 30,000 recurrent  
11 singleton preterm births would occur, for which --  
12 so those women would be eligible for progesterone.  
13 And if they had -- if all these women had received  
14 prenatal treatment with the drug, nearly 10,000  
15 spontaneous preterm births would have been  
16 prevented; again, using 2002 data.

17 Widespread use of 17-hydroxyprogesterone for  
18 pregnant women has already been demonstrated amongst  
19 perinatal medicine specialists, maternal-fetal  
20 medicine specialists. A 2005 survey by Dr. Vince  
21 Bergella (phonetic), who's here in the audience,  
22 demonstrated that of those members surveyed -- or

1 responded, actually, to the survey -- that 67% --  
2 that's two-thirds of the respondents already  
3 prescribed progesterone to their pregnant patients  
4 who are at risk of preterm birth. And that's data  
5 that was published as an abstract in 2005, and  
6 it's currently in press.

7         Interestingly, despite a lack of support of  
8 clinical data, one-third of the respondents -- these  
9 are maternal-fetal medicine specialists -- one-third  
10 of those who responded to the survey recommend  
11 progesterone for indications in addition to  
12 recurrent preterm birth, such things as effaced  
13 cervix and even tocolysis and other indications --  
14 or other clinical situations.

15         Certainly, we've heard today that there's a  
16 paucity of published data around the safety issues  
17 on infants and children, although the data appear  
18 to be favorable, but the March of Dimes continues to  
19 be cautious, of course, about the use of this drug,  
20 given the target population of pregnant women.

21         Certainly, the studies were not designed -- the  
22 clinical studies were not designed to provide

1 assurance of the drug's safety. Again, this is  
2 really why we encourage careful monitoring of the  
3 prescription use of 17-hydroxyprogesterone,  
4 including long-term data, as well as short-term  
5 potential manifestations, so we can best inform  
6 women and their prescribing providers around costs  
7 -- risks and benefits of 17P.

8       So therefore, given the common and serious  
9 problem of prematurity, as you've heard about, the  
10 unique property of 17- hydroxyprogesterone for  
11 reducing risk of preterm birth, the intended target  
12 user, pregnant women, and the documented widespread  
13 and broad prescription of the drug amongst perinatal  
14 specialists, the March of Dimes recommends that the  
15 FDA approve the licensing application for 17-  
16 hydroxyprogesterone.

17       If approved, that would mean that this drug  
18 would be available, if medically appropriate, to all  
19 pregnant women, including women who rely on Medicaid  
20 for health insurance and are risk of preterm birth.  
21 As you probably know, federal law prohibits Medicaid  
22 reimbursement unless the pharmaceutical or therapy

1 has received FDA approval and the manufacturer  
2 participates in a drug rebate agreement.

3 In fact, a number of states have already been  
4 working for Medicaid coverage for 17-  
5 hydroxyprogesterone. For example, the North  
6 Carolina legislature recently passed a bill in May  
7 of this year to provide funds from the Department of  
8 Health to cover the cost of purchasing the drug for  
9 low income women until "the medication becomes  
10 readily available through the Medicaid program."

11 MS. WATKINS: Ma'am? Your time is up.

12 DR. GREEN: Thank you very much.

13 DR. DAVIDSON: Thank you.

14 MS. WATKINS: Our next presenter is Joseph  
15 Hwang.

16 DR. HWANG: Good afternoon. My name is Joseph  
17 Hwang. And thank you for allowing me the  
18 opportunity to participate in this meeting. My  
19 name is Joseph Hwang. I'm a practicing  
20 maternal-fetal medicine specialist in Des Moines,  
21 Iowa. As a -- for disclosure, my trip was sponsored  
22 by Adeza Biomedical.