

1 DR. SHERWIN: So, my question is if you knew the  
2 insulin content right away you could know the answer about  
3 the content the next morning when you did your transplant  
4 because an overnight currently is possible. I don't know  
5 the literature. Have there been studies done to actually  
6 look at insulin content acutely and try to get a sense of  
7 whether that has something to do with function of the islets  
8 later on?

9 DR. SHAPIRO: A flash analysis of insulin content  
10 doesn't tell you whether or not that islet has the capacity  
11 to regenerate and repair.

12 DR. SHERWIN: Of course. I realize that.  
13 Theoretically there is no question about that. You are  
14 absolutely right. That is why I said originally function.  
15 The problem is the functional assay is only in retrospect.  
16 Ideally, you would like to know what the product is before  
17 you put it in the patient. Maybe we can develop assays in  
18 an hour that work that are reasonable, and that would nice  
19 but right now we can do it at the time of isolation. Even  
20 though it isn't perfect because it clearly could be looking  
21 at insulin in a dead islet or that is about to die. Have  
22 people looked at this?

23 DR. HERING: Studies have been done to address the  
24 question whether the insulin content reflects the beta-cell  
25 mass but not the potency of a given transplant to reverse

1 diabetes. The studies that I mentioned found that there is  
2 a tremendous variability and insulin content may, as you are  
3 well aware, reflect the degree of degranulation; may reflect  
4 a number of different things. It is not the perfect assay.  
5 I know that much, much better assays, for example insulin  
6 biosynthesis if you get into potency have been done in  
7 Brussels, and are very well documented, but this didn't  
8 predict graft outcome because so many other factors may be  
9 much more important. There is limited information but  
10 insulin content alone -- there is no evidence to support  
11 that this has predictive value.

12 DR. SALOMON: From the audience?

13 DR. OLACK: I can just say that in St. Louis,  
14 probably in the first 16 patients that were transplanted we  
15 measured total insulin content in those patients and found  
16 no correlation between insulin independence and the amount  
17 of insulin content that was transplanted into those  
18 patients.

19 DR. SHERWIN: If you don't have insulin in the  
20 preparation, then I assume it is not going to work. In  
21 other words, there is obviously no direct relationship but  
22 is it something that one should do to just be sure that  
23 there is at least some insulin? In other words, is it a  
24 criterion for a cut-off? I guess that is what I am asking.

25 DR. SALOMON: Sixteen patients? Did any of them

1 work?

2 DR. OLACK: Yes.

3 DR. SALOMON: Okay. So, what percent worked, and  
4 there was no correlation between insulin content and the  
5 percent that worked?

6 DR. OLACK: I guess our longest insulin-free  
7 patient was five years out with insulin independence. We  
8 had patients that ranged -- and Camillo was there at the  
9 time too -- from a few weeks out to 12 months. But our goal  
10 was to transplant like 100 units of insulin content, at the  
11 time, per preparation and sometimes we achieved it and  
12 sometimes we didn't, but we didn't set a criterion that that  
13 is what we needed to have. So, I can just say that we tried  
14 to find a correlation between the two and we couldn't. Even  
15 today, with all of our islet preparations, we still monitor  
16 in different ways insulin content but I haven't seen papers  
17 where someone said if you transplant this much insulin  
18 content you are going to have a successful transplant.

19 DR. SALOMON: The problem though is if you design  
20 your experiments that you are trying in every single one to  
21 do a certain amount and in a few you fall short, you can  
22 interpret that data as saying that insulin content is not a  
23 criterion to follow.

24 DR. OLACK: Right.

25 DR. SALOMON: Right.

1 DR. NOGUCHI: You would never transplant islets  
2 that didn't have insulin? Is that correct? I mean, that  
3 was the point being brought before. If we are talking about  
4 identity of something you stick into a person, it would just  
5 seem that it should have insulin in it at some point.

6 DR. OLACK: I am sure you would have islets that  
7 have some amount of insulin in them. If you had a  
8 preparation -- I can't imagine anybody that was close enough  
9 to be doing islet transplantation, getting the whole  
10 transplant preparation and having no insulin content. But  
11 the question is, is there a cut-off or is there not and I  
12 haven't seen that published.

13 DR. SHERWIN: I mean, it is such an easy  
14 measurement; it is child's play --

15 DR. OLACK: The measure is not hard but setting  
16 the criteria --

17 DR. SHERWIN: Oh, no, no, I realize that. I am  
18 just saying, you know, what kind of criteria are we going to  
19 establish and it seems to me that since it is such an easy  
20 measurement, even if it turns out to be useless, it is  
21 something that should be --

22 DR. SAUSVILLE: But I would distinguish between  
23 something that is utilized by the community and people who  
24 do this and data that would be collected along the way. To  
25 me, I have heard that an islet is something that sort of

1 looks like an islet, has a certain size and is dithiazone  
2 positive, and that is what we give back after two hours. Is  
3 that correct?

4 DR. SALOMON: Right.

5 DR. SAUSVILLE: So, unless some compelling  
6 evidence should emerge that that is not a good standard at  
7 this outset it becomes difficult to make things more  
8 onerous. On the other hand, I definitely agree with  
9 collecting more data along the way and then we will see if  
10 we can with the power of numbers -- we are not going to tell  
11 anything from an experience of 16.

12 DR. LAKEY: If I may comment, on our islet  
13 patients to date we have been collecting samples for insulin  
14 DNA, calculating the insulin/DNA ratio and the beta-cell  
15 content of the grafts. To date, we have not been able to  
16 demonstrate any correlation with any of those factors to the  
17 islet equivalence.

18 DR. SHERWIN: But you said that all your islets  
19 work and they function in retrospect. So if they all  
20 function, obviously, they are going to have islets and,  
21 surely, there would be no relationship. I would predict  
22 that. The key issue is let's say there is a minimum amount  
23 of insulin that is needed by content as being an acceptable  
24 product. I am sure all your islets exceed that. Therefore,  
25 there would be no relationship. But the issue is for other

1 people who may not be quite as good, is there going to be a  
2 minimum requirement? It seems to me that we don't have it  
3 now. So, I wouldn't stop you from doing anything but I  
4 think we should really try to come up with some standard  
5 about that because there will be people who will be putting  
6 in islets which have virtually no insulin -- not no insulin  
7 but very little, and they are doomed.

8 DR. BLUESTONE: It is not quite that  
9 straightforward. Right? I mean, what you are saying is  
10 that it works. Well, there is "works" and there is "works."  
11 If you ask how many became insulin independent after the  
12 transplant, the answer is they didn't all work. So, there  
13 are ways of doing some correlations. It is not like all of  
14 them became insulin independent within 24 hours and,  
15 therefore, you never need to look again. So, there may be a  
16 little more subtlety in the data that you can actually look  
17 at. Right?

18 DR. HERING: I think your question was to use  
19 insulin content as an identity assay, not as a potency  
20 assay. Here, of course, it can be done whenever you culture  
21 islets for one or two days because this simply takes a few  
22 hours or overnight and then you have to do an assay. In  
23 this particular setting it couldn't have been done because  
24 islets were transplanted right away. So, maybe one  
25 compromise would be a center which is interested in doing

1 islet transplantation could do non-clinical test runs and  
2 could document that they have a critical mass of insulin  
3 present in islet preps. Then, I guess, this should probably  
4 take care of the issue, and whoever is going to transplant  
5 islets after a culture period could use this assay as an  
6 identity assay.

7 DR. LEVITSKY: I will address this to everyone. I  
8 isolated islets at one time and I can't imagine an islet  
9 preparation that wouldn't have insulin in it that looked  
10 healthy otherwise. If they look like healthy, happy islets  
11 they are going to have insulin. I think it is something  
12 that can be done, but I wonder whether the dynamic assay  
13 isn't really more important, and to do that to islets that  
14 have just been through the isolation process would be cruel  
15 and inhuman. You would really have to wait for them --

16 [Laughter]

17 DR. SHERWIN: It is not that I don't favor  
18 functional assays, it is just that you would like to know --  
19 clearly the amount of insulin in a pancreas -- you could  
20 digest the pancreas and the amount of insulin in it relates  
21 to the islet mass. My guess is that they don't always know  
22 how many islets they really have and, consequently, some  
23 minimum number, since some of the islets are dead anyhow --  
24 it might be a useful thing and it is easy to do. It is not  
25 that it is a good thing to do, and it is not superior to

1 functional assay but functional assays currently won't have  
2 the information until retrospectively. You would like to  
3 know the information prospectively to eliminate those  
4 pancreases where you think the hopes are very low.

5 DR. LEVITSKY: I guess a dead islet is going to  
6 have insulin in it.

7 DR. SHERWIN: It will.

8 DR. LEVITSKY: So, that is not going to help you.

9 DR. SHERWIN: Well, it is really how much islet  
10 mass you have, yes, you are absolutely right.

11 DR. SAUSVILLE: This is where this calcium assay  
12 that was talked about -- of everything that is around, that  
13 can take about a minute or two. You look under a confocal  
14 microscope and immediately -- this is sort of Star Wars  
15 technology, but you've got a functioning islet. Although  
16 that is a very interesting thing from a research perspective  
17 to look at prospectively, I don't think anybody has  
18 experience with that to say that this should be a criterion  
19 now. But I would note that of everything that has been  
20 talked about that has the greatest hope for a short-term  
21 functional assay.

22 DR. SALOMON: What I would like to do is offer  
23 everybody a break at this point. We have done identity  
24 testing. We have answered specifically, and to review it,  
25 to make sure that we are providing a sense of the committee



1 to the FDA, my sense here is that we have to acknowledge the  
2 FDA's need to identify what is an islet as a product, which  
3 means, as much as you guys want to keep it vague and  
4 research based, you are going to have to agree as a group,  
5 not just the three of you but the whole field, on criteria.  
6 They may be criteria that have flexibility in them though.

7           Those criteria also need to be divided into  
8 criteria that are determined immediately and are a  
9 requirement for putting the islets into a patient, allowing  
10 you to move efficiently toward a transplant, and what I  
11 think I have heard there is that they are Gram stain  
12 negative and that they are dithiazone positive; that they  
13 have a sufficient islet mass, which I heard and which was  
14 not challenged, of about 5000 islet equivalents per  
15 kilogram; that there is reasonable volume distribution.  
16 That was Camillo's point. That would be figured into the  
17 algorithm of the IEC determination. And, that had to be  
18 fulfilled by two hours.

19           Within the next 48 hours, I think that the general  
20 concept is accepted by the group that there should be  
21 follow-up testing. I don't know that anyone is willing --  
22 and I am not quoting anyone as saying this will be the rigid  
23 criteria but I think everyone accepts the concept that the  
24 next morning there will be criteria that should be  
25 fulfilled, and they would include insulin content, albeit it

1 is not totally clear; some sort of dynamic test of insulin  
2 release --

3 DR. RICORDI: You have forgotten the earlier  
4 marker. There is also the variability by exclusion --

5 DR. SALOMON: Yes, and I wasn't trying to be too  
6 clever but the next section is viability, when we come back  
7 from a break but, yes, fair point, Camillo.

8 The third part that I think we would all agree on  
9 is that there is really a research part of this that should  
10 be fostered by investment of the NIH, the JDF and other  
11 funding organizations where we would begin to look at things  
12 like gene arrays, rapid expression of apoptosis, looking at  
13 apoptotic genes as well as apoptotic markers such as  
14 fragmentation of existing caspase proteins, for example,  
15 which can be done by Western blots now; RNAs; protection  
16 assays, approaches like that; confocal microscopy for  
17 calcium current. All of those should be in the research  
18 criteria. Have I got sort of the general thing?

19 DR. BLUESTONE: Does this mean you are done with  
20 potency? I am confused about your numbering system right  
21 now.

22 DR. SALOMON: We have done viability, Jeff. That  
23 is all I am trying to get done -- appropriate identity  
24 testing.

25 DR. BLUESTONE: But you went well beyond identity

1 testing in what you were just talking about. So, do you  
2 want us to discuss the things you talked about even though  
3 they are later numbers, or do you want to wait until we get  
4 to later numbers?

5 DR. SALOMON: No, remember, I said that we  
6 included potency --

7 DR. BLUESTONE: So, you are adding potency now?

8 DR. SALOMON: Yes.

9 DR. BLUESTONE: So, one thing I brought up a while  
10 ago and Camillo brought up is, if you think about it, if we  
11 are doing things that are long term, shouldn't we be  
12 thinking about the ultimate potency assay which is an in  
13 vivo assay, not an in vitro assay? And, shouldn't we be  
14 thinking about using reconstitution of diabetic nude mice,  
15 not under the kidney capsule where it is ridiculous but  
16 maybe nude rats so you can actually inject them in the  
17 portal vein? Shouldn't we be thinking about some kind of  
18 true in vivo potency assay?

19 DR. SALOMON: I like that one a lot. Let's come  
20 back from the break and start there.

21 DR. CHAMPLIN: I hate to stretch things out but  
22 the issue in terms of standards for what you would demand to  
23 do the transplant is the first step -- the number of cells,  
24 their characteristics that you can do immediately and the  
25 fact that they are not infected. Everything else is

1 unvalidated. So, I would put everything else into the  
2 research pot. Maybe some of those things are going to be  
3 important but I don't think you can require them as a  
4 product definition today. Certainly they should be done and  
5 as, you know, they are validated scientifically they should  
6 be included and ultimately in vivo testing as well. But if  
7 we are looking for a description of the product I think we  
8 are done by that first group.

9 DR. SALOMON: Dr. Levitsky?

10 DR. LEVITSKY: The question I have is when I read  
11 the data from the transplant documents that we were sent, it  
12 seems as if until the new data from Edmonton, which sound  
13 like they are going to be spectacular when we know about  
14 them, the actual survival of most islet grafts was based  
15 entirely upon small amounts of C-peptide release that  
16 wouldn't be sufficient to sustain anything very much. And,  
17 those data are what the criteria for the number of islets  
18 that should be given are based on. Do we have real data  
19 based upon outcomes that would meet colleagues guidelines  
20 which tell you how many islets should be transplanted? I  
21 mean, I am just trying to find out here if any of these  
22 criteria have really reached --

23 DR. SALOMON: That is question three, viability  
24 number and size distribution --

25 DR. LEVITSKY: But you were mentioning that.

1 DR. SALOMON: My apology.

2 DR. LEVITSKY: Okay.

3 DR. SALOMON: Obviously it is all the same thing  
4 but we are trying to arbitrarily divide it up into a series  
5 of questions.

6 DR. SIEGEL: I know you want to get to the break  
7 but let me just help provide a little bit of an intellectual  
8 framework, a regulatory intellectual framework so that you  
9 can understand why we are asking these questions. These are  
10 experimental products so we are not going to expect any  
11 testing criteria to be validated, which is to say nothing at  
12 this point do we expect to be validated to provide an  
13 effective product because we have no data that there is such  
14 a thing as an effective product.

15 So, why are we asking for testing? Well, in a  
16 sense there are three reasons we are asking for testing, and  
17 these have been underlying a lot of the comments but I want  
18 you to kind of think of these a little more discretely so  
19 you can understand where we are coming from and what we  
20 need.

21 One is safety of patients. So, if you tell us  
22 that when the Gram stain is positive it is unsafe; if the  
23 volume is too large it is going to cause portal hypertension  
24 or whatever, we may put a specification that you cannot do  
25 an experiment with dead cells in people; that is not safe.

1 Or, with contaminated cells, or with whatever specification.  
2 Second is rationale. It is closely related to the  
3 issue of efficacy. This gets to Dr. Zoon's comment about  
4 validation in animal models. Why do we ask for rationale?  
5 Well, it gets to a very complex issue which has to do with  
6 the relationship of rationale to risk, which is much harder  
7 to think about than benefit to risk. To make this more  
8 concrete, for example, when we were talking about whether  
9 something should come from a donor with pancreatitis, we are  
10 going to assume that there is a risk associated with entry  
11 into any clinical trials and it may differ with different  
12 ones. For example, some of these protocols may put a  
13 patient on immunocompromising therapy that they otherwise  
14 wouldn't be on. So, if you are going to come in and say I  
15 am going to put somebody on immunocompromising therapy that  
16 I think carries an infectious risk, or I think carries a  
17 risk of malignancy, or I don't know but it may carry that  
18 risk, then there is an issue of rationale. It may well be  
19 that if you have a hypothesis that cells with low trypan  
20 blue exclusion work as well as cells with high viability  
21 and, therefore, you want to study that, or cells from  
22 pancreatic donors do as well, we may want to see the  
23 science behind that. It may come from an animal model; it  
24 may come from an in vitro model; a secretion model, but  
25 something to provide some evidence that that aspect of the

1 product is sufficient to make it appropriate to expose  
2 patients to the intrinsic risk of being on the protocol.

3           So, that is two areas. One is product testing to  
4 make sure it is safe. Another is product testing to have  
5 something that has a reasonable probability of yielding  
6 useful data and some likelihood of providing benefit or at  
7 least being helpful in the development of the product that  
8 may compensate the risks.

9           The third issue is the one I outlined before that  
10 you have all taken into account, and I think is a very  
11 critical one, which is the issue of what data need to be  
12 collected. In this one, unlike the other two which are  
13 likely to be very small numbers of things -- those first two  
14 areas of rationale and safety, just might be, as we have  
15 been discussing, a small handful of tests, three, four, five  
16 or whatever they are that we are going to put a  
17 specification on. The other issues are the questions of  
18 collecting the data so that at the end of the stream,  
19 whether it is a year from now or ten years from now when we  
20 have something that works, we also have the data to be able  
21 to say what it is that predicts that it works. Because what  
22 will happen is when it works it will go out of the hands of  
23 the five or fifty laboratories that are expert in  
24 controlling how to do it and into the hands of a far broader  
25 population. If at that point in time we do not know what

1 are the tests that need to be done to ensure quality, then  
2 experience teaches that you can magically lose efficacy --  
3 it works in these people's hands and it doesn't work in  
4 those people's hands and nobody knows why.

5 So, those are the three things we really need to  
6 know in terms of knowing what testing needs to be done, and  
7 the three reasons why we want product testing.

8 DR. SALOMON: And a last word from Carole?

9 DR. MILLER: Can you clarify for me whether or  
10 not, from a regulatory standpoint, we are talking about the  
11 fact that this is a product and you are assuming that, after  
12 you make these minimum regulatory criteria for what makes a  
13 product, all further pancreatic islet cell transplants will  
14 be done on clinical trials or not? Because I think it does  
15 make a difference for what we say and how we say to use it.  
16 you know, I am from the drug side more than from the  
17 cellular therapy side so some of these things we are trying  
18 to do when you are talking about the regulation while you  
19 are doing the experiments is you are writing the package  
20 insert before you have the pharmacokinetics. So, that is  
21 why I think I am having trouble trying to figure out how  
22 anybody can answer these questions. If you are saying,  
23 okay, this makes the minimum product -- you know, sterility  
24 and so many islet equivalent -- does that make it so that  
25 anybody who wants to collect a pancreas can do it? Or, does



1 it have to be done in the setting of a clinical trial? Do  
2 we know that answer?

3 DR. SIEGEL: Yes. This is an experimental product  
4 and it can be used only in the setting of a clinical trial.

5 DR. MILLER: So, would the fact that these were  
6 all registries, these patients have all signed informed  
7 consent for a clinical trials that have been done and will  
8 be done in the future. Is that true? Registries usually  
9 collect data on stuff that is not part of a clinical trial,  
10 and this registry that is being funded is collecting data  
11 and so the data doesn't mean it has to be part of a clinical  
12 trial. Like a bone marrow transplant registry collects all  
13 the transplants that are done in the United States on a  
14 voluntary basis, or is it the fact that like the National  
15 Bone Marrow Donor Program where you can't get a product  
16 without being part of a clinical trial?

17 DR. SIEGEL: Well, we may be using the clinical  
18 trial in different ways. I am using the word clinical trial  
19 in a rather broad sense which includes protocol-defined and  
20 controlled collection of data even in what you may consider  
21 a registry experience. I think we would probably all agree  
22 that this field is at a point in time where certain steps  
23 forward would best be made through multi-center controlled,  
24 well-designed clinical trials. We are supportive of that.  
25 But in saying that this product should only be used in a

1 setting of a clinical trial, I am not saying that it can  
2 only be used in the setting of that sort of clinical trial.  
3 Is that what you are asking?

4 DR. MILLER: My question is these guidelines you  
5 are making assume, if we say we want this minimum but we  
6 want to collect all this data, that there is some control  
7 over this because you, as the FDA or as the regulatory body,  
8 can only approve trials that are going through your  
9 mechanism and getting that. Is that what we have? Or, no  
10 matter what is said here at this meeting, if somebody wants  
11 to collect pancreatic islet cells in their hospital and  
12 infuse them off an IRB-confirmed clinical trial without  
13 going through a protocol for the FDA, that can still happen?  
14 Correct? Can it or can it not still happen? That is my  
15 question.

16 DR. SIEGEL: It should be done under IND.

17 DR. MILLER: They all have to be done under IND.  
18 So, there is a control. That is really the question about  
19 determining a minimum. You are then making a minimum for  
20 the clinical trials, not a minimum for the product to be  
21 used outside of clinical trials. Correct?

22 DR. SIEGEL: If I understand you, I think that is  
23 correct. No, we are not setting regulations here, which  
24 means we can decide in this group that cells should always  
25 have a viability of 50 percent or 70 percent or whatever

1 number, and if somebody comes to us and says I have a  
2 clinical trial in which I want to use cells of a lower  
3 viability, and the reason is because it is a very low risk  
4 trial and I have a better measure that is not dye exclusion  
5 that is really telling me that I have a highly functional  
6 cell even though dye exclusion is low -- you know, this is  
7 guidance and guidance simply is that and has substantial  
8 flexibility.

9 DR. MILLER: Thank you.

10 DR. SALOMON: We are going to have a break and we  
11 will be back to start again at four o'clock.

12 [Brief recess]

13 DR. SALOMON: We can get started. When they  
14 presented all these questions to me in a pre-meeting  
15 telephone conference last week, I said you don't really  
16 think we are going to get done with all of them? But we are  
17 going to try. Okay? So, when we finished, just before we  
18 ended Jeff Bluestone brought up something that I also agree  
19 very much with, and that is the idea of a biological assay,  
20 and animal transplant assay as another criterion to be  
21 considered for defining the quality of the product. That  
22 really is crossing the line at this point into something  
23 that would be experimental but it certainly is a test that  
24 all of us do in our laboratories all the time. There is no  
25 assay to develop here, right?

1           So, let's start with that, although I don't want  
2 to spend hours on it, but it is a very, very good point that  
3 Jeff has made. So, what kind of comments do you have on  
4 that? Bernhard, how about if I put you on the spot because  
5 I know you do this routinely?

6           DR. HERING: We have discussed that here between  
7 the centers and our approach right now is to transplant  
8 islets -- this is still not validated but this is what we  
9 want to study, to transplant islets into diabetic nude or  
10 SCID animals, mice, and transplant two animals at least, one  
11 that receives 2000 islet equivalents and one that receives  
12 1000 islet equivalents. The mouse that receives 2000 should  
13 become normal glycemically basically with every single prep, and  
14 the one that receives 1000 islet equivalents and becomes  
15 normal glycemically obviously received a better prep. Then, we  
16 would study the time to cure and the proportion of cured  
17 animals, So, this is what a number of us are doing, and  
18 this is what we would like to discuss as a potential  
19 approach.

20           DR. SALOMON: I would like to add that when we get  
21 to talking about shipping islets I think these bioassays may  
22 be the only way to really do this correctly. In other  
23 words, if you take islets at the purification center and say  
24 that 2000 islet equivalents in that particular prep cured  
25 diabetes under the circumstance and you then ship that islet

1 prep to me, I should be able to demonstrate that it takes  
2 2000 or 3000 or 4000 to do the same thing. I would submit  
3 that that is a very sensitive and also very valuable way of  
4 looking at things. Jeff, did you have a comment you wanted  
5 to make?

6 DR. BLUESTONE: Well, I just wanted to comment  
7 that what Bernhard is talking about is putting islets under  
8 the kidney capsule. Correct? Which I think for islets that  
9 are cultured overnight is quite doable, but I think for  
10 islets that are going to be used immediately and not  
11 cultured overnight it is problematic because of the exocrine  
12 tissue. So, I think that we need to be thinking  
13 simultaneously about different kind of assay, and that is  
14 why I proposed rats or maybe something where you could go  
15 actually into the portal vein and perhaps have a model that  
16 is more akin to what the human experience is going to be,  
17 only because of this issue of non-culturing. I don't know  
18 what the Edmonton group thinks but I don't think they would  
19 think that would be a very good assay for their islets  
20 because it would probably kill all the islets when you go  
21 under the kidney capsule. Right?

22 DR. SHAPIRO: Well, we do that. We don't do it  
23 routinely but we do put them under the kidney capsule.  
24 Also, it would be technically possible to put them in the  
25 portal vein of the mouse. You would have to do it under a

1 microscope.

2 DR. SALOMON: I mean, people put fragments of  
3 pancreas under the kidney capsule, adult pancreas, and that  
4 works. So, why are you saying it would kill them?

5 DR. BLUESTONE: We have done it and it is much  
6 more difficult when you don't purify your islets to get it  
7 to work. Now, if you purify them over ficoll it is better,  
8 and if you culture them it is best. And, I have talked to  
9 Bernhard about it and others and --

10 DR. RICORDI: You are talking about fragments of  
11 fetal pancreas?

12 DR. SALOMON: We do that but I wasn't bringing  
13 that up. I was talking about adult pancreas.

14 DR. HERING: I think Jeff's point is how are you  
15 going to approach this if you have an unpurified islet prep.  
16 Let's say your aliquot that contains 200 islet equivalents  
17 is such a tremendous volume that you cannot possibly place  
18 it beneath a kidney capsule. So what are you going to do?  
19 That is, I guess, your question.

20 DR. BLUESTONE: That is part of my question. With  
21 the unpurified islets you have several problems. You have  
22 the problem of volume. Right? You have the problem of a  
23 lot of other stuff you are putting in and so you pack it in  
24 there and you get a lot of death of the exocrine tissue  
25 which seems to kill the beta tissue.

1 DR. AUCHINCLOSS: If I could just make another  
2 point about the non-quantitative aspect of this assay, which  
3 I also think is a nice assay to have around, is that we have  
4 taken aliquots of the same preparation and put them into a  
5 variety of mice all at the same time. Some of them get  
6 cured; some of them don't. And, I don't know whether some  
7 of them are more diabetic than others or whether technically  
8 it is better in some cases. It is not a purely quantitative  
9 assay.

10 DR. RICORDI: Remembering that some islets still  
11 need to get to the patient at the end of all this testing.

12 DR. SALOMON: Yes, that is the problem we need to  
13 discuss. You are too stingy with the islets!

14 So, if I can summarize, I think there is general  
15 agreement that there is a place for such bioassays. Jeff  
16 has made an interesting point that I didn't catch the first  
17 time through, I am sorry. That is, you are suggesting that  
18 putting them under the kidney capsule is really not the same  
19 as modeling what your clinical plan is, putting them in the  
20 portal circulation. I don't think we are going to solve  
21 anything on that today but it is an interesting thing to  
22 think about.

23 DR. RICORDI: I think it is a very important point  
24 and I don't know if anyone wants to comment on nude rats  
25 instead of nude mice. My feeling is that there are much

1 more problems actually with nude rats than with nude mice is  
2 a biological system to assess synergistic tissue transplant.  
3 Otherwise it would be a perfect model, and maybe even for  
4 mice we should reconsider doing intraportal transplant in  
5 nude mice because the aliquot that you would need for an  
6 intraportal transplant would be less than what you need in  
7 the renal subcapsule. So, the total volume may be inferior.

8 DR. BLUESTONE: That was the other point. If you  
9 picked up on Bernhard, he was talking about using 1000 --

10 DR. SALOMON: Two thousand.

11 DR. BLUESTONE: Two thousand islet equivalents in  
12 a 25 g animal. Calculate that back to humans; there is no  
13 relationship at all. Whether you could come up with an  
14 assay that had a closer relationship would be very  
15 important.

16 DR. SALOMON: All those points are well taken.  
17 So, let's move on to question three, which is viability,  
18 number and size distribution of islet preparations. I would  
19 like to focus first on 3(b), which is the initial lot  
20 specification viability for 70 percent for islets, is that  
21 appropriate? So, again, trying to be a little bit specific,  
22 we are at this point where I am hoping the discussion we  
23 have already had will enhance the efficiency of the  
24 discussion planned for the rest of this session. How about  
25 specifically starting with what is the best test to measure



1 viability? Then, the second question is what percentage of  
2 viability -- is 70 percent of viable islets a good cut-off,  
3 or do you want to discuss that? So, those are the two  
4 questions on the table now.

5 DR. RICORDI: I think an acceptable guideline, or  
6 the initial one was 50 percent but if you consider that this  
7 is based on fluorescent dyes and exclusion dyes it doesn't  
8 reflect necessarily also apoptosis; 70 percent may be an  
9 acceptable limit as determined by exclusion dyes.

10 DR. SALOMON: Bernhard, James, anyone else?

11 DR. HERING: I think there is consensus so we  
12 hardly see viabilities that would not meet the criteria.  
13 Most of the islet preps are in the range of 80, 90, 95  
14 percent anyway.

15 DR. SALOMON: You measure by trypan exclusion. We  
16 mentioned earlier other alternatives such as apoptosis or  
17 someone mentioned a mitochondrial dye. There are some new  
18 mitochondrial dyes for molecular probes which can be used  
19 quite efficiently. Do you guys have any suggestions that  
20 there should be work on what kind of measure you use for  
21 viability?

22 DR. HERING: In the past we compared exclusion  
23 assays and FDA PI microfluorometric assays, MDT  
24 mitochondrial assays that basically gave pretty similar  
25 information. So, we didn't identify one that was more

1 suitable than another one.

2 DR. BLUESTONE: Could I ask a question? I  
3 understand that in the best centers, and we happen to have  
4 the best centers here, you always get 80-90 percent. So,  
5 you really don't care if it is 50 percent or 70 percent.  
6 But if you had to rely for your success on another  
7 collaborator and what their viability should be, if someone  
8 called you up and said I have some islets for you; they are  
9 about 50 percent viable, would you want to transplant them?

10 DR. HERING: I would not transplant, no.

11 DR. BLUESTONE: So, if the answer is no, then it  
12 seems to me that when one is setting viability, number one  
13 should be to pick a number where you would be comfortable  
14 transplanting because, in fact, the FDA is not trying to  
15 influence you guys, but for the community, trying to come up  
16 with a baseline number that we would all feel comfortable  
17 meaning that it was a good islet prep. It sounds to me, of  
18 everything we have talked about already viability is the  
19 single criterion right now that we are all somewhat in  
20 agreement with. So, we should pick a number where you would  
21 transplant the islets.

22 DR. RICORDI: Well, let me ask you a question. If  
23 you would have 300,000 islets that are 85 percent viable,  
24 would you prefer that preparation compared to a million  
25 islets that are 65 percent viable? So, I think it is very

1 difficult to set an absolute criterion for exclusion but 70  
2 percent I think is a number we would feel comfortable with  
3 because we would expect to have a slightly higher  
4 variability that still would allow you to include the  
5 preparation that is 70 percent viable.

6 DR. BLUESTONE: Well, I am going to assume that  
7 was the question. So my answer to that question would be  
8 that I actually think that if you are lucky enough to get a  
9 lot of lousy islets that that doesn't make it okay. I feel  
10 pretty strongly that the islets you get should be really  
11 good and you shouldn't be able to overcome bad preparations  
12 by high numbers. So, I was hearing until ten seconds ago  
13 that you were saying 50 percent. Now, if you are arguing  
14 that 70 percent is what it should be -- because in  
15 Bernhard's earlier statement it was 50 percent up on the  
16 thing -- if you are arguing that it should be 70 percent,  
17 then I am much more comfortable. I thought I was hearing 50  
18 percent.

19 DR. HERING: We reached consensus two minutes ago.

20 [Laughter]

21 DR. SALOMON: I would like to say this is a  
22 record.

23 DR. RICORDI: You know, also when you define lousy  
24 preparation you may have an outstanding preparation or  
25 processing and a lousy donor or a marginal donor but still

1 have --

2 DR. BLUESTONE: Don't take it personally.

3 DR. RICORDI: No, it is not personally but I am  
4 saying there are so many variables that you don't know  
5 about. Is it better to obtain 350,000 islets from a donor  
6 whose native islet mass is 500,000 islets, or is it better  
7 to have 600,000 islets from a donor whose native islet mass  
8 is 1.2 million? You get into potency or like donor factors.  
9 I think at this stage the less dogmatic we are with these  
10 criteria -- I would go with 50 percent but I can agree with  
11 70 percent if it is something that will introduce more  
12 confidence about the level of purification. I don't think  
13 it would be a problem one way or the other because most  
14 preparations will fall above the 70 percent.

15 DR. SALOMON: Dr. Harmon?

16 DR. HARMON: When you have gotten these crummy  
17 batches of islets, do you just throw them out or have you  
18 ever gone ahead and done the studies in the nude mouse or  
19 any of the other assays to see whether or not they function  
20 just as well, or if they function the way you think they  
21 are, which is crummy?

22 DR. RICORDI: Well, I can tell you, and it is all  
23 anecdotal evidence at this time but, for example, for the  
24 first successful long-term islet allograft of the Pittsburgh  
25 series we spent one hour debating, with the patient in the

1 operating room, whether that lousy preparation was good  
2 enough to be infused in that patient because it was scarcely  
3 purified with mantel islets around it, and a little rim  
4 acinar, and that has so far been the best islet transplant  
5 ever with five years insulin independence. There are many  
6 other variables but I am saying it is very difficult right  
7 now to determine by eye-balling a lousy islet preparation.  
8 I mean, you can do it but I don't think there is enough data  
9 to say for sure that islets will not work unless you see  
10 that they are severely damaged or non-viable or that you  
11 don't have islets.

12 DR. SHAPIRO: It would be unusual to have a  
13 fantastic islet isolation and have them all dead. That  
14 would be really unusual unless you have added cyanide to the  
15 media afterwards.

16 DR. LAKEY: I guess we have spent too much money  
17 isolating islets jut to throw them out. So, a lot of  
18 experiments are done on preparations that don't fulfill our  
19 clinical criteria for clinical islet transplantation, and we  
20 have looked at the function and categorized islets that meet  
21 minimum criteria in terms of numbers and compared that to  
22 groups of islets that didn't meet certain criteria and  
23 certainly the function of those islets was significantly  
24 reduced as compared to the islets yielding more islets.

25 DR. BLUESTONE: So, I am hearing that there is

1 consensus then. Right? So, 70 percent -- the better the  
2 prep, the better the viability. Right? And, there is a  
3 general sense that the better the viability, then the better  
4 the prep. Right?

5 DR. HERING: No. I think the only thing that you  
6 can say, Jeff, is that 70 percent is acceptable as a cut-off  
7 but you cannot talk about potency. It doesn't give you any  
8 information regarding potency.

9 DR. BLUESTONE: This is just the first level or  
10 cut. It is not beyond that but it is the first level of  
11 cut.

12 DR. SALOMON: Okay. The next question to finish  
13 this group is to discuss the recommendations about the  
14 assessments on the size, distribution and the amount of so-  
15 called maximum dose to go into a portal vein.

16 DR. HERING: I don't think we know the maximum  
17 volume that can be safely transplanted in the portal vein.  
18 The approach that we took is the following, we continuously  
19 monitor portal pressure and we stop once we would approach  
20 30 cm water. So, this is how we deal with the problem.  
21 There is no tissue volume that we consider too much. So, we  
22 monitor the pressure.

23 DR. SHAPIRO: We always keep our tissue volume  
24 under 10 cc. We have never seen any significant change in  
25 portal pressure.

1 DR. SALOMON: So, that is a very different  
2 approach than the one Dr. Hering just mentioned because he  
3 might give 15 cc in that situation.

4 DR. RICORDI: You may want to consider to keep it  
5 below 10 cc because if you count your minimal number of  
6 islets and viability and potency based on the total prep  
7 that is in 15 cc and then you arrive in the operating room  
8 and the portal pressure increases after 8, then you lose  
9 half of your preparation and you don't meet your product  
10 release criteria. So, you have to be pretty confident that  
11 the volume you infuse you actually do infuse it, if that is  
12 how you base your viability and potency calculation.

13 DR. SIEGEL: I just have a couple of questions.  
14 Are you also then measuring portal pressure as you infuse in  
15 addition to volume?

16 DR. RICORDI: Yes.

17 DR. SIEGEL: In talking about the volume and  
18 portal hypertension, I guess in the review of the IQR this  
19 morning we saw that there have been some cases of clinical  
20 significant hypertension. Are the data reasonably good? I  
21 realize they are not controlled studies but are the data  
22 reasonably good that volume is the most important  
23 determinant, or might the number of very large particles be  
24 a determinant, or the amount of non-islet cell particles be  
25 a determinant? Do we really know what the risk factors are

1 for portal hypertension?

2 DR. HERING: I think we looked at the Minnesota  
3 transplants, some 125 transplants and there was no  
4 correlation between the tissue volume that was infused into  
5 the portal circulation and the increase in portal pressure.  
6 I think there are other factors that are important -- what  
7 type of washing is done and there may be soluble factors  
8 that also result in an increase in portal pressure. Those  
9 cases were reported in the early '80 or late '70s and I  
10 guess I can say ever since Dr. Ricordi developed this new  
11 approach this has not been documented and maybe this is  
12 related to the fact that more washing is now a part of the  
13 process, and maybe it is not simply the amount of tissue  
14 that is infused and that is why I think monitoring pressure  
15 as you infuse is important.

16 DR. SHAPIRO: Another big factor is that these  
17 islets are all purified now and in the previous studies  
18 where portal hypertension and DIC occurred were unpurified  
19 transplants using autografts, again, with very minimal  
20 washing.

21 DR. RICORDI: You are talking about an experience  
22 in the old days when this event occurred and they were  
23 infusing 40, 45 cc of digested tissue or pancreas  
24 homogenate. It was a very different kind of cellular  
25 composition or situation to what you have today.



1 DR. SALOMON: Before we go from that, just to  
2 summarize this and move on, there are a number of people on  
3 the committee that are experts in hematopoietic stem cell  
4 transplantation and that is a field that has many parallels,  
5 as we have discussed among ourselves here. Do any of you  
6 have any comments about how you, in the earlier stages of  
7 hematopoietic stem cell transplantation, determined the  
8 total number? I mean, you didn't keep injecting stem cells  
9 until the portal circulation went up. Any comments on that?

10 DR. CHAMPLIN: There was initially with bone  
11 marrow cells a rough correlation between the cell dose and  
12 recovery, and one ended up giving about  $10^8$  whole bone  
13 marrow cells per kilogram. Then, with blood stem cells  
14 there has been a better correlation with the number of CD34  
15 positive cells and the engraftment time. One now has worked  
16 out pretty much that 5 million CD34 positive cells per  
17 kilogram maxes out the engraftment and giving more than that  
18 number doesn't help you that much. So, that is presently  
19 the standard of care. If you can get that dose, that is  
20 what you would give.

21 DR. SIEGEL: We are discussing here the upper end.  
22 I am not aware of any --

23 DR. CHAMPLIN: There isn't data on the lower end  
24 of what the minimum number is that can engraft, and the  
25 lower dose, you know, the greater the risk of graft failure

1 or slow recovery. But, in principle, one pluripotent stem  
2 cell might, with enough time, reconstitute hematopoiesis.

3 DR. RICORDI: But you would still transplant a  
4 bone marrow preparation even if the viability is less than  
5 70 percent.

6 DR. CHAMPLIN: Fortunately, with living donors  
7 viability isn't usually a problem and we get the cells right  
8 away and they are given promptly without losing viability.

9 DR. SALOMON: I was just curious how these guys  
10 would respond to this. In a sense, the challenge is  
11 somewhat similar and the questions, of course, would be if  
12 we go back at some point it might benefit the field to think  
13 a little bit along those lines. In other words, is there a  
14 CD34 cell equivalent? You could measure it on the number of  
15 islet cells but we ought to be measuring on the number of  
16 beta cells being given, for example. The question of how  
17 fast you get reconstitution of the bone marrow, which has  
18 been a measure for them on what is clinically practical,  
19 might also be another parameter that we could consider as a  
20 measure of the functionality or the quality or the number of  
21 an islet infusion.

22 DR. SAUSVILLE: But a critical distinction is that  
23 we expect that the stem cells that go into bone marrow  
24 reconstitution will actually divide and propagate  
25 themselves. Nothing I have heard, and I don't know whether

1 the science is such that we know that there is a beta stem  
2 cell equivalent, or actually you are having function by just  
3 the mass of cells that were injected. You know, that is a  
4 preclinical model issue that I think could be quite useful.

5 DR. CHAMPLIN: Have you done any late biopsies to  
6 show survival of the allogeneic cells over time?

7 DR. RICORDI: Yes, there are biopsies all the way.  
8 They are not protocol biopsies but there is a histological  
9 sample of islets obtained from livers in biopsies. It is  
10 rather a chance because if you do a needle biopsy in the  
11 liver and you have the luck to actually hit one islet, it  
12 cost me a dinar on one occasion as a bet --

13 [Laughter]

14 -- but it is possible and there is now evidence of  
15 intrahepatic islets all the way to over five years post-  
16 transplant and maybe even longer in an autograft, but an  
17 allograft for several years.

18 DR. LEVITSKY: Are they budding and look like new  
19 islet formations? Has that been seen, the way you see in  
20 islets that have been stressed?

21 DR. BLUESTONE: Are they bigger than the ones you  
22 put in?

23 DR. RICORDI: I didn't see bigger islets, like a  
24 mass of beta cells that are bigger than the islets. They  
25 tend to interdigitate more with hepatic parenchyma and you

1 may have direct opposition with some hepatocytes. Dr. Ryler  
2 did a whole study on one of the early cluster patients on  
3 islet morphology when this patient, unfortunately, died from  
4 reoccurrence of the original malignancy that determined the  
5 surgical cluster resection before the islet transplant. So,  
6 they had the opportunity to study the whole liver five years  
7 after islet transplant that remained completely off insulin  
8 for the duration of the follow up.

9 DR. SALOMON: The fact remains that there is no  
10 formal data to exclude the possibility that there isn't  
11 proliferation of islet endocrine cells after  
12 transplantation, and there is some data suggesting, for  
13 example, a constant turnover in the islets by measuring  
14 apoptosis and showing that 1-3 percent of the cells in  
15 intact pancreatic tissue and in the islets, that at that  
16 rate you have to have some proliferation of beta cells or  
17 you would have basically lost your islets within several  
18 months. So, there is some evidence that is kind of  
19 encouraging, and it is an area that is worth considering.

20 So, in terms of time, I think we have addressed  
21 this viability, number and size distribution of islet  
22 preparations. There has been consensus that a 70 percent  
23 viability would be good. There is no consensus on how one  
24 would measure the upper limit of islets going in. Two of  
25 you have suggested that it be 10 cc or less and Bernhard

1 argued, I believe reasonably, that if you measure the  
2 consequences of that injection one might be more efficient.  
3 And, I don't think there is data to satisfy a specific  
4 answer there.

5 We talked about how to measure viability. What I  
6 understand from you guys is that you have measured several  
7 currently available tests for viability and that we can  
8 always pretend like there may be a new generation of  
9 viability assays around the corner, but that is not really  
10 on the table now.

11 DR. SIEGEL: I thought I heard some interest from  
12 some members earlier in measurements that may detect  
13 impending or early signs of apoptosis as being areas where,  
14 although we might not be ready to set any standards, we  
15 ought to be collecting data as to whether those are going to  
16 predict the quality and the success rate.

17 DR. SALOMON: Yes, I think that is absolutely so.  
18 We have covered that before. I mean, I think that is true.

19 Question four, purity and composition of islet  
20 preparations. I think this is really an important and an  
21 interesting question. Historical data in the Islet  
22 Transplant Registry reveals that functional transplants of  
23 islets have ranged in purity from less than 5 percent to  
24 greater than 95 percent. What we are talking about here is  
25 islets and other pancreatic tissue. There was a report, I

1 guess it was from David Sutherland who suggested that less  
2 processed, so-called impure islet preparations might  
3 actually provide acinar tissue signals that might be  
4 important. But I understand that in general the field does  
5 not go along with that, and that you would be happier with  
6 higher levels of purity, basically fairly well-defined islet  
7 cells without a lot of rim tissue. So, do you guys want to  
8 pick that one up?

9 DR. RICORDI: Well, if you assume that you stay  
10 with the volume of less than 10 cc this would still provide  
11 a vastly predominant non-islet infusion so you will have  
12 plenty of ductal cells and acinar to provide. So, again,  
13 the consideration is more with respect to safety for the  
14 patients but none of us right now is striving to have the  
15 kind of super-pure islet with no extra endocrine tissue.  
16 So, no, I wouldn't even pose a lower or upper limit of  
17 purity at this time, but just collect the data and make sure  
18 that we don't have more than whatever volume we want.

19 DR. SALOMON: I would guess again that the FDA  
20 isn't going to be happy with that, that they are going to  
21 want some limit, albeit it is up to you to be pragmatic  
22 about it.

23 DR. RICORDI: Well, something between 1 and 99  
24 percent --

25 [Laughter]

1 DR. SIEGEL: Well, what does 10 cc translate to?  
2 If that were pure islet equivalence, how many would that be?

3 DR. RICORDI: Well, one million islets equivalent  
4 pure theoretical volume would be 1.767145 ml. So, you  
5 assume it may take as much as 3, 4, or maybe even 5 ml if  
6 you have a million pure islets, let's say 95 percent or  
7 more. So, if you have 500,000 islets in an average good  
8 islet preparation and you have 10 cc, you are already in the  
9 range of something like 20, 25 percent purity.

10 DR. SHERWIN: Does that mean that most of the  
11 tissue is exocrine and ductal?

12 DR. RICORDI: Yes, you could have 70 percent non-  
13 islets. That is still much more than having 98 percent non-  
14 islets as in the original pancreas.

15 DR. HERING; So you want to call is a pancreas  
16 transplant or an acinar transplantation.

17 DR. SHERWIN: Well, it sounds like it is a copped  
18 up pancreas transplant, yes.

19 DR. HERING: But there is some evidence to suggest  
20 that embedded or mantel islets are high quality islets  
21 because cell matrix disruptions have not progressed to the  
22 point that the islet is over-digested. And, if you have  
23 embedded of mantel islets, then you have to make compromises  
24 as far as purification is concerned because you cannot  
25 purify to the very same point using density gradients

1 compared to completely cleaved islets. So, if you want to  
2 transplant this preparation and if you may want to succeed  
3 with a single-donor pancreas, then you have to accept that  
4 the total tissue volume is higher and it may approach 10 cc  
5 or 15 cc.

6 DR. SHERWIN: And the exocrine tissue dies?

7 DR. HERING: Yes, when patients were biopsied  
8 weeks or month after islet transplant only islets, not  
9 acinar tissue, was demonstrated in the liver.

10 DR. CHAMPLIN: Does the acinar tissue induce DIC?

11 DR. HERING: Patients are heparinized if they  
12 receive a significant amount of tissue. So, in islet  
13 autotransplantation patients are always fully heparinized.  
14 So, they receive 70 units per kilogram prior to islet  
15 transplant and DIC has not been noted in a single patient  
16 since the mid or early '80s who received intraportal islet  
17 transplantation and heparinization.

18 DR. SALOMON: So, if you had a procedure five year  
19 ago, before the more recent and apparently more encouraging  
20 data was around, that wasn't working and I was getting  
21 purities of 5 percent to 25 percent -- I am not trying to be  
22 over-obvious here, then at some point you must have said,  
23 huh, maybe the acinar tissue that is 75 percent of what I am  
24 transplanting is having a negative effect on the success of  
25 my procedure.



1 DR. RICORDI: Actually, I think that the thinking  
2 was different. If you start with a composition that is 2  
3 percent islet, 98 percent non-islets, with a volume of 80 or  
4 100 ml of tissue or more in a big pancreas, that clearly  
5 imposes risk if you inject it intraportally. So, initially  
6 it was thought that pure islets have less antigenicity than  
7 unpurified preparations and this has been a question of  
8 debate but right now, bringing down that volume from 100 ml  
9 to less than 10, maintaining the islet component, would  
10 provide you a relatively rich -- it is a little like when in  
11 bone marrow transplantation you talk about CD34 stem cell  
12 transplant from peripheral blood mobilized stem cells after  
13 leukopheresis, you don't have like 100 percent or 90 percent  
14 pure CD34 or hematopoietic stem cells. You just have an  
15 enriched preparation, if I understand correctly.

16 DR. SALOMON: The question is, is it working?

17 DR. RICORDI: Yes, because the alternative -- you  
18 know, you have a different setting for engraftment, if you  
19 have like a radiation conditioning or litter conditioning  
20 you have to reconstitute the patient, meaning that in mouse  
21 or in rodents you carry out reconstitute animals with a few  
22 stem cells. You can even reconstitute them with an organ  
23 transplant with passenger stem cells within that organ. So,  
24 I think you are in a different setting of issues and not  
25 dealing with engraftment of a very delicate cell population

1 that is highly susceptible to cytokine mediated beta cell  
2 damage and other factors that you may not have equally  
3 relevantly in stem cell transplantation.

4 DR. SHAPIRO: Purified cell preparations  
5 transplanted in Edmonton range between 50 and 90 percent.  
6 The majority are around about 75 percent, and the packed  
7 cell volume is around 3.5 cc. That may not be the optimal  
8 way of doing things ultimately since we require more than  
9 one donor.

10 DR. SHERWIN: If I caught that, you said it rather  
11 quickly, are you saying that there is more endocrine tissue  
12 in your preparation?

13 DR. SHAPIRO: Yes, the islet component consists of  
14 between 50 and 90 percent, the average about 75 percent.

15 DR. SHERWIN: Right, and the implication is that  
16 if you concentrate the endocrine tissue to a higher level  
17 the results might be better?

18 DR. SHAPIRO: No, it may be the opposite. We  
19 don't know. We have to carry out experiments and find out.

20 DR. MILLER: Do you have any idea of the difficult  
21 immunogenicity or the difficult components of the graft,  
22 whether or not the acinar cells or the islet cells have a  
23 different immune stimulation effect? That is the only  
24 reason why it maybe matter how pure it is, if there is a  
25 different immune function related to it.

1 DR. HERING: Studies have been done in small  
2 animal models and some studies suggested that acinar tissue  
3 may contribute immunogenicity but others show just the  
4 opposite. In the clinical setting there is no evidence to  
5 suggest this, and you see that rejection of a pancreas  
6 transplant can be prevented. There is no evidence that I  
7 know of that would clearly indicate that this would increase  
8 immunogenicity of an islet transplant. It is not only the  
9 immunogenicity, it is also the susceptibility to immune-  
10 mediated mechanisms and islets are highly susceptible. I  
11 think transplanting an islet prep that is 10 percent, 20  
12 percent, or 50 percent pure -- I think we cannot really  
13 exclude any form of transplant. There is no data to support  
14 this.

15 DR. SALOMON: The question I had, trying to come  
16 to grips with what the FDA is grappling with in the sense of  
17 what criteria can we set for quality islets and what is  
18 important, I am still a little hung up here in that 75  
19 percent of your tissue is destined in a relatively short  
20 period of time to apoptose and/or necrose and die, all of  
21 which is terribly inflammatory cytokine inducing, and we  
22 accept the fact that the islets being transplanted are  
23 struggling and very susceptible to injury by cytokines --  
24 again, as I said, I am not trying to be obvious, you guys  
25 know exactly what I am talking about -- wouldn't it be a

1 good idea to have more pure populations?

2 DR. HERING: Yes, in that direction there are some  
3 conflicting results. For example, a more purified islet  
4 preparation induces more nitric generation of the  
5 intrahepatic infusion than a less purified islet  
6 preparation. Then, the second consideration is that the  
7 clusters are dispersed throughout the hepatic parenchyma so  
8 it is not like if you do a kidney capsule transplant that  
9 the more unpurified would have -- the purified islets may  
10 remain on their own without an effect of surrounding dying  
11 acinar. If we see at five years beautiful islets and we  
12 know that we had like 70 percent or 60 percent non-islet  
13 tissue at the time of transplant we cannot prove that those  
14 islets are just because everything else died. You may have  
15 some proliferation from early elements.

16 DR. CARA: As you try to purify the prep is there  
17 loss of viability? In other words, is there an inverse  
18 relationship between how pure a preparation you get and how  
19 viable the islet cells ultimately are?

20 DR. RICORDI: I don't want to monopolize the  
21 answers but in general there is not. There have been some  
22 conditions, like in theory, if you damage the islets you  
23 could have a situation where you can purify them better  
24 because they are lighter or less dense than non-exocrine.  
25 In general, our consideration is generally that the cut-off

1 for the level of purification that you want in the final  
2 preparation depends on how many islets you want to recover  
3 from the prepurification digest. Generally, as you cut  
4 towards more a purified fraction, you assume a loss of  
5 islets that are lost because of the migration together with  
6 the exocrine. So, if you assume of a core of islet  
7 purification decrease, then you would have an increase in  
8 the islet number recovered from prepurification. So, the  
9 reason we like to set up the cut-off on safety in terms of  
10 volume is that we try to sacrifice some of the purity to  
11 increase the actual number of islets that you can retrieve  
12 from the pancreas. Edmonton and other groups are using  
13 continuous gradients where you can very easily determine how  
14 much purity you want and choose at what level you want to  
15 assume an islet loss.

16 DR. CARA: Just a quick follow-up question, so  
17 would it be worthwhile looking at purity versus, say,  
18 survival of islet cell transplants?

19 DR. RICORDI: It could be a nice research project  
20 to look at the most purified fraction from the 100 percent  
21 pure islets versus the ones that remain in the bottom that  
22 are, like, 10 percent pure -- if there is a difference in  
23 potency or survival.

24 DR. SHAPIRO: Again, we are going to get some of  
25 these answers back from the multi-center trial since in

1 every case all this data will be here, collected  
2 prospectively, including the beta cell mass, the exact  
3 purity, etc.

4 DR. SAUSVILLE: But of concern remains if we are  
5 going to define today -- getting back to this thing of what  
6 the trial is going to use, again, we saw a nice picture this  
7 morning from the NIDDK representative where there were, you  
8 know, orange sort of globules floating around and I didn't  
9 see any acinar or other things. So, I am a little confused  
10 about how we then exactly define what will be the substrate  
11 for the research. In other words, what will you reject and  
12 not use? Would you ever reject anything based on this  
13 criterion?

14 DR. SHAPIRO: Speaking for ourselves in Edmonton,  
15 if the islet transplant mass is adequate, in our case if it  
16 is more than 4000 islet equivalents per kilogram, we will  
17 dial in the purity appropriately to enhance the mass. So,  
18 we might be able to carry out a transplant with 3.5 cc of  
19 tissue, but then the total islet equivalence might be just  
20 under 4000. We might increase that, say, with another cc or  
21 two of tissue to bring ourselves up to 4000 threshold for  
22 transplant.

23 DR. SAUSVILLE: But of concern in any protocol  
24 that is written, and again this enzyme preparation, liberase  
25 I think it was called, I mean, one could imagine that you

1 will have very different outcomes depending on how active  
2 your liberase is in making the islets. Right? So, would  
3 this better then be cast in terms of for a certain specific  
4 activity of liberase, collagenase or whatever you should  
5 expect? Something; some number?

6 DR. SALOMON: Well, I think these guys are being  
7 honest. This is not an answer that they can give you. We  
8 have to respect that. Has anybody ever done a transplant  
9 where you purposely transplanted no islets and demonstrated  
10 that it didn't work? In other words, put all this acinar  
11 tissue in there?

12 DR. SIEGEL: Want to see an informed consent for  
13 that procedure!

14 DR. SALOMON: There is data on endocrine  
15 progenitors arising from the tubular ductal tissue. Okay?

16 DR. SHAPIRO: I have done such an experiment in a  
17 dog where the isolation is totally hopeless; you can  
18 scarcely see an islet with a microscope. I put that  
19 preparation in the spleen, probably put it as an impure  
20 preparation in the spleen. That dog is always normoglycemic  
21 the next day. It is quite amazing. So, presumably there  
22 are fragments of cells, etc. that are working that we can't  
23 identify.

24 DR. SALOMON: That was my point. Thanks. That is  
25 very interesting and important, isn't it, in terms of

1 thinking about it. I think we could argue that in this  
2 particular case -- and, again, I am trying to summarize so  
3 we can move on, there probably is no purity standard that we  
4 can set and maybe even go so far as saying there is no  
5 purity standard we should be setting at this point for the  
6 trials. Whether or not this is a reasonable research issue,  
7 I think is obvious to everybody, including these guys here  
8 but probably just for the record I am stating it.

9 DR. SIEGEL: Just one question on that. Dr.  
10 Ricordi, you mentioned that you have a de facto purity  
11 standard, that you have a numerical standard at the 10 ml  
12 volume and I am just wondering, Dr. Hering and Dr. Shapiro,  
13 you don't put that volume limit on it. How commonly would  
14 you be going over that 10 ml volume in order to get the  
15 yield that you need?

16 DR. HERING: In islet autotransplantation we more  
17 or less almost routinely go over this limit, but in islet  
18 allotransplantation this has rarely occurred.

19 DR. SALOMON: Is everyone okay with my summary of  
20 this? The last point was other cell types in the islet  
21 preparation but I think, given the answers, that really  
22 makes little sense. Any more discussion?

23 DR. SHERWIN: Is there a way of monitoring the  
24 amount of ductal material that is in the graft as a learning  
25 experience?



1 DR. RICORDI: There are actually also publications  
2 analyzing the cellular composition of human islet  
3 preparations that go into patients and will be part of the  
4 documentation with the morphology studies that will be done  
5 on each preparation. That will document not just the beta  
6 cell content but also the known islets, other cell  
7 populations and the relative purity of each component.

8 DR. SHERWIN: So, you can specifically look at  
9 ductal material that would quantify that?

10 DR. SHAPIRO: All of our preparations that we test  
11 at Edmonton are stained with CK19 exactly to measure the  
12 ductal cell element, yes.

13 DR. SALOMON: Anything else? Then let's go on.  
14 Although I promised not to, I did already do potency out of  
15 order. We will go to six, which is demonstration of control  
16 and islet processing. Again, I think we have definitely  
17 danced around these issues throughout the afternoon but, in  
18 the interest of making sure that our discussion does focus  
19 on anything specifically that we want to communicate to the  
20 FDA on that, let's discuss these two sub points.

21 So, we all agree that the investigators who are  
22 going to be doing this need to demonstrate that high quality  
23 islet preparations are consistently made prior to initiating  
24 a clinical research study in the human patient. I think it  
25 is particularly important for you guys to give us some sort

1 of feedback on that since you know what is going to happen  
2 when the first two or three trials come out showing that you  
3 can now get 80 percent 1-year graft survival with islet  
4 transplants. I am hoping it is even better than that, of  
5 course. Then everyone who is interested in this area is  
6 going to want to quickly set up their islet purification  
7 program and start treating all their diabetic patients. I  
8 think Dr. Goldstein's point this morning was we want to cure  
9 this disease. So, at some point here there are going to be  
10 some real issues that I think the FDA is concerned about,  
11 particularly being sensitized recently over what we have  
12 seen in the gene therapy area. So, can we spend the next  
13 couple of minutes talking about exactly what should be the  
14 criteria for experts before they say I have an islet  
15 preparation lab; I am doing my first patient.

16 DR. BLUESTONE: Well, I would make the point that  
17 it is really simple. If I heard correctly a half hour ago,  
18 you start out with 70 percent viability, with the criteria  
19 of being within less than 10 ml or whatever. That is about  
20 the best you can actually do at this point. Every other  
21 assay is interesting but if it is not going to make or break  
22 any transplant how is it going to make or break for somebody  
23 else? It has to be fairly simple stuff. It has to be  
24 sterile. It has to be viable. Beyond that, I don't know  
25 what other criteria we are prepared to do right now.

1 DR. HERING: Islet number.

2 DR. BLUESTONE: And the number?

3 DR. HERING: Yes.

4 DR. BLUESTONE: Obviously, you have to have the  
5 minimum 5000 but beyond that I don't know what else you can  
6 do.

7 DR. SALOMON: Well, I think that we have to be a  
8 little more specific than that. I mean, I don't disagree  
9 with you, Jeff. Obviously, one criterion is that I can  
10 reproducibly provide this data, but what if I told you on  
11 Wednesday last week I had an islet prep that fulfilled those  
12 criteria?

13 DR. BLUESTONE: Obviously there are two stages.  
14 One is the criteria and the other is how reproducible it is.  
15 Okay? We can discuss how many times in a row and --

16 DR. SALOMON: That is what I want to discuss now.  
17 I want to discuss what criteria we want to provide, again in  
18 general terms, for the FDA. I come to them, I say I want an  
19 IND. You say can you make islets?

20 DR. BLUESTONE: So, if you don't have a proven  
21 track record to come up with the highest legitimate  
22 viability level you can with the number of islets and the  
23 sterility -- because what I am hearing is that everything  
24 falls from that; that if you have an approach to purifying  
25 islets to give you that kind of viability everything else

1 sort of comes along for the ride. Then you guys can make a  
2 choice of whether you have to do that ten times in a row, or  
3 five times in a row, or what. But I would start with the  
4 criteria that we have, and maybe it should be even a little  
5 bit higher than the 70 percent that the established centers  
6 have, but there should be some criteria based on viability  
7 and number and volume. Beyond that it is a question of how  
8 many times you have to do it, over what period of time.

9 DR. SALOMON: So, let's start with what Jeff said.  
10 Where do you guys want to go with that?

11 DR. RICORDI: You have to consider that for  
12 centers to make this proof of concept, for new centers you  
13 would have to imply that they will then have access to  
14 quality grade pancreas that cannot be then used for  
15 transplantation. So, I think that the actual trial runs  
16 could be easily done with research grade pancreases because  
17 we already got into trouble with part of the UNOS  
18 subcommittee on kidney/pancreas allocation and it took us  
19 over one year to get permission to use 12 pancreas a year  
20 for clinical islet transplant of clinical quality grade.

21 If you are asking organ procurement of UNOS to now  
22 allocate clinical grade pancreas to every center nationwide  
23 to prove that they can consistently achieve, like, five out  
24 of five isolations we would probably have a mutiny in the  
25 transplant community.

1 DR. SALOMON: If I procure the pancreata I want  
2 them -- to heck with you!

3 DR. RICORDI: You mean you would take them away  
4 from a potentially life-saving procedure?

5 DR. SALOMON: I am telling you I am getting 85  
6 percent new grafts with my islets. That is as good as you  
7 are getting with your whole organ pancreas.

8 DR. BLUESTONE: No, what Camillo is saying is that  
9 every time you use a pancreas as a practice you have taken  
10 it away from the transplant, and if you take the best  
11 pancreases to practice, is that ethical? And, if you don't,  
12 are you going to get the purity I just said? And, Camillo  
13 says no.

14 DR. RICORDI: Actually, I am just saying that you  
15 have to be less strict. I would never ask that this center  
16 has to document in five consecutive isolations to get this  
17 kind of result, but to document that they at least have two  
18 or three isolations in which they can show that they have 70  
19 percent viable cells and at least a certain number per  
20 pancreas. Those are more reasonable, assuming that they are  
21 utilizing a very valuable source of tissue that can come  
22 from different sources of different quality.

23 DR. SALOMON: The first thing we agree on is that  
24 you have to demonstrate on multiple occasions -- is it 5,  
25 10, something like that? You have done at least 5 in a row

1 consecutively or 10. I mean, let's give some guidelines.  
2 And, that you get 70 percent viability, and I understand the  
3 other point; I didn't mean it that way -- you don't use  
4 clinical grade pancreata for these first 10. Is that the  
5 right idea? At least 8 out of 10 should be over 70 percent?

6 DR. SHAPIRO: Also, it is unrealistic to expect to  
7 be ready to do a clinical islet transplant having done 5 or  
8 10 isolations. I mean, you have probably done 1500 or 1600.  
9 You have to put that in context too.

10 DR. SALOMON: How high is the bar going to get set  
11 then? I am ready to go here.

12 DR. RICORDI: Actually, I would be extremely low  
13 with the entry. I mean, if someone has been trained in the  
14 procedure -- these are not magic like some kind of  
15 protocols, if you have been trained in a center with  
16 experience and you apply the SOP, and go through your  
17 training for processing, and can document that you can  
18 produce this kind of islet isolation two or three times, the  
19 only risk is that they will not be able to meet this  
20 requirement in an isolation and then, you know, you can  
21 reassess.

22 DR. SALOMON: So, another point comes out that if  
23 you are going to apply for an IND to do islet  
24 transplantation in a new unit, you should demonstrate some  
25 objective measure of the training of the director of that

1 unit, the same way I have to demonstrate that I have UNOS  
2 approval to be the director of the kidney and the pancreas  
3 transplant programs. Right? That makes sense to me. So,  
4 some criteria are going to have to be made in agreement with  
5 the islet purification experts, and that probably should be  
6 done in collaboration with UNOS committees, much the same  
7 way we now agree that someone can be a director of a program  
8 for transplantation.

9 DR. SIEGEL: I want to ask a little more about  
10 that comment though that if you can succeed two or three  
11 times and move ahead, then the only risk is that they won't  
12 be able to do that well and you can stop them. Generally in  
13 manufacture, if you can't reach a quality standard  
14 consistently, if you don't have consistent control of the  
15 process, then even when you get a product that meets  
16 standards there are concerns. For example, just to put it  
17 in simplistic terms with pancreas, if I came to you and said  
18 I just did 12 pancreases and, by George, they were Gram  
19 stain negative 6 of the times and they were only Gram stain  
20 positive 6 of the times, you would probably be worried about  
21 it if I said I put those 6 into people because they were  
22 Gram stain negative. Right? That is the way it is in my  
23 laboratory. By the way, I don't do pancreases, I do tissue  
24 culture.

25 DR. RICORDI: Actually, if you would be doing Gram

1 stain or culture from the transplant solution of each organ,  
2 then you would never transplant an organ because the  
3 majority are all contaminated.

4 DR. SHAPIRO: That is exactly right but those are  
5 ideal organs still for whole pancreas transplantation. They  
6 are all contaminated; it doesn't necessarily mean they can't  
7 be used. The entire process is a huge washing machine that  
8 dilutes and washes out all the bacterial load.

9 DR. SALOMON: How about your thoughts about the  
10 actual place where it is done? Do you believe that it can  
11 be done anywhere as long as it is a nice little room  
12 somewhere? Do you believe that it should be under all  
13 hepaflow GMP sort of conditions? Should you have separate  
14 rooms for tissue coming in and preparation, and a third room  
15 for where the actual islet isolation is done?

16 DR. RICORDI: I think provided that the best  
17 series of islet transplants, including the Edmonton trial,  
18 have been performed not in cGMP hepafilter, or the  
19 Pittsburgh series, or autografts, I think that we can set up  
20 some general guidelines about the processing to be done,  
21 within like class 100 hoods or within facilities -- you  
22 know, we can discuss some minimal requirement for tissue  
23 processing but the product release criteria is what will  
24 determine it. If you start setting up a processing facility  
25 and you find out that you have a contaminated product one



1 out of three times you may want to release it the way you  
2 are designing the facility because it is not going to give  
3 you the kind of quality that will allow you to do any  
4 clinical trial.

5 DR. SALOMON: Can we get some comments from the  
6 group that have done stem cell processing? What kind of a  
7 facility do you guys believe is necessary for doing clinical  
8 stem cell processing and cell separation?

9 DR. MILLER: In general it would depend upon the  
10 degree of manipulation. So, as the manipulation increased  
11 the amount of regulatory oversight -- you know, the GMP  
12 facilities are coming into play more. The issue that comes  
13 up with this is when we are talking about what stage of  
14 development is this. You said that according to regulatory  
15 you don't need to have GMP facilities until you are in stage  
16 three.

17 DR. WEBER: Let me clarify it. You do need GMPs.  
18 You don't have to be under full GMPs until you are at phase  
19 three. So, it is a progressive scale. You are going to  
20 have to increase your level of GMP compliance as you  
21 progress.

22 DR. MILLER: So, what stage would these be in  
23 right now? Are they Phase I, Phase II, phase III trials?

24 DR. WEBER: I would say Phase I, as far as I know.

25 DR. SIEGEL: I can go into that question but this

1 isn't one of the questions we asked, and I am wondering if  
2 we shouldn't focus on the question at hand which was the  
3 demonstrate of control and processing.

4 I am a little unsatisfied with where we left that  
5 issue of contamination because I think my point wasn't  
6 clear. I guess the point I was trying to make is that if  
7 some labs can get sterility 95 percent of the time, then one  
8 that is getting it 50 percent of the time by Gram stain, you  
9 are worried about a process problem, even if it is negative  
10 that time. The same thing is true for viability. If your  
11 lab always gets 80 percent, I am going to worry if another  
12 lab gets 80 percent like 10 percent of the time. Even when  
13 they get 80 percent there is a suggestion that they have a  
14 process control problem that may even impact negatively on  
15 those products. So, I guess what I was getting at is, on  
16 the one hand I am hearing you really need to do thousands of  
17 these to know how to do them right and, on the other hand, I  
18 am hearing, well, if you do two or three and they meet the  
19 specifications you should be going ahead. I am not exactly  
20 sure what the right answer is here. How do we know that  
21 somebody is ready and qualified in terms of the control of  
22 their process to begin human experimentation?

23 DR. RICORDI: Now, for example, this question of  
24 training or of the regulatory issue of qualification of  
25 investigators or centers performing these kind of procedures

1 may develop. I know that now there will be a cell  
2 transplant subcommittee of the American Society of  
3 Transplant Surgeons that will be set up to discuss some of  
4 these issues. But I completely agree with your point, I  
5 don't think that if someone starts processing islets and  
6 sees that he is having contamination five out of ten times,  
7 or he cannot meet these criteria most of the time that he  
8 would feel comfortable to process towards clinical trials.  
9 And, you have another safety net which is that he would not  
10 be able to transplant any cell because it doesn't meet the  
11 minimal product release criteria. But, at the same time, it  
12 would be very difficult to determine this consecutive series  
13 of positive isolations because you could do it if you  
14 assumed that you have a similar starting product. So, you  
15 could demonstrate, for example, the effectiveness of your  
16 islet procedure if you say, well, we will do it with a non-  
17 human primate pancreas, and we will do five out of five with  
18 a controlled donor condition in order to have perfect  
19 pancreas, and you have to show this before moving to human.  
20 But when you start seeing the human experience, it depends  
21 so much on the quality of the pancreas that you accept also  
22 for distribution for research or which source are you going  
23 to use exclusively for clinical grade pancreases; or, you  
24 keep working to try to expand the number of pancreases and  
25 the conditions that you are getting from these pancreases.

1 DR. SALOMON: But I still have not heard anything  
2 that we can summarize.

3 DR. BLUESTONE: Maybe I can try something  
4 quantitative.

5 DR. SALOMON: Okay.

6 DR. BLUESTONE: So, it is a two-step quantitative  
7 event. Right? The one step is the learning curve, in which  
8 case you are not going to use the best pancreas that you can  
9 get and you are going to learn how to them. And, you should  
10 come up with a number. So, let's say I said that you have  
11 to do a minimum of ten pancreases --

12 DR. SALOMON: Let's stop there. We will come back  
13 to you but ten? Twenty? Give us a number. Or, if you  
14 don't give us a number tell us why you can't give us a  
15 number.

16 DR. HERING: Well, I think 10 or 20 consecutive is  
17 fine, and I would think you at least 90 percent of the preps  
18 should be sterile. At least 80 percent of the preps should  
19 have a viability greater than 70 percent. Now, I am not  
20 sure how many organs should have islet yields beyond -- I  
21 don't know -- 500,000. This may be a difficult goal to  
22 accomplish, but sterility and viability, I would say the  
23 majority should be within the specification range.

24 DR. BLUESTONE: Yes, and the only difference  
25 between what Bernhard said and what I was going to say is

1 that I am not sure I would add all of them together to get  
2 the number. I would do it two-stage. You know, you should  
3 always have a chance to have batting practice and get the  
4 system up and running. So, whatever that number is -- let's  
5 say 10, then at that point the next 10 should be your 80  
6 percent and whatever. That way, you are not averaging the  
7 first 5 times when you are trying to figure out how to do it  
8 and things are working out. So, you should do it a two-  
9 stage thing. What the numbers are you can discuss, but  
10 there should be a stage in which you don't necessarily work  
11 with the best pancreases; you work it out; and then a stage  
12 where it counts.

13 DR. SALOMON: That is a good idea. You could say  
14 the last 10 consecutive or the last 20 consecutive when you  
15 got down to the details. That would allow you to sort of  
16 build up because you could start with a really bad pancreata  
17 at that point. Okay.

18 DR. SHERWIN: Is there a level of subjectivity in  
19 the assay of, let's say, viability or any of these issues?  
20 And, how do you control for that?

21 DR. HERING: Well, we have discussed whether  
22 clinical site training -- you see, we are discussing a  
23 multi-center trial. Now we are faced with the issue of  
24 whether we should have clinical site training and monitoring  
25 and to make sure that every site is doing the very same

1 thing. This is something you can discuss if you do multiple  
2 center trials, but not for the community at large. We will  
3 do it within the trial, and we will document that we do what  
4 we are supposed to do.

5 DR. SHERWIN: The reason I asked -- I mean, I  
6 don't know how you go about doing it; I am ignorant, but  
7 there may be ways of blinding the assessment using other  
8 people who don't really have -- you know, it just seems to  
9 me that if it is a subjective assessment, and I don't know,  
10 then it would seem to me some sort of independent assessment  
11 would make sense because there may be a lot of pressure if  
12 subjectivity enters into it.

13 DR. SALOMON: I think that is an interesting  
14 point. The question then would be should you, in addition  
15 to demonstrating that you consistently meet the 70 percent  
16 viability range and reasonable numbers of islets per  
17 processed pancreas, which we have been a little vague about,  
18 that we also show that at 24 hours in the last 10 quality  
19 pancreatic processing that you did at least all of the islet  
20 preparations had some glucose-stimulated insulin release  
21 that was greater than or equal to 2.5, which is the usual  
22 lower limit of a functional prep.

23 DR. HERING: You are asking whether we should send  
24 samples to a reference lab to document.

25 DR. SHERWIN: Yes. Something like that, yes.

1 DR. SAUSVILLE: But the nature of the viability  
2 tests that we heard would make it difficult to transport. I  
3 mean, fluorescence or trypan, etc. -- that is something you  
4 would want on site.

5 DR. SHERWIN: All I am saying is an independent  
6 person. It could be someone else in the institution.

7 DR. SALOMON: Well, there is no reason why you  
8 can't take pictures of these. Albeit there is nothing we  
9 can say that you don't cheat, we all know that, the bottom  
10 line here is that I don't think -- unless you send out  
11 inspection teams to all these places, which I know is well  
12 within -- well, comment on that, Phil.

13 DR. SIEGEL: I will comment. Traditionally, FDA  
14 has largely inspected clinical trials that are definitive  
15 efficacy trials at the point of licensure. However, recent  
16 events in the area of gene therapy have led us, both  
17 internally and externally, to ask the question should we use  
18 more of those resources to do on-site investigations of  
19 early developmental clinical trials to ensure that protocols  
20 are followed, that human subject rights and their welfare  
21 are protected, and that data of good quality is generated.  
22 We are, over the course of the next year, diverting some our  
23 standing resources, because it is a fixed pool in the short  
24 term, into that area and from that we will, among other  
25 things, have a better assessment at least in gene therapy

1 and possibly somewhat more broadly than that as to the value  
2 of routine inspections. At the present time most of our  
3 inspections in early experimental therapy are on a for-cause  
4 basis.

5           However, there is also some significant  
6 possibility, given what is being discussed out there, that  
7 in the not too long term picture there may be additional  
8 resources as I think Congress, and public groups and agency  
9 groups as well are certainly recognizing some of the  
10 potential merits of that sort of oversight. So, that is the  
11 long answer.

12           The short answer is in the immediate future or at  
13 least at the present time we have not been conducting  
14 substantial numbers of inspections in this and related  
15 fields, but that is under discussion and review and there  
16 may be some significant change.

17           DR. SALOMON: Did I understand then that you don't  
18 want to talk about facilities? That that is an area that  
19 you would like to stop the discussion, at that point?  
20 Because I had sort of gone to talk about the minimum  
21 facility requirement.

22           DR. SIEGEL: Phil, is that an area you would like  
23 to pursue?

24           DR. NOGUCHI: Well, it is related to question  
25 number six, and I think we are pleased to hear that the



1 advice that is coming back is that there is a certain  
2 learning curve and that there is a certain amount of  
3 stringency that the field wants to see before others,  
4 besides those who have pioneered the techniques, really get  
5 into the field. So, I think we have gotten quite a bit of  
6 information here and I don't think we need to go to the  
7 actual construction of facilities or anything like that, but  
8 it is the idea that, yes, you need to learn; that it is not  
9 a given. It is just like surgery; you don't operate the  
10 first chance you get.

11 DR. SIEGEL: Since I did abruptly change the topic  
12 but I did want to get to that other part of the question --  
13 but the question did come up when do you need to produce  
14 during GMPs, and I know many of you are involved in  
15 manufacturing different types of cellular products where  
16 that question arises. What our regulations call for is that  
17 there always needs to be GMPs, but they say GMPs as  
18 appropriate for the stage of development of the product.  
19 That doesn't necessarily mean the phase of clinical trials.  
20 Usually what that means, usually the areas that will phase  
21 in later in GMPs are the validation aspects of it. So, we  
22 would expect from the very start that you have appropriate  
23 procedures to maintain sterility but we would not  
24 necessarily expect that you have challenged those procedures  
25 with different spore-forming organisms and done particle

1 counts and tests to prove, if you are operating in a hood,  
2 that the levels that if you were in commercial manufacture  
3 we might require to validate when you are, you know, bench  
4 to bedside, early basic research -- those can be somewhat  
5 stultifying.

6           So, it is hard, therefore, to give the exact  
7 answer of what is necessary when, but we require quality  
8 manufacturing and good manufacturing practices from the  
9 start for products going into humans. However, there is a  
10 lot more flexibility in how to achieve that and how to meet  
11 that standard than our regulations will impose at the time  
12 of licensure.

13           DR. AUCHINCLOSS: In this area of discussion, I  
14 thought I heard the comment made that UNOS should get  
15 involved in the certification and, boy, do I think we are  
16 way ahead of ourselves there. I think this is a small field  
17 of people where, yes, you want to see experience but I  
18 wouldn't get UNOS in as a regulatory body for determining  
19 who can run an islet isolation facility.

20           DR. SALOMON: I actually disagree with that. I  
21 brought that up. I will take credit for that one. I think  
22 that if we are talking about setting up criteria there  
23 should be some criteria for what is training that is  
24 adequate to do these sorts of studies in human beings. Now,  
25 if you want to say, okay, maybe the first couple early Phase

1 I studies -- I didn't mean to be that specific but I  
2 certainly think somewhere in the run-up here to a clinical  
3 trial, which is what I think the NIH, the JDF and the FDA  
4 want, there has to be some way of demonstrating that these  
5 guys have training. Certainly in bone marrow  
6 transplantation purification most of these people are  
7 certified clinicians and they won't get JCO approval for  
8 their bone stem cell processing without that sort of thing.

9 MR. BENEDI: To that point, I am not a huge fan of  
10 UNOS but they do have a system that has worked for many  
11 years and there is a procurement process in this country,  
12 organ procurement organizations that go into hospitals and  
13 do the consent to donor family members. When you go into  
14 that process, as you said earlier, if I procure an organ it  
15 is not really mine; it is the system's, and there are a lot  
16 of hospitals right now that are in trouble because they  
17 have gone around that system. So, I think it is a very  
18 sensitive issue when we are talking about donated organs to  
19 be used for whatever when there are, you know, 30,000 people  
20 waiting for organs in that specific category.

21 DR. SALOMON: Good point.

22 DR. BLUESTONE: I think the concern about UNOS is  
23 only the potential for intrinsic conflict of interest that  
24 UNOS has, which is that there are already a lot of politics  
25 about whether these organs are going to be available for

1 islet transplantation and to set that group as the  
2 monitoring group to decide whether or not an islet facility  
3 is capable to do transplants is putting a little bit of an  
4 undue set of politics into a system. So, I would much  
5 rather see a set of recommendations that support having  
6 training, support having some criteria without necessarily  
7 designating the group as UNOS at the git-go.

8 DR. SALOMON: So, in trying to summarize then this  
9 last point, which I think has pretty much been summarized in  
10 the last couple of minutes but, essentially, we recognize  
11 that there is a training curve and that should be factored  
12 into any such decision; that there should be a point,  
13 however, at which there is a commitment that the center says  
14 we have reached our training curve and demonstrates data  
15 that a minimum, probably 10 at least, maybe be better 20,  
16 purifications are meeting the kinds of criteria that,  
17 perhaps because they are not using clinical grade pancreata,  
18 are a little bit relaxed. But none of them should be  
19 infected or, at worst, maybe 10 percent of the first 10,  
20 1/10. There should be some criteria demonstrated by these  
21 centers that there is training and experience that goes  
22 beyond the fact that they just read up in a book and did 10.  
23 There are some issues about facility and those need to be  
24 addressed but I think we are trying to be pretty flexible  
25 about those early in the process. Am I missing something?

1           There are ideas about at least demonstrating some  
2 minimum secondary viability/potency issues right now, not  
3 just showing in the preparations that they are -- whatever,  
4 dithiazone positive and viable but there should also be data  
5 showing a minimum, say, 1.5-fold glucose-stimulated insulin  
6 release the next morning, and I have stopped short of the  
7 idea that there should be a bioassay but I think a lot of  
8 places would probably be able to do that as well.

9           DR. BLUESTONE: And, I think Bob's idea is a good  
10 one. I don't know how we would say it but something like  
11 objective analysis, or objective results --

12           DR. SALOMON: Yes.

13           DR. BLUESTONE: -- whether it has to be blinded or  
14 someone else at the institution, but it should be objective

15           DR. SALOMON: Yes, I agree with that too. They  
16 should provide objective documentation and, again, there  
17 might be another scientist who warrants that they are not  
18 involved in this at all but perhaps in a neighbor  
19 institution, and the idea of a site visit team was raised  
20 and described by both Jay and Dr. Noguchi.

21           DR. SHERWIN: Yes, I was less enthusiastic about a  
22 major site visit --

23           [Laughter]

24           -- but I do think that you should have someone at  
25 the institution or someone local to just assess the

1 situation and have an independent sort of assessment.

2 DR. CHAMPION: It sounds to me like this might not  
3 be consistent with other precedent in terms of those kinds  
4 of requirements. I think normally one submits data  
5 supporting your manufacturing process that is reviewed and  
6 then acted upon, to my knowledge at least, without routinely  
7 having confirmation from other parties or inspections, in  
8 the current time frame, for things that aren't involving  
9 high-level manufacturing gene therapy or whatever.

10 DR. SIEGEL: That is right. We don't usually  
11 require that the sponsor of an IND or the manufacturing has  
12 some independent body certifying their data. Sometimes that  
13 is done.

14 I should clarify that although there are many,  
15 many areas in which there are professional standards and  
16 professional certifying bodies, and where those exist we  
17 often adopt those standards and require that those  
18 certification standards be followed, and this sounds like  
19 an area in which there may well be room for that to develop.

20 I should be clear on this issue of FDA  
21 inspections. It is not in the cards -- unless Congress  
22 should see fit to have a massive increase in the FDA budget  
23 -- it is not in the cards I think that we would be a body  
24 that you could expect or hope would -- I don't know if you  
25 would hope for this anyhow, but that you could hope would be

1 there at every site on a regular basis to make sure that the  
2 data are right. What we might be seeing is, you know, some  
3 sort of spot checking and auditing on a somewhat higher  
4 level than we are currently able to do.

5 DR. SALOMON: For the seventh question I am again  
6 going to take the chair's prerogative to ignore and try to  
7 follow tomorrow. I think we have kind of touched on it and  
8 I think it is reasonable and can be discussed when we get to  
9 the clinical trials. Are you okay with that? All right.

10 So, we are almost done until we go on to the xeno-  
11 session but I don't want to leave this, if you will bear  
12 with me for another couple of minutes. I would just like to  
13 ask if Dr. Goldstein and Dr. Harmon and then our three or  
14 four guys over here who are doing islets -- the overall  
15 purpose here has been from the very beginning to discuss the  
16 area but not, you know, in niggling over all these little  
17 details lose the concept of what is best for moving this  
18 field forwards; what is best for our patients with diabetes.  
19 So, just to make sure that everybody is on the right track,  
20 I would like to start with Bob, if he has any comments he  
21 will share with us.

22 DR. GOLDSTEIN: Nothing that I have heard sounds  
23 like an obstacle, and everything that I have heard sounds  
24 like something that says let's go forward together in some  
25 intelligent manner, to be defined with data, which is

1 progress. We would see that as progress.

2 DR. HERMAN: I would agree with everything Bob  
3 said. I certainly see an awful lot of research that has to  
4 be done and should be supported by anyone who has funds to  
5 support it. We look forward to helping out along those  
6 lines.

7 DR. SALOMON: Gentlemen, you have been on the hot  
8 seat for the afternoon. I hope you will all not hold it  
9 against us too long.

10 DR. HERING: Well, I think this has been a very  
11 helpful discussion. I certainly learned a lot and I think  
12 the objective is to move the field forward and not to  
13 protect those people who don't do the job. But, at the very  
14 same time, we don't want to prevent innovation because we  
15 don't know what the answer is going to be tomorrow. So, I  
16 think we have to find a compromise.

17 DR. SHAPIRO: Yes, I was surprised how open-minded  
18 a committee like this, with a firm regulatory view, has been  
19 in terms of this process, and I think it is very important  
20 that all the facilitation that has occurred so far  
21 continues.

22 DR. RICORDI: I actually want to say that my  
23 reasoning for decreasing the requirement for training is not  
24 that I want to see people out there with no training  
25 performing a dangerous procedure in patients, but it is that



1 I strongly believe that we are at a stage where a new center  
2 and new young investigators entering the process shouldn't  
3 be inhibited from setting up their own facility and trying  
4 to improve on these procedures. It will be critical what  
5 the flexibility is because if you impose upon any center to  
6 access 20 clinical grade human pancreas and demonstrate 80  
7 percent achievement of the standards, you will have no new  
8 centers in islet transplantation. I just want to make sure  
9 that advisors or experts on the panel are here to perpetuate  
10 the job, to make sure that they are the only centers that  
11 will be able to continue accessing the grants and stuff --

12 [Laughter]

13 -- but that there are other institutions, like  
14 Yale and others, that are thinking about entering the  
15 process may have equal access to these opportunities.

16 DR. SALOMON: Okay. This has been a public  
17 hearing. Is there anyone from the public audience who feels  
18 that we missed something in our summaries, that we were  
19 biased or created an issue for any of you?

20 [No response]

21 Clearly, this is an FDA meeting. Does the FDA  
22 have any last comments or major questions? Have we  
23 addressed the issues that were set for today?

24 DR. SIEGEL: I just want to say thank you very  
25 much. I personally, and I think my colleagues as well, have

1 found the discussions extremely informative and helpful.

2 DR. SALOMON: Then, as chair, I would like to also  
3 formally thank Gail Dapolito and Rosanna Harvey and Bill  
4 Freas and the other groups at the FDA. They are absolutely  
5 necessary to the conduct of such a meeting. I don't know  
6 about you guys but they have to call me two or three times  
7 to make sure I made my hotel and plane reservations, and I  
8 am always embarrassed when do that and promise I will do  
9 better but, of course, I don't. But, anyway, without them I  
10 wouldn't be here and I think probably some others of you  
11 wouldn't be. So, I really always thank them very much.  
12 Their process in this is really critical.

13 What happens now is that we go into a session  
14 where everyone is okay to stay, but we take a five-minute  
15 break and we come back to review the xenotransplantation  
16 subcommittee report.

17 DR. DAPOLITO: Right, we will release everyone  
18 except the standing committee and the xeno members who are  
19 here, although we hope people will stay as members of the  
20 audience to listen to the discussion of the  
21 xenotransplantation report.

22 DR. SALOMON: I see John Coffin there, if you will  
23 join us at the table. John is a standing member of the xeno  
24 advisory committee. Edith, please join us.

25 I want to make sure that I take a minute to thank

1 all of those members who were here today that are not a  
2 member of the regular standing committee, and to take time  
3 out of your busy schedules and provide this kind of expert  
4 help to the FDA and to the committee is greatly appreciated.  
5 So, I want to, for the committee and for the FDA also, thank  
6 all of you for coming, and you all know who you are. Thank  
7 you. And, I am looking forward to seeing you all tomorrow,  
8 of course.

9 **Topic II - Report of the January 13, 2000 Meeting of the**  
10 **Biological Response Modifiers Advisory Subcommittee on**  
11 **Xenotransplantation**

12 Are we ready? Dr. Auchincloss?

13 DR. AUCHINCLOSS: First of all I want to thank  
14 John Coffin who filled in as chairman for a portion of the  
15 meeting. So, the summary of this involves my having read a  
16 transcript of a meeting that I was not present at.

17 Let me try and take you through this. I believe  
18 that members of the committee have received a copy of the  
19 chairman's summary report which was compiled after attending  
20 the meeting, reading the transcript actually several times,  
21 making a draft of the report, circulating it to committee  
22 members for comments and then trying to incorporate those  
23 comments into something that I hope represents close to a  
24 consensus.

25 By way of background, the central topic of the day

1 really was blood donation deferral. By way of background,  
2 in the past the xenotransplantation subcommittee had  
3 addressed that issue by suggesting that xenotransplant  
4 recipients and their close or intimate contacts should be  
5 indefinitely deferred from blood donation, and that this  
6 policy should be implemented primarily by the education of  
7 the xenotransplant recipients by the xenotransplant team.  
8 That seemed like a reasonable approach because the number of  
9 patients involved was very small, and it was a highly  
10 educated group as a result of the nature of the procedure  
11 they were going through.

12           Subsequent to that discussion and the institution  
13 of that policy or that recommendation for policy, the FDA,  
14 again with the subcommittee's agreement, expanded the  
15 definition of xenotransplantation from recipients of  
16 xenotransplants themselves to recipients of human cells or  
17 tissues that have come in contact ex vivo with live cells or  
18 tissues or organs that were of non-human origin.

19           With the inclusion of that group in the definition  
20 of xenotransplantation, it became apparent that the group of  
21 xenotransplant recipients was larger than we had originally  
22 imagined. We don't know exactly how large it is, but we  
23 used numbers between the range of 500 and 1000 recipients of  
24 xenotransplants and many of them, according to this  
25 definition that they are xenotransplant recipients were not

1 even aware that they had received xenotransplants and,  
2 therefore, the original policy of blood donor deferral could  
3 not apply to those people because they had never received  
4 any kind of education.

5           So, the issue was now how to deal with that. The  
6 committee considered that issue in great detail during the  
7 course of the morning and basically came back with -- let me  
8 summarize the conclusions and then people can comment on  
9 whether I have summarize this correctly: that the committee  
10 still believed that recipients of xenotransplants as defined  
11 in that definition should be deferred from being blood  
12 donors; and that that deferral process should still  
13 primarily occur as a result of education of the  
14 xenotransplant recipients; and that to a degree that should  
15 extend to intimate contacts of xenotransplant recipients,  
16 but the committee was somewhat divided about exactly how far  
17 that deferral should extend to intimate or close contacts.

18           Now, the crucial additional item that the  
19 committee added was that it was appropriate for the FDA to  
20 consider on a case by case basis examples of ex vivo contact  
21 with cells or tissues from non-human sources and exempt  
22 recipients of a xenotransplant product, and examples were  
23 the cell line with which contact had occurred -- the cell  
24 line had been so well characterized that the blood donor  
25 deferral would not be required.

1           So, the committee in the morning said it is okay  
2 for the FDA to exempt certain recipients of xenotransplant  
3 products under some circumstances but we didn't, at that  
4 point, define what the circumstances were. So, then we came  
5 back to discuss topic number two, in which an FDA gave us an  
6 example, and the example is epicell, of a product that  
7 involves this kind of ex vivo contact with cells of an  
8 animal source. Epicell-3 is a cultured epidermal autograft  
9 and it basically involves culturing autologous epidermal  
10 cells from a burn victim in most cases and the recipient of  
11 the final product, but they are cultured in vivo with  
12 irradiated 3-T-3 cells, 3-T-3 cells being a mouse cell line  
13 derived from a mouse more than 30 years ago.

14           So, now the question was in this example was this  
15 the kind of ex vivo contact where the cell line had been so  
16 well characterized that it was, in fact, possible for the  
17 FDA to exempt recipients of this xenotransplant product from  
18 blood donation deferral? Here, I think the committee  
19 reached several conclusions.

20           The first conclusion that I think we were in  
21 agreement on was that although extensive testing of the cell  
22 line had been done in the past, given the kinds of  
23 infectious disease concerns that related to  
24 xenotransplantation that have come up in the past several  
25 years, still further kinds of testing would be useful in

1 this kind of situation, including in particular co-culture  
2 assays designed to seek evidence of transfer of endogenous  
3 retroviruses and, in addition that there might be state-of-  
4 the-art improved assays to characterize this cell line.

5 So, that meant that there was a group of people  
6 who had received epicell-3 in the past, 500 or 1000 however  
7 many it is, in which the testing had been less than we would  
8 like it to be given our current state of knowledge, and that  
9 in the future some people will be able to receive epicell-3  
10 which is tested with the best possible assays as discussed  
11 by the committee.

12 Now the question was how to handle blood donation  
13 deferral either by those who had donated in the past or  
14 those who will donate in the future. The committee was  
15 split on the recipients of future epicell products, feeling  
16 that to defer them -- the vote was not exactly 50-50 but a  
17 mixed vote -- a mixed vote felt that, well, it is awfully  
18 easy to tell people not to be blood donors and the numbers  
19 of people is not very large, so why don't you go ahead and  
20 defer future -- recipients of the new epicell product,  
21 meaning the one that is tested in the best possible way?  
22 That recommendation was not unanimous. In fact, actually  
23 technically the majority of the committee felt that those  
24 people did not need to be deferred, but it was a split vote.

25 What the committee was agreed on was that that

1 future deferral did not need to extend to intimate or close  
2 contacts of the xenotransplant product recipient and,  
3 secondly, what the committee, I believe, was unanimous on  
4 was that previous blood donors who had received the old  
5 epicell product, those blood products did not need to be  
6 withdrawn from the existing pool.

7           So, those I think were the principal conclusions  
8 and, again, we can spend some time talking about them. The  
9 FDA then asked us to go beyond the individual product of  
10 epicell-3 and talk about other characterizations or  
11 generalizations that we might be able to make about cell  
12 line characterization that might be useful to them in the  
13 future. Specifically, the committee considered species of  
14 source animal, non-primate mammals, non-mammalian animals  
15 including invertebrates, cell lines versus fresh tissues,  
16 use of barriers and/or encapsulation, trans-unit low-dose  
17 exposures, and the state of immunosuppression of the  
18 recipient.

19           In general terms, the committee agreed that all of  
20 those factors play a role in the degree of risk associated  
21 with xenotransplantation, but that the degree of risk was  
22 not changed in such a dramatic way as to enable us at this  
23 time to use any of these criteria to exclude people  
24 absolutely from the requirements for follow up and testing  
25 those listed in the FDA guidelines for xenotransplantation.



1 I think there is an exception to that in that the  
2 species of source animal -- the subcommittee agreed with the  
3 FDA recommendation to exclude non-human primates as source  
4 animals and I believe that it was agreed that source animal  
5 testing for cell lines was not necessary.

6 There was a third part of the meeting, which was  
7 more for information's sake, and I will describe the two  
8 presentations that occurred there. One was a presentation  
9 from the people at Novartis who basically presented the data  
10 that had been published in Science of 160 patients who had  
11 come in contact with pig tissues in one form or another,  
12 looking for any evidence of PERV infection in those  
13 recipients. The bottom line conclusions was no evidence of  
14 infection was encountered, and the committee in general  
15 thought it was a good study and was glad to see that, but  
16 also felt that ongoing testing of future xenotransplant  
17 recipients was appropriate.

18 Then the committee heard a presentation by Dr.  
19 David Onions of some unpublished experiments, preliminary  
20 experiments in which he injected large quantities of PERV  
21 virus into guinea pigs and was able to demonstrate that  
22 infection of guinea pig cells did occur without any evidence  
23 of viremia and without any evidence of any particular ill  
24 consequence as a result of that. I believe that there was  
25 some discussion about that finding, in general accepted with

1 the sense that this was useful now as a small animal model  
2 in which to examine, at least in this species combination,  
3 the potential effects of in vivo infection with the PERV  
4 virus, recognizing that what happens in one species may not  
5 be predictive of what will happen in another.

6 So, that is my summary of my summary of the  
7 meeting, and let me turn it first to John Coffin and see if  
8 he wants to modify what I said there or amplify.

9 DR. COFFIN: I have very little to add -- I think  
10 that was an excellent summary of the part of the meeting  
11 that you weren't at -- except to note that the presentation  
12 by Dr. Onions was of a highly preliminary study which was  
13 actually originally designed for a different purpose. So,  
14 there will be many, many questions that one would have about  
15 the import of this, but it seemed clear from the study that  
16 there was truly infection of guinea pig cells in the course  
17 of this experiment.

18 DR. SALOMON: Is there any discussion? I  
19 certainly would say that I was there up until the time Dr.  
20 Auchincloss left and I also felt that this was a very good  
21 summary, and i think that covered it really well.

22 DR. BLOOM: I think both your presentation and  
23 your summary reflect very well what the committee discussion  
24 was about.

25 DR. SIEGEL: I would also add as feedback that, as

1 you know, there were representatives there from our sister  
2 agencies, the NIH and CDC, and we received considerable  
3 feedback that it was an extremely fruitful, helpful,  
4 welcome, excellent discussion, and a lot of useful advice  
5 received by the FDA but also by the other public health  
6 agencies at that meeting.

7 DR. SALOMON: Can I see a show of hands for  
8 accepting the report as provided by Dr. Auchincloss and Dr.  
9 Coffin?

10 [Show of hands]

11 Nine. And, can we see "nays," not accepting the  
12 report?

13 [No show of hands]

14 Zero. And, anyone abstaining?

15 DR. DAPOLITO: Dr. Miller is not here.

16 DR. SALOMON: Because we needed ten, right?

17 DR. AUCHINCLOSS: Is that right?

18 DR. DAPOLITO: Well, we don't need it but we have  
19 ten votes. We will have to get a vote from her.

20 DR. SALOMON: Okay. I believe we are close to  
21 done. There was a question about the Blood Products  
22 Advisory Committee, BPAC, but the person who was going to  
23 that is not here. Edith, do you want to tell us about the  
24 BPAC results?

25 DR. BLOOM: I was actually not able to attend. I

1 was at a Council of Europe Working Party on  
2 xenotransplantation meeting, at which I was fortunate to be  
3 able to summarize some of the findings of that committee  
4 meeting that Dr. Auchincloss just spoke about, and it is a  
5 privilege actually to be able to convey that kind of  
6 discussion internationally. But Ruth Solomon, from the  
7 Office of Blood, is here. Perhaps she could address some of  
8 that.

9 DR. SOLOMON: The topic was presented at the BPAC  
10 this past Friday, and the committee was asked originally two  
11 questions but then that became three questions. The first  
12 question asked about including information about  
13 xenotransplantation in the educational material that is  
14 given to the donor before they actually donate, the blood  
15 donor. And, there was a split vote. The question asked  
16 does the committee agree that donors should be required to  
17 read this material before donation? A vote of five said  
18 yes, seven said no, with zero abstentions.

19 Then the committee was asked do they agree with a  
20 question to be asked on the donor questionnaire, and the  
21 question was framed exactly how it would appear on the  
22 questionnaire. So, as stated, the committee voted two yes,  
23 ten no, and no abstentions.

24 However, a third question was asked to the  
25 committee, do you agree that any question should be asked on

1 the questionnaire about xeno because there was a discussion  
2 about modifying the nature of the question and coming up  
3 with the so-called ideal question, which was very difficult.  
4 So, when asked do you agree with asking any question to the  
5 blood donor, the vote again was split. There were five yes,  
6 four no and three abstained.

7 There was also some discussion during the open  
8 public hearing. The blood industry, some members, voiced  
9 the opinion that they did not feel that intimate contacts  
10 should be deferred, but that was not a question asked to the  
11 committee.

12 DR. SALOMON: I was waiting for that last one to  
13 come up but I could almost see that one coming. Well, I  
14 would start off by saying I don't buy that; that is not  
15 right. I mean, that is certainly in any way the spirit of  
16 what we had suggested at the xeno subcommittee meeting. Do  
17 you want to pick up on that, John and Hugh?

18 DR. AUCHINCLOSS: Well, the question specifically  
19 has to do with the questions that are presented to the  
20 potential blood donors.

21 DR. SALOMON: Start with the material. Remember,  
22 I thought we said that they would have to have some sort of  
23 material explaining xenotransplantation that the donor would  
24 read.

25 DR. AUCHINCLOSS: Yes, I guess I can't tell you

1 that I specifically recall the conversation about material,  
2 but let me tell you what I think the sense of our committee  
3 was. We considered three questions that might be added to  
4 the blood donor questionnaire, and the very strong feeling  
5 of the subcommittee was that it would mean absolutely  
6 nothing to the population at large. They wouldn't know what  
7 a xenotransplant was; they wouldn't know whether they had  
8 had one, or whether their intimate contact had had one; and  
9 it was simply going to be confusing, not productive in  
10 deferring those that you wished to defer and potentially  
11 harmful in making it still more cumbersome and confusing to  
12 go and donate blood. Specifically, our committee voting 16-  
13 0 in favor of not including any questions on  
14 xenotransplantation on the blood donor questionnaire. I  
15 assume that that would apply to material as well.

16 DR. SALOMON: I thought that the way we dealt with  
17 that was that we bowed to the concerns of the blood product  
18 industry that they didn't want to do anything to reduce  
19 blood donation, which we thought was perfectly appropriate  
20 for them to defend, but we thought that there should be --  
21 in fact, they assured us that there was some material in  
22 there, material that they share with donors for  
23 xenotransplantation. And now they are saying they are not  
24 going to share data? I mean, maybe this is just a mis-  
25 impression.

1 DR. AUCHINCLOSS: We are talking first about the  
2 questionnaire, where I know my memory is correct because we  
3 have it recorded here. John is going to make a comment  
4 about material, reading material.

5 DR. COFFIN: There is something on the  
6 questionnaire about transplantation generally, if I remember  
7 correctly, that defers for a period of a few years -- I  
8 forget what it is.

9 DR. SOLOMON: Actually, the FDA does not  
10 specifically ask such a question be asked the donor, but the  
11 AABB, the American Association of Blood Banks, has a uniform  
12 donor questionnaire which the FDA has reviewed, and on the  
13 AABB donor questionnaire they ask, within the past 12 months  
14 have you received blood or a blood product, or a tissue, or  
15 organ or cellular product? But it is restricted to within  
16 the past 12 months.

17 DR. SALOMON: So, the last thing that they said  
18 was that intimate contacts should not be excluded. Again,  
19 if I am wrong, correct me but my understanding was we  
20 specifically thought that intimate contacts of a  
21 xenotransplant -- in fact, I thought we went to kind of  
22 great lengths to insert the term "intimate" rather than  
23 "close."

24 DR. AUCHINCLOSS: We inserted the term "intimate"  
25 instead of "close" trying to make it clear that we were

1 talking about a very narrow group of people, but the votes  
2 were consistently split on the issue of whether or not  
3 intimate or close contacts should be deferred, roughly 50-  
4 50.

5 DR. SOLOMON: Again, I am just giving you some  
6 comments made during the open public hearing. That is not  
7 necessarily the FDA position, and we did not put a question  
8 in front of the committee as to should intimate contacts be  
9 deferred. That was not on the table. The BPAC was to  
10 answer questions regarding the implementation of the  
11 suggestions of the January 13th xeno advisory committee.

12 DR. SALOMON: My only point now is discussion. I  
13 am not trying to make a conclusion for the committee, but my  
14 opinion is that what I thought is correct. In other words,  
15 what you are saying is that they are rejecting any question,  
16 which is okay by itself; any discussion of what a  
17 xenotransplant is, which means a lot to me; and no  
18 proscription even for intimate contacts, which then says  
19 that if we do a xenotransplant and pass an endogenous  
20 retrovirus it is coming right through the blood pool, which  
21 was the whole point of this meeting, I thought --

22 DR. AUCHINCLOSS: No, no --

23 DR. SALOMON: -- how we were going to prevent a  
24 movement from the patient to the blood pool.

25 DR. AUCHINCLOSS: No, that recipients of



1 xenotransplants should be educated about the fact that they  
2 had had a xenotransplant and should be deferred -- that  
3 wasn't the issue. The question was whether you, me and the  
4 next person who goes in and wants to donate blood should be  
5 asked, hey, did you have a xenotransplant, the person who  
6 hadn't presumably had one. And, there, the committee felt  
7 that is just noise to those people; that is not information.

8 DR. SALOMON: That is a good point, Hugh. I  
9 accept that as a good point, but the point I am making is  
10 that what you are saying then is that there is going to be  
11 nothing in the blood procurement process. All there is, is  
12 going to be a statement that I was trained because I got a  
13 xenotransplant, which is something back maybe three years  
14 ago, whatever, and if I had had a transplantation within a  
15 certain period of time or if I am on immunosuppressive  
16 drugs.

17 DR. SIEGEL: Let me clarify a few things about  
18 process. First of all, the BPAC, like the BRMAC, and the  
19 xeno subcommittee are advisory committees. So, she is not  
20 saying anything as FDA policy. We have a lot of advice and  
21 now we need to make to policy.

22 But also, Ruth, correct me, I think you may have  
23 mis-characterized the vote of the committee when you  
24 suggested they said that no question is appropriate, and I  
25 thought I hears you say that five to four and three

1 abstentions. They were in favor of a question, they just  
2 didn't like the one that was proposed.

3 DR. SOLOMON: The vote was split on both including  
4 in the educational material and asking any question. It was  
5 a split vote.

6 DR. SIEGEL: Another thing just as a matter of  
7 process, somebody said, well, we heard industry say one  
8 thing at our meeting and then apparently -- the BPAC is not  
9 industry; it is a federal advisory committee just like you  
10 people.

11 DR. SALOMON: John?

12 DR. COFFIN: I came away from the xenotransplant  
13 meeting with the firm conclusion that the committee strongly  
14 believed that xenotransplant recipients should be deferred  
15 but was firmly confused as to what the mechanism for that  
16 would be.

17 DR. SALOMON: And I don't have a problem with  
18 that. I think that characterizes -- I think the way I came  
19 away from the last meeting was that we were trying to be  
20 very, very sensitive that, based on the fact that no one had  
21 proved yet that we were transmitting any sort of infection,  
22 that we didn't suddenly saddle a very sensitive area, blood  
23 donation, blood product donation and processing, with a  
24 bunch of ridiculous ideas based on a fear that we haven't  
25 yet proven. And, I am very comfortable with being cautious

1 in that regard. Except what I am hearing now -- again, I  
2 think I have made my point -- is that it sounds like, faced  
3 with some ambivalence on our part, the response was then,  
4 you know, nothing.

5 DR. AUCHINCLOSS: We always try to be very, very  
6 sensitive.

7 DR. SALOMON: And you are not even from  
8 California!

9 DR. CHAMPLIN: Having some experience, you know,  
10 getting consents for transfusions, you know, you have a list  
11 of 44 questions or so and adding a 45th, when you have  
12 already asked them if they have had sex with somebody who  
13 was in jail last week as one of your previous questions, and  
14 it isn't going to be offensive, I think it is a burden to  
15 give them another book to read on xenotransplants for  
16 somebody who is a potential blood donor. So, I think some  
17 sort of simple question that could just be added to the  
18 questionnaire would be an appropriate middle ground here.

19 MR. BENEDI: I am not sure, as recipients do we  
20 really want a handful -- as a percentage of xenotransplant  
21 recipients to give blood without knowing what the long-term  
22 consequences are.

23 DR. CHAMPLIN: We don't want them to give blood.

24 MR. BENEDI: Exactly.

25 DR. CHAMPLIN: Everybody agrees --

1 MR. BENEDI: But you don't want to ask the  
2 question.

3 MR. CHAMPLIN: No, I am saying we should ask the  
4 question and we should add it to the other 44 questions that  
5 we ask as the way to address that, in addition to educating  
6 everybody who gets a xenotransplant that they have had one  
7 and that they shouldn't give blood. But, certainly, there  
8 are going to be people that don't hear that instruction or  
9 forget, so there should be something in the system to catch  
10 those people.

11 DR. SALOMON: Thank you. Carole?

12 DR. MILLER: You were saying that many people who  
13 get a xenotransplant with the expanded definition don't know  
14 they have had one. Did the committee make any  
15 recommendations about how to educate the rest of the people  
16 who are truly getting the full explanation, and are they  
17 going to ask that the package inserts or the documentation  
18 for, like epicell, get strengthened. Is there a consensus  
19 on how we are going to do that?

20 DR. AUCHINCLOSS: I took the position of the  
21 committee to be that in the future recipients of epicells  
22 should be told that they were receiving a xenotransplant and  
23 all that that implied. But I also took it as the position  
24 of the committee -- and I don't think we were precise on  
25 this; I think we were precise that you did not need to

1 withdraw blood products donated in the past by old  
2 recipients of epicell, but I don't think the committee was  
3 necessarily clear about whether there should be some effort  
4 to get back to previous recipients of epicell and tell them,  
5 hey, you had a xenotransplant. Do you think that is a fair  
6 characterization of what we said, what we didn't say and  
7 what we might have said?

8 DR. SIEGEL: I think so. I think on this issue of  
9 retrospective looks there was also some discussion about,  
10 well, what if somebody who was like one of twenty close  
11 contacts of one of these 500 people -- and I am mentioning  
12 these numbers for a reason -- then donated blood that was  
13 then pooled with blood from a few thousand other people to  
14 make a pool of plasma? The reason I mention that is because  
15 there was some thinking that, well, if it is 500 people it  
16 doesn't matter but, in fact, 500 people have thousands of  
17 contacts and if you are making a plasma product from  
18 thousands of donors the likelihood that one of those  
19 thousands of people will be one of those thousands of the  
20 donors is non-trivial. So, the question came up, as  
21 sometimes happens in blood donations, someone comes back  
22 after they have donated and after there is now, say, all  
23 this albumin out in the market, or whatever, and says, oh, I  
24 just remembered that I had this procedure or that test or  
25 that whatever it is, that behavioral experience that I

1 forgot to tell you about, and there was some discussion  
2 about what might trigger then product withdrawals. If I  
3 recollect correctly, that was also discussed in terms of  
4 looking back and there was a sense from the committee that  
5 one needn't go out and pull things off of the shelf because  
6 somebody came back and remembered that he had received a  
7 skin transplant.

8 DR. AUCHINCLOSS: Specifically, you made precisely  
9 that statement to the committee and nobody objected, and so  
10 I took that to mean that, indeed, withdrawal of blood  
11 products on the basis of old donation was not required. But  
12 we did at various times talk about the possibility of going  
13 back to epicell recipients in various look-backs, and my  
14 recollection of the conversation is that various people  
15 said, boy, that would be impossible and other people said,  
16 no, that should be quite easy, and no, it would be  
17 impossible, and we never really ended up with any formal  
18 recommendation for you.

19 DR. SALOMON: Any more discussion on this point?

20 [No response]

21 Just to fulfill my official capacity here, Carole,  
22 I have to ask you to join us in a vote on the report that  
23 Dr. Auchincloss and Dr. Coffin have given on the  
24 xenotransplantation committee.

25 DR. MILLER: I approve.

1 DR. SALOMON: Okay. So, I would like to note into  
2 the record that we have the approval of Dr. Miller on this,  
3 which makes it ten to zero and no abstentions.

4 Then, I move that we are adjourned, and see  
5 everybody here. So, the committee is at eight o'clock,  
6 however, those who are not on the committee, who aren't  
7 sitting here any more, are starting at ten o'clock. Thank  
8 you, all.


9 [Whereupon, at 6:13 p.m., the proceedings were  
10 recessed, to reconvene at 8:00 a.m., Wednesday, March 22,  
11 2000.]

12

- - -

**C E R T I F I C A T E**

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a solid horizontal line.

ALICE TOIGO