

1 I can give you several other examples. There
2 certainly is no data that I am aware of that you can just
3 cure patients with early diabetes using drugs like
4 cyclosporine, et cetera. So the argument that this is all
5 an aberration of post-transplant drug immunosuppression, I
6 am not certain I am convinced of that, either.

7 But I don't know the answer. I am just suggesting
8 that it is another area for research and uncertainty.

9 DR. SHERWIN: When were those six patients studied
10 in Minnesota with minimal immunosuppression following
11 David's--it wasn't two, as I remember. It was two maybe
12 with on immunosuppression, all of whom had recurrent
13 insulinitis and T-cell infiltration of islets after fifteen
14 years or more of post-disease.

15 DR. HERING: Yes; there are several lines of
16 evidence here. One is the Minnesota pancreas transplants
17 between identical twins. The first patients received no
18 immunosuppression treatment and selective destroyed all beta
19 cells within four weeks.

20 The first patient had developed diabetes forty
21 years ago. So this is very strong evidence. Then, with
22 immunosuppressive treatment, it was possible to prevent
23 recurrent autoimmune disease in this setting. There is also
24 other evidence, bone-marrow transplantation. So you can
25 definitely transfer the disease.

1 One bone-marrow donor was, I guess, diagnosed with
2 diabetes maybe ten years ago and then donated bone marrow,
3 and the recipient developed type-1 diabetes soon after
4 bone-marrow transplantation. So that is another piece of
5 evidence to indicate the disease persists.

6 DR. SALOMON: I mean, the identical-twin data
7 doesn't have anything to do with the argument I was making.
8 But the bone-marrow data suggests that, in one patient, you
9 transferred disease.

10 DR. BLACK: I just wanted to make one comment to
11 Dr. Ricordi's earlier about the utilization of preclinical
12 data from FDA's perspective. We treat the data on the
13 mechanism of action and clinical rationale lightly
14 understanding that the mechanisms that are present in the
15 animals' etiology of their disease--for instance, the NOD
16 mice, are not necessarily equivalent to the human disease.

17 We, therefore, feel it is necessary and are also
18 required by the CFR to really only utilize the animal data
19 for phase-I trial design for the point of view of feasibly
20 ruling out what safety problems we can rule out.

21 Having addressed that issue, that is why I ruled
22 out immunosuppressive toxicity or islet toxicity or
23 infusion-related problems in my talk. But I also wanted to
24 point out, Dr. Salomon, Dr. Kenyon is hoping for a half hour
25 for her presentation.

1 DR. SALOMON: We had cut that back. I think we
2 will try and stay on time.

3 DR. BLACK: Okay. Thank you.

4 DR. AUCHINCLOSS: That was an extraordinary
5 statement. In your view, what you are looking for, is
6 essentially safety data? So you never want to see a mouse
7 study in an IND.

8 MR. SIEGEL: I think that, in many areas that we
9 regulate of biological therapies, there are immunological
10 issues and species-specificity issues, receptor differences
11 and physiological differences that limit the function of
12 animal models as models for efficacy.

13 We find that extensive safety data, while
14 sometimes is irrelevant, is sometimes relevant and,
15 therefore, almost always of some value if there is a model
16 in which you can--in some cases, it is impossible to get any
17 useful information from a model. I don't think this is the case.

18 That said, we do look importantly to rationale
19 data. I am thinking back to not long ago when we had a very
20 relevant discussion largely between you and Dan as to how
21 much information one would need for a success of
22 xenotransplantation of hearts or kidneys into non-human
23 primates, say from pigs into non-human primates, before
24 going into humans.

25 There, of course, the issues of both the

1 availability of alternative therapies and the availabilities
2 of rescue therapies should the transplant fail are very
3 different from here. But the same issues of how relevant is
4 the model and how much rationale data do you need came up,
5 and, as you will recall from that context, the context of
6 committee and the context of the agency, as I indicated
7 yesterday in talking about these issues, is that where have
8 an anticipated known or unknown risks to a patient such as
9 the risk of immunotherapy or the risks of removing their
10 heart, we will want to see some amount of rationale as
11 appropriate.

12 As appropriate is put in there to take into
13 account the fact that if there are animal models of very
14 limited relevance, that may be very limited or it may come
15 from other sources, as Dr. Ricordi referred to. There may
16 be therapies for other related diseases in humans and
17 whatever that may provide the appropriate rationale
18 information.

19 But I would say, for many of our therapies,
20 including this one, if the animal models are not
21 particularly informative, we don't ask too much proof from
22 them. As you will come through our questions, we are going
23 to be asking you specifically how informative are they
24 regarding immunosuppression regimens, regarding dosing,
25 regarding other questions that we do need to face that will

1 help us determine what we should expect sponsors to do with
2 them beyond safety assessments.

3 DR. AUCHINCLOSS: You have suggested that there is
4 a very big difference between this and xenotransplantation.
5 In this case, the vast majority of the immunosuppression
6 protocols will be ones that, in some variation or form, been
7 tried in probably thousands of other patients. Presumably,
8 your safety and efficacy data can be obtained from other
9 organ or tissue types of transplants in humans.

10 You also have 405 patients who have received islet
11 transplants and you know, I believe, a certain amount about
12 the safety of islet transplantation simply as a technical
13 procedure. It is becoming very unclear to me where any
14 issue data would become terribly useful to the FDA in those
15 circumstances.

16 MR. SIEGEL: There are a lot of areas in which the
17 safety data for things being tried including the safety of
18 some of the not just immunosuppression but the actual
19 products themselves, more data are needed.

20 I also do want to qualify that remark about
21 rationale which is to say we recognize the critical
22 importance of these animal models to drug development, to
23 develop the hypotheses to figure out how to optimize a
24 therapy, which ones to take forward into humans.

25 We are not suggesting that they should not be done

1 or that they are not useful, simply that, in terms of the
2 amount of that work that will require, or the amount of
3 success in an animal model that will require before human
4 experimentation, that may be limited and will depend largely
5 on the relevance of the model and the risk to the patient
6 and the nature of the patient population because where there
7 are more risks, there needs to be more rationale.

8 But where there are not relevant models, we don't
9 expect the impossible or irrelevant data.

10 DR. BLUESTONE: It seems to me that one
11 intersection, though, is a drug that has been tried in
12 another setting in islets as a target potentially for
13 toxicities associated with the drug so that one might think
14 that it might be appropriate to have some kind of safety
15 data, something like human islets transplanted into the SCID
16 mouse being treated with these drugs just to make sure that
17 that drug doesn't have an adverse effect on the islet
18 function, itself, like if you gave steroids to a non-SCID
19 who got human islets, would the steroids be wiping out those
20 islets.

21 So that may be a model. It is not an efficacy
22 model. It is not even an immunological model. But it is a
23 safety model for how your drugs might interact with the
24 islet transplant.

25 DR. AUCHINCLOSS: I agree with that. I think that

1 makes perfectly good sense. You can certainly imagine some
2 very specific questions of safety that the FDA would be
3 interested in what the data is. But they became much
4 smaller questions as I heard their real focus.

5 DR. CHAMPLIN: I am not sure how reliable animal
6 models are for organ-specific toxicity determination.
7 Often, one sees a totally different spectrum of toxicity in
8 various animal models than in humans although, obviously,
9 any information provides something to look for.

10 But if something was toxic to the islets in the
11 mouse, I am not sure that necessarily would--

12 DR. BLUESTONE: No, no; the experiment that I am
13 suggesting is that if we were to say, based on yesterday's
14 experiments, have a mouse model in which you put human
15 islets, portal-vein-inject human islets into a SCID mouse so
16 it doesn't reject them and ask whether your drugs affect the
17 human islets that you put into the mouse--the mouse becomes
18 the vessel, not the islet target.

19 DR. CHAMPLIN: The only difference, I guess, there
20 would be that you now have a mouse liver that is
21 metabolizing the drug and so it certainly would be an
22 interesting system, again, for screening. But one could
23 envision false results there, too.

24 DR. MILLER: I just wanted to follow up on Dr.
25 Ricordi's comment that the advances in the Edmonton protocol

1 would not have been predicted by any animal model. Can you
2 explain to us what animal models were tested to
3 see--because, for those of us who are trying to sort of help
4 to be able to answer the afternoon questions about what
5 animal models do you require, I think if we have had an
6 advance in the field, it would be nice to know why the
7 models did not predict it and whether you think that there
8 are any models that would have helped.

9 DR. SHAPIRO: We carried out extensive experiments
10 over the previous five to six years in the dog, in
11 autografts and allografts, to try to test what were the
12 optimal immunosuppressive regimes would could apply. We
13 found that the sirolimus was a very effective agent. We
14 knew that tacrolimus at standard dose was fairly toxic.

15 When we tried our regimen that we now use
16 clinically in that model in the autografts in the dog, it
17 was very, very toxic. We couldn't obtain the data but we
18 predicted it would be useful clinically. We made a big step
19 and tried it clinically, and it worked.

20 DR. MILLER: How about any of the autoimmune
21 models, the mouse models? Did you look in any other--

22 DR. SHAPIRO: We didn't. Based on the comments
23 that standard dose or potent immunosuppression therapy is
24 also effective at controlling autoimmunity, we predicted
25 that that drug strategy would also control the autoimmune

1 recurrence.

2 DR. SAUSVILLE: Although a point that I would make
3 and, again, we just saw the broad strokes of the regimen. I
4 guess we are talking about a combination of individual
5 immunosuppressives that, in the setting of the human, now
6 has, apparently, been a major leap forward.

7 It is notorious that combinations of agents, even
8 some of the chemotherapy agents we use, are not well
9 predicted, actually, in any animal model. To that extent, I
10 actually would agree with Dr. Ricordi.

11 On the other hand, the activity of each of the
12 individual components, at least getting to first base, that
13 this is a reasonable path to begin walking down, would
14 actually revealed in animal models at one level or another
15 at some point in the past.

16 So I think we have to recognize when the most
17 appropriate time is to utilize the animal information as a
18 determinant of going forward. There, I actually feel the
19 animals are highly valuable in setting these initial safety
20 issues for the first dose in humans.

21 But I would agree that, for subsequent uses, for
22 combining, there you actually have to build on the more
23 clinical experience.

24 DR. SALOMON: I think that is a good introduction
25 to Dr. Kenyon who is going to pick up the theme of animal

1 models and bring us forward into non-human primates.

2 **Non-Human Primate Preclinical Models**

3 DR. KENYON: A lot of the issues I have on the
4 slides you all have already brought up.

5 [Slide.]

6 Really, I wanted to point out, too, that if you
7 look in the literature for papers on non-human-primate
8 models of islet-cell transplantation, you will find that
9 there really aren't very many. There are several reasons
10 for this including the fact that, similar to clinical islet
11 isolation and transplantation, it has been difficult to
12 isolate enough islets, viable islets, to get insulin
13 dependence post-transplant.

14 The drugs that have worked routinely for
15 solid-organ transplantation have not translated well to
16 islet until recently. In addition, as we have already
17 brought up, it is very labor intensive, time consuming and
18 costly.

19 [Slide.]

20 I thought Jack did a really nice job of talking
21 about safety parameters and efficacy parameters so I am not
22 going to repeat that. I just need to give you my
23 perspective on the fact that, with regards to the relevance
24 of non-human-primate models to islet-cell transplantation, I
25 think we have to consider that when it comes to safety,

1 clearly, data generated in monkeys, at least in my opinion,
2 is going to be much more relevant to humans than data
3 generated in mice.

4 At the same time, we have to keep in mind that the
5 models we are using right now with induced diabetes are
6 generally healthy models, animals. They don't have the
7 underlying physiological changes associated with diabetes.

8 Then, with regards to efficacy, we have already
9 had intense discussion and I am sure we are going to have
10 some more, that there have been several techniques put
11 forward in mice that prevent rejection, can reverse
12 autoimmunity, and none of those has translated consistently
13 or reproducibly to larger animal models including monkeys
14 and humans.

15 Another point to keep in mind is really what we
16 are looking at is efficacy for preventing rejection. We are
17 not looking at the autoimmune aspects.

18 [Slide.]

19 Then I just pointed this out, that until very
20 recently, protocols that work effectively in mice--I think
21 the mice are very, very valuable. They teach us pathways
22 that we need to address, but in all my experience in dogs
23 and in non-human primates, none of those approaches
24 singlehandedly has worked in a larger animal.

25 It has been already pointed out that there are

1 differences in autoimmunity in rodents including, as Dr.
2 Ricordi pointed out, that it is a very explosive onset of
3 autoimmunity. I have been encouraging everyone that I have
4 talked to that works on the NOD mouse to let their mice get
5 diabetes and then put them on insulin and maintain them that
6 way for at least a few months or as long as they can, and
7 then try and do an islet transplantation rather than doing
8 it at the time of onset when you have a superactivated
9 immune system.

10 [Slide.]

11 Just briefly, because I do think the non-human
12 primates have a lot of relevance to humans, there are a
13 couple of things, too, that you might not consider that are
14 not necessarily scientific.

15 The monkeys are more finicky. A dog or a pig will
16 eat just about anything. But monkeys, more like humans, can
17 be finicky about what they eat. It is harder to get them to
18 eat. They are on two feet as opposed to on all fours. In
19 different protocols, not so much for islet
20 allotransplantation, per se, that can have an effect.

21 Nevertheless, we use primarily a model of
22 pancreatectomy-induced diabetes. It is possible to do a
23 total pancreatectomy in monkeys. It is removed surgically.
24 The down side of that is that it requires enzyme
25 supplementation to replace the lost exocrine function and,

1 because you don't have completely native exocrine function,
2 it is possible--you can't completely rule out--that the
3 animals have malabsorption and, therefore, don't have the
4 same insulin requirements that a person would with a native
5 pancreas.

6 Again, this issue that we are addressing,
7 rejection, I think is still a very critical point, though.
8 There is some exciting data recently that tolerance may be
9 possible but, until recently, it really has not been
10 possible to routinely prevent islet rejection.

11 We can't look at autoimmunity. Again, I want to
12 point out that we are looking at relatively healthy animals.

13 [Slide.]

14 With regard to chemical induction, and I know I am
15 expecting Hugh to ask me about this later, it is possible to
16 use streptozotocin. There are a couple of key issues that I
17 would like to bring out. First of all, it is not a
18 non-toxic thing. It is definitely toxic to other organ
19 systems in addition to the beta cells.

20 When we have done streptozotocin induction, it has
21 been essential to monitor the animals very closely for at
22 least twenty-four hours and up to thirty-six hours, until
23 they clearly stabilize with high blood sugar. But you have
24 to keep them well hydrated to prevent kidney damage.

25 There is a point where they need bicarb. When the

1 beta cells start to die, we have to give them glucose for a
2 period of eight hours. So it is not an easy protocol, at
3 least the way that we have done it. I know we will have
4 discussion on that necessarily to follow. We have seen
5 evidence of kidney and liver damage that takes some time to
6 resolve.

7 Also, with the chemical induction, it is possible
8 to have residual islet function. I think that becomes more
9 important later. I have another slide that I want to
10 discuss that. As Jack mentioned, regeneration is possible,
11 although we did have a monkey that we induced diabetes and
12 kept for over a year and I didn't see any evidence of this
13 at all.

14 Again, we are addressing issues of rejection as
15 compared to autoimmunity. The animals are relatively
16 healthy in that they don't have the underlying disease
17 changes of diabetes.

18 [Slide.]

19 With regards to spontaneous diabetes, and I know
20 we would all love to see a model of type-1 diabetes in
21 monkeys, I want to be very clear here that when I say type
22 1, I am referring to type-1 autoimmune insulin-dependent
23 diabetes. That would obviously be the most desirable
24 non-human-primate model for transplantation because we could
25 address rejection, autoimmunity and diabetes-related

1 physiological changes.

2 However, this is essentially nonexistent in
3 captivity. I spoke with an individual a couple of years ago
4 who has been working with diabetes monkeys for twenty years.
5 He said in his career he had seen one monkey that he was
6 sure had type-1 diabetes.

7 [Slide.]

8 So the majority of monkeys with spontaneous
9 diabetes that are reported in the literature are actually
10 insulin-requiring type-2 diabetic monkeys. So they are
11 frequently reported as type 1. They are actually
12 insulin-requiring type 2.

13 They frequently have significant residual
14 islet-cell function. We actually had four cynomolgus
15 monkeys at the DRI with varying levels of function. You
16 could get different degrees of metabolic control depending
17 on just how much beta-cell loss they had had.

18 So we are addressing issues of immunological
19 rejection but not autoimmunity. However, if you had a
20 colony of animals with type-2 diabetes, or had access to
21 them, this may be a setting where we can address the issue
22 of safety in the setting of disease-related changes.

23 [Slide.]

24 Other key differences in the design of preclinical
25 studies, and I have already alluded to this, is the duration

1 of diabetes. With our monkeys, we induced diabetes with
2 streptozotocin or we pancreatectomized them, and they get a
3 transplant in a relatively short period of time whereas, and
4 here I am referring to clinical protocols that we have
5 approved at the DRI, our patients that are eligible for
6 transplant must have had diabetes for at least five years

7 C-peptide and all the clinical trials that I have
8 seen proposed to date, and I obviously have not seen all of
9 them, one of the criteria is that the patients are negative
10 for C-peptide.

11 If you look in the literature, the monkeys
12 reported are negative in some studies but clearly are
13 present in others. So then, when you are looking at
14 efficacy, you have to try to determine the effect of the
15 islet transplant in a setting where the animal may not have
16 been completely diabetic.

17 I don't think that necessarily makes it
18 irrelevant, but it is an important issue to consider.

19 [Slide.]

20 One of the great advantages of the
21 non-human-primate model is the ability to monitor them very
22 similarly to what we do for humans. We check blood glucose
23 with a glucometer and blood glucose strips, just as we would
24 do for people. You can look at hemoglobin A1c and the
25 first-phase insulin release, in an intravenous

1 glucose-tolerance test, can be correlated to functional
2 islet mass.

3 With regards to insulin, a key difference to point
4 out is that humans, fully diabetic humans, need about a unit
5 of insulin per kilo per day whereas the monkeys require 3 to
6 6 units of insulin per kilo per day.

7 So, for designing protocols where we are going to
8 look at reduction of exogenous insulin requirement as a
9 measure of graft function, we have to keep that in mind.
10 But one identical finding that we have had, that Dr. Ricordi
11 and Dr. Alejandro and I have discussed a lot, is that when
12 you have a monkey with partial function, it clearly mimics
13 the clinical situation identically.

14 Depending on the degree of function you have with
15 minimal amounts of insulin, you can maintain relatively
16 normal metabolic control.

17 [Slide.]

18 So what are some of the key differences? Jack has
19 already alluded to this. Humans, obviously, when it comes
20 to the organs, the donors and the procurement, in the
21 setting of clinical transplantation, we have variable health
22 status preceding brain death, variable causes of death. The
23 patients have been on life-support for different periods of
24 time.

25 In the monkeys, they are generally healthy. We do

1 use, however, older non-human primates as islet donors.
2 They are frequently animals that have been culled from the
3 colony for various problems such as a wasting syndrome in a
4 leg or diarrhea.

5 But, in general, they are relatively healthy.
6 Obviously, they are sacrificed for the purpose of organ
7 donation and anesthetized at the time of donation. So we
8 take the pancreas out in the OR and walk over to the lab.
9 The only thing that really holds up the isolation starting
10 is me on the telephone.

11 With regards to surgical technique, the removal is
12 similar. With the variable OPOs, you have different
13 surgeons removing organ. I think someone said
14 yesterday--was it you, Jonathan--that that had been
15 correlated to the islet-isolation outcome.

16 Obviously, usually, within a center, you have the
17 same surgeon or surgeons removing the pancreas. We don't
18 perfuse the organ because it is not necessary. In the human
19 setting, you have to perfuse it with UW and you have longer
20 cold ischemia times. Ours is generally less than an hour.

21 So those are key things to keep in mind as we
22 design our trials.

23 [Slide.]

24 Then this issue of islet dose keeps coming up.
25 Here, also, we see a lot of similarities. In the human

1 pancreas, there are about a million islets. I have not been
2 able to find a report in the literature that details that in
3 a non-human primate. If anyone has seen that, I would like
4 to know about it.

5 It is really clear in the non-human-primate model,
6 just as it is for the clinic, that the number of functional
7 viable islets you transplant is essential and critical to
8 the outcome of your transplant.

9 If you look at the data that Dr. Hering presented
10 from the International Islet Transplant Registry yesterday,
11 one of the factors that was found to be critical for a
12 successful transplant was a minimum of 6,000 islet
13 equivalents per kilo. Most of the data that you see
14 reported in the literature, insulin independence has been
15 achieved with the use of multiple donors.

16 Edmonton is now seeing that a minimum of
17 10,000 islet equivalents per kilo appears to be essential
18 for insulin independence in humans. This is exactly what we
19 have seen in both baboons, cynomolgus and rhesus monkeys at
20 the DRI. I won't anymore do a transplant unless we have at
21 least 10,000 islet equivalents per kilo.

22 In the literature, people either use multiple
23 donors to achieve enough or, in our case, we will take a
24 larger donor and transplant the islets that we get into a
25 small recipient.

1 [Slide.]

2 I was asked to comment, also, on the location of
3 the islets in the liver and the durability. Similarly to
4 the human, islets lodge in the portal spaces with the larger
5 clusters in the portal triads. Insulin-positive islets have
6 been identified in human transplants at five years post
7 transplant. In the monkey, we now have data for
8 insulin-positive islets within the liver at two years
9 post-transplant.

10 [Slide.]

11 Also, the issue of matching has come up. It is
12 clear that we really don't know the answer to this question;
13 should we or should we not match? Obviously, matching
14 favors engraftment and prevention of rejection. However,
15 matching may also favor recurrent autoimmunity in the
16 setting of type-1 diabetes.

17 There are typing methods available for non-human
18 primates. The rhesus is the most well developed. David
19 Watkins in Wisconsin, Judy Thomas in Alabama, have done a
20 lot of typing. Dr. Gaur in Washington State is working on
21 the cynomolgus monkeys; others as well.

22 So I think that, as time goes on, we will see more
23 and more reagents become available for that. So it is
24 possible to type these animals and look back and see how
25 class I and class II mismatches played a role.

1 But, again, our clinical trials do not require MHC
2 matching and we have actually made it more difficult for
3 ourselves by purposely choosing the most mismatched animals
4 we can find to look at our tolerance-induction protocols.

5 [Slide.]

6 The issue of immunosuppression has come up, as
7 Jack mentioned, similar to the dog, the levels of FK506 and
8 cyclosporine that are needed to prevent rejection of a solid
9 organ in non-human primates is clearly higher than that what
10 is needed in humans.

11 Now, with rapamycin, I am really not sure. I
12 think Dr. Bluestone and Dr. Hering have a little bit of
13 experience with this drug in the setting of islet
14 transplantation but that is going to be something that we
15 will all be looking at and shows a lot of promise, based on
16 the Edmonton data.

17 But I think one clear advantage is that many--not
18 all, and Dr. Ricordi specifically mentioned CD3 and the
19 CAMPAC CD52 antibody, but many, many of the humanized
20 monoclonal antibodies that are available for clinical
21 development do cross-react with non-human primates. So if
22 you can do your preclinical studies with these agents, you
23 can get, I think, some nice extrapolation to the clinical
24 setting.

25 Also, the anti-thymus-site globulins that are used

1 clinically are clearly cross-reactive in the non-human
2 primate.

3 [Slide.]

4 With regard to functional assessment of the islet
5 grafts, I have already touched on the fact that it is
6 possible to do the same types of studies in non-human
7 primates as in the clinic; blood-glucose monitoring,
8 hemoglobin A1c, fasting-plasma glucose insulin and
9 C-peptide, and we can also use identical methods for
10 functional capacity, intravenous glucose tolerance testing,
11 arginine and glucagon stimulation

12 It is also possible to do clamp studies in
13 non-human primates although there are very few people, I
14 think, that can do that and I am certainly not one of them.

15 [Slide.]

16 So, really, I think, the issue that we were
17 discussing in detail before I got up is when is it necessary
18 to generate preclinical data in support of clinical
19 protocols. From my experience, and what we have had at the
20 institute, I would strongly argue that the most relevant
21 time for the non-human-primate studies is when we have novel
22 immunotherapies, antibodies that cross-react, approaches
23 that we can take to show some efficacy for prevention of
24 islet rejection, I think when it comes to using altered
25 doses or combinations of conventional and newer

1 immunosuppressive drugs, that it is really going to be a
2 case-by-case study because, in some situation, as has
3 already been brought up, the drugs may have been used
4 extensively in other settings and so there is some safety
5 and efficacy data.

6 [Slide.]

7 So, obviously, and I feel like I am just
8 summarizing what you have already discussed, the critical
9 needs, if it was possible, would be to develop a model of
10 autoimmune diabetes in non-human primates.

11 I, personally, also think that we will cure humans
12 before we come up with that. The only work that I am aware
13 of was published in abstract form from Gaur, Nepam and
14 Lernmark in Seattle. They are working on a low-dose
15 streptozotocin model of diabetes in non-human primates.

16 I think another critical issue is the whole
17 efficacy/safety thing. We have prevention of rejection, but
18 then there is the issue of when you use this drug in an
19 animal with underlying disease, does that make a difference.

20 It would be possible to look at that, although
21 somewhat impractical, because you could induce diabetes and
22 then maintain a animals for up to a year which is what it
23 takes to see some of the underlying kidney and vascular
24 changes, or we could work on transplanting animals with type
25 2; for example, treat them with streptozotocin to make them

1 fully diabetic and then transplant them.

2 Then something that hasn't really been discussed
3 much and isn't the focus of this session, but, obviously,
4 these models could be very critical for developing markers
5 for testing assays that could predict rejection and help us
6 more favorably protect our islet grafts.

7 [Slide.]

8 Basically, what I would like to show you now--we
9 have been talking about all these models and the theories
10 and the concepts. So I just wanted to give you an example
11 of how we have used the model at the DRI. So we have not
12 used tissue typing to date. We are starting to do that in
13 collaboration with other investigators, but we have used a
14 mixed leukocyte culture to identify strongly alloreactive
15 donor recipient pairs.

16 That consists of taking leukocytes from the
17 recipient and stimulating them in vitro with irradiated
18 donor cells. Then you can look at the proliferative
19 response of the recipient blood cells to the irradiated
20 donor. It gives you an assessment of the degree of
21 alloreactivity.

22 I am frequently asked, how can you know that this
23 really correlates. In the work that I have done previously,
24 in the dog model, the MLC data clearly correlate with your
25 ability to prevent rejection. If you use low-dose

1 cyclosporine in a dog, the animals will reject in seven to
2 ten days if they are alloreactive.

3 If you take MLC non-reaction pairs of dogs, they
4 will keep the graft for 30 days with low-dose cyclosporine
5 as compared to seven in a highly alloreactive pair. So it
6 is efficient for predicting rejection or alloreactivity. In
7 any case, we remove the pancreas from the donor and isolate
8 the islets on day -1.

9 In our studies so far, the islets have been
10 cultured overnight. And then, in this particular study, we
11 were using anti CD145 from Biogen to test its ability to
12 prevent rejection. So, on day 0, the recipient's pancreas
13 was removed and it was given an intrahepatic islet-cell
14 transplant.

15 Here is a key difference. In humans, we are using
16 X-ray and a catheter to do the percutaneous trans-hepatic
17 catheterization. In our monkey model, animals are reopened
18 so we put a catheter in the portal vein leading to the liver
19 and drain the islets in.

20 Jack mentioned the different issues that have to
21 be addressed in islet transplant. We included anti-CD154 in
22 the islet transplant because, in our thinking, it might
23 prevent some of the early nonspecific events that can lead
24 to early islet loss. That clearly has been seen in the
25 monkey model.

1 Maybe this is a good place to bring up something
2 that Dr. Black mentioned the other day. There is a learning
3 curve. We were talking yesterday about clinical transplant
4 and how many isolations do you have to do before you can do
5 a clinical transplant.

6 We clearly have that same learning curve in the
7 preclinical studies. Our initial studies were in a baboon
8 and when we switched over the rhesus monkeys, we assumed
9 that it would be essentially the same. But the islets were
10 much more fragile and our first several transplants actually
11 yielded primary nonfunction because we didn't have adequate
12 viable islets.

13 Once we resolved that, we can consistently now,
14 and routinely get, insulin independence in our monkeys so
15 that the quality of the islets is obviously very important.
16 There is a learning curve.

17 So, day 0, the recipient is pancreatectomized and
18 given an islet transplant. Then we monitor the monkeys by
19 blood glucose. We look at fasting two to three hour
20 post-prandial and evening glucose.

21 [Slide.]

22 We are trying to work very closely together in
23 whatever way we can to design our preclinical studies so
24 that they mirror exactly our clinical studies. So one slide
25 that I don't have, we do the glucose-stimulated insulin

1 release and the viability on the islets.

2 We have done over twenty monkeys this way, now.
3 In general, if the stimulation index is over 1--usually, it
4 is higher than that, but I have had one animal that had a
5 stimulation index of 1.2 and the islets functioned very
6 effectively.

7 The only case I have seen where the assay actually
8 may have really predicted that the islets would not work was
9 in a case where we used two donors. Even though we had
10 gotten enough islets for transplant, it was not insulin
11 independent. When we got the results back of the static
12 incubation, one of the preps was less than 1.0 in the
13 stimulation index.

14 So I am not sure that the degree of the
15 stimulation index can help us, but it may be possible,
16 retrospectively, to say that it has some relevance. But we
17 do periodic physical exams. The monkeys' weights are taken.
18 Every other week, we have a fasting plasma glucose C-peptide
19 and insulin. This is in addition to the daily monitoring;
20 periodic intravenous glucose-tolerance testing; complete and
21 differential blood-cell count and, because we are using
22 immunomodulators and antibodies, immunophenotypic analysis
23 of the white blood-cell subsets.

24 In our hands, we have done pre- and
25 post-transplant mixed leukocyte culture to see if the animal

1 becomes specifically nonreactive to the donor as compared to
2 an unrelated third party.

3 We look for the development of antibodies to the
4 donor. We do an extensive array. We do P18s for the serum
5 chemistries and, also, in this case, using 5c8 from Biogen,
6 we were looking at 5c8 and anti-5c8.

7 [Slide.]

8 So this isn't as relevant to the model but just to
9 explain what we did. We had induction therapy,
10 20 milligrams per kilogram with Hu5c8 on post-operative days
11 -1, 0, 3, 10 and 18. Then we initiated a maintenance
12 therapy starting on post-operative day 28 and the animals
13 were given a monthly injection of the antibody.

14 [Slide.]

15 So just to show you a little bit of what I have
16 been talking about. These are the results that we get when
17 we take a rhesus monkey, do a pancreatectomy and give an
18 islet transplant in the absence of any immunointervention.
19 Fasting blood glucose is the green line. Post-prandial is a
20 purple-pink line. This is milligrams per deciliter on this
21 axis, and this is the post-operative day.

22 This particular animal, and we have seen this now
23 on several occasions, the post-prandial blood glucose became
24 elevated on post-operative day 6 which, in our experience,
25 has been indicative of rejection.

1 We initiated an insulin therapy on this day. The
2 fasting glucose started to rise later on, like around day 8
3 to 10 and what you can see is, even though we initiated
4 insulin therapy with three injections a day, when the
5 animals are fully diabetic, it is exactly like a human. It
6 is very difficult to maintain a normal metabolic control.

7 This animal was C-peptide negative by
8 post-operative day 10, so the islet were very rapidly
9 rejected.

10 [Slide.]

11 In striking contrast, using the anti-CD154, these
12 are the first three long-term monkeys we had. We had
13 long-term graft survival. Antibody was discontinued at
14 about one year post-transplant in these monkeys. All three
15 of them did eventually experience rejection, but you can see
16 there is excellent metabolic control.

17 Yesterday, Dr. Ricordi showed the slide with a
18 child with type-1 diabetes showing the glucoses all over the
19 place.

20 [Slide.]

21 This is just to show the post-prandial glucose.
22 Since anti-CD154 does not suppress islet function, we have
23 actually been able to determine that rejection may be
24 occurring by looking at post-prandial glucose. It elevates
25 before the fasting.

1 [Slide.]

2 So how can we use these metabolic assessments, and
3 I promise there are only a few more, to study the monkeys
4 like human? The animals were given intravenous glucose and
5 then the glucose, insulin and C-peptide response was
6 followed after the injection of the glucose which here is at
7 time 0. This axis, as Dr. Hering pointed out to me last
8 night, is incorrect.

9 But here is time 0 where the glucose is injected.
10 You can see the green line is pre-transplant and then these
11 are the postoperative days. At one year, is the blue line
12 here. What we have seen in our hands is that even in
13 animals with partial function, the glucose response is not
14 an adequate indicator that you have lost functional islet
15 mass.

16 It can be superimposable even in an animal with
17 partial function but once it has fully rejected the graft,
18 we always see a clear difference.

19 [Slide.]

20 What we have found to be much more informative, it
21 has been shown by others that first-phase insulin release in
22 an intravenous glucose-tolerance test is correlated to
23 functional islet mass. We have seen that in our studies.

24 This is the same monkey that I showed in the
25 previous slide. This is insulin on this axis and time after

1 injection of glucose at 0 here. The green line is the
2 first-phase insulin release before removal of the pancreas
3 and before the islet transplant.

4 Then, in the early post-transplant period, the
5 light purple lines, you can see that the first-phase insulin
6 release was blunted as compared to prior to pancreatectomy.
7 Now, the islets do have to revascularize and reinnervate and
8 this could be a reflection of that.

9 However, the animal was insulin independent and
10 normal metabolic control. Then, strikingly, at 155, 227,
11 296 days, which are represented in yellow here, we actually
12 saw an improvement. One year post transplant is represented
13 here by the blue line. We have seen that in all of the
14 monkeys, that if we can prevent rejection, they actually
15 come up to prepancreatectomy levels at one year
16 post-transplant.

17 Then the antibody was discontinued at one year.
18 It experienced rejection at 498 days. 539 days, we did an
19 intravenous glucose-tolerance test showing that it had fully
20 rejected the islet graft. And then we did do a
21 retransplant. You can see that we were able to fully
22 restore insulin.

23 [Slide.]

24 This is just to be complete, the C-peptide data
25 for the same monkey showing the pretransplant in the green,

at

131

1 the early time post-transplant and the midpoints here in the
2 light purple and yellow and then, at rejection, you can see
3 there is clearly no function left. And then the red line is
4 after the retransplant.

5 So I think that these models can very effectively
6 give us information on pathways that may lead to prevention
7 of rejection and it can tell us a lot about the survival of
8 islets long-term in the liver if we are able to prevent
9 rejection because that is still a question we frequently get
10 asked.

11 Thank you.

12 DR. SALOMON: Thank you, Dr. Kenyon, for a
13 excellent presentation.

14 I need a sense of the committee. It always sort
15 of comes to the chair to try and stay on time but everybody
16 also wants to make sure that it all gets done. We could
17 have some discussion now of this which, certainly, there is
18 no question this is important area and hold Dr. Eggerman's
19 presentation to right after lunch, which would be my
20 preference. Hugh; you are shaking your head.

21 Why don't we have some discussion now, invite some
22 discussion, on all these issues including non-human primate
23 and then, if we finish before 12:00, we will just break
24 before 12:00.

25 DR. SHERWIN: Just a quick question. Do non-human

1 primates express class II in beta cells? In mice, class II
2 is not expressed in islet cells whereas, in humans, it is
3 thought that it is and, perhaps, that is one of the
4 differences between the two that may have some impact.

5 Do you know anything about that?

6 DR. KENYON: We haven't actually done studies with
7 the non-human primate islets. It is a very good point. I
8 have done a lot of studies, some years back, with human
9 islets. I would guess that they are similar. And we didn't
10 see class II in the human islets unless you treated them
11 with cytokines. Then there was clearly dramatic
12 upregulation primarily on the endothelial cells and that is
13 something we should look at.

14 Hugh, have you looked at that at all? It is a
15 good point.

16 DR. BLUESTONE: I think the answer is yes, that,
17 at least cynomolgus upregulate class II and endothelial
18 cells in response to gamma interferon, at least. I don't
19 know whether it is the same as human, but it is not exactly
20 like the mouse.

21 DR. SHERWIN: The endothelial cells within the
22 islet, they are coming from the patient or the recipient.
23 Do you know anything about perfusion? I am just curious now
24 about perfusion of islets. Normally, I assume that they are
25 coming from the recipient. The islet gets revascularized?

1 DR. KENYON: Correct.

2 DR. SHERWIN: The perfusion of a normal islet goes
3 straight through the center and then percolates outward so
4 that there is sort of a unique kind of perfusion system for
5 the islet. I wonder if you see the same thing in a grafted
6 islet, would the perfusion go out-in? Has anybody looked at
7 that?

8 DR. KENYON: That is a good question. I have seen
9 papers on the microcirculation--one paper on the
10 microcirculation in rhesus islets, but it was in the native
11 pancreas. We haven't looked at that.

12 DR. BLUESTONE: The only potentially relevant
13 point is we tried to do some studies a couple of years ago
14 where we were using adenovirus with beta gal to try to get
15 stuff into islet. We injected it into--actually, this was
16 when they undid the kidney capsule and actually found most
17 of the blue cells were around the outside.

18 DR. SHERWIN: That is what I bet. So there may be
19 some things about islet physiology that change during
20 transplantation that could influence results. I mean, I
21 have no clue as to how.

22 DR. SALOMON: It is interesting to go back to
23 where we were yesterday where we had a fairly low opinion of
24 the necessity to look at glucagon- and
25 somatostatin-producing cells yet today we are sort of taking

1 the opposite tack that this may be important in terms of
2 regulation of the--I am just pointing out the--

3 DR. RICARDI: Well, it may be important in terms
4 of research but I think one of the important findings with
5 these metabolic studies is that traditionally it was thought
6 that an islet transplant could not reproduce a pattern of
7 first-phase and second-phase insulin release and has this
8 typical blunted first phase with delayed response in insulin
9 production.

10 What the study shows it that clearly in the
11 large-animal preclinical model that actually this is an
12 issue related mainly to islet mass transplant that survives
13 because if you have sufficient islets, you have a pattern
14 that mimics exactly what you would expect in a more
15 physiologic condition and actually demonstrates, also, the
16 ability of islets to improve in function over time in the
17 absence of any rejection or autoimmune recurrence problem.

18 DR. BLUESTONE: Norma, I have a question. One of
19 the things that the large animal models offer that the
20 smaller ones don't is to look at where the islets go and
21 migrate. You talked about the liver. At least it has been
22 our experience that we find islets all over the place. We
23 find islets in the lung and stuff, and we probably don't
24 inject them as well as you do.

25 But I am just wondering, because the notion that

1 the liver would be a good site for this because of immune
2 privilege and things like that--have you looked? Is it
3 possible that some of the long-term variability and success
4 depends on where the islets go as much as how good the
5 islets are?

6 DR. KENYON: When you say "long-term variability,"
7 what are you referring to?

8 DR. BLUESTONE: I am talking about long-term
9 insulin independence of human beings after giving the
10 islets.

11 DR. KENYON: That is a good point, Jeff. We have
12 not had a lot of tissues that we have started studying
13 extensively, but we have not looked for them in other
14 places. And I will. It is always a possibility.

15 Camillo, have you done any studies in the dog
16 looking at other tissues?

17 DR. RICARDI: There have been studies like
18 injection islet in the lungs as a site of transplantation.
19 But I wouldn't--

20 DR. BLUESTONE: It was really very striking. We
21 tried to do some biopsies in the liver, as you do. As you
22 know, it is depending on where you biopsy, you see them or
23 you don't. We did two biopsies, couldn't find the islets.
24 We said, "What is going on here," because this monkey does
25 not need insulin.

1 We were worried. We did the pancreatectomy.
2 Still didn't need insulin. So we just took other organs,
3 including the lung, and we found a lot of islets in the
4 lung.

5 DR. RICARDI: And they were infusing the portal
6 vein.

7 DR. BLUESTONE: You bet. Although, I can't vouch
8 for that. I am not the surgeon.

9 DR. AUCHINCLOSS: Let's start by putting this
10 strep issue to rest. We work primarily with the cynos and I
11 know that is not your primary species, but I know you have
12 also. We find strep treatment to be very consistent, very
13 effective, no regeneration of islets in at least two dozen
14 examples that I can think of.

15 The cynos tolerate the strep treatment if you
16 hydrate them first. There is some renal toxicity. We get
17 the C-peptides well below one. You clearly see big changes
18 after a successful islet transplant. Blood sugars are
19 clearly abnormal and require insulin and then can be
20 normalized without insulin.

21 I think that the strep model is an equally
22 acceptable model for islet transplantation in non-human
23 primates; do you agree with that?

24 DR. SALOMON: Can I also add our experience with
25 rhesus. I would also acknowledge some help initially from

1 Phil Padrid at the University of Chicago who is a good
2 consultant. We have done it now in about sixteen rhesus
3 macaque monkeys and had essentially the same results.

4 DR. KENYON: My question, then, would be what are
5 the normal fasting C-peptide levels in your monkeys and how
6 do they compare the monkeys after the streptozotocin
7 because, in my experience now in baboons, rhesus and cyno,
8 not with strep, we have used strep in rhesus and baboon, the
9 fasting C-peptide can be anywhere from 0.8 to 4.0 depending
10 on the monkey.

11 Even in the published literature, they will show
12 the normal range of the C-peptide and then the normal range
13 of the C-peptide in the monkeys that are getting a
14 transplant, and some of them are in the normal range. So do
15 you any IVGTT to prove that there is no C-peptide release in
16 response to a stimulus.

17 If I could see that, then I would be totally
18 satisfied and then it is just a matter of us, obviously,
19 working out the logistics because it has been very
20 labor-intensive with the approach that we have been using.

21 But my main concern is does it really eliminate
22 C-peptide?

23 DR. BLUESTONE: We routinely do IVGTT before we do
24 the transplants. Our monkeys are usually two weeks out
25 post-strep treatment. I would say, in 80 percent of the

1 monkeys, we see zero--within the limits of the ELISA
2 detection C-peptide. There are a subset, about 20 percent,
3 that we do see some, anywhere from 0.2 to 0.4, 0.6.

4 But in the majority of animals, we can wipe out
5 totally--

6 DR. KENYON: I think if you show that, then it is
7 actually a preferable model because you have the intact
8 exocrine function because they don't like the Viokase very
9 much.

10 DR. AUCHINCLOSS: The second point I wanted to
11 bring out with respect to your presentation was the
12 HLA-matching issue where I think we ought to be clear that
13 we do not expect to accomplish HLA matching for islet
14 transplantation in the future. That would essentially turn
15 it into the problem of trying to find a bone-marrow
16 transplant for a 6-antigen-matched bone-marrow transplant
17 from a nonrelated individual, in which case, we might have
18 well forget islet transplantation as therapy.

19 So, to me, the only issue for HLA matching is to
20 make sure that your monkey model is not matched which is, of
21 course, what you were doing with your MLC cultures ahead of
22 time, and the rest of the HLA matching, I would forget about
23 entirely as far as islet transplantation is concerned.

24 DR. KENYON: I actually agree with you and I
25 should have explained it more clearly. I didn't mean to

1 match in the setting, if you would, in a bone-marrow
2 transplant. I come from the solid-organ perspective where
3 one DR, or something like that--but even that, we are not
4 trying to achieve. So that was my point, not to match
5 completely.

6 DR. SHERWIN: I have two questions. I am just
7 curious about matching, just for my own education. You are
8 talking about class I and class II? Does it matter?

9 DR. AUCHINCLOSS: You won't even know. You won't
10 even look.

11 DR. SALOMON: The only problem with an MLC is that
12 it is more class II.

13 DR. AUCHINCLOSS: It is class II, but you can
14 mismatch your monkeys for class I and II.

15 DR. HERING: Let me ask you, 25 percent of the
16 donor population is haplo-identical with type-1 diabetic
17 patients so it would not be completely inconceivable to find
18 a haplo-identical donor for a type-1 diabetic recipients.
19 Would you think that could have an impact?

20 DR. RICARDI: Would that increase the possibility
21 of autoimmune recurrence?

22 DR. AUCHINCLOSS: I suspect the answer to
23 Camillo's question is yes. I think the answer to your
24 question is probably yes, it would have an impact. My
25 suspicion would be that the impact would be so small as to

1 be absolutely insignificant compared to all else that we
2 need to do.

3 DR. BLUESTONE: I don't think the first part is
4 true. So if I had to predict what happens is that
5 endothelial cells, which come from both--probably something
6 from the donor, but also the recipient which are localized
7 there--will reprocess peptides and present them in the
8 context, whether there is a matching or a no matching, and
9 trigger release of cytokines locally which cause damage.

10 I don't know any reason to think that direct
11 recognition by class II cells is going to be the major
12 pathway to destruction here.

13 DR. SHERWIN: I would totally agree. The question
14 I was really getting at was class I, which is a different
15 story. I just think it is important to think about. I
16 think class II matching is probably not as important as
17 class I--and to look at those issues; I think that is
18 important.

19 My other question really related to the liver,
20 itself. Have you looked at what the liver looks like
21 metabolically? When you put an islet into the portal vein,
22 the levels of insulin around that islet are going to be
23 astronomical, as they are within the islet, many, many logs
24 higher.

25 So, presumably, around the islet, there is a lot

1 of glycogen. That question is how have you changed the
2 liver in any way in the area of the islet? Have you looked
3 at that?

4 DR. KENYON: We have done some initial
5 assessments. When we sacrifice monkeys with partial
6 function, the intact islets actually don't appear to have
7 any deposition around them. But we haven't done the
8 staining yet. We have really just started analyzing all the
9 tissues. You see some lymphocytes if the animal is
10 undergoing rejection.

11 DR. SHERWIN: I guess the only issue to think
12 about down the road, and may probably not be an issue, is
13 those kinds of extremely high concentrations could be growth
14 factors. So, it could theoretically lead to tumors or
15 things like that. It is surely something to consider, even
16 though I am not saying that there is any evidence to support
17 that view.

18 DR. KENYON: Sure. But, also, with regards to the
19 liver function, we do look at liver-function tests every
20 other week. In the immediate post-transplant period, you
21 will see an elevation in some of the enzymes, but then they
22 resolve within a week.

23 DR. AUCHINCLOSS: I have another more general
24 question for the committee and for the FDA. A lot of people
25 are talking about the animal studies but particularly, with

1 the non-human primates, we are talking about the efficacy of
2 various drug combinations or antibodies, et cetera.

3 My question is, to what extent do you feel that
4 these tests have to be organ- or tissue-specific. I would
5 have suggested that 98 percent of what you learn from a
6 kidney or a heart transplant in a monkey with CD154 or
7 reagent of choice is transferrable to islets, as well--not
8 100 percent, but 98 percent. Do you agree?

9 DR. KENYON: No. It hasn't been our experience.
10 Traditionally, things that have worked for solid-organ
11 allografting in primates, including conventional
12 immunosuppressive drugs, have not worked for islet.
13 Especially, in our hands, FK hasn't. We haven't tried rapa
14 yet. But, no; I don't think it is 98 percent.

15 Some of the newer things, the CD3 immunotoxin
16 being a prime example, is an exception.

17 DR. CHAMPLIN: If you think about vascularized
18 grafts and cellular grafts with kidneys on one end and a
19 bone-marrow transplant on the other, certainly what works in
20 kidneys doesn't work for bone marrow and there is very
21 different immunosuppressive drug requirements for that type
22 of transplant.

23 So we were chatting whether an islet is a tissue
24 or a cell transplant. It is a small tissue, I guess--lots
25 of them. So it may very well have some unique

1 characteristics and I wouldn't, necessarily, assume that
2 things would cross over from solid organs.

3 DR. CARA: I am sorry for this very sort of basic
4 question, but I need some education in terms of some of the
5 phenomena that you are talking about. How is the
6 immunopathology, if you will, of rejection different from
7 the immunopathology of type-1 diabetes and is it important
8 to know the difference if you are going to be using
9 therapies designed to suppress rejection, perhaps even
10 autoimmunity, during islet-cell transplantation?

11 DR. BLUESTONE: Good question. There has been
12 very little--if you want to get back to animal models like
13 the NOD mouse, we could talk a little bit. But, certainly,
14 in human beings, we have very little information about
15 what--there are questions, still. People argue that
16 antibodies are not important. I think there is no data in
17 human being as to whether the antibodies are important or
18 not.

19 The issue about relative role of class-I versus
20 class-II-specific cells, and stuff, I think it is an open
21 question. There is enough controversy in the mouse model.
22 The human disease, I think, is really a totally open
23 question.

24 DR. SALOMON: I think that is what was part of the
25 conflict earlier about even how relevant those two

1 mechanisms are.

2 DR. RICARDI: In part, you may consider that in an
3 allo-reaction, you would expect destruction of the entire
4 islets with an autoimmune kind of immune attack. You would
5 have a selective beta-cell damage. But this is actually
6 more complex than that because if you have a failing islet
7 autograft, you can find selective persistent alpha cells
8 alone and selective loss of beta cells.

9 So, because of the sensitivity of beta cells to
10 cytokine damage and other problems, you can find something
11 that mimics an autoimmune kind of islet destruction even in
12 autotransplantation or in allotransplantation. This is a
13 very difficult issue to be addressed.

14 Regarding the change in the liver, there are,
15 indeed, some early changes, even if you follow
16 liver-function tests, there are normalized very soon after
17 islet infusion--there are some early changes that you can
18 find in animal models that is just the peri-islet row of
19 hepatocytes in which you can see glycogen deposition.

20 As a matter of fact, the way to find islets at low
21 magnification, you just look for a glycogen around the liver
22 and then you zoom in to get the islets in the rodent models.
23 But this seems to disappear with time and revascularization
24 and we have limited experience.

25 But, in the clinical setting, like long-term, the

1 five years or nine years islet functioning in human livers
2 and in late biopsies, you will see pretty intact, what we
3 can say, hepatocytes around the islets and no late sign on
4 liver function.

5 But I agree that it is a field that could be
6 investigated more carefully.

7 MR. SIEGEL: You mentioned in the baboon, cyno and
8 rhesus, not much dissimilar to humans, you needed about
9 10,000 islet equivalents per kilogram. Do you have data
10 regarding whether, for a given cell number, the viability of
11 the prep or in vitro functionality or the size distribution
12 of the particles in the animals are predictive of success?

13 DR. KENYON: Yes; Dr. Black asked me this
14 frequently. The 10,000 number, basically, we came up with
15 based on experience. That is the number of islet
16 equivalents that we can give and consistently and
17 reproducibly get insulin independence for the first week
18 regardless of what they are treated with.

19 With regards to where they go and is that
20 predictive, those are things that we are trying to address
21 now with a lot of the tissues that we have. I don't have
22 the answer yet. I think the functionality part, we have
23 looked at in vitro glucose-stimulated insulin release in
24 twenty preps that got transplanted.

25 Unfortunately, when we had our initial learning

1 curve, we were not doing those tests because I might be able
2 to answer your question. But now, the majority of the
3 transplants work. The one that did not work, the animal had
4 islets from two donors. So I was surprised, because it
5 clearly got enough islets.

6 But when we got the results of the in vitro
7 studies, one of the preps had no stimulation at all and so
8 probably wasn't good. So it does appear from the very
9 limited experience that we have that we might be able to
10 make a little bit of a correlation.

11 But the actual number of the stimulation index, if
12 there is stimulation, I see a range of stimulation indices
13 in successfully transplanted animals. So the only
14 correlation I can draw right now is that, in a prep where it
15 was actually the stimulation index, that animal didn't
16 become insulin dependent.

17 But I don't think it is a high enough N to have an
18 impact on the clinical--

19 DR. RICARDI: These were also done after culture
20 for one day overnight, so they are not fresh, they are not
21 immediately transplanted like in the Edmonton protocol.

22 MR. SIEGEL: Just one quick question on point of
23 fact; you mentioned that the intravenous glucose-tolerance
24 test, the first-phase insulin release, if I understood, was
25 correlated well with the functional islet cell mass.

1 You said that earlier in your talk. Later, you
2 showed how that variable seemed to vary over time. Were you
3 referring to the results that you obtained immediately post
4 operative, or the results a week later or a month or year
5 later, or what?

6 DR. KENYON: That point has actually been shown by
7 other investigators, primarily Paul Robertson, that there is
8 a correlation between the functional islet mass and
9 first-phase insulin release.

10 But, interestingly, what we see in the first
11 couple of months post-transplant, the height of that first
12 phase is correlated to the number that you transplanted
13 whereas, later on, over time, as the islets revascularize
14 and settle in, at one year post-transplant, they seem to
15 come together and achieve their pre-pancreatectomy levels.

16 So, other than the fact that I see a correlation
17 in the immediate post-transplant period--for example, one
18 monkey got 40,000 islet equivalents per kilo and it actually
19 had an insulin release post-transplant that was much higher
20 than pre-pancreatectomy. So we, appropriately, named that
21 monkey Camillo.

22 Our monkeys that get less than--the one that I
23 showed you that had the blunted first phase at 42 days only
24 got about 11,000 islet equivalents per kilo. So you can see
25 the first phase marching up. But then, over time, they come

1 together and it is not as indicative.

2 DR. SALOMON: I think, at this point, we are five
3 minutes after the afternoon and I would like to stop. I
4 know some of us have to check out. This is an excellent
5 discussion from some excellent presentations this morning.
6 I want to thank all the speakers.

7 These are the issues we will be discussing the
8 rest of the afternoon, so I don't see any big issue to stop
9 here. So I would like to have everyone back, if you don't
10 mind, at no later than 12:45 so we can get started on the
11 afternoon meeting.

12 [Whereupon, at 12:05 p.m., the proceedings were
13 recessed to be resumed at 12:45 p.m.]

A F T E R N O O N S E S S I O N

[1:05 p.m.]

1
2
3 DR. SALOMON: I would like to get started with the
4 meeting with Tom Eggerman from the CBER staff who is going
5 to sort of give us an introduction into some of the
6 questions we want to deal with this afternoon.

7 I did want to tell everyone that we have had some
8 discussions just practically looking at when most of the
9 members are leaving to go to the airport, including me as
10 the chair. I was going to delegate it, but everyone I
11 delegated to is also leaving at 4 o'clock.

12 So, after discussion with the FDA, what we are
13 going to do is actually stick to finishing this meeting a
14 few minutes before 4 o'clock. I hope that doesn't require
15 me to cut any important discussion off, but I think if we
16 can try and make clear, sharp comments and get all the
17 discussion in, I think that will be better for everyone.

18 Okay, Tom. You're on.

FDA Perspective, Clinical Issues

19
20 DR. EGGERMAN: Good afternoon.

21 [Slide.]

22 My name is Tom Eggerman. I am with the CBER
23 Division of Clinical Trials Design and Analysis as well as
24 the Division of Cell and Gene Therapy. I would like to
25 discuss with you issues in early clinical-trial development

1 of allogeneic islet therapy from the FDA perspective.

2 [Slide.]

3 As was excellently presented yesterday, islet
4 therapy has been developing for over fifteen years. Over
5 this time, there have been a limited number of patients who
6 have been treated in a number of centers throughout the
7 world and also, over this time, the technology for producing
8 islets has been refined, as have the clinical
9 immunosuppressive approaches.

10 Many potential sources for islets have been
11 evaluated including both fetal and non-fetal allogeneic,
12 autologous and multiple xenogeneic species. A few islet
13 therapies have been associated with devices, both
14 encapsulated as well as macro-device-associated technologies
15 to help address the problem of immunologic rejection.

16 In today's discussion, we are focussing on
17 allogeneic non-fetal pancreas sources for islet therapy. We
18 will be concentrating on the issues associated with early
19 clinical-trial development, especially addressing the safety
20 and activity assessments. Even though this therapy has been
21 used for over fifteen years, the limited successes have not
22 allowed trials to really advance beyond phase I safety
23 studies.

24 Yesterday, some very encouraging data was alluded
25 to that will, hopefully, eventually translate into pivotal

1 trials that will truly evaluate efficacy as well.

2 [Slide.]

3 An important aspect of the evaluation of the
4 safety of proposed clinical studies submitted to the FDA is
5 evaluating the eligibility criteria to determine if there is
6 an acceptable risk/benefit for the patient population that
7 is being studied.

8 In protocols submitted to the agency, the
9 eligibility criteria have included patients with type-1
10 diabetes, with advanced disease. The specific criteria have
11 included a negligible endogenous C-peptide level, a history
12 of diabetes for at least five to ten years, a history of
13 poor glyceemic control including a number of documented
14 hypoglycemic episodes and an elevated hemoglobin A1c.

15 Most trials have enrolled patients who are already
16 under immunosuppression related to previous organ
17 transplantation, usually kidney. Some studies have
18 specified tissue matching such as ABO or HLA.

19 [Slide.]

20 In most studies of allogeneic islets submitted to
21 the FDA, patients are on concomitant immunosuppression which
22 is associated with well-known risks including infection,
23 nephrotoxicity and neoplasm. For those patients already on
24 immunosuppression for other organ transplantation, there is
25 not the added risk of new immunosuppression but it is

1 recognized that this may not be the optimal therapy for
2 islet transplantation.

3 Some investigative studies are enrolling patients
4 for islet therapies that are using immunosuppressive
5 regimens specifically designed for optimized islet therapy.
6 Yesterday's presentation by Dr. Shapiro illustrated this
7 approach.

8 In view of the risks associated with
9 immunosuppressive therapies, other techniques have been
10 developed to minimize or eliminate the need for
11 immunosuppression. These have included devices to
12 immunoisolate the islets, the development of tolerance
13 procedures and the use of epitope masking procedures.

14 [Slide.]

15 FDA evaluates general safety for the entire
16 therapy including the procedure used, the islet product as
17 well as any concomitant therapies such as immunosuppression.
18 Routine evaluations include clinical lab monitoring such as
19 CBCs, chemistries and urinalysis as well as follow-up clinic
20 visits.

21 When there is concomitant immunosuppressive
22 therapies, clinical and laboratory assessments are preformed
23 appropriate for the specific regimen. In addition, the
24 clinical protocol includes predetermined stopping rules
25 which require the cessation of patient enrollment for the

1 development of severe or clinically significant toxicity.

2 [Slide.]

3 Because of the specific disease aspects of
4 diabetes, the safety assessments also include
5 diabetes-specific monitoring which include patient glucose
6 diaries, the number of hypoglycemic episodes, hemoglobin A1c
7 and other glylated proteins.

8 Some trials have also monitoring anti-islet and/or
9 anti-insulin antibody titers and, since islets produce other
10 proteins, there has been consideration of evaluating
11 antibodies to these other proteins as well.

12 [Slide.]

13 Unlike many products, defining a dose in islet
14 therapy is not so straightforward as was discussed
15 yesterday. There is a disagreement as to how a dose should
16 be defined. The two methods most commonly used are related
17 to the number of volume, so-called islet equivalence, or
18 reflect an in vitro islet function prior to administration.

19 Hopefully, with more standardization in the field,
20 the best method will become clear and may reflect some
21 combination of these two elements. In most studies, a
22 single administration of islets has been evaluated. Some
23 studies have used sequential administrations to reach a
24 predetermined dose.

25 Over time, islet function and/or number will

1 likely diminish requiring a second administration of islets
2 to maintain a certain level of islet-related insulin
3 production.

4 The optimal timing for second and subsequent
5 administration and the potential success for second and
6 subsequent administration remains to be determined. If
7 allogeneic islet therapy becomes successful, source
8 limitations reflecting the limited number of potential
9 organs will greatly limit the use of second administrations
10 since the number of potential diabetes patients greatly
11 outnumbers the number of organs donated.

12 It is hoped that advances in cell culturing,
13 genetic engineering and stem-cell biology will eventually
14 allow either the expansion of islets or the establishment of
15 expandable pools that would allow the production of
16 unlimited numbers of islets so that all patients could be
17 treated initially when appropriate and then retreated when
18 necessary.

19 Alternatively, sources such as xenogeneic islets
20 offer a relatively unlimited supply but raise other
21 potential infectious disease and immunologic issues.

22 [Slide.]

23 A concern has been that immunosuppressive regimens
24 that have been developed for transplantation of organs such
25 as kidney may not be optimal for islets. As was presented

1 yesterday by Dr. Shapiro, one approach to optimize islet
2 survival has been to develop islet-specific
3 immunosuppression.

4 Many believe that elevated glucose levels,
5 particularly at the time of islet therapy, can be toxic to
6 islets and an approach for this potential problem has been
7 to use tight glucose control immediately before, during and
8 for a period after islet therapy.

9 [Slide.]

10 The most commonly used route of administration has
11 been injection into the portal vein. However, there have
12 been serious adverse events associated with this approach
13 which is intended to reproduce the normal insulin secretion
14 which is transported through the portal vein from the
15 pancreas.

16 Other sites have been used which are usually
17 associated with a device, primarily subcutaneous and
18 peritoneal sites. The advantages of these sites include the
19 ease and decreased risk of administration and the ease of
20 product removal to better understand the survival of the
21 islets or to remove the product if there was an adverse
22 event associated with its use.

23 [Slide.]

24 The informed-consent documents include a
25 discussion about potential islet-therapy procedure risks,

1 potential infectious-disease risks as well as the risks of
2 any concomitant therapy.

3 The consent process also informs prospective study
4 participants of alternative therapies, of the potential
5 risks of alloimmunization including the potential negative
6 impact upon subsequent organ transplantation as well as
7 repeat islet therapy.

8 [Slide.]

9 There have been multiple potential outcome
10 measures of activities that can be determined for islet
11 therapy. These include glucose diaries, measures of glucose
12 variability, fasting glucose levels, hemoglobin A1c or other
13 glylcated proteins, insulin usage and C-peptide measurements,
14 either basal or stimulated. One of our questions to this
15 committee is whether levels of other islet proteins should
16 also be determined.

17 [Slide.]

18 When islet therapy advances to the point of
19 pivotal trials, a major question will involve appropriate
20 efficacy endpoints. If possible, insulin dependence would
21 be desirable. However, other outcomes such as improved
22 glucose control, may be a potential efficacy endpoint. This
23 may be particularly important in brittle diabetics with
24 hypoglycemia unawareness and a history of life-threatening
25 hypoglycemic episodes.

1 Other clinical endpoints such as retinopathy,
2 nephropathy or neuropathy that reflect improved glucose
3 control may also have the potential to demonstrate
4 meaningful benefit. A question that will also need to be
5 answered is what durability of efficacy would be clinically
6 meaningful.

7 These are examples of many important issues and
8 islet therapy. We look forward to your insights and
9 perspectives this afternoon.

10 Thank you.

11 DR. SALOMON: Thank you very much, Tom.

12 **Committee Discussion--Preclinical/Clinical Issues**

13 DR. SALOMON: In preparing for the meeting, I had
14 several discussions with FDA staff. I wanted to start off,
15 then, this last three hours or so of the meeting by trying
16 to do justice, very briefly, to what the FDA staff wanted to
17 get out of this meeting.

18 Yesterday, we identified a series of issues that
19 relate to identifying and assuring the quality of the
20 product which is extremely important in terms of thinking
21 for a regulatory agency. Again, I think the message the FDA
22 is trying to get to the field is that, by doing this
23 proactively instead of reactively, would be to emphasize to
24 everyone that they want to be a partner in the development
25 of this moving forward and not create product criteria in a

1 vacuum that would, in any way, impeded progress.

2 From product to preclinical to clinical is kind of
3 where we are going now. For the FDA, they were very
4 insistent that I get the message and stay on track in the
5 discussion, not to jump to clinical so far that we don't
6 deal with the implications of preclinical models, to the
7 extent that we believe in preclinical models, because we
8 have already had some discussion of those issues and they
9 need to be on the table this afternoon.

10 So when we are talking about clinical-trial
11 designs, the FDA wanted to always come back to what kinds of
12 questions can be validly answered in what animal models
13 because, once again, when the question comes up to
14 initiating a particular kind of IND-based proposal, how much
15 safety has to be demonstrated preclinically in an animal
16 model so that the FDA feels reassured and that the public,
17 obviously, is reassured that we have done our diligence.

18 So I think these are sort of the key questions.
19 If we can kind of keep that in mind and remind ourselves to
20 comment on the preclinical models at each juncture, I think
21 we will be serving the FDA well.

22 So I would like to begin this series of questions,
23 at least initially, in order. The first question is that of
24 immunosuppression.

25 DR. AUCHINCLOSS: Back to the issue of why is this

1 conversation that is about to take place going to take place
2 at all. I have been rereading your proposed approach to
3 regulation of cellular and tissue-based products from 1997.
4 If I understand it correctly, you feel that regulation of
5 islet transplantation is appropriate because there is a
6 metabolic component to the tissue but that if this were an
7 autologous islet transplantation, regulation would not be
8 required except for the process of islet preparation.

9 Is that correct?

10 MR. SIEGEL: If it were autologous and not more
11 than minimally manipulated, regulation would not be
12 required, period. Well, that is wrong--as a product and,
13 therefore, much of the process of manipulation, many aspects
14 of it, would not be regulated.

15 However, your statement is more correct than I
16 initially indicated because it would still potentially be
17 regulated under our authorities regarding transmission of
18 communicable disease which we use to regulate tissues
19 largely vis-a-vis issues of donor testing and screening but
20 also issues of insuring that the processing does not damage
21 the quality of the tissue.

22 DR. AUCHINCLOSS: So you are interested in the
23 safety of the tissue from infectious-disease point of the
24 organ donor and you are interested in the process of the
25 preparation of the islets, but you would not regulate the

1 trial use of autologous islets.

2 And you feel that islet preparation, at least
3 according to this document, does not involve more than
4 minimum manipulation, at least as I read the document, which
5 says, "extraction or separation of the cells from structural
6 tissue," blah, blah, blah, "is not more than minimal
7 manipulation."

8 So the only way in which I find that you are
9 interested in regulating allogeneic islet transplantation is
10 subsequently you say, "Well, metabolic function; if the cell
11 product has metabolic function, then we want to make sure
12 that it has metabolic function and, at that point, we feel
13 an IND is necessary."

14 But then you go and you say, "Well, it is not
15 necessary for an autologous islet transplantation because
16 that is going back into the same recipient." I don't
17 understand the rationale for that.

18 MR. SIEGEL: There is a rationale. It was based
19 on months of back and forth to various regulatory committees
20 and discussion with various groups. But, basically, there
21 is an attempt to draw a number of lines here between what
22 should be regulated as a tissue and what should be regulated
23 as a biological product.

24 It was generally felt that, for example, tendons
25 or bone chips which are in, at least some sense, not alive

1 have, in many cases, there is a higher a priori presumption
2 of efficacy.

3 If you take a tendon and you use it to replace a
4 tendon in an individual, the regulatory concerns about
5 clinical efficacy and appropriate function are relatively
6 small. However, there are a number of factors--and,
7 therefore, the regulatory focus of that guideline for that
8 class of products is on safety issues regarding making sure
9 that it is free of contamination and that it is stored in a
10 manner that wouldn't allow its intrinsic function to
11 deteriorate.

12 Conversely, you have mentioned two of the three or
13 four types of issues that would cause such a product to
14 require marketing approval. One is when a product is more
15 than minimally manipulated. That involves what we would
16 generally consider manufacture.

17 Examples might be expansion of cells, genetic
18 transduction of cells. When one does that to a product,
19 while it is hard to draw hard-and-fast lines, it is
20 necessary to draw hard-and-fast lines in terms of telling
21 the world how you are going to regulate things.

22 You can't just say, "I will know it when I see
23 it." One of the lines that I think is a reasonable line is
24 that it is much more reasonable to presume that something is
25 functional when it is being used to do what it did and it is

1 being used in its original form as opposed to when it is
2 more than minimally manipulated.

3 Another factor that you mentioned is a combination
4 of allogeneic and metabolic or systemic activity in
5 allogeneic source. The reason those are combined together
6 is because there is a reasonable presumption--for example,
7 if you take a blood vessel, a saphenous vein, say, or, say,
8 from a patient or you take an ovary out while you irradiate
9 their pelvis and restore that ovary, or you take a vein out
10 and put it into another area, there are reasonable
11 presumptions about clinical efficacy and safety that are not
12 there when one uses allogeneic tissue, both because of
13 issues of rejection but also other issues of biological
14 compatibility.

15 Again, those are not lines that are certain. You
16 can give counterexamples on either side of those lines where
17 the concerns are higher in one group than in another group
18 but part of the goal here, and a critical part of the goal,
19 is to set out the rules in advance so somebody, when they
20 are deciding how to do their research, how to invest their
21 funds, what to develop, will understand what is the
22 regulatory framework. Without that, significant damage can
23 be done to product development.

24 A third area is homologous usage. So if you take
25 that tendon and you use it as a tendon, that is one thing.

1 If you take that tendon and you use it as a ligature to
2 prevent embolization in a vein, that is a different usage.
3 Of if you take that tendon and you make claims for it for
4 other uses such that we can implant this in your abdomen and
5 it will cure cancer or AIDS or something like that, we
6 consider that regulated.

7 So that is the rationale why either more than
8 minimal manipulation, allogeneic and metabolic function, or
9 non-homologous use are factors that cause products to be
10 regulated as products rather than as tissues.

11 DR. SALOMON: Jay, I would also question if the
12 fact is, to the extent that anyone around the table accepts
13 the premise that there are immunobiological features that
14 are unique to islet transplantation--albeit there may be
15 some disagreement on the details, I think all of us accept
16 that overall premise--then the use of different drug
17 combinations--in some clinical trials it is going to be new
18 drugs that haven't been tested before.

19 Certainly, the islet community is very excited
20 about the use of really new drugs that haven't been fully
21 tested. And, certainly, biologics. There is another
22 rationale here, even with established drugs, that there
23 should be some oversight on the design and conduct of the
24 clinical trials to assure the fact that data obtained in the
25 experience of older or newer drugs in kidney or liver

1 transplantation, let's say, is relevant to what is going to
2 happen in our patients in islet transplantation.

3 MR. SIEGEL: I think that is moot in the sense--I
4 think that is right in the sense that I indicated. In a
5 large majority of these cellular applications, it would be
6 under FDA purview. We have also talked about encapsulation
7 devices. We have talked about concomitant experimental
8 immunosuppressive therapies.

9 Although, not at this meeting, we have every
10 anticipation that many of the technologies talked about here
11 will give use and be applied to cellular expansion and
12 genetically modified cell technologies as well as in vivo
13 growth of cells with various regulated factors and products.

14 So, in most cases, that is the case. But in some
15 cases, it does make a substantial difference whether the
16 cellular product, itself, is considered a regulated product.

17 DR. AUCHINCLOSS: Please, I do not suggest that I
18 don't want good trials of islet transplantation, that I
19 don't want oversight of those trials. I just don't think
20 the FDA should be the source of that oversight.

21 Your rationale for regulating islets, when you
22 read the whole thing--you mentioned a variety of criteria.
23 It comes down to the fact that it is allogeneic and
24 metabolic. You don't regulate all allogeneic, do you,
25 because organs evoke an immune response. So it is not just

1 allogeneic.

2 It would make sense to me if you said, "I want to
3 prove, when you do an islet trial, that you produced an
4 islet that knows how to make insulin." That would make
5 sense to me. But then it would apply to an autologous
6 transplant just as much as an allogeneic transplant.

7 So the FDA would be in a great position to help us
8 insure that the islets that are produced in facilities are
9 really islets and that they make insulin. But there your
10 job can stop. Once we show that the islet makes insulin, we
11 can design the clinical trials.

12 MR. SIEGEL: I should just say--we could debate
13 this forever--

14 DR. SALOMON: Let's not.

15 MR. SIEGEL: And I am not sure it is particularly
16 useful. I should say that there are different laws that
17 apply to the area of solid vascularized organs. So your
18 question, they are metabolic, yes. But there are different
19 laws specifically that apply to how those are used in this
20 country.

21 DR. AUCHINCLOSS: Yesterday, you suggested that if
22 once we called it a product, it had to go the whole
23 distance. But your document here does suggest that there
24 are kinds of products for which you want to insure safety of
25 the tissue and adequacy of the process but that do not

1 require INDs and premarketing licensure.

2 MR. SIEGEL: The whole purpose of this structure
3 is to apply the level of regulation as appropriate to the
4 types and nature and extent of issues concerning safety and
5 efficacy raised by the product.

6 Those products that we do call tissues are
7 regulated predominantly for infectious-disease risk although
8 we believe we have some authority based on the
9 infectious-disease risks to also make regulations pertinent
10 to product quality.

11 However, beyond that, we don't have options to
12 regulate a product in the ways we are talking about, to just
13 regulate how it is manufactured and stop there and say it
14 has to be able to make insulin, but it is not a product.
15 That just doesn't fit into our regulatory--

16 DR. AUCHINCLOSS: I don't understand this
17 document, then.

18 DR. SALOMON: I didn't want to interrupt until now
19 because I think Hugh's points should be a part of the
20 record. If he has concerns about a discussion that now
21 follows, then I respect that from him. I think we have got
22 that in the record, now.

23 I don't think that the purpose here is for us to
24 debate what decisions the FDA has made on whether to
25 regulate or not, although I think Hugh's points, perhaps,

1 should be considered in detail by the FDA. So I would like
2 to get back to the topics at hand.

3 Hugh, are you okay with that? I don't want to
4 deprive you of a key point here.

5 So, the first thing would be immunosuppression. I
6 think maybe sort of the overriding question on the
7 immunosuppression is what do you guys think would be the
8 optimal immunosuppression to use in an islet transplant. I
9 think we have got some data on the table on that already,
10 but let's put that as a specific question.

11 What data from current preclinical models justify,
12 in your opinion, that decision. We also touched on that a
13 little bit earlier but let's just make sure that we come to
14 some sort of conclusion on that.

15 DR. RICORDI: Actually, I would like to make a
16 comment in support with Dr. Auchincloss' previous comment
17 and that is that I don't think it is necessarily our
18 business to discuss the best immunosuppression for islets in
19 this site, but I completely agree on the fact that we should
20 address safety and product-release criteria and what is the
21 best islet that we can put in patients.

22 But we are here to develop a procedure over the
23 years that is extremely more safe than a pancreas organ
24 transplant, like maybe ten-fold safer as to morbidity or
25 mortality, but in which, as things are going or developing,

1 would be imposed on regulatory aspects that are ten-fold
2 more complex than what you have to do in organ
3 transplantation.

4 So I think the message is to go back to full
5 pancreas transplantation from the kind of discussion here.
6 I have to agree completely with Dr. Auchincloss that I am
7 completely supportive of the idea of standardizing
8 product-release criteria and safety concerns but it would be
9 severely damaging to the whole field of allotransplantation,
10 for example, to impose a unified protocol, that everybody
11 now does the same thing for the next two years in a stage
12 where nobody knows which one is the best product development
13 of kind of tissue separation, et cetera.

14 DR. MILLER: I actually don't think that is really
15 what they are asking. I think they want some framework
16 around which experts feel are acceptable protocols for them
17 to review, how much more information do the experts feel
18 that you need to collect before you can proceed with
19 clinical trials.

20 You may say that all the information is already
21 there, either from the clinical experience or the animal
22 experience, and that is the question that they are wanting
23 to ask, is what I think we are here for.

24 DR. AUCHINCLOSS: Carole, that is not true. The
25 question says, "What is/are the most appropriate

1 immunosuppression regimen(s) to use for islet-only studies?"

2 That is an absurd question.

3 DR. CHAMPLIN: I guess my fundamental issue or
4 question is what is the role of the FDA in defining these
5 sorts of things as opposed to individual institutions and
6 their IRB. I would agree with the idea of trying to define
7 the product and the safety issues and the product
8 description of things that really relate to the transplant
9 infusion.

10 But the issues of how best to treat patients, what
11 would be the eligibility criteria for people going on to
12 clinical trials--certainly the big area of immunosuppression
13 is something where one should not try to impose a
14 preconceived standard when there really is so little data of
15 efficacy.

16 MR. SIEGEL: I think there is a lot of putting up
17 of straw men here to shoot down. There is no discussion of
18 imposing standards. We have a requirement to insure that
19 these trials do not expose patients to unnecessary and
20 unreasonable risk.

21 In order to achieve that, we need, and we are
22 looking to you, for advice, better understanding how to
23 assess those risks of when and whether additional animal
24 models are appropriate before going into humans, as to which
25 risks are more significant in which populations as a

1 function of population, as a function of dosing, and, as
2 products develop, we additionally need--in addition to
3 insuring that there are not unnecessary and significant
4 risks, we need to insure that there are data of an adequate
5 nature and quality to be able to assess the safety and
6 efficacy of the product.

7 Those are what our mandate is to do. We do that
8 in many areas. We don't, in those areas, tell people how to
9 do their clinical trials. We don't tell people that there
10 is only one way to do a clinical trial. We try to reflect
11 the best science and to add our expertise in clinical-trial
12 design, our expertise in what sorts of methodologies work,
13 what sorts of inferences can be made from what types of
14 study designs, add that to the expertise that we receive to
15 help people do trials that will be safe and will be
16 meaningful.

17 DR. SALOMON: So the question comes if you have a
18 clinical trial that you want to do--let's back up a little
19 bit. I don't want to tread in such sensitive ground
20 immediately. But if there is a clinical trial that you want
21 to do, I assume you want to use immunosuppression or will
22 want to use some form of immunosuppression.

23 How would you suggest that immunosuppression, at
24 the time you are going to initiate that trial, be justified?
25 What kind of data from a preclinical model would be

1 reasonable to present?

2 Jeff, do you have a comment you want to make on
3 that line?

4 DR. BLUESTONE: No; obviously not, because I was
5 going to ask you what you said. I was actually going try to
6 find a middle ground, here.

7 DR. SALOMON: I am not saying my middle ground is
8 any better than your middle ground, by the way.

9 DR. BLUESTONE: So my middle ground would be to
10 ask your question somewhat differently which would be to ask
11 the question, is there anything that we can agree on that is
12 a necessary component of the regimen that everyone should be
13 doing.

14 I think the answer we are going to say is not and,
15 therefore, it would be in Hugh's category. For something
16 else, the answer may be absolutely yes, like 10,000 islet
17 units which is maybe what we decided yesterday.

18 I actually don't see the distinction between
19 yesterday's discussion and today, only that we knew more
20 yesterday about what we liked and today we haven't had the
21 discussion yet. I think your question is fine, but my
22 answer to the question, if you ask it the way you did, is
23 no, I can't be prepared to sit here today and say that I
24 know the best immunosuppressive regimen or that there is
25 preclinical data to suggest what that is.

1 DR. SALOMON: I guess I was avoiding that.

2 MR. SIEGEL: Can I please--let's try to look at
3 the questions before you jump to conclusions about what we
4 are asking and what advice we want. There is not a question
5 here about is there a standard regimen, should there always
6 be one drug.

7 The questions here are specifically focused on our
8 regulatory needs. Each and every one of them is about
9 islet-only therapy. Why is each and every one of them about
10 islet-only therapy? Because islet-only therapy is exposing
11 patients to immunosuppression who were not otherwise to be
12 exposed to immunosuppression.

13 It is there where we have a significant burden to
14 determine whether this is a reasonable and unnecessary risk.
15 It is there where we must ask, do we yet know enough from
16 animal models to do this? What information should we have
17 from animal models? What would be the most appropriate
18 patients from a potential benefit or rationale to risk to
19 make that determination?

20 We are not here to say, "This is the right
21 immunosuppressive regimen for islet transplants." That is
22 not even the question on the table.

23 DR. SALOMON: Again, the question that I was
24 posing to try and follow, really, the spirit of what Jeff
25 said and what Jay said is based on the animal-model

1 experience that we discussed this morning and some
2 yesterday, if you wanted to go forward into a clinical
3 trial, which animal models or model would provide the kind
4 of data to justify a given choice of immunosuppressive drug?

5 I am not trying to tell you to say that it is
6 rapamycin; just any approach, what approach? If the answer
7 is there is no approach, then we need to tell the FDA that
8 which means, to me, that no one is ready to go to a clinical
9 trial anytime soon, which is fine.

10 DR. AUCHINCLOSS: I beg your pardon? We are going
11 into a clinical trial with the Edmonton protocol which was
12 developed in the human animal model.

13 DR. SALOMON: The human animal model. So the
14 idea, then, is that there is not preclinical animal--if that
15 is what you want to say, that's fine. Then what Hugh is
16 saying is that there is no preclinical data necessary. You
17 choose an immunosuppressive regimen based on what? I am not
18 certain. And then you start a human trial.

19 DR. RICORDI: Maybe I can rephrase Hugh's comment.
20 I am saying there are many animal models valuable to develop
21 new strategies and a research base for immunosuppression and
22 to screen drug combinations and everything.

23 There is not a single animal model, in my opinion,
24 that is a necessary prerequisite before moving to a pilot
25 clinical trial because of the lack of existence of a model

1 similar to type-1 diabetes and that can predict safety or
2 efficacy in human patients.

3 DR. CHAMPLIN: As I mentioned this morning, there
4 are big problems in trying to take drug trials from animals
5 directly into humans. Certainly, we have the data that was
6 presented this morning. We also have human data. So I
7 think that that is, perhaps, the most important data as one
8 considers going forward as to where are we now.

9 At least from the inklings of what we have heard
10 of the Edmonton protocol, it sounds to be a successful
11 starting point.

12 DR. MILLER: I agree with Dick. The question we
13 are sort of struggling with here, I think, is how to
14 integrate what we already know about humans when you are now
15 asking us to go back to the animal models.

16 So a question that may help is do we feel that we
17 can take a pilot trial without any further animal data and
18 generalize it and therefore leave the next steps to what
19 animal models you need to do before you then go back into a
20 different protocol for a human trial.

21 I am not exactly sure of the number of patients
22 treated with islet-only cells in the pilot trial, the
23 preliminary data, to then going on and building this
24 multicenter trial. We don't know how strong the data is
25 even though we hear it.

1 So my feeling is that there is enough clinical
2 data already that can be reproduced or that could be
3 validated and looks good that shows that they followed so
4 many people out so long. You are not going to get any
5 better than that for the study of this current regimen.

6 Therefore, if it is valid and reviewed, that
7 probably is enough to go ahead and do the pilot trial. If
8 that is our first answer, then the second question is, okay,
9 now we want to get away with no immunosuppression and what
10 kind of animal models do you need to do that. That is a
11 separate, question.

12 I think it is a separate question than whether or
13 not the pilot trial is adequately controlled by the human
14 experience we already have.

15 DR. BLACK: Could I take one step back and say
16 just surely the team, Dr. Shapiro and Dr. Lakey, here, did
17 not arrive at their FK506-rapamycin combination without
18 preliminary work, perhaps dating back a number of years or
19 in several different models that gave them suggestions of
20 how to proceed in the clinic.

21 If you could clarify that a little bit?

22 DR. SHAPIRO: That is exactly right. Our trial is
23 built on a synthesis of many years of preclinical
24 experimentation and also a substantial clinical knowledge of
25 use of these agents in different usage and combinations in

1 kidney transplantation and other models.

2 We haven't just come to the scene here with a
3 brand-new therapy that hasn't been tested or applied in
4 other situations. We have just used a cocktail of agents
5 that we believe are safe to use clinically in a different
6 way.

7 DR. SALOMON: What models in that development
8 process did you think gave you the best information or were
9 they all just pieces of a complicated puzzle?

10 DR. SHAPIRO: I think it is like a jigsaw. Our
11 preclinical in Edmonton had always been the adult
12 islet-transplantation model. We knew that many of the drugs
13 we use are not compatible with adults and we knew that that
14 would only provide us information in terms of function of
15 islets and provide us a little bit of information in terms
16 of toxicity but not sufficient.

17 And then you synthesize also what is available
18 clinically and what has occurred in that realm.

19 DR. SALOMON: So, Dr. Kenyon, in that regard,
20 where does the non-human primate studies that you are
21 doing--they are very expensive. They are very involving.
22 If this is just a complex jigsaw, maybe we could save some
23 serious money.

24 DR. KENYON: It is a serious effort. No; I agree
25 with everything that they have said so far, but, clearly. I

1 would not be comfortable going into a human with an agent,
2 for example, a new monoclonal or a new agent that had no
3 experience in the clinic.

4 So I think that is where the non-human primate
5 models, the dog models, the animal models--we get our
6 suggestions of efficacy from the rodents and then we have to
7 move them up and see if they work in the larger animals.

8 I think that is very important there, but,
9 clearly, that doesn't give you the final answer either, so I
10 am not going to sit here and say that is the final answer.
11 It is clearly not true, but very important for new
12 immunomodulatory agents.

13 DR. AUCHINCLOSS: We need to be clear, again. I
14 am not arguing that new immunoregulatory agents should not
15 be regulated by the FDA. They are and they should be. So
16 the FDA will certainly regulate a trial that had, for
17 example, anti-CD154. That is not the question.

18 The question is whether they are regulating islet
19 transplantation, themselves.

20 MR. SIEGEL: These are unapproved uses of all
21 these drugs. Even if there was no cellular component, this
22 trial would require FDA review. The rapamycin, the FK506,
23 they are not approved for this use.

24 DR. KENYON: Hugh, I think they are really asking
25 where do you draw the line? Clearly, in the type of study

1 they are doing in Edmonton, there is enough clinical data
2 plus their experience to support it. So I really thought
3 that what you were asking is where do you think it is
4 important to have some preclinical data.

5 I think, clearly, it is where you don't a lot of
6 clinical data. But I, personally, would not want to do a
7 human transplant with an agent that had really never been
8 used clinically without having some preclinical data. I
9 think we do.

10 DR. BLUESTONE: I think what we don't agree on and
11 what we haven't come to closure on--and that is why I don't
12 like the question. What the question is posing, in a way,
13 is that there is a paradigm model out here, that there is
14 some set of three models, if you put them together you
15 should be able to--so my answer to the question is that, in
16 the Edmonton case, then Hugh is right. But in the CD154
17 case, then Hugh wouldn't be right because there isn't a
18 human experience to rely on.

19 And he does not disagree with me. So the answer,
20 from my perspective, goes back to what Jay says, you have to
21 make a rationale argument. How do you make a rationale
22 argument. It has got to be a combination of preclinical
23 and/or clinical experience that demonstrates safety and some
24 degree of efficacy.

25 How you actually build that equation up is the

1 same jigsaw puzzle that Jim has already done. It is hard to
2 sit here and say that an animal model, or two animal models,
3 are going to be the answer or not. You have to base the
4 recommendations on a series of identifiable results that
5 make it a compelling rationale to go forward.

6 I don't think it is always going to be due to
7 monkeys, and it is not always going to be a NOD mouse. But
8 sometimes a NOD mouse might work because the antibody didn't
9 work in a monkey. And sometimes the monkey will be workable
10 because it does work.

11 I think it would be a mistake to try to set a
12 clear set of parameters of what those preclinical trials
13 should be other than the general principle that, in the
14 absence of clinical data, compelling clinical data, you need
15 preclinical data.

16 DR. SALOMON: I don't disagree. That is kind of
17 what I was trying to get at when I used the analogy in
18 talking to Jim about the fact that it is a jigsaw. So I
19 think, so far, the message that I am very comfortable in
20 giving to the FDA is that preclinical models are going to be
21 critical, but that there is no single preclinical model that
22 will give you an answer or that you should require
23 information in.

24 It rather should be a presentation of a logical
25 series of preclinical experiments. I think that also, and

1 again I would put this out for discussion, it would be
2 equally wrong that if someone came with a single preclinical
3 model and you wanted, based on a single preclinical model,
4 really without much evidence surrounding it, to go forward
5 and do a clinical trial, that probably would be equally
6 questionable, that there should be, probably, in any
7 situation, a series of models, a series of lines of
8 investigation, hopefully independent, all of which
9 rationally support the decision made for the clinical
10 project.

11 Do you agree with that or not?

12 DR. SHERWIN: I do. The only thing that I would
13 add is that, particularly with this disease being an
14 autoimmune disease, that one should consider the possibility
15 of using an autoimmune model of diabetes within that mix
16 because there is a certain level of complexity to the
17 problem.

18 It is not a problem when you use big drugs. The
19 drugs you used, it wouldn't matter at all. But when you
20 start getting to refined--because we are talking about
21 people without kidney transplant. That is a different
22 story. Consequently, the kind of immunosuppression that you
23 use in that setting should be less toxic, I think, than the
24 kind of immunosuppression you would use with a kidney graft
25 as well.

1 So when you get to the more selective agents where
2 you are not going to be hitting it with a sledgehammer, I
3 think, at that point, an autoimmune model could give you
4 answers that are important and I wouldn't ignore that.

5 DR. BLUESTONE: Would you say that the drug should
6 be less toxic than if it was a pancreas transplant?

7 DR. SHERWIN: I am not too in favor of pancreas
8 transplants in people who have no significant or serious
9 complications. In other words, we use pancreas grafts, but,
10 usually, it is in people that are either having impending
11 renal failure or require a renal graft. So it is not a
12 routine thing, I think, to use pancreas transplantation in
13 people--see; we disagree, obviously.

14 DR. HERING: Let me ask you. You are a
15 diabetologist.

16 DR. SHERWIN: Yes.

17 DR. HERING: Would you admit that patients with
18 hypoglycemia unawareness and defective glucose-count
19 irregularity, would you consider this a complication of
20 diabetes?

21 DR. SHERWIN: Of course it is. But with good
22 care, generally speaking, that can be dealt with.

23 DR. HERING: With good care in a clinical research
24 center; right?

25 DR. SHERWIN: No, no, no, no. You know, there are

1 people that have recurrent hypoglycemia where it is a
2 problem. But, first of all, we have much better systems and
3 we are evolving much better systems for monitoring.
4 Consequently, in the next few years, those problems are
5 going to diminish.

6 So I rarely encounter somebody that I would
7 think--there are, like, one or two patients I have
8 encountered in the last ten years that I would think about
9 it. There is a rare patient where you are correct. But I
10 disagree. I think with more effective insulin delivery
11 systems and good management, most people can be managed
12 without enough of a problem to warrant immunosuppression.

13 I would rather have recurrent hypoglycemia
14 periodically that is manageable than immunosuppression.

15 DR. HERING: I guess the DCCT was published in
16 '93, yet diabetes remains one of the leading causes of
17 blindness and this and this and this, well, at least in the
18 type-1 diabetic population. Would you accept that
19 intensified insulin treatment is difficult to implement?

20 DR. SHERWIN: Of course. Of course it is
21 difficult to implement, but the improvements that one
22 achieves with intensified therapy are sufficient, I think,
23 to warrant real caution doing a pancreas graft to try to
24 take it to the next level because the risks of imposing that
25 are greater than the risk of the disease, I think.

1 We are getting into the wrong discussion, I know.
2 Maybe we should stop.

3 DR. CARA: Actually, I think this is just the
4 right sort of discussion to have because I think we sort of
5 took the cart before the horse when we started talking about
6 different sorts of regimens in the sense that it seemed that
7 we had all sort of accepted the notion that islet-cell
8 transplantation in a patient without any evidence--or on a
9 patient who is not already on immunosuppression therapy
10 should continue forward.

11 I am not sure that we all agree with that. So I
12 think the issue that we really need to sort of look at is
13 whether or not there is enough information, there is enough
14 data, to carry forward with islet-cell transplantation in
15 patients that are not on any sort of immunosuppressive
16 therapy.

17 MR. SIEGEL: This is the question that is, I
18 think, the first point in the question--

19 DR. SALOMON: We haven't forgotten that one, Jay.

20 MR. SIEGEL: The first bullet is specifically
21 about which patients for the very reason of the nature of
22 what the alternative treatments are that are that available
23 and what is the course of the disease--this is an important
24 one in our construct in determining what are acceptable
25 risks or acceptable--

1 DR. SALOMON: I would point out that actually what
2 Bernhard and Bob were doing was discussing this question. So
3 I don't want to derail that now because that is--and I agree
4 with Dr. Cara that this is what we ought to ask now, and
5 that is what is the population of patients, if any, that you
6 believe today would be candidates for islet transplantation
7 only, not in the setting of a kidney or a liver.

8 DR. SHAPIRO: Patients essentially who have
9 documented evidence of failure of exogenous insulin therapy
10 for whatever reason.

11 DR. SALOMON: Can you help us decide, what would
12 that be? Recurrent malignant hypoglycemia? There has been
13 discussion in the literature on what that population should
14 be. I think the FDA wants to hear us discuss what those
15 patients might be.

16 DR. SHAPIRO: I think those opinions vary between
17 expert diabetologists. It is very difficult to define. The
18 patient that we say has totally failed all efforts at
19 optimal glycemic control despite very intensive insulin
20 therapy, Dr. Sherwin would say he could easily treat that
21 patient.

22 DR. SHERWIN: I am not trying to say that. I am
23 not glib. I have as much trouble as anyone else. I think
24 that the improvements that one can achieve with optimized
25 therapy--there are now glucose sensors that are about to

1 released that can give more continuous monitoring of glucose
2 which is going to provide a lot of information.

3 DR. HERING: I agree 100 percent. Eventually, we
4 will have to perform a prospective clinical trial to address
5 this question. Now we have to identify a patient population
6 to get islet transplantation to the level--

7 DR. SHERWIN: I would say in people with incipient
8 creatinines, let's say, above two and a half or three who
9 still have function, to me, that would be not an
10 unreasonable group of people.

11 But I think you would have to be very, very
12 careful about using hypoglycemia as a dominant reason to do
13 an islet graft. It may be that there are selected
14 individuals who do that, but I would suggest that it would
15 require some sort of independent team of people to assess
16 what had been done clinically prior to subjecting someone,
17 on that basis, for allotransplantation.

18 So I think you would need an independent team of,
19 perhaps, experts to evaluate the situation and be sure that
20 medical therapy had been exhausted. I am not saying that
21 there aren't individuals like that, but there are not many.

22 DR. HERING: A fundamental point here is we are
23 not recommending islet transplantation for the treatment of
24 hypoglycemia unawareness. But we are going to identify a
25 patient population and we want to see whether, in a

1 controlled clinical trial, we can test whether islet
2 transplantation can be performed safely and in an effective
3 manner.

4 So we are not recommending this as a treatment.
5 We are identifying a population that is considered suitable.

6 DR. SALOMON: Can you be specific about what that
7 patient population is, then, in the trial that you are
8 proposing?

9 DR. HERING: Also, we can ask what are the
10 recommendations of the American Diabetes Association for
11 solitary pancreas transplantation. ADA has concluded that
12 it was "patients in whom all other measures have
13 consistently failed to ameliorate the situation;" this was
14 the definition.

15 DR. SHERWIN: That's true. It depends on how you
16 judge that. So I am saying that it really would require, I
17 think, some sort of assessment by an independent team of
18 diabetologists to say that it had been exhausted. Then I
19 think it is acceptable.

20 DR. HERING: I think that is a wonderful
21 agreement. I guess nobody would argue that a diabetologist
22 should be part of the team and should be approached and
23 should probably refer the patient.

24 DR. SHERWIN: My only concern is that it really be
25 an independent sort of advisory committee or something like

1 that because it is not a problem when you are dealing with
2 people with renal disease who are going to require a kidney
3 graft. If you don't want to have people on
4 immunosuppression, you could study people who one could
5 predict within a short period of time, they will require a
6 kidney graft even though they don't require
7 immunosuppression--

8 DR. BLUESTONE: Isn't that the job of the IRB?

9 DR. SHERWIN: I would be careful about IRBs
10 because they don't treat people with diabetes. They are not
11 sophisticated in the ways of patient selection. I think
12 IRBs can evaluate certain issues of importance but I don't
13 think, in really exposing people to initial trials where we
14 really don't know too much about outcomes yet, to me, if you
15 really want to avoid immunosuppression in a model that has
16 immunosuppression, you should focus on people who don't have
17 it now, who are about to need it.

18 DR. MILLER: But isn't it the role of clinical
19 trials and the informed-consent process for the physician
20 taking care of the patient to discuss the risks and
21 benefits? You have to have some minimum amount of disease
22 in order to say, "This is a bad group." But I don't think
23 you have to have every patient determined by a group to say
24 that, yes, this patient has failed everything else, because
25 that is part--each person's risk/benefit ratio participating

1 in the clinical trial is different.

2 So I think you have to set standards saying what
3 is a minimum level of severe diabetes to make it, but I
4 think that as long as it is being done in the context of a
5 well-designed clinical trial where the patients are informed
6 of the risks and benefits, and they have a disease which
7 could potentially be benefitted by the intervention, that
8 that is what informed consent is all about.

9 DR. SALOMON: Can you guys help me? I guess that
10 question that I am thinking about is we have the possibility
11 of--we are doing kidney transplants, obviously, in patients
12 with diabetes. Then the idea is that we have done a lot of
13 islet transplants and pancreas transplants in that group.

14 Now, and correct me if I am wrong, but you have
15 made a decision that you want to do the next group as islet
16 alone. I am not objecting to that in any way. Can you help
17 us with how you--

18 DR. HERING: I think the point to make is the
19 following. Islet transplantation has been performed in
20 settings of simultaneous previous kidney or liver
21 transplantation. This was probably okay to study some of
22 the basic questions, but at the very same time, I guess
23 progress was slowed by the fact that you are doing
24 transplants only in patients that have received a kidney
25 transplant because now the kidney determines the protocol.

1 This is not the way to proceed. There are very
2 well-defined issues in islet transplantation such as whether
3 the treatment is diabetogenic, whether the treatment would
4 control autoimmunity, that are completely irrelevant, more
5 or less, in kidney transplantation.

6 So I think, for this specific reason, we think the
7 field can only progress if you can address the questions
8 that matter without considering all the very important
9 issues in kidney transplantation.

10 DR. SALOMON: I think that is well said, Bernhard.
11 So, can we begin to just analyze what is that population,
12 then? I have heard general statements like they should be
13 bad diabetics, I guess, is as close as I can get so far.

14 MR. SIEGEL: Let me categorize what I have heard
15 because I am confused by this dialogue, and maybe I missed
16 something. I thought there was general agreement among the
17 speakers that that population is "failure of exogenous
18 insulin therapy."

19 What I heard Dr. Sherwin say, if I may
20 characterize, is that if one of the indicators of failure is
21 recurrent hypoglycemia or undetected unsymptomatic
22 hypoglycemia, that one needs to be particularly cautious to
23 insure that that truly is failure of insulin therapy, that
24 that patient has been appropriately treated with the state
25 of the art before exposing him to these risks by appropriate

1 independent experts.

2 I don't think I heard disagreement. I heard some
3 discussion of informed consent or IRB issues, but not
4 disagreement, that one should insure that that is the case
5 for those patients.

6 DR. LEVITSKY: I actually heard the other day
7 another category of patients which I commented on then and
8 would like to get back to again which was the patients who
9 were failures because they had ketoacidosis.

10 I am very concerned about including that group.
11 As a matter of fact, both groups are of concern to me
12 because there is not only a biologic base for these
13 disorders but also a psychological base. If you have a
14 group of patients who have not been very carefully screened
15 and not by a diabetologist who is fully committed to your
16 project and your patient and on the payroll, I am a little
17 worried that you will actually be collecting a group of
18 patients who are going to be much less adherent to your
19 immunosuppressive regimen than if you went, for instance, to
20 the group which Bob Sherwin was discussing which is a group
21 which is about to go into renal failure but isn't yet and
22 so, therefore, we can predict that decline in renal function
23 and when it is going to happen pretty well, even with ace
24 inhibitors and whatever.

25 So that population would tend to be a population

1 which would offer you, I think, more stability from the
2 psychological point of view, perhaps, than the group that I
3 heard selected out before.

4 DR. SHAPIRO: As I have said yesterday,
5 transplanted patients who specifically have only metabolic
6 instability or only ketoacidosis--these are patients who
7 have real failure despite tremendous efforts on their part
8 independent evaluate to confirm that, independent
9 psychological evaluation in selected cases where
10 appropriate, to confirm that they really are failing on the
11 best management that we are aware of today.

12 DR. LEVITSKY: Failing with ketoacidosis as well
13 as hypoglycemia?

14 DR. SHAPIRO: In certain cases, occasionally; yes.
15 We are talking about a very highly--

16 DR. LEVITSKY: I would propose to you that any
17 case that fails with ketoacidosis as well as hypoglycemia
18 doesn't have a biologic problem. I cannot have that
19 biologic problem defined. Maybe Dr. Sherwin can define
20 that, but I cannot.

21 DR. BLUESTONE: I am a little confused. There are
22 two things here; right? There is the islet transplant and
23 the immunosuppression. It sounds to me like I have not
24 heard anybody think that that safety issues surrounding the
25 islets, themselves, is an issue to be concerned about, that

1 the issues have been focused on immunosuppression.

2 That is what I heard. So, if that is true, you
3 would ask, what about if the patient doesn't get an islet
4 transplant. The reality is that there have been and are
5 ongoing clinical trials in new-onset diabetics who have none
6 of these very severe things with immunosuppressive drugs
7 including cyclosporine which has been tested and things like
8 that in a patient population which I would imagine, on the
9 face of it, you would say is not a patient population you
10 would be submitting to these immunosuppressive therapies.

11 It has nothing to do with islet transplantation.
12 It has to do with whether or not we think it reasonable in
13 diabetics, given some of the morbidities and the outcomes,
14 of trying to test novel immunosuppressive therapies in those
15 patients, with or without islets.

16 So if the issue is about immunosuppression and not
17 about islet transplantation, then I think it takes it into a
18 whole different realm of how do we treat our diabetics with
19 regards to immunosuppression.

20 DR. SALOMON: The fact is that I was at the NIH at
21 a meeting with Joan. It was in about 1998, where the
22 discussion was with the experts, pediatric diabetologists,
23 transplant people. I was there representing
24 immunosuppression.

25 The message we got pretty darned clearly was that

1 we were not going to be allowed to do any sort of major
2 trials of immunosuppression in these diabetics.

3 DR. BLUESTONE: They didn't listen to you.

4 DR. SALOMON: No, no; they did listen to us. Most
5 of those trials were over.

6 DR. BLUESTONE: Since then, there have been
7 steroid trials. There have been cyclosporine trials. I was
8 at a meeting--maybe it was the same meeting.

9 DR. SHERWIN: They were short-term trials.

10 DR. BLUESTONE: Well, it was up to a year. These
11 may be short-term because if the islets don't take--let's
12 think about an outcome here.

13 DR. SHERWIN: But this is a long-term trial. I
14 hope it is.

15 DR. BLUESTONE: Right. So the question is that if
16 you get normal glycemia after the islet transplant, and a
17 year out, you are asking the question--a year out, with a
18 normal glyceemic patient with a functioning transplant, would
19 you be more worried about keeping them on immunosuppression
20 at that point or taking them off because of the long-term
21 immunosuppression?

22 You would keep them on immunosuppression because
23 what you have gained from making them normal glyceemic
24 outweighs the risk of the long-term immunosuppression or
25 that point, or would you take them off because you would

1 rather have them lose the graft than have long-term
2 immunosuppression?

3 DR. SHERWIN: I don't know.

4 DR. SALOMON: I would just point out that I was
5 there in 1998 to convince them to do the immunosuppression,
6 Jeff, so it is not always clear what agendas we had at
7 different times. I am not against immunosuppression now. I
8 think, though, we are getting away from what I wanted to do
9 to finish this first topic which was at least finish
10 discussing what would be the candidate for this first trial.

11 I think the comments of Bernhard and James have
12 been on the point there. One population that I would like
13 to ask you guys about would be relatively young patients
14 which, of course, means within five years of my current age,
15 who have microalbuminuria. You get the idea; some sort of
16 microvascular disease that was easily objectifiable and yet
17 were certainly far from the serious downstream complications
18 of diabetes.

19 What about that as a population that could be used
20 for these early studies?

21 DR. SHAPIRO: Clearly, that is an important
22 population group for studying the long-term efficacy of
23 islet transplantation is the control of secondary
24 complications. It is not the population that we are
25 targeting in our multicenter trial right now partly because

1 we are a little concerned about the use of the low-dose
2 tacrolimus and its potential tacrolimus effect.

3 The last thing we want to do in that patient
4 population is accelerate the nephrotoxicity.

5 DR. RICORDI: Actually, I think to evaluate the
6 patient population is very important to establish the
7 baseline of what is the standard of treatment. The most
8 worrisome thing that I heard today from the diabetologists
9 is that we are happy with what insulin can do today and that
10 hypoglycemia unawareness is not a real clinical problem.

11 DR. SHERWIN: No; that is not what I said.

12 DR. RICORDI: No, no; I heard very well that you
13 said that it can be treated. Incidentally, I want to put on
14 the record the reason next to my name there is Stacy Joy
15 Goodman Professor is that Stacy Joy, a sixteen-year old,
16 died in a hypoglycemia crisis and this is for sure an
17 element that can threaten the life of patients.

18 Maybe it can be managed at highly specialized
19 institutions or maybe the standard of care will improve the
20 life of patients with diabetes, but so far the gold standard
21 of insulin treatment through intensive-care treatment and
22 normalization of hemoglobin A1c through intensive insulin
23 treatment can be achieved in less than 5 percent of patients
24 with diabetes.

25 That is why I believe there is uniform agreement

1 that insulin has been ineffective to prevent the
2 complications of the disease that can develop and the reason
3 why we spend \$120 billion a year on diabetes and it is one
4 of the leading causes of death, amputation, blindness and
5 kidney failure.

6 Otherwise, we would not be here. I don't have the
7 representatives from JDF or the parents of these children
8 who died or who have this complication, but I personally
9 consider it outrageous that since we can treat and control
10 everyone with insulin, why assume any risk.

11 These are calculated risks that have to be put
12 forward to move a field of critical importance forward.

13 DR. SHERWIN: I am not that naive. Believe me. I
14 think that the issue is to take a step-wise approach in a
15 new form of therapy. I want this to work and I really want
16 this to work. It is not that I don't want it to work and it
17 is not that I think that insulin therapy that we currently
18 offer is optimal.

19 But I do think that, in a situation where we don't
20 know outcomes yet, even though we think we may know from
21 short-term experiments, we don't know long-term results. we
22 don't have all the information and it is best to start in
23 small steps.

24 DR. RICORDI: We started with six patients and we
25 are now going to 28 in a very controlled--

1 DR. SHERWIN: Well, six patients for how long?
2 See, I don't have the data. But how long?

3 DR. RICORDI: We are not talking about a
4 vaccination of all children.

5 DR. SHERWIN: No. What I am saying is somebody,
6 two years from now, may get cryptococcus or somebody else--

7 DR. SHAPIRO: The precise risks of what we are
8 proposing to do I think will come out with a very carefully
9 conducted prospective clinical trial.

10 DR. SHERWIN: All I am saying is, when you start a
11 trial, generally speaking, you would like to have very
12 well-defined criteria. When you start to get into the
13 subjectivity of how much hypoglycemia is a problem or how
14 much ketoacidosis is a problem, that becomes a very
15 subjective assessment and is based upon lots of factors.

16 A certain level of creatinine or a certain level
17 of proteinuria is a nice hard endpoint that allows you to
18 begin to approach a problem in a patient population that it
19 is a very high risk.

20 It seems to me that that is the population in
21 these early stages--it is not that I don't want everybody to
22 get islet transplants ultimately. I think it is the way to
23 go over the long haul, but I do think, in the early stages,
24 it is a potential risk because our therapies are primitive,
25 still.

1 We have so much to learn. We don't know
2 everything at this point. I just think the highest-risk
3 people that is not going to screw you up in terms of
4 assessing islets only would be the optimal patient to study.
5 That is not a subjective assessment.

6 DR. AUCHINCLOSS: I think I disagree, essentially,
7 with everybody here except for Carole because I think the
8 only criteria that is important here is informed consent.
9 In my view, if I had had diabetes for fifteen years and I
10 had zero complications of diabetes and I was under perfect
11 control, from the data that I have seen from Edmonton, I
12 would take the choice to enter that trial because I would
13 see it as a no-lose situation.

14 I either get an islet transplant working and I am
15 on, I think, a very non-toxic program of immunosuppression
16 or it fails and I stop the immunosuppression. I think it is
17 an entirely justified trial for every patient with diabetes,
18 maybe with a minimum time period that they have had it as
19 long as you have informed consent.

20 DR. HARLAN: My name is David Harlan. I am from
21 the NIH. I was going to make the point that Hugh made but
22 also to add the point that if you wait until a person has
23 incipient renal failure or significant proteinuria, then you
24 run into a problem where we know that they are likely to
25 require a kidney transplant and that pancreas-kidney

1 transplant is known to be very curative, 90 percent
2 curative.

3 Then you are depriving them of a known benefit in
4 that population where an islet transplant alone is not known
5 to be as effective. It is 90 percent cure rate if you have
6 got a kidney-transplant recipient. We don't know that with
7 islet.

8 DR. SHERWIN: I thought the question was how do we
9 begin trials in people who are not getting kidney grafts?
10 That was the question. What I am saying is that you are
11 absolutely right, that it is not going to be terribly
12 effective in terms of preventing them from going into kidney
13 failure down the road. I will accept that. Is that what
14 you are saying?

15 DR. HARLAN: My main point is the one that Hugh
16 Auchincloss made.

17 DR. SHERWIN: So you would take anybody who came
18 to you off the street--

19 DR. HARLAN: With brittle diabetes--

20 DR. SHERWIN: And has informed consent.

21 DR. HARLAN: If it is truly informed consent. We
22 can argue about what is informed consent because that is a
23 difficult thing to truly achieve.

24 DR. SHERWIN: Do you think we are that far along
25 at this point?