

2182 '00 AUG 16 12:06

1

FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

TWENTY-SEVENTH MEETING OF THE
BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.

8:43 a.m.

Friday, July 14, 2000

Hilton Hotel
620 Perry Parkway
Gaithersburg, Maryland 20877

ASSOCIATED REPORTERS OF WASHINGTON
1523 North Carolina Avenue, N.E.
Washington, D.C. 20002
(202) 543-4809

ATTENDEES

COMMITTEE MEMBERS:

DANIEL R. SALOMON, M.D., Chairman
Associate Professor
Department of Molecular and Experimental Medicine
The Scripps Research Institute
10550 N. Torrey Pines Road, MEM 55
La Jolla, California 92037

GAIL DAPOLITO, Executive Secretary
Scientific Advisors & Consultants Staff
Center for Biologics Evaluation and Research
Food and Drug Administration
1401 Rockville Pike
Rockville, Maryland 20852-1448

RICHARD E. CHAMPLIN, M.D.
Professor of Medicine
Department of Blood and Marrow Transplantation
University of Texas M.D. Anderson Cancer Center
1515 Holcombe Boulevard, Box 24
Houston, Texas 77030

JOANNE KURTZBERG, M.D.
Professor of Pediatrics
Department of Pediatrics
Duke University Medical Center
Box 3350, Suite 1400 North Pavilion
Durham, North Carolina 27710

W. MICHAEL O'FALLON, PH.D.
Professor of Biostatistics
Department of Health Sciences Research
Mayo Clinic
Harwick Building, Room 766-A
Rochester, Minnesota 55905

EDWARD A. SAUSVILLE, M.D., PH.D.
Associate Director
Developmental Therapeutics Program
National Cancer Institute
National Institutes of Health
6116 Executive Boulevard, Suite 500
Rockville, Maryland 20892

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

ALICE WOLFSON, J.D.
Bourhis, Wolfson and Schlichtmann
1050 Battery Street
San Francisco, California 94111

TEMPORARY VOTING MEMBER:

HUGH AUCHINCLOSS, JR., M.D.
Professor of Surgery
Department of Surgery
Harvard Medical School
Massachusetts General Hospital
GRB504, 55 Fruit Street
Boston, Massachusetts 02144-2696

CONSULTANTS:

DAVID A. DRACHMAN, M.D.
Professor and Chair
Department of Neurology
University of Massachusetts Medical School
55 Lake Avenue N., Room S5-753
Worcester, Massachusetts 01655

THOMAS B. FREEMAN, M.D.
Professor
Department of Neurosurgery
University of South Florida College of Medicine
#4 Columbia Drive, Suite 730
Tampa, Florida 33606

STEVEN A. GOLDMAN, M.D., PH.D.
Professor of Neurology and Neuroscience
Department of Neurology and Neuroscience
Cornell University Medical College
1300 York Avenue
New York, New York 10021

ATTENDEES (Continued)

CONSULTANTS: (Continued)

JEFFREY H. KORDOWER, PH.D.
Professor of Neurological Sciences
Department of Neurological Sciences
Rush Presbyterian-St. Luke's Medical Center
2242 W. Harrison Street
Chicago, Illinois 60612

VASSILIS E. KOLIATSOS, M.D.
Associate Professor
Department of Pathology
The Johns Hopkins University School of Medicine
Ross 558 Research Building
720 Rutland Avenue
Baltimore, Maryland 21205-2196

JEFFREY D. MACKLIS, M.D.
Associate Professor of Neurology
Department of Neurology
Harvard Medical School
Children's Hospital
354 Enders Building
320 Longwood Avenue
Boston, Massachusetts 02115

MAHENDRA S. RAO, M.D., PH.D.
Associate Professor
Department of Neurobiology and Anatomy
University of Utah Medical School
531 Wintrobe Building
50 North Medical Drive
Salt Lake City, Utah 84132

EVAN Y. SNYDER, M.D., PH.D.
Assistant Professor in Neurology
Department of Pediatrics
Harvard Medical School
248 Enders Building
300 Longwood Avenue
Boston, Massachusetts 02115

ATTENDEES (Continued)

CONSULTANTS: (Continued)

JEREMY SUGARMAN, M.D., M.P.H., M.A.
Associate Professor of Medicine
Center for Study of Medical Ethics and Humanities
Duke University Medical Center
Box 3040, Trent Drive
Durham, North Carolina 27710

MICHAEL D. WALKER, M.D.
National Institute of Neurological Disorders and Stroke
National Institutes of Health
Neuroscience Center, Room 2223
6001 Executive Boulevard
Bethesda, Maryland 20889-2581

GUESTS:

FRED H. GAGE, PH.D.
Professor
Laboratory of Genetics
The Salk Institute
10010 N. Torrey Pines Road
La Jolla, California 92037

RICHARD C. MULLIGAN, M.D.
Mallinckrodt Professor of Genetics
Department of Genetics
Harvard Medical School
Children's Hospital
861 Enders Building
320 Longwood Avenue
Boston, Massachusetts 02115

MARK NOBLE, PH.D.
Professor
Department of Oncological Sciences
Huntsman Cancer Institute
University of Utah
2000 Circle of Hope, Room 4280
Salt Lake City, Utah 84112

ATTENDEES (Continued)

GUESTS: (Continued)

JOHN Q. TOJANOWSKI, M.D., PH.D.
Professor
Department of Pathology and Laboratory Medicine
University of Pennsylvania School of Medicine
3rd Floor Maloney Building
3600 Spruce Street
Philadelphia, Pennsylvania 19104-4283

NIH PARTICIPANT:

ARLENE CHIU, PH.D.
Project Director
National Institute of Neurological Disorders and Stroke
National Institutes of Health
6001 Executive Boulevard, Room 2205
Bethesda, Maryland 20892

FOOD AND DRUG ADMINISTRATION PARTICIPANTS:

DONALD W. FINK, JR., PH.D.
Biologist
Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-527)
Rockville, Maryland 20852

MALCOLM MOOS, JR., M.D., PH.D.
Medical Officer
Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-524)
Rockville, Maryland 20852

PHILLIP D. NOGUCHI, M.D.
Director
Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-515)
Rockville, Maryland 20852

ATTENDEES (Continued)

FOOD AND DRUG ADMINISTRATION PARTICIPANTS: (Continued)

MERCEDES SERABIAN, M.S.
Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-579)
Rockville, Maryland 20852

JAY P. SIEGEL, M.D.
Director
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-500)
Rockville, Maryland 20852

BARBARA WILCOX, PH.D
Biologist
Division of Therapeutic Proteins
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-524)
Rockville, Maryland 20852

KATHRYN C. ZOON, PH.D.
Director
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-1)
Rockville, Maryland 20852

ALSO PRESENT:

JONATHAN DINSMORE, PH.D.
Diacrin

VALERIE ESTESS
Project ALS

CURT FREED, M.D.
University of Colorado

RICHARD GARR
NeuralSTEM Biopharmaceuticals

ATTENDEES (Continued)

ALSO PRESENT: (Continued)

DR. KARL JOHE
NeuralSTEM Biopharmaceuticals

DR. HELENE KARLIN
Canavan Research Foundation

CAROL MEYERS
NeuralSTEM Biopharmaceuticals

DARWIN PROCKOP, M.D., PH.D.
MCP Hahnemann University

LOLA M. REID, PH.D.
Renaissance Cell Technologies

C O N T E N T S

| AGENDA ITEM | PAGE |
|---|------|
| PRESENTATION OF CERTIFICATES | |
| by Dr. Kathryn Zoon | 11 |
| by Dr. Jay Siegel | 13 |
| by Dr. Phil Noguchi | 14 |
| FDA INTRODUCTION | |
| by Dr. Donald Fink | 15 |
| REMARKS REGARDING NIH | |
| by Dr. Arlene Chiu | 25 |
| OPEN PUBLIC HEARING | |
| by Valerie Estess | 28 |
| by Dr. Darwin Prockop | 30 |
| by Richard Garr | 38 |
| by Dr. Curt Freed | 43 |
| by Dr. Helene Karlin | 74 |
| FDA PERSPECTIVE - QUESTIONS RELATED TO PRODUCT DEVELOPMENT | |
| by Dr. Malcolm Moos | 78 |
| COMMITTEE DISCUSSION OF QUESTIONS - PRODUCT DEVELOPMENT | 85 |
| FDA PERSPECTIVE - QUESTIONS RELATED TO PRECLINICAL MODELS | |
| by Mercedes Serabian | 169 |
| COMMITTEE DISCUSSION OF QUESTIONS - PRECLINICAL MODELS | 174 |

P R O C E E D I N G S

(8:43 a.m.)

1
2
3 DR. SALOMON: Good morning to the second of
4 this two-day meeting, the Biological Response Modifiers
5 Advisory Committee. I guess that means if you're here for
6 another Response Modifiers Advisory Committee meeting, this
7 isn't the right one.

8 (Laughter.)

9 DR. SALOMON: I don't have a whole lot of
10 introductory comments. I think that today is the
11 opportunity now to get into the questions that the stage
12 was set for yesterday. Again, I want to thank the speakers
13 for really a tremendous contribution. I certainly learned
14 a lot and I think there's a lot to build on today in the
15 discussions. We will get a little bit more into kind of
16 the ground rules for the discussions in a few moments.

17 There is one thing that is actually not a
18 pleasure at all to do this morning, and that is to
19 introduce the presentation of a certificate for one of the
20 people on the BRMAC that is going off. It's not a pleasure
21 because Dr. Auchincloss has been my evil twin on the BRMAC
22 and on the Xenogeneic Advisory committee.

23 (Laughter.)

24 DR. SALOMON: We usually are worried if we
25 agree on anything, and I think I stated once in advisory

1 | committee, yes, I totally agree with Dr. Auchincloss, and
2 | went on about what it was I thought I totally agreed with
3 | him. He immediately responded, you have got me totally
4 | wrong. That's not what I meant at all.

5 | (Laughter.)

6 | DR. SALOMON: So, we are 100 percent.

7 | But I think he knows the depth of my respect
8 | for him. He is one of the most intelligent, well-thought,
9 | articulate people. I say as a joke that I am just an
10 | Auchincloss wannabe as a chairman. I don't think there is
11 | anyone who chairs a meeting with more style and competence
12 | than Dr. Auchincloss. Anyway, neither the Xeno Advisory
13 | Committee or the BRMAC is going to be the same without him.

14 | MS. DAPOLITO: He's still on the Xeno.

15 | DR. SALOMON: Oh, he's still on the Xeno.
16 | Excellent.

17 | (Laughter.)

18 | DR. SALOMON: This isn't quite as bittersweet
19 | then as I thought. That's really good. But you know, the
20 | loss to the BRMAC is really major here so it really isn't a
21 | pleasure, except to say what I have said. I really respect
22 | you. You're really a tremendous contributor to all this.

23 | Phil, Jay, and Kathy?

24 | DR. ZOON: Well, I just wanted to take a few
25 | minutes. I know we have a busy schedule. But when

1 somebody contributes so much to CBER through the Biological
2 Response Modifiers Committee, and helping us with xeno on
3 so many tough issues over the past several years, I think
4 it's just appropriate, right, and a pleasure to thank you
5 for your service.

6 In many cases being on our advisory committees,
7 as many of you know, is often met with many challenges. It
8 takes a lot of time. The pay isn't very good, and the
9 issues are always tough, complicated, and often highly
10 political. It takes a real dedication to purpose, a real
11 excellence in science and knowledge, and what they call
12 good common sense to deal with these complex issues so that
13 we move science forward, that we don't become handicapped
14 by our inability to deal with new challenges and new
15 issues, but we have the sense and purpose of making sure
16 that it goes forward with the health and safety of the
17 individual subjects in the trials that these patients will
18 participate in.

19 I think all those qualities have been
20 contributed by you. We are pleased as punch that you could
21 still be on Xeno. I think that is wonderful.

22 And I would just like to take a moment to give
23 you a small certificate of appreciation, Hugh, and thank
24 you very much for all your contribution. And just to say,
25 Dan, that he always told me you were the evil twin.

1 (Applause.)

2 DR. AUCHINCLOSS: The first thing to say is
3 that it doesn't seem like it ever comes to an end because,
4 in fact, I retired at the end of last meeting and here I am
5 back again for this one. And then I learned that, in fact,
6 I do remain on the FDA Subcommittee for
7 Xenotransplantation.

8 But I do want to thank a number of people,
9 three of them right here and two of them over here. Gail
10 and Rosanna have been terrific. You've really been a great
11 help. Thank you very much.

12 (Applause.)

13 DR. AUCHINCLOSS: But let me just conclude by
14 saying that the most important thing that I've learned over
15 the course of the past several years is the extraordinary
16 contribution that I think that the people who work for the
17 FDA are making on behalf of all of us. I really do believe
18 that the dedication that they bring to their job is
19 everything that I would like to think a public servant
20 brings to his service to the country. And I think this is
21 an extraordinary group of people, not only the three people
22 here, but others further back behind the table. Thank you.

23 (Applause.)

24 DR. SIEGEL: I will keep it quick. Just to
25 say, first of all, it's not bittersweet for me at all

1 | because, as you know, when someone does as good a job as
2 | you do, you don't get off the hook so easily. You are
3 | back. You will be back I am sure in the future, as well as
4 | in our Xeno committee, and we much appreciate that. You're
5 | now in the core of distinguished alumni.

6 | I also would like to quickly note a couple of
7 | things that some folks may not appreciate. One is the
8 | amount of time Hugh has spent not just on the topics in
9 | these meetings but reviewing our scientific programs and
10 | asking the same penetrating questions of our researchers
11 | and providing the same insight and advice and help in that
12 | setting that he has in dealing with both the sponsors and
13 | the FDA through advisory committees.

14 | A second and important issue, Hugh, also is
15 | that, regarding those last remarks, I have heard indirectly
16 | that you have spoken among colleagues similarly about the
17 | importance of this advisory committee and those like it and
18 | what the agency does, and that has, I know through the
19 | grapevine, significantly facilitated our ability to
20 | assemble the types of scientists that we have here today.
21 | For that as well, we really appreciate it.

22 | (Applause.)

23 | DR. NOGUCHI: I will keep my remarks really
24 | short. I think, Hugh, you have brought to the committee
25 | exactly the type of discussion, courage, and debate that we

1 | look for. All of us never agree on anything all the time,
2 | but that's what we have advisory committees for. In the
3 | old days we used to say, I'm from the FDA and I'm here to
4 | help you. But I think today the FDA is on the opposite
5 | end, to say really, we are from the FDA, and Hugh, thank
6 | you very much.

7 | (Applause.)

8 | DR. SALOMON: So, to start this morning's
9 | meeting off, I'd like to introduce Dr. Donald Fink from
10 | CBER to give us an introduction.

11 | DR. FINK: Well, as chair of the planning
12 | committee for this meeting, on behalf of my colleagues in
13 | CBER, and including folks from NIH, we have an interesting
14 | group in which we have shared resource to help in the
15 | planning of this meeting, which has been going on for about
16 | four months. I'd like to welcome you to round two.

17 | As a scientist in training, and for those in
18 | the audience who are both scientists and clinical
19 | investigators, I think yesterday was almost exhilarating in
20 | terms of what we heard and the breadth of the information
21 | that was made available, and we just appreciate that
22 | opportunity. I think in the audience for those who are not
23 | of the scientific community, I think you can get a clear
24 | vision for how fast this field is going, how complex it is,
25 | and just how fascinating. And I am sure the promise out

1 | there is almost hard to resist.

2 | Now, yesterday was the fun day. Today is the
3 | brass tacks day, or the FDA day, in which we'll get about
4 | to a little more business at hand in addressing questions
5 | that have been crafted by the committee. So, having done
6 | that, I would like to also thank the audience for their
7 | participation and remind you that, as certainly our most
8 | important constituent and consumer, this is your direct
9 | access. You can cut out the middle man today, come to the
10 | microphone, and share your thoughts. It's a wonderful and
11 | dynamic interaction that you can have in addition to these
12 | well qualified folks here who we are grateful have been
13 | able to participate and share of their talents.

14 | I was contemplating, when I went home last
15 | night, a title for today, and I want to borrow my title
16 | from a picture that Dr. Mahendra Rao showed, and I'm
17 | calling it Bridging the Gap from Thursday to Friday. If
18 | you remember that classical picture of things that didn't
19 | quite fit together. But I think today hopefully our
20 | architecture and our energy and certainly our engineering
21 | will be a little bit better. So, I am going to try to use
22 | my remarks this morning to bridge the gap from Thursday to
23 | Friday and lead us into what I hope we will accomplish.

24 | I was thinking about how should I best do this,
25 | and I thought, well, I was sitting at the table and said, I

1 know, for tomorrow what I'll do is kind of give a reprise
2 of the take-home messages. As I started to collect those
3 take-home messages, I realized it was going to take me two
4 trips to do that, so I changed from that. What I am going
5 to do is just give you some reflections or I think kernels
6 that I can remember that seem to be highlights from all of
7 the talks that went on yesterday and try to bring them to
8 the guise of what hope to get to.

9 I think we all can recognize the fact that stem
10 cells are complicated. I mean, it was just clear as a bell
11 in its complexity. There are many issues and facts and
12 novel discoveries that need to be considered and addressed
13 as we begin this process together collectively of building
14 a strategy for oversight of this product area.

15 Single markers, a single identity factor. Not
16 enough. Can't do that. We're going to have to look at a
17 variety of characterizations, establish linkage with donor
18 source perhaps, but certainly keep track of where this
19 material comes from, the cell sourcing. And we need to
20 know not only its lineage but its function. It just isn't
21 enough to know that they have a certain phenotypic
22 expression, that they look like something. They have to do
23 something and perform in a certain way. So, that's going
24 to be also part of the considerations.

25 We know that now in transplanting these cells,

1 | it may not be that the function we envision for them, based
2 | on their phenotype, whether they be neuronal or glial, is
3 | perhaps how they are going to actually work. The cells,
4 | simply by virtue of being there, may elicit responses or
5 | secrete reparative factors that can do the trick. I mean,
6 | it may simply be as elegantly simple as that. Perhaps not,
7 | but it is certainly something to be considered when we go
8 | about our contemplating the regulation and oversight of
9 | this product and looking at the preclinical testing.

10 | Location, location, location, particularly in
11 | the D.C. area, is extremely important. The influence of
12 | the microenvironments may be critical. Putting a cell
13 | derived from a certain source into different areas can have
14 | a completely different outcome, and so it is clear that we
15 | need to be considering the importance of those elements.

16 | And finally, I do remember outcome measures was
17 | a conversation we spent some length on in terms of being
18 | measurable and meaningful. What is the data that we have,
19 | how are we monitoring it, what is it telling us. So, those
20 | are things that I think that most struck me as being
21 | important that I can recall and would bring back to your
22 | attention.

23 | Thank you to Dr. Mahendra Rao for his FDA
24 | perspective yesterday. It was quite elegant actually. And
25 | we appreciate, I think, the fact that a genesis has come

1 from outside with this group, with the people who are
2 involved in the research and the characterization and the
3 understanding of these cells, that somebody would be bold
4 enough to go forward and put up a straw figure on which we
5 can all sink our teeth into. That's a great starting point
6 and a great place because it tells us not only what you are
7 thinking but how people that are actively involved on a
8 day-to-day basis with these cells and their possibilities,
9 what are some of the most important aspects to what you
10 would like to see a product be.

11 But I am not going to just let it go at that,
12 of course. What I am going to do is add around that
13 structure, and we will go through just a brief thing of
14 what it is that the questions that we're going to ask later
15 today, we hope to get at in terms of getting some
16 information, input from our advisory committee through its
17 panel of experts as bringing in additional insights.

18 Source controls. By source controls we are
19 talking about the cell source itself, be it embryonic, be
20 it fetal, be adult, be it autologous, be it allogeneic. We
21 need to know how to best characterize and qualify the
22 source of that material that is going to be used for the
23 product.

24 Then once we have done that, we have to
25 manufacture them. They have to be made. They have to be

1 made consistently, over and over again in a way that people
2 can have confidence in. So, we are going to have some
3 issues that are related to manufacturing of stem cells or
4 derivatives thereof, or neuroprogenitors, if you will, that
5 are going to be used eventually in the clinical setting.

6 Perhaps one of the more critical aspects will
7 be characterizing your preparations. Once you have made
8 them, you have taken them from the source, you have made
9 them, are they what you want them to be and how do you know
10 what they want to be? What we used to establish that we
11 call specifications or setting specifications. These are
12 criteria whereby you perform your qualification tests and
13 you look at your outcomes, and if in fact you meet your
14 outcomes that you have specified in advance, you say, yes,
15 I have the product I want, this is what I am willing to
16 use. And if not, you need to be willing to discard that
17 and start again. It's as simple as that. So, we're going
18 to talk about how to best characterize them, and a little
19 bit start thinking about ideas for setting what would be
20 appropriate specifications for them.

21 A real critical aspect using biological
22 materials are what we call potency assays. It's a way to
23 say, we know now what we have, we know where we got it
24 from, we know what it looks like, we know what it sounds
25 like, what it feels like, does it do what we want it to do.

1 We have to have some functional assessment of that.

2 Now, these can be broad in their scope. I do
3 not want you to think of it as just simply having to go
4 back into an animal or a model that has a condition. We
5 can talk about surrogates or indices of activity. It can
6 be an association between a marker and a known function
7 that we know the marker shows up as a functional correlate
8 and that can be used to tell us with certitude that, in
9 fact, these cells will perform biologically once we have
10 stuck them into the patient. Now, that may not have an
11 intended effect, or the outcome may be negative, but at
12 least we know that when we put them in, they have an
13 activity. So, it's part of describing the quality of what
14 it is that we are trying to use in the clinic.

15 I think we also heard that animal models in
16 preclinical testing are important, and they are also very
17 variable. We have bandied about the term "gold standards"
18 for asking whether or not there may be models that are
19 already in place, that are already well characterized. We
20 don't have to reinvent the wheel, that we can rely on in
21 certain disease indications or conditions that will give us
22 the information we want in a preclinical setting.

23 But it also appears that there are many levels
24 of these models. Some of them may not necessarily be in
25 whole animals. Some of them may be in a different activity

1 type of paradigm. It may not be a genetic animal. It may
2 be something that's done with a chemical to create a
3 lesion. So, there is modeling, and it is an important
4 preclinical assessment in terms of providing safety
5 information before we enter into the clinic in order to
6 begin those initial trials.

7 I think a big question that always comes out
8 that I have heard is tumorigenicity, or maybe not
9 tumorigenicity, but the ability to form clustered masses of
10 size in places where you don't want them and to exert
11 influences that aren't desirable. I think we heard that in
12 several points, in several different places, and it may be
13 variable depending on your source of cells, whether they
14 were embryonic in origin or adult in origin. Certainly in
15 number. How compact were they put in, do they coalesce.
16 And I think it's an important aspect with the stem cells
17 with pluripotency and potentiality, and we know the ability
18 to proliferate in order to get a handle on whether or not
19 this is an issue that is going to be of importance and may
20 have an adverse outcome.

21 And finally then, there's consideration of what
22 we call the post-implantation cellular fate. We have seen,
23 I think, just some outstanding abilities to track cells, to
24 visualize them, to identify cells that have been put into a
25 recipient, be it a model or eventually into a patient, as

1 to where those cells are, what are they doing, how are they
2 behaving, do they survive, what is their fate. Integration
3 is a word that we've talked about. We've heard that once
4 you put in stem cells they seem to integrate seamlessly,
5 they migrate and locate. What does that mean? How is that
6 established, and is that integration simply structural or
7 is it functional, and are there actually contacts being
8 formed and interactions within the host environment that
9 function in a way that is something that we might be able
10 to predict and assess.

11 So, these are the lists of things that we'll
12 try to bring out in the questions, and we want you to begin
13 thinking about this morning as we go forward.

14 To wind up this little presentation, again, I
15 am bridging the gap. Hearing from the public. I think I
16 raised that earlier, is important. We listen with ears
17 wide open when you stand at the microphone. The statements
18 that people make from the public, be they from patients, be
19 they from interested individuals, be they from people in
20 regulated industry, are profound at this point. It's the
21 beginning of a process, a strategy, development, a
22 blueprint formulation of a plan to hopefully, efficiently,
23 as well as effectively, regulate this really dynamic area
24 of a novel biologic therapeutic for the clinic.

25 Finally, on to the questions. Now, I know that

1 the community members are saying, but wait, didn't Dr. Gage
2 answer our questions yesterday in his presentation? Well,
3 yes and no. The questions that Dr. Gage answered actually,
4 for those in the audience, was a list of questions that was
5 provided by the working group to help the presenters
6 formulate their ideas around how best to structure their
7 talks, and he did a remarkable job. I can tell you, Dr.
8 Gage, people came clamoring afterwards from the agency and
9 say, oh, my gosh, we've got to get those slides, we have
10 got to have those. So, they were really relevant and very
11 important.

12 But now for the committee we have their
13 questions, which we hope to delve into deeper detail on the
14 issues that I highlighted in the previous slide.

15 Finally, so that they can't escape anonymity, I
16 know that they were listed in your program, but this is
17 public acknowledgment of all my collaborators that served
18 with me over the last several months to put together this
19 program, and who suggested the names of these people who
20 have been here today and yesterday, who have made such
21 marvelous presentations and for our participants. And I
22 can tell you from sitting and listening to the depth and
23 the breadth and just the enthused responsiveness of our
24 participants, their expertise is without question of the
25 highest level.

1 Again, Dr. Salomon, your management of the
2 chemistry here has been excellent and we've had a wonderful
3 debate, even though somebody said it looked like we were
4 setting up the Hatfields and McCoys across-the-river shots
5 here. But you've done an excellent job in addressing
6 issues and in speaking to them.

7 As I close now, I am going to invite one of our
8 committee members from the NIH, and that is Dr. Arlene
9 Chiu. She, along with Christina Borrer from the Office of
10 the Director at NIH, but Arlene herself is from the NINDS,
11 to make a few remarks regarding NIH, its interest in stem
12 cells, and its interest in funding research in this area.
13 With that, Arlene, you may go ahead.

14 DR. CHIU: Thanks, Don.

15 Obviously, everybody thinks this is a
16 tremendously exciting meeting. I just want to take a few
17 minutes to, first of all, personally thank Don and the FDA
18 for allowing me the privilege of serving on this committee.
19 It is a great example from you of how different government
20 agencies can come together and cooperate and be productive,
21 as well as having a very enjoyable experience.

22 Although the responsibilities of these
23 different agencies are very different, we share areas of
24 common interest, and stem cells is clearly one of them.

25 The mission of NINDS, just to bring this up to

1 | the front, is specifically to reduce the burden of
2 | neurological disease and stroke. Stem cells, those that
3 | produce neurons and glia, as well as those that can in any
4 | way promote the restoration of function, is clearly of the
5 | highest interest to us. Our support in this area of
6 | research has been strong, and continues to be strong, and
7 | will be even stronger in the future as more data, more
8 | results come out that could lead to preclinical and
9 | clinical trials.

10 | But we also want to remind you that we fund
11 | both basic research, the biology of stem cells, all the way
12 | to clinical trials, and this is a huge umbrella. And as a
13 | member of the panel pointed out yesterday, we need advice
14 | of how to spend the money, how to allocate resources. We
15 | have been blessed by Congress giving us increases in the
16 | last few years, but it is still not enough to fund
17 | everything. So, I hope in today's meeting, with the
18 | discussions, you will help us identify areas of highest
19 | priority so that we can go back to the institutes and then
20 | work with you to bring stem cells, the most promising ones,
21 | to preclinical and clinical trials.

22 | Just to conclude, I'd like to end with two
23 | thoughts. The first is that everybody mentions the
24 | important link of preclinical testing. However, I have
25 | noticed personally that when these grants go to study

1 section, they die, and they die because they may be
2 minimally hypothesis testing and they may not be the most
3 creative in terms of approach. I also want to remind
4 people at the table that many of you are the reviewers, and
5 when you put on the reviewers hats, please remember what
6 you have heard at this meeting, that you have very strong
7 impact on what gets funded.

8 So, when you talk about models and you talk
9 about comparing cell types, those are by themselves maybe
10 not terribly interesting studies to bring to study section,
11 but nevertheless of enormous importance.

12 The last thought is that in moving toward our
13 common goal, no single agency can do all that needs to be
14 done. So, I want to extend a personal welcome to the
15 possibility of greater interaction. That's even
16 partnerships between the NIH, other government agencies,
17 private patient advocacy groups, and industry so that we
18 can do this together, that we can share information, what
19 we do know, share ideas, and maybe split the task and come
20 back together again.

21 Thank you very much.

22 (Applause.)

23 DR. SALOMON: Thank you very much, Arlene.

24 That was great.

25 What we are going to do now is go to the open

1 public hearing portion. There are several people who have
2 asked to speak and I will call them in the order I was
3 given, and no particular order of priority, however, is
4 implied by the order I am going to call people in. I am
5 going to keep it to the point. Five minutes or less,
6 unless there's some compellingly important reason to go
7 longer. The first person would be Valerie Estess, from
8 Project ALS.

9 MS. ESTESS: Thank you, members of the advisory
10 committee for inviting me to speak to you this morning. My
11 name is Valerie Estess.

12 On March 26, 1997, a neurologist told my sister
13 Jennifer, who was 35 years old, that she had ALS. He told
14 her that her motor neurons were dying and would never be
15 replaced. He told Jenifer that she would die from ALS,
16 because it is always fatal, probably within two to five
17 years. He told her that ALS is a neurodegenerative disease
18 for which there exists not one effective treatment, not one
19 medicine, not one intervention.

20 Three years later Project ALS, the nonprofit
21 organization founded by Jenifer, my sister Meredith, and
22 our friend Julianne, has funded research that is yielding
23 exciting pilot data. What the data suggests is the stem
24 cells may indeed replace motor neurons and other support
25 cells destroyed in the ALS disease process. Today Project

1 ALS is riding herd -- and I mean that -- on a true working
2 partnership between stem cell biologists, experts in motor
3 neuron generation, motor axon biologists, and ALS
4 researchers-clinicians.

5 On the strength of Project ALS funding, the
6 laboratories of Evan Snyder, Jeffrey Rothstein, Robert
7 Brown, Thomas Jessell, John Gearhart, Marc Tessier-Lavigne,
8 and Steven Goldman comprise a collaboration designed to
9 identify any and all possible roles for stem cells in ALS.
10 Project ALS has launched rigorous investigations at all
11 levels in these laboratories and will not rest until we
12 have thoroughly tested the safety and viability of stem
13 cell replacement in ALS.

14 It is the ultimate aim of Project ALS to
15 deliver the best stem cells to people who are dying. To
16 that end we will continue to exact best efforts from our
17 scientists, recruit new talent from the research community,
18 and seek a constructive relationship with FDA.

19 There is no disease more lethal than ALS. It
20 is torture without interruption, a prison camp. It is
21 nature at its worst.

22 Given their apparently limitless potential to
23 heal, stem cells may represent nature at its best. We urge
24 that the FDA, NIH, Congress, the world's gifted scientists,
25 and all Americans who have been or will be touched by brain

1 disease and injury work together to free the world from
2 prison.

3 I believe, Project ALS believes, that ours is a
4 nation of wisdom and compassion. Starting now, let us
5 carry the flag forward with a new dedication, for there
6 will be no help for the sick and dying until we work
7 together to bring the best basic science home.

8 Thank you.

9 (Applause.)

10 DR. SALOMON: Well spoken.

11 The next person is Dr. Darwin Prockop from
12 Hahnemann University.

13 DR. PROCKOP: Dr. Ausim Azizi and I came here
14 with several rather specific questions about moving from
15 laboratory experiments to clinical trials. It really kind
16 of addresses several specific problems which I think are of
17 general interest in terms of the very nice discussions we
18 had yesterday about the whole topic of stem cells.

19 So, just to quickly review what Catherine
20 Verfaillie said yesterday, we're dealing with cells, which
21 the name is a little bit still ambiguous because of the
22 history of them. The cells are isolated in most
23 laboratories by a very simple technique of putting whole
24 bone marrow in a tissue culture flask and washing out the
25 hematopoietic precursors. After one or two passages, these

1 | cells are quite pure, they're free of hematopoietic cells,
2 | and they have this potential to differentiate into a wide
3 | variety of tissues. This is not new. These data are over
4 | 20 years old and repeated in many, many laboratories over
5 | the years.

6 | Our own approaches were to look at these cells
7 | injected into animals. In one series of experiments, we
8 | introduced them intravenously, with two different markers
9 | indicated there, and we found in mice 1 to 3 months later,
10 | somewhere between 1 and 20 percent of the cells in a
11 | variety of tissues were derived from these injected cells.
12 | Some of these cells took on the phenotype of the tissues.

13 | Now it's an old concept. A beautiful treatise
14 | in 1867 by a German pathologist Cohnheim suggested in wound
15 | healing, this is what happens. A subset of cells are
16 | mobilized in the marrow and become fibroblasts in wounds.
17 | So, we think of it as kind of a vestigial pathway that's
18 | been there a long time, of course, but only detectable by
19 | the latest techniques.

20 | The second series of experiments prompted by
21 | Dr. Ausim Azizi, who is here, we infused the cells in the
22 | brain. We have several different markers indicated here.
23 | We are certain that some of the cells become astrocytes,
24 | and yes, we said here perhaps neurons. We are now more
25 | certain some become neurons. So, in response to some of

1 the questions raised yesterday, this is kind of result
2 that's difficult to believe unless one looks at the data.
3 We've repeated these experiments now for about 3 years and
4 totally convinced ourselves.

5 In a third series of experiments, we have tried
6 to take these cells and create an animal model for
7 Parkinsonism. We introduced two genes that produce L-dopa
8 using a retrovirus in a standard model for Parkinsonism, a
9 rotational model. We got a good response. We could show
10 synthesis of dopamine in the brain. We can also rescue the
11 phenotype of rotation. We had a flaw in our experiments in
12 that we used a retrovirus that since has been known to call
13 inactivation because of methylation of those sequences.

14 In pursuing a solution to these problems, in
15 fact two of them, one is to use a self-inactivating
16 retrovirus, and the other is to introduce the genes by
17 electroporation. It turns out the inefficient technique of
18 electroporation is now open to us because we can amplify
19 these cells at an absolutely unbelievable rate. Simply
20 putting these cells in very low density, not 1,000 or 5,000
21 cells per centimeter squared, but 3 cells per centimeter
22 squared, we can make them grow at arrays indicated here.
23 In 2 months, we readily reach 50 population doublings.

24 The key here is that by plating at low density,
25 we retain the cells you see on the left here at two

1 different magnifications. Extremely small cells, 7 microns
2 in diameter, almost no cytoplasm. But as those cells
3 deposit in culture, they give rise to clones where you have
4 the larger cells you see on the right. In a way these
5 cells make their own feeder layers, is what we're seeing.
6 They make the large cells to which small cells then grow.

7 The number of the cells we can make I still
8 find staggering. Three passages over 6 to 8 weeks, from 20
9 ml of bone marrow aspirate, we can make a total of 10 to
10 the 13th cells, and the key measure here, surrogate measure
11 of multipotentiality stays there. So, we can make 10 to
12 the 13th multipotential cells in these cultures.

13 So, for those reasons we're optimistic in
14 pursuing these cells with two general strategies. Cells
15 from bone marrow aspirate, expanded, engineered in the
16 laboratory, systemically infused for systemic diseases,
17 particularly diseases of the skeleton, into the central
18 nervous system for diseases of the central nervous system.

19 Now, I have an updated obsolete technology here
20 I'd like to use.

21 The advantage of these cells are simple. We
22 can get cells from the same patient. They are
23 multipotential. Our laboratory, Catherine's laboratory,
24 other laboratories are convinced they can differentiate
25 even into astrocytes and some neurons. We can't say all

1 | neurons, we can't say they make connections in the central
2 | nervous system, but clearly you see some neurons. And we
3 | can rapidly expand them so we can manipulate these cells
4 | without the need of a virus.

5 | Now, specific questions that we've come here
6 | with. We need the help of the FDA, the panel, and I think
7 | the whole scientific community. We tried to say how are we
8 | going to go from these results to therapy of disease like
9 | Parkinsonism. So, how much do we need to characterize
10 | these cells? We certainly don't know all about the nature
11 | of these stem-like cells, and we certainly could spend a
12 | long time further characterizing them by microchip
13 | techniques, a whole bunch of things. We don't know how
14 | exactly to differentiate them in many cases. And again, we
15 | could spend a long on time on that. How much time? I
16 | think we're talking years, many years.

17 | But the real question is whether, from what has
18 | been learned about these cells over a 20, almost 30-year
19 | period, can we go ahead? Can we do the kinds of procedures
20 | I have said to make the cells produce L-dopa, which becomes
21 | dopamine in the brain, and then can we go on to toxicity
22 | studies and efficacy studies?

23 | As Rusty brought out in his presentation, right
24 | here is the major question: Do you use human cells in
25 | incomplete or difficult animal situations, or do you use,

1 | say, in rat rat cells and in monkeys monkey cells?

2 | Ausim Azizi has shown that human cells in rat
3 | brain do survive, but they obviously don't do as well as
4 | rat cells. So, we have a question of how to sort that out.

5 | Toxicity in rats and dogs is reasonable. How
6 | much do we need in the way of more elaborate, costly
7 | toxicity studies in monkeys? Don't know.

8 | And again, questions from yesterday. What are
9 | the assays? There is no indication that these cells make
10 | tumors, but maybe what we need to do is extended, many-
11 | month, and maybe many-year studies to see if we do get to
12 | tumors. No indication of it, but how do you really rule it
13 | out?

14 | Then the efficacy. The rat model is a well-
15 | accepted model, working well in our hands. Do we go to the
16 | monkey models? Well, they have many problems. Extremely.
17 | Jeff here knows a great deal about that, in setting up the
18 | monkey model. We have had experts give us answers on both
19 | sides of that question. Yes, you need extensive monkey
20 | model testing, others say no. If it's a serious disease,
21 | you don't really need that.

22 | In the end here we are convinced -- certainly I
23 | am convinced -- we don't want to deal with mildly affected
24 | patients in early stages of the disease. I am very much
25 | committed to the idea that you look at the very severe

1 patient before you try something as new as even this.

2 In terms of Dr. Sugarman's presentation, we
3 would love to have a consensus on this, how to go about
4 this, and the help of the FDA and the whole scientific
5 community. But I must say I am pessimistic about a
6 consensus because of an experience we have had in using
7 these cells there are different clinical problems. I would
8 like to just take a couple of minutes to tell you that
9 history.

10 In 1966, we presented data in mice with these
11 cells, showing they were nontoxic and there was some
12 efficacy in a mouse model for brittle bone disease,
13 osteogenesis imperfecta. We made the model of a mutated
14 collagen gene, which we and others had shown produces
15 severe brittle bones in children. Based on those data,
16 Malcolm Brenner at St. Jude's in Memphis suggested we go
17 ahead with this therapy, take a patient with severe OI --
18 or rather he and his colleague go ahead -- do marrow
19 ablation, and then transfer whole marrow to a matched
20 sibling. Standard bone marrow transplant.

21 I was sort of involved in providing initial
22 data. I felt very uncomfortable about this because marrow
23 ablation, of course, is a very serious procedure. I called
24 a meeting in Philadelphia, attended by over 100 people,
25 experts in this field. It was the most tumultuous

1 scientific meeting I've ever attended. Words like
2 "outrageous," "unethical" were bandied about in the air. I
3 could not get a consensus. People from the bone marrow
4 transplant field were on one side of the room,
5 microbiologists were on the other side of the room.

6 Malcolm Brenner and Ed Horwitz, who began work
7 on this project, went ahead with it anyway. They did 5
8 patients. They reported last year that all 5 showed a
9 decrease in fractures, increase in growth, and increase in
10 bone mineral. These were extremely severe patients. They
11 had to be propped up in bed with pillows because they would
12 break bones just rolling over in bed. These results were
13 encouraging, but Malcolm presented it at a meeting on OI
14 last summer, and again he was criticized very heavily.

15 But just at a meeting held two months ago, he
16 presented another series of results. He took the same
17 patients, the same donors, and gave expanded cultures of
18 these cells. So, the patients now had the immune system of
19 the donor. He reported that in 4 of 4 patients there were
20 no toxicities. The patients at this stage are 4 to 6 years
21 old. 3 of them stood for the first time, a very rare event
22 in this serious form of this disease, and 2 took their
23 first steps.

24 I'm not sure that even those data are going to
25 convince everybody in the field and give us a consensus,

1 | but we think they set the basis for this kind of plan,
2 | taking cells from the patient, gene-correcting them with
3 | the techniques I've talked about briefly, and bringing them
4 | back to the same patient, this time without marrow
5 | ablation. But it's in that context that we invite help and
6 | discussion from the whole community to see if we can reach
7 | a consensus as to what's the wise thing to do.

8 | Thank you.

9 | (Applause.)

10 | DR. SALOMON: Thank you, Dr. Prockop. Just one
11 | quick question. In addition to representing yourself and
12 | your lab at Hahnemann, are you also representing a company
13 | when you repeatedly use the word "we"?

14 | DR. PROCKOP: No, I am not representing a
15 | company. We have been approached by several companies. I
16 | have started a company in a different area, I should say,
17 | totally unrelated to this, but I have found it's a very
18 | strange, complicated game to deal with companies on these
19 | issues.

20 | DR. SALOMON: We're just sensitive. Just
21 | wanted to know.

22 | The next person is Richard Garr, from
23 | NeuralSTEM Biopharmaceutical.

24 | MR. GARR: Good morning. Thank you for this
25 | opportunity to address the committee exploring the status

1 of stem cell research as it applies to neurological
2 indications. My name is Richard Garr and I am the
3 President and CEO of NeuralSTEM Biopharmaceuticals.

4 As our name implies, we are a CNS stem cell
5 company and we are in fact the owners of the U.S. patent on
6 the isolation, expansion and culture and differentiation
7 into functional neurons of human CNS stem cells.
8 Obviously, we have a great deal of interest in your topic
9 today.

10 Briefly I will tell you that our cells are
11 different in many ways than the cells you have been hearing
12 about. Whether or not they are stem cells or progenitors
13 or precursors, while of academic interest, is irrelevant, I
14 think, to the important questions that you are learning
15 about. What our cells do is they turn into functional
16 human neurons all the time, every time, and we can do it in
17 vitro as well as in vivo. We can grow all different types,
18 all different phenotypes, dopaminergic neurons, cholinergic
19 neurons, spinal motor cord neurons, and we do this without
20 inducing the phenotype. This is constitutive from the
21 cells. That technology is also the subject of an issued
22 U.S. patent.

23 The doubling capacity of our cells without
24 genetic manipulation is roughly about a billion-fold, and
25 we can completely control the expansion phase, as well as

1 | the differentiation phase. The cells are extremely well
2 | characterized. We do know all about these cells. We do
3 | know how to control the differentiation of these cells.

4 | And I bring this to your attention because I
5 | think that in this area, in particular, there is a great
6 | deal of expertise and knowledge in the private sector and
7 | that you need to reach beyond the usual suspects in
8 | academia to really educate yourself as to where this is.
9 | In one of your introductory remarks, the gentleman talked
10 | about how fast this field is moving. Well, I can assure
11 | you it's moving much faster than any of you are aware of.

12 | We have in the past year licensed our
13 | technology to major genomics and drug discovery companies.
14 | We have chosen not to publish, outside of the patents that
15 | have been published, for business reasons. However,
16 | several of your presenters here are very familiar with our
17 | work. And as the patents are now published, and even as
18 | some of the data you saw the other day suggest, there are
19 | probably a great number of labs that are actually working
20 | with the cells.

21 | Because of the unique focus of our company, and
22 | because of the commercial resources that we have had access
23 | to because of our genomics and drug discovery deals -- and
24 | in fact, I believe we will probably announce the major
25 | global transplantation partner, pharmaceutical company,

1 | this summer -- we have already spent a great deal of time
2 | and effort on many of the preclinical and product
3 | development issues that you all are beginning to consider.

4 | Clearly, the technical questions about models
5 | and product efficacy and safety are crucial to even
6 | thinking about moving this technology forward into human
7 | clinical trials. But I think it's also obvious that the
8 | expertise and experience exists to evaluate and create
9 | standards which will adequately protect the public's
10 | interest. Dr. Gage's insightful and direct consideration
11 | of these questions the other day is itself an excellent
12 | starting point.

13 | There was also no question that the science
14 | will be there. Clearly, within the next 12 to 18 months,
15 | in addition to ourselves, there will be companies that will
16 | be coming before the FDA that have in their minds worked
17 | out the manufacturing GMP issues, worked out the model
18 | issues, worked out the efficacy and safety issues, and in
19 | their own minds feel that they have compelling evidence to
20 | move forward into human clinical trials, at least in the
21 | CNS stem cell area.

22 | I think that the most difficult areas for us
23 | have been addressing issues of donor privacy, donor
24 | consent, and other tissue sourcing issues. There is an
25 | extremely fragmented regulatory, legislative, and ethical

1 framework that exists out there right now with respect to
2 these issues. I think that I would urge you to accelerate
3 a robust public education on and debate about the ethical
4 issues involved in tissue sourcing, and particularly donor
5 privacy. This needs to happen sooner rather than later
6 because again, I think as you're going to find out as you
7 move through this process, as you expand your educational
8 reach out into the private sector, this is much more
9 imminent with respect to CNS stem cells than perhaps your
10 first glimpse is showing you.

11 I want to thank you again for the opportunity
12 to address the committee.

13 (Applause.)

14 DR. SALOMON: Thank you very much.

15 I guess this is a personal comment, not a
16 comment as the chair of the committee, so please take it as
17 that. My response to that is, if we're calling for a
18 public education, an acceleration of the process toward
19 clinical trials in this area -- and I think most of us
20 would be okay with that process -- the fact that companies
21 are making supposedly major strides in this area but not
22 publishing anything -- again, I provide you my personal
23 comment and not in any way a comment from the chair. But
24 there is some contradiction in that. So, I would urge
25 companies to really step up and do their part of this whole

1 process, which is critical, I think.

2 DR. KOLIATSOS: I think we should all say I
3 support you fully in that sentiment.

4 DR. NOGUCHI: Dr. Salomon, I'd also say that
5 the FDA is always open to interactions with everyone at any
6 stage of the product development cycle. We, in fact, would
7 urge you to come in earlier rather than later, especially
8 as we're talking about issues of product characterization
9 and preclinical studies.

10 I would say that the FDA does have expertise in
11 the use of autologous and other cellular therapies. We
12 have licensed an autologous therapy. We have a full CMC
13 section for advice on how to do that. It's the details of
14 the more complicated neural stem cells that we're talking
15 about. But rest assured, should anybody have proposals,
16 we're ready to entertain them, but we also want to get as
17 much advice as we can at the very edges of the cutting edge
18 of the science.

19 DR. SALOMON: The next speaker is Dr. Curt
20 Freed from the University of Colorado. Dr. Freed I have
21 given 10 minutes to because I think he has some really
22 important clinical experience doing cell transplants in
23 patients that I think is important.

24 DR. FREED: Dr. Salomon, thank you very much
25 for giving me the chance to talk to this committee. I have

1 | enjoyed the discussions and also the individual scientific
2 | interactions that I have had with committee members. It is
3 | a tight group of folks that do this kind of work, and it's
4 | a pleasure to see so many of the people here.

5 | I'm going to talk to you about this double-
6 | blind fetal cell transplant trial which we have just
7 | completed with Stanley Fahn of Columbia University in New
8 | York and Dave Eidelberg because I think it illustrates --
9 | while not stem cells, it illustrates the use of a cell
10 | substitute or alternative to drug therapy. I think you
11 | will see the interplay between drugs and cells in this
12 | presentation.

13 | We are transplanting embryonic dopamine neurons
14 | from week 7 to 8 post-conception abortuses which we recover
15 | from elective abortions. In order to put tissue into the
16 | brain, you have to have it in a form that can actually be
17 | put into a needle. We have chosen to make strands of
18 | tissue, and you see this tissue strand being expressed from
19 | a glass cannula. This has proven to be a method that
20 | provides reliable delivery of tissue.

21 | This is the second patient to receive such a
22 | transplant. This was back in 1994, before we actually
23 | started doing the NIH protocol, and he actually has four
24 | small incisions in his forehead that represent four needle
25 | passes into his brain. Parenthetically, I'd like to say

1 that this man is the first man that we have had get off all
2 medications for Parkinson's. That was a year and a half
3 after transplant. He has been off all medications for 4
4 and a half years. He received tissue from two embryos, one
5 on each side of the brain, each subdivided in half.

6 This shows the MR scan with the traces of his
7 needle passes through his frontal lobes, one, two, three,
8 four.

9 Based on these studies and other work, we
10 proposed a double-blind placebo controlled trial with 40
11 patients aged 20 to 75. The youngest was actually 34 but
12 the oldest was 75. You had Parkinson's for more than 7
13 years' duration. As we talk about animal models that mimic
14 human Parkinson's, I don't think anyone would have kept an
15 animal around for 7 years prior to transplant. The typical
16 patient had almost 14 years of Parkinson's.

17 All patients had to be L-dopa responsive, with
18 fluctuations, namely being frozen and then having excess
19 movements.

20 20 patients received implants, 20 placebo. In
21 addition, we divided the recruitment roughly equally to
22 patients under age 60 and over age 60, and then we also
23 paid attention to disease severity, age, and sex in
24 distributing the patients.

25 The patients were evaluated at Columbia and had

1 | fluorodopa PET scans done by Dr. Eidelberg on Long Island.

2 | There were lots of results but I am going to
3 | just highlight a couple. Motor UPDRS off scores, a
4 | standard measure of Parkinson's; high is bad and low is
5 | good. When we looked at the sham patients, we saw no
6 | evidence of a placebo effect over the 12 months of this
7 | trial. It was a baseline period, then people looked at 4,
8 | 8, and 12 months after surgery. For the transplant group,
9 | there was a highly significant difference compared to
10 | placebo, and I believe that is at about the .01 level.

11 | Very interestingly, when we subdivided the
12 | groups into the preassigned under age 60/over age 60, the
13 | improvement occurred in the under age 60 group. The over
14 | age 60 group as a whole did not improve. 7 out of 10 of
15 | these patients improved; 1 out of 10 of these patients did.

16 | We had as a primary endpoint a very subjective
17 | variable. It said at 12 months, how do you feel? Are you
18 | better than you were before? And much better was plus 3,
19 | and much worse was minus 3, with 0 being the same. What we
20 | found in this distribution was that, in fact, the young
21 | transplant group had the largest change in this value.
22 | However, you see all patients on average felt that they had
23 | improved. So, this is the only demonstration that we have
24 | that there is a placebo effect when you ask a very global,
25 | subjective question as opposed to an objective measure of

1 neurologic condition.

2 I might add, those data were not significant.
3 However, when we looked at these data at 4, 8, and 12
4 months after surgery, as you saw the other data, there was
5 a significant difference between the transplant group and
6 the sham group. But again, our decision prior to breaking
7 the blind was to look only at the 12-month data.

8 What happens in the long run? This is 36
9 months after transplant. Same scale that you saw before.
10 What some of us had observed almost for a decade is that
11 patients improve over time who have transplants.
12 Transplants are a dynamic process, with fiber outgrowth
13 continuing over a period of years.

14 So, if we look at the average transplant
15 patient, shown in green, this was the blind phase you saw
16 before, and then by 18 months after transplant, you see a
17 dip here. You say, why is there a sudden dip? Is this a
18 placebo effect with people catching up? Well, there was
19 another important effect. Some of these patients had
20 become dyskinetic, and yet their drugs were kept constant.

21 At this point drug reduction was allowed, and
22 then, as you'll see in the next slide, drugs were reduced
23 and the overall motor UPDRS off value has improved.
24 Younger patients have done better than older patients,
25 although now as we have more patients -- this is a year-old

1 | graft -- as we have more patients out here at 36 months,
2 | we're finding that there's been progressive improvement in
3 | the older group as well as the younger group.

4 | The total daily drug doses are shown on this
5 | slide. The typical dose is about 1,000 milligrams of L-
6 | dopa or equivalent drugs, and by 36 months after
7 | transplant, that drug dose has been cut in half. While the
8 | drug dose reduction is interesting, it still complicates
9 | the use of neurotransplant therapy in that we have drugs
10 | playing together with the cell therapy, and it makes
11 | juggling the two therapies simultaneously difficult.

12 | Will the transplant survive? This is a
13 | fluorodopa PET scan. The red shows the normal dopamine
14 | uptake in the striatum, caudate, and putamen. Typical
15 | Parkinson's patients have fluorodopa uptake in the caudate
16 | but much less in the putamen. Here is a transplanted
17 | patient with fluorodopa uptake very closely resembling
18 | normal. Sham surgery patients had no change in that
19 | signal. 85 percent of transplant patients showed
20 | detectable transplant growth by a blinded rater. There was
21 | only 1 out of 20 false positives.

22 | This just quantitates that PET scan data, and
23 | the point of showing you this is that the implant group had
24 | this change, the sham group had, if anything, a reduction.
25 | In the sham young patients, that reduction was significant.

1 So, this is rising. The ability to store L-dopa in the
2 brain is rising and the natural tendency through the
3 natural disease tends to be falling.

4 Well, you saw that only young patients
5 responded. How did the transplants grow in the elderly?
6 These are the under age 60 group, change in PET scan,
7 significant. Old implant group, change in PET scan,
8 significant. So, the transplants grew equally well
9 regardless of age. This was a striking finding. Namely,
10 the aged Parkinson brain can support fetal dopamine neurons
11 and their outgrowth, a remarkable result of this study, and
12 one that we were perhaps somewhat surprised but still
13 delighted to see.

14 So, the failure of transplant effects in older
15 patients must have something to do with other kinds of
16 brain disease or downstream events from the transplant.

17 When we correlated the change, in this case
18 improvement in UPDRS score, with the change in how well the
19 transplant grew -- this is in younger patients -- we saw a
20 significant relationship between the growth of the
21 transplant and the change in neurologic score.

22 We've had 2 patients who have died of causes
23 unrelated to transplant in the year since surgery. I am
24 going to show you a pathology from a man who died 3 years
25 after a transplant of a heart attack at age 71, one of the

1 | older patients. This is a glial scar in his transplant
2 | tract. Here's the caudate, here's the putamen, our only
3 | target. No patient was immunosuppressed in this study, so
4 | the growth that you're going to see of the transplant is
5 | without immunosuppression.

6 | So, this is the transplant that you saw glial
7 | scar with. You notice you're not really seeing a central
8 | line here, even though this is where all the cells are.
9 | But the fiber outgrowth from the transplant is so extensive
10 | that you lose the transplant tract, and in fact this man
11 | has filled his posterior putamen -- well, his putamen up to
12 | here with fiber outgrowth.

13 | The caudate, interestingly, the untransplanted
14 | structure, has only a thin rim of tyrosine hydroxylase
15 | fibers. He has lost nearly all of his intrinsic nerve
16 | terminals. Ordinarily this is preserved and this is lost.
17 | So, all he has is the transplant.

18 | Adverse events during the course of the study.
19 | We had no surgical complications that required breaking of
20 | the blind. There was one asymptomatic hemorrhage. We
21 | defined serious adverse events as events that required
22 | hospitalization or cause death. There were 8 such events
23 | in the real implant group, 1 in the placebo group. Other
24 | adverse events, including development of dyskinesia, were
25 | not regarded as serious adverse events during the course of

1 the study, and they were equally distributed between
2 placebo and implant patients, although dyskinesias
3 themselves, excess abnormal movements, were more common in
4 the implant patients but for the most part responded to
5 drugs.

6 And then this slide just summarizes the
7 specific adverse events to show you what they were. We
8 felt that they were usually not related to surgery. So,
9 the needle track hemorrhage was clearly related to surgery
10 but did not produce symptoms and, thence, was actually not
11 called a serious adverse event in our definition.

12 There was a subdural hematoma that appeared as
13 confusion 2 months after transplant surgery, and again, in
14 that patient the confusion responded to reductions in drug
15 therapy. A woman died in a motor vehicle accident. A
16 cerebral infarct, myocardial infarction happened in two
17 people within the first year. Wrist fracture from a fall,
18 so forth. The sham patient, only 1 patient, was admitted
19 in that case for a hysterectomy.

20 There is an elective shoulder surgery here
21 which was made possible by the fact that the transplant
22 produced a reduction in Parkinson dyskinesias, which made
23 it possible for this woman to undergo shoulder surgery.
24 She has since had dyskinesias develop that were at least as
25 bad as before surgery, and she's 1 of 2 patients with

1 | severe late dyskinesias despite elimination of drug doses.
2 | There are another 2 patients who have had some dyskinesias
3 | following substantial reduction of drug doses. So, 4 of
4 | the 34 transplant patients have had long-term development
5 | of dyskinesias.

6 | Thank you very much.

7 | (Applause.)

8 | DR. SALOMON: I have one quick question. The
9 | graphs that you showed with a significant decrease in drug
10 | dose, for example. You didn't show the sham, the placebo
11 | control there.

12 | DR. FREED: And the issue was, did the sham
13 | patients reduce the drug doses? No.

14 | DR. SAUSVILLE: Also a question related to
15 | this, any selection criteria for donors, particularly with
16 | respect to matching for transplantation antigens?

17 | DR. FREED: We are transplanting tissue from
18 | four embryos per patients. It is still very difficult to
19 | acquire human fetal tissue now. When we started doing
20 | transplants, we did ABO matches, and then we also
21 | inventoried results from HLA matches. In the first
22 | patients which we did, the first dozen patients which we
23 | did, when we looked at HLA mismatches, there was no
24 | relationship between the apparent clinical success of a
25 | transplant and the degree of HLA mismatch. We have now had

1 | the opportunity to see 2 patients' brains with randomly ABO
2 | matched tissue. There does not seem to be a difference in
3 | survival based on ABO mismatch in the transplant tracts
4 | that we've looked at.

5 | The immunology of transplants is really a
6 | beginning field. The fact that we decided to go ahead
7 | without immunosuppression was based on animal studies, and
8 | so allogeneic transplants in rats and in monkeys showed
9 | generally no transplant rejection. It was based on the
10 | monkey data that we decided to go to humans without
11 | immunosuppression.

12 | DR. GAGE: Related to the charge of this
13 | committee, what did you use to standardize the cell
14 | preparations in terms of dopamine content or whatever,
15 | between patients and between groups? What were the
16 | variables that were used to assess equivalency of each
17 | batch, as it were?

18 | DR. FREED: There are several issues with
19 | preparing fetal tissue. First of all is the standard for
20 | dissection, how big a piece of tissue, what age tissue are
21 | you going to work with. So, those are the first issues
22 | that were standardized. Namely, you have to be confident
23 | that you have an intact mesencephalon to dissect. Embryos
24 | are always fragmented, so you have to have someone very
25 | skillful actually doing the initial dissection.

1 I think we're the only group that's actually
2 looking at dopamine production of each tissue fragment
3 prior to transplant, and we're doing that via the
4 measurement of the dopamine metabolite HVA, and so we
5 measure HVA production per day with twice weekly testing.
6 We actually put these strands into tissue culture as
7 strands, and we transplant out of tissue culture from 1 to
8 4 weeks after these cells go into culture.

9 Actually over a period of time, namely after 4
10 weeks, we can see a fall-off in the rate of HVA production.
11 So, HVA production within a window is our measure that
12 we're dealing with a dopamine-producing tissue. It also
13 confirms that our dissection was correct, that we don't
14 have something other than dopamine-producing tissue.

15 We also screen for fungus, bacterial and viral
16 infections. Herpes simplex and cytomegalovirus are both
17 specifically cultured, and tissues only transplanted if
18 those cultures are negative.

19 DR. GAGE: This is a serum-free medium that you
20 put them in before this week period of time?

21 DR. FREED: Oh, no. As a matter of fact, this
22 might be helpful to other people working with human tissue
23 that is going to go into humans. We use human placental
24 serum. We found that human placental serum from cord blood
25 is better than fetal calf serum, is better than horse serum

1 for keeping dopamine neurons alive, and of course has the
2 advantage of being compatible with human use.

3 DR. TROJANOWSKI: Curt, could I ask you -- and
4 I'll just say that Curt and I collaborate, so I am asking a
5 question that I couldn't have asked last night. We had
6 dinner together and I just want to ask him if he thought,
7 having heard the questions that have been posed by Dr. Fink
8 this morning, which I think are very, very good issues to
9 target -- are we in the right ballpark?

10 I sometimes worry that if Adam and Eve had to
11 provide all the pallet data to go forward with producing
12 their children, we might not be here today because you
13 can't really foresee everything in the future, which
14 doesn't mean you shouldn't, of course, try to be as safe as
15 possible.

16 Are we in the right ballpark with our
17 questions, having brought something to human trial from
18 animal studies?

19 DR. FREED: The question that we used, when we
20 decided in 1988 to do a transplant in a person, was would
21 we change anything about the way we are going to do this in
22 the next year based on anything we could discover in a
23 year. And what was the basis for proceeding? The basis
24 for proceeding was about 8 years of successful research in
25 the rat.

1 Then we had done some studies in monkeys for
2 the issues of scale, what was it like to transplant a
3 bigger brain. That was largely a scale issue rather than a
4 principle issue.

5 So, the rats have provided essentially all the
6 principles. What I think is exciting is that the rat
7 model, even though not Parkinson's, has predicted
8 accurately what has happened in all other species after
9 transplant. So, I would say that the monkey is not a
10 necessary model. The rat data is explicitly important, and
11 every time we consider a change, we say, what has the rat
12 told us? And the rat has been right all the time.

13 DR. SALOMON: I am going to allow the
14 discussion to continue because this is so on point, I
15 believe. I know there are some people over here who want
16 to talk. Tom?

17 DR. FREEMAN: I think the field of fetal
18 transplants has brought up several salient features for
19 this meeting in particular. The first is from the
20 immunologic point of view, as you have pointed out. Your
21 data, as well as the work that Jeff Kordower and I have
22 done, have shown that now about 20 different allografts
23 have survived in the absence of immunosuppression in four
24 different unrelated recipients immunologically. Then
25 there's also PET data on over 20 patients with long-term

1 survival without immunosuppression. So, the immunologic
2 aspect of neural allografts is looking very favorable from
3 a clinical point of view.

4 Secondly, the long-term survival on PET data is
5 looking very, very good, as I mentioned.

6 Thirdly, these cells are dynamic in nature.
7 For example, at autopsy at 18 months, they have synapses on
8 the shafts but not the dendrites, which is more of an
9 embryonic form. So, therefore, when one looks at efficacy
10 results, the clinical outcome in a month when you start to
11 see benefit may be via a mechanism of dopamine storage, and
12 then the secondary improvement that occurs at 18 months may
13 be related to drug manipulation. There are tertiary
14 changes down the road at 3 years that may be related to
15 synaptic morphologic changes and development to a more
16 adult nature. So, therefore, it is a very dynamic
17 pharmaceutical in comparison to drugs, which are static.

18 Therefore, when one looks at the late onset of
19 dyskinesias in some of these patients -- and this has also
20 been reported from Sweden, and we have seen this in our
21 group of our open label patients from early on as well --
22 this suggests that from a regulatory point of view these
23 cells are not static and it is a very dynamic process that
24 evolves over time, and therefore the long-term follow-up of
25 any patient receiving a cellular therapy will be necessary.

1 DR. SALOMON: I would just like to capsulize
2 that, then, that that's a critical thing for us to think
3 about later in terms of outcome parameters, this time
4 frame, and I think that was well articulated, actually by
5 both of you.

6 David, and then you.

7 DR. DRACHMAN: These data, these results are
8 very important. This is really one of the few human
9 observations, but I think it would be very important to get
10 it all right out on the table. Would you describe for us
11 the very worst dyskinetic consequences so that we might
12 think a little more about whether the benefits are worth
13 the risk? As we discussed, one of the negative results
14 came my way and Stan Fahn has spoken highly, widely then.
15 Fill us in.

16 DR. FREED: Yes, there are 2 patients, as Dr.
17 Drachman was alluding to, that actually had a spectacular
18 initial response to transplant. I will describe a man --
19 not this patient -- I will describe this gentleman first, a
20 man about 43 years of age who had had bad Parkinson's for
21 more than a decade. When he was off, he had dystonic
22 posturing that was quite uncomfortable, so his hands would
23 be strangely postured. He had difficulty walking as well.

24 Following transplant, I was at a meeting at
25 National Institutes of Health and this man had been

1 presented at NIH -- we were unaware of that, it was
2 certainly not a part of our program -- during the blind
3 phase. And two senior NIH neurologists, Tom Chase and Mark
4 Hallett, said, we saw your patient presented and he is on
5 no drugs and he looks normal. I said, isn't that terrific.
6 Maybe this is a placebo patient. They said, if that's a
7 placebo, that is a miracle.

8 So, here we have a person with an extraordinary
9 response to transplant, off drugs and looking normal. Of
10 course, if we could do that in every patient and capture
11 that moment, we would have finished with transplant
12 evolution.

13 That lasted for a period of about a year and
14 then he began having abnormal movements of his head. At
15 rest, I might add. When he walked, his ability to walk was
16 better than before surgery, but these abnormal movements of
17 his head made it difficult for him to eat. That required a
18 lot of working with drugs. Amantidine seems to be helpful
19 in that situation.

20 So, that's that gentleman. His weight has been
21 maintained. He continues to walk three miles a day, but
22 the abnormal movements of the head have continued.

23 The second is a woman in her late 40s, who was
24 wheelchair-bound prior to transplant, largely from her
25 bradykinetic state because when she took drugs, she became

1 extremely dyskinetic. So, this woman, several months after
2 surgery, got up in the middle of the night and walked to
3 the bathroom. Then she came back and she woke up her
4 husband and said, I walked. I can walk now. I don't want
5 to make this excessively melodramatic because I am also
6 telling you the down side of these things. So, for about 1
7 year this woman was able to progressively reduce her drugs
8 and come off drugs. Again, a very remarkable response.

9 Beginning somewhat after the 1-year point, the
10 lady began having generalized dyskinesias of her limbs, and
11 again, more at rest than when she walked. She actually can
12 still walk and her dyskinesias are somewhat less when she
13 walks than when she is at rest.

14 What can you do about that? The lady is off
15 drugs, she's dyskinetic. In fact, Paul Green, the
16 neurologist who's doing primary care on these folks,
17 actually went so far as to start her on a dopa synthesis
18 inhibitor, alpha methyl paratyrosine. In fact, the alpha
19 methyl paratyrosine was able to shut off the transplant.
20 But then she became very slow again.

21 Now, it would be possible to pull the
22 transplant out of the picture by giving a drug that
23 inhibits dopa formation by the brain and then give back
24 moderate doses of dopa. In fact, in her, because there is
25 a surgical strategy that seems quite effective for

1 eliminating the dyskinetic state, namely stimulators into
2 the pallidum, this lady had last week bilateral pallidal
3 stimulators put into the brain, and we hope that in fact
4 the combination of the transplants, plus an operation that
5 will inhibit dyskinesias, will in fact give her a better
6 control of her Parkinson's disease.

7 I add to this discussion, all of the patients
8 that we have considered for operation are candidates for a
9 surgical intervention. They have failed conventional drug
10 therapy. So, even with the 2 patients who might be
11 candidates for an additional surgery, not only they but the
12 rest of the patients would have been candidates for some
13 sort of surgical procedure.

14 Now, if we did not want to see any chance of
15 having an excess transplant effect, we could transplant
16 less tissue. We are now modifying, looking at the total
17 results and modifying our thinking about transplants. One
18 of the things that we're going to do is to transplant only
19 tissue from two embryos into the brain instead of four, and
20 it will be in the dorsal rather than the ventral position.
21 The ventral aspects of the putamen are less denervated than
22 the dorsal aspects.

23 We're also going to start transplanting the
24 substantia nigra as well as the putamen. Why would we do
25 that? Because rat studies said that combination is better.

1 We are being led by results in rats dating back to 1989.

2 So, I see the development of transplants as
3 having been made necessary by the lack of good drug therapy
4 for advanced Parkinson patients, and the implementation of
5 transplantation absolutely tracking what we have learned
6 from animals.

7 DR. DRACHMAN: That I think clinically really
8 does describe well what happened. What I've got to say,
9 though, is something a little bit different. That is, one
10 must be fully aware that cells when transplanted no longer
11 are under our control. The expression "sorcerer's
12 apprentice" and "Frankenstein monster" have been used by
13 people viewing videos of these cases. Those are very
14 dramatic terms. The point is not to raise that specter,
15 but merely to say that some degree of control, some way of
16 shutting down, may be part of what we really want to think
17 of whenever we put in totipotential, pluripotential,
18 multipotential cells whose growth, whose reconnection,
19 whose secretion may not be what we want because the
20 intrinsic regulatory environment may no longer work in this
21 setting. So, it's not whether this lady or these two or
22 four people did well, but what is the principle we want to
23 propound.

24 DR. FREED: I'd like to add just to your
25 comment because obviously that's a critical one. With stem

1 | cells, where there is the possibility of a malignant cell,
2 | or at least a mass growing, I think it could be very
3 | important to have a suicide gene or an immunotherapy.
4 | Namely, there is value in having a cell be a foreign cell
5 | because you could have a pre-existing kit for immunizing a
6 | patient and rejecting the cells.

7 | What I described with our patients is that it's
8 | possible to use an anti-dopamine synthesis inhibitor to
9 | actually shut down the transplant. We have known from the
10 | beginning, we published in 1992 that transplants evolve
11 | over a period of years. So, that isn't a surprise.

12 | A surprise and, in fact, a result of doing tens
13 | of patients instead of a few patients has been that we can
14 | now see 10 percent probability events, whereas when people
15 | were reporting 2s, 3s, and 4s for patients, you didn't have
16 | enough patients to make it likely that you could see the
17 | extremes of response as opposed to a more average response.
18 | So, the issue of control is important, but I think it has
19 | to be in the context of the biology.

20 | The other issue in the transplant patients is
21 | we don't see excess absolute dopamine production on PET
22 | scan. That's why I think the issue that we don't have
23 | balance in the brain, that we need innervation of the
24 | region of the substantia nigra is as likely as not to be a
25 | participant in this. It could be the persistent

1 denervation in the nigra as opposed to excess production in
2 the putamen. We have no evidence for excess production in
3 the putamen.

4 DR. KURTZBERG: I just had a quick question.
5 Did the engrafting tissue express HLA markers?

6 DR. FREED: We have seen, in different
7 transplant tracts postmortem, HLA class 2 antigens and
8 lymphocytes in some tracts, even though we see lots of
9 surviving dopamine neurons. That's at 7 months after
10 transplant and 36 months after transplant. There was no
11 apparent relationship between the amount of HLA class 2
12 antigen expressed or the number of lymphocytes and the
13 number of dopamine neurons that survived. My transplant
14 friends have called this minimal inflammation, and it's in
15 the absence of immunosuppression.

16 DR. AUCHINCLOSS: Somewhere in the introduction
17 to your talk, and I can't remember whether it even came
18 from you -- I thought I heard that we were going to learn
19 about embryonal stem cell treatment for Parkinson's
20 disease, but what I thought I heard actually was about a
21 fetal cell transplant for Parkinson's disease. Where in
22 that sort of spectrum would you actually place this?

23 DR. FREED: Well, these are embryonic dopamine
24 neurons. At the time that they are transplanted, they are
25 terminally differentiated. The time for transplant is

1 | selected, as shown in rats, at the time that the dopamine
2 | neurons have declared themselves, have differentiated, but
3 | before they have reached out axons to their targets. So,
4 | they are in the embryonic period as opposed to the fetal
5 | period, but they are differentiated cells.

6 | DR. MOOS: One of the things that sounds very
7 | impressive from listening to you would be how difficult it
8 | must have been to design that clinical trial. You are
9 | talking about a treatment that changes over a period of
10 | time that we don't even understand. What about the non-
11 | treated arm of the study? How long could you leave them
12 | untreated?

13 | DR. FREED: The contract with the patients,
14 | namely the initial consent form, said that the people who
15 | have been in the sham arm could have their transplant not
16 | at the end of the study but after their 1-year
17 | participation followed by unblinding.

18 | Now, there was some disagreement with the
19 | performance safety monitoring board, saying, well, wouldn't
20 | it be better to wait till the end? The patients insisted
21 | that the contract and the consent be lived up to.

22 | So, 34 of the 40 patients have had transplant
23 | operation; namely, 14 of the prior shams have had
24 | transplant. That was up to the breaking of the blind in
25 | January of '99, and at that time the older patients were

1 | advised this may not be such a great idea for them. The
2 | younger patients said, well, maybe this is not a cure for
3 | Parkinson's. Maybe we will wait to see what happens in the
4 | long run before we decide what to do.

5 | DR. MOOS: So, in essence the original study
6 | was a 1-year --

7 | DR. FREED: 1-year follow-up.

8 | DR. RAO: A real quick question. Presumably
9 | even though it was enriched for dopamine cells, it must
10 | have been a mixed population. When you see the dyskinesia,
11 | how convinced are you that that can be attributed really to
12 | a loss of dopaminergic cells, or not some other aspect of
13 | the fetal tissue that was transplanted?

14 | DR. FREED: Well, the general assumption is
15 | that dyskinesias are caused by an excessive dopamine
16 | effect, as they are with drugs. It could be some other
17 | neurologic regulatory event, though, in that you could say
18 | that dyskinesias are allowed to appear when dopamine is
19 | present. You see that there could be a difference between
20 | those two.

21 | The transplants that have been done in rats, on
22 | which all of this is developed, has been the whole ventral
23 | mesencephalon. This is a fragment of tissue from human
24 | brain that's perhaps 4 milligrams in volume. It's a small
25 | transplant. Each of those 4 milligram individual embryo

1 fragments was put into each of four separate holes. It is
2 simply following the technique. Efforts to isolate
3 dopamine neurons and transplant pure dopamine neurons have
4 failed. There is no way to isolate dopamine neurons
5 without losing the tissue. So, you are transplanting the
6 tissue as it existed in ventral mesencephalon.

7 There are also studies of survival, of which
8 tissue elements survive. The dopamine neurons do a very
9 good job of surviving in striatum, as do serotonergic
10 neurons. There are a few serotonergic neurons that survive
11 as well. If you transplant cells that would not ordinarily
12 innervate the striatum, they tend to die off, at least the
13 neuronal populations do.

14 DR. SNYDER: I just briefly wanted to reiterate
15 Tom's point about how important it's going to be for us to
16 really understand the immunobiology of transplantation.
17 Particularly for us in the stem cell field, it's an issue
18 we haven't talked a lot about. But whether or not stem
19 cells are well tolerated may very well influence how we
20 decide to harness this biology for therapy. It will be
21 important for deciding, do we need to do autologous
22 transplants, in other words, adults donating their own
23 cells for reimplantation, or can we really have universal
24 donor cells prepared under GMP.

25 Much of that issue rests on our understanding

1 of whether cells will or will not be rejected, which gets
2 down to the immunobiology. The work that Curt and Tom and
3 Jeff have talked about suggests that grafts may be better
4 tolerated, at least if they're young, than we ever might
5 have imagined. Some very early pilot work that we have
6 suggests that immature stem cells, in the state in which we
7 do the transplantation, do not express MHC class 2, and can
8 be tolerated without immunosuppression across strains, at
9 least in rodent recipients.

10 So, this is a very, very important point that I
11 think will need to be explored very, very carefully and
12 hasn't been as explored in the stem cell field as much as
13 it's going to need to be.

14 DR. KORDOWER: Unlike a drug trial where you
15 can get uniform delivery of a compound across centers,
16 transplantation trials are dramatically different in terms
17 of parameters chosen, techniques used from one center to
18 another. I spoke with Olle Lindvall recently about
19 dyskinesias in his patients. He said to me that he doesn't
20 see them in his patients. I know he does suspension grafts
21 while you do solid grafts and Tom and our group does solid
22 grafts.

23 I was wondering whether there are any
24 parameters that you can think of in, let's say, Olle's
25 trial than your trial or Tom's trial that might explain why

1 | you see what Stan is calling runaway dyskinesias.

2 | I think it's also important, when we evaluate
3 | stem cell trials, that we understand that it's likely that
4 | it's going to be done very differently in very different
5 | centers. We've got to think about that as we proceed
6 | toward clinical trials.

7 | DR. FREED: Starting with our first graft, our
8 | first report in 1990 in Archives of Neurology and
9 | continuing to a New England Journal paper in 1992, we said
10 | that all patients, or 6 out of 7 patients, developed
11 | increased abnormal movements in the months after
12 | transplant. Now, that was with transplant of tissue from a
13 | single embryo initially on one side of brain, so roughly
14 | half the dose that we used in the double-blind study. That
15 | responded to reductions in drug dose.

16 | What I think is going on here is the number
17 | of patients that we have transplanted. In the double-blind
18 | study, there are 34 patients. I think with Lindvall, the
19 | total number of patients he's transplanted over the years
20 | has only been 10 or 12. So, if you have something with an
21 | incidence of about 10 percent, you may not see that with 10
22 | or 12 patients, although I think everyone has seen the
23 | tendency for increased abnormal movements if drug doses are
24 | kept the same. Some people say those go away if you keep
25 | the drug doses constant. We have found generally the drugs

1 have to be reduced.

2 But you're absolutely right that there are a
3 variety of techniques. I think that the variety of
4 techniques is helpful to the field because it gives us a
5 range of looks at this physiology without saying this is
6 the way. If we had a doctrinaire philosophy that said this
7 is the only way to do transplants, we would have a much
8 less rich understanding of this field.

9 DR. KORDOWER: Let me just respond. I agree
10 with you for the most part, although I think the people who
11 are not in this field, they see a paper on transplants and
12 they say, this is what happens when you get a transplant,
13 not appreciating the differences in technique across
14 different trials, which may dramatically impact upon both
15 the positive and the negative aspects that follow the
16 graft.

17 DR. AUCHINCLOSS: Sorry. The question that I
18 had, I wanted to talk more with Don Fink about the original
19 FDA perspective on this. I think we ought to come back to
20 that.

21 DR. REID: This is a general question to the
22 panel and to the speakers. Obviously the issue that keeps
23 coming up again and again and is of concern to all of us in
24 the stem cell field is the sourcing issue. What I'm
25 curious about -- certainly we've been facing a lot in the

1 | liver stem cell field, but I am curious about what you
2 | imagine being your source in the future for the patient.
3 | Is it all going to be fetal brains, or do you have some
4 | hope for being able to isolate out the relevant cells from
5 | either cadavers or from pediatric or adult sources?

6 | DR. SALOMON: When we come back from break, we
7 | will take that up at that time.

8 | Jay, and then Tom.

9 | DR. SIEGEL: Yes, I just wanted to comment on
10 | the point of two or three speakers ago, that I would agree
11 | both that there is a lot of value in diversity of
12 | approaches, but also point out that when you reach the
13 | point of doing multi-center trials, there is significant
14 | value to come into consensus approaches. We have seen a
15 | number in the field of hematopoietic stem cells. There are
16 | people who can speak better to that than I can, but suffice
17 | it to say, in some of them where there was less
18 | investigator consensus, not just about how to handle the
19 | cells but how to use platelets, how to use antibiotics,
20 | whatever, it's a lot harder to make sense and interpret the
21 | results than where there is more consensus.

22 | DR. FREEMAN: A response to a few of the
23 | comments that have been made. First of all, the
24 | dyskinesias. Olle Lindvall has reported 2 patients, in
25 | writing, actually, that have developed increased

1 dyskinesias. So, it's something that I think everybody has
2 seen in a subset of their patients.

3 Secondly, it can be related to anatomic issues
4 such as uniformity of distribution throughout the putamen.
5 For example, in Huntington's disease, chorea is not present
6 early, then it develops, and then as there is more burn-out
7 of the putamen, it disappears. So, non-uniform anatomic
8 issues can be involved, such as transplants in the post-
9 commissural putamen rather than the anterior putamen.

10 Finally, it raises the issues of dosing being
11 critically important for the cells. And for example, our
12 prospective randomized trial has a dose escalation arm. It
13 is these types of systematic base hits I guess has been the
14 analogy du jour. I think we have to look for incremental
15 changes in the field before the therapy is optimized.

16 I think the paradigm that is relevant is the
17 kidney transplants that were incrementally improved over a
18 30-year period. And even if you look at success rates
19 around the era of cyclosporine introduction, there was no
20 giant 20 or 30 percent increment. It was still a 2 or 3
21 percent increment every year over a 30-year period. So, I
22 don't think we should look for these types of home runs
23 right off the bat.

24 Secondly, from the evidence point of view, from
25 the allograft versus isograft point of view, obviously if

1 allografts are beneficial there are tremendous advantages
2 from the corporate point of view and the production point
3 of view and the safety point of view. You can use good
4 manufacturing practices on large batches of tissue rather
5 than having individually produced cells.

6 Secondly, as many of these neurodegenerative
7 diseases are genetic in nature. If you can obviously have
8 a cell source without the aberrant gene expressed, or
9 without the need to do gene therapy with the cells, that is
10 tremendously important.

11 For example, in our transplants with
12 Parkinson's disease, there was no evidence of Lewy bodies
13 in any of the transplants in our autopsies studied. In a
14 patient with Huntington's disease there was no abnormal
15 expression of the aberrant Huntington protein in any of the
16 grafts as well. So, that would be another important issue.

17 Finally, from the trial design point of view
18 with the crossover, that is actually not a trivial point.
19 In our study, I think if I was to point to a flaw, we had a
20 crossover at two years, but when you take into account also
21 the time to actually perform the study with recruitment, it
22 is not a simple recruitment. It's a surgical trial, it's
23 not a drug trial. It does not tend to progress as quickly.
24 Particularly in early studies, that can be a burden on
25 patients. I think alternative trial designs need to be

1 | considered earlier on, such as delayed start trial designs
2 | so the burden on patients is not as high.

3 | DR. SALOMON: Last two questions.

4 | DR. KARLIN: My name is Dr. Helene Karlin. I'm
5 | the President of the Canavan Research Foundation, which was
6 | originally started with my husband, Dr. Roger Karlin, after
7 | my daughter Lindsey was diagnosed at 3 months of age with a
8 | leukodystrophy called Canavan disease. We're a nonprofit
9 | foundation. We currently fund research in gene transfer
10 | and stem cell approaches to be used clinically.

11 | I would like to thank the FDA and all the
12 | scientists for their wonderful presentations and the
13 | education it provided me about the current state of stem
14 | cell research. Now I do understand that stem cells are not
15 | simple. Clearly there is much research that needs to be
16 | done in order to completely understand stem cells and their
17 | therapeutic possibilities.

18 | I would, however, urge the scientists here in
19 | considering regulations to keep avenues open for clinical
20 | applications to develop in tandem with basic research. My
21 | daughter Lindsey has the distinction of being the first
22 | person in the world to be treated with gene transfer.
23 | This, amidst a scientific controversy over whether gene
24 | transfer was ready to be used in the clinic, in spite of
25 | the fact that safety had been demonstrated and efficacy was

1 a real possibility. Lindsey, who was then 2 years old,
2 improved dramatically on clinical measures, as well as
3 objective measures such as MRI. Eight months after the
4 gene transfer she developed new myelin, which children with
5 Canavan disease do not do.

6 In spite of this clear improvement, we needed
7 to wait 2 years for a virtually identical trial to be
8 approved in this country. During this time, we had to
9 watch our daughter decline, when we knew that she had
10 already received something that had helped her. When she
11 received gene transfer again in this country at age 4 and 4
12 and a half, she again improved.

13 We have now waited over 2 years waiting for a
14 new technology in gene transfer to be approved. Once
15 again, we have watched our daughter decline to the point
16 where the window of opportunity, in terms of the
17 degenerative route of this disease, is just about closed.
18 We've basically stabilized her, we've improved her, but
19 it's a degenerative course, and after 2 years of not having
20 the gene, she's starting to deteriorate again.

21 As Dr. Noble mentioned yesterday, some patients
22 resort to crazy treatments in nonregulated environments.
23 We're not interested in this. We're interested in good
24 research with safety as our foremost concern. However,
25 we're also interested in the recognition that people with

1 | devastating fatal illnesses have the ethical right to
2 | potential clinical treatments in a timely manner. The
3 | safety and efficacy guidelines for devastating diseases may
4 | not be the same as the guidelines for non-life-threatening
5 | disease. In addition, science for the clinic cannot
6 | tolerate bureaucratic delays that have to do with such
7 | things as people's vacations and the intermittent
8 | scheduling of review meetings.

9 | Valerie Estess mentioned the collaborative
10 | scientific efforts that private funding is encouraging. We
11 | believe that privately funded research, such as the
12 | research that we were also funding, can only expand on the
13 | science and present opportunities that limited public
14 | funding cannot offer. I will mention that Canavan research
15 | has received no public funding. Zero. It's all coming
16 | from families, and it's quite a lot of money.

17 | I urge scientists to consider the notion that
18 | not all the patients out there are dummies. We are
19 | educated. We are informed consumers. We know what is
20 | going on. We are looking for good research. We are not
21 | looking for snake oil, as Jordana mentioned yesterday. I
22 | hope that people will consider that in considering
23 | regulations and review. I thank you very much.

24 | (Applause.)

25 | DR. SALOMON: That was a little off track, not

1 that it wasn't perfectly appreciated. I thought it was a
2 question for Dr. Freed.

3 I think at this point we'll end Dr. Freed's
4 presentation. I really appreciate that you brought another
5 dimension and very on point to the discussion this morning,
6 Dr. Freed. Thank you.

7 DR. FREED: Thank you, Dr. Salomon.

8 (Applause.)

9 DR. SALOMON: I'd like to bring this part of
10 the open public hearing to a close and go to a break.
11 However, I do offer, is there anyone else in the audience
12 who'd like to get up and make a brief comment?

13 (No response.)

14 DR. SALOMON: No? Then I certainly invite the
15 audience to participate the rest of the day as we have
16 before, and see you in 10 minutes.

17 (Recess.)

18 DR. SALOMON: What I'd like to do now is begin
19 now the most serious working part of the meeting. We've
20 divided the questions that the FDA wants us to address
21 specifically into two parts. The first question is related
22 to product development, and that will be introduced now by
23 Malcolm. Then we won't break for lunch at 1 o'clock. We
24 have got to be done by 3:00 because there is one direct
25 flight out of Washington Dulles a day to San Diego and I am

1 not going via Chicago.

2 (Laughter.)

3 DR. SALOMON: I think I have tried very hard to
4 be very freewheeling in terms of the discussions up until
5 now, but now we have to really focus. I apologize to
6 everyone in advance if I cut you off or move ahead. I only
7 feel like the whole reason that we are here, and tremendous
8 amounts of effort on anybody's part, not to diminish the
9 investment on the part of the government to get us here as
10 well -- they have some questions and we do need to address
11 them. I promise to be fair, and it is nothing personal.

12 For that particularly, if we can keep our
13 answers on point and ask yourself while you are giving
14 answers. There are lots of fascinating biological and
15 scientific questions that the experts here would want to
16 discuss, and all I am asking is that in the midst of
17 thinking about them, if they are not on point any longer to
18 the questions, it does not mean they are not interesting,
19 it just means that we probably should not be wasting time
20 discussing them now.

21 With that introduction, Malcolm.

22 DR. MOOS: Thanks, Dan.

23 Those of you who have long memories may
24 perceive that I have redrawn this slide slightly and there
25 may be a perceptible alteration in the proportions between

1 the upper two and the lower two quadrants, based on the
2 discussions yesterday. Notwithstanding this, as Don has
3 pointed out, we now have to get down to brass tacks.
4 Basically when somebody comes to us with a proposal, we
5 have 30 days to make a determination as to whether it
6 represents an unreasonable risk to human subjects or not.
7 And the default position is that the IND goes into effect.

8 One ground upon which we can place something on
9 hold is what we call insufficient information. So, I would
10 like to enjoin as many of you as possible not to throw up
11 your hands in despair, but to try to come to some
12 considered suggestions that we can use.

13 Parenthetically, since it was touched on
14 earlier this morning, I'd like to just mention the quadrant
15 that is not really shown up here, which is the quadrant of
16 secret competence. Now, the Founding Fathers considered
17 the protection of intellectual property so important, that
18 it's actually framed in the Constitution. The
19 establishment of the Patent and Trademark Office is there,
20 not in statute or regulation. I didn't really realize this
21 until just a couple of years ago, but we also consider
22 proprietary secrets very seriously indeed.

23 On the other hand, there are certain matters
24 which are often kept confidential by companies for which an
25 ethical and even a legal argument that they should be is

1 | difficult to maintain. I would like to simply make the
2 | statement here that it has been our experience that fields
3 | where information is exchanged relatively freely advance
4 | more quickly. The old phrase about a rising tide floating
5 | all boats definitely obtains here. We not only see
6 | everyone's successes, which become public, but we see
7 | everyone's failures, which do not. At least not
8 | immediately.

9 | If the FDA suddenly starts asking for a
10 | particular type of experiment and you can't figure out why,
11 | you might start to put two and two together. There are
12 | constraints upon what we can say and what we cannot say,
13 | but nevertheless there is an eventual percolation of
14 | private data into the public domain at various paces,
15 | especially as they relate to data involving safety. In
16 | certain particular fields -- and perhaps Dr. Siegel and
17 | Noguchi can speak to this at greater length -- there are
18 | different considerations about what is confidential and
19 | what is not.

20 | With that out of the way, I would like to
21 | return to the point that Dr. Drachman made with a slightly
22 | different analogy yesterday, in talking about the
23 | confidence that we have of things being represented as
24 | clay, molding themselves however they want. The paradigm I
25 | like is that articulated by that a brilliant American

1 cartoonist, Al Capp, a half century ago. These are the
2 schmoo. These were organisms that were so delighted that
3 anybody might find them of utility, that they would turn
4 into whatever you wanted to eat, like magic, and in
5 unlimited, self-renewing supply.

6 (Laughter.)

7 DR. MOOS: So, I think the analogy and the
8 whole concept here is exactly on point.

9 We need to establish how far we can take this,
10 and more importantly, what we can use to place limits on
11 our confidence or lack of confidence. That brings us to
12 some of the basics, which Don alluded to and I am going to
13 focus on in just a little bit more detail, of how we
14 regulate biologics. And these were the basics that Don
15 mentioned. Source control, process control, and
16 specifications. I am simplifying a little bit too much
17 here, but I want to get through this quite quickly so that
18 we can start addressing the questions.

19 Source control involves things like who, how
20 old, history and habits if we are talking allogeneic
21 donors. There was some discussion, and we will have more
22 of it, I think, about whether or not there should be
23 constraints on who can donate and whether there might need
24 to be genetic testing of them. Various types of testing,
25 not just the standard microbiology screening that we have

1 talked about, but HLA matching, other types of tissue
2 matching. And most importantly of all, things that didn't
3 make it to our list that we should be thinking about that
4 didn't occur to us.

5 Next is process control. For those of you not
6 familiar with our paradigm, this is perhaps one of the most
7 unfamiliar ideas. But really it relates to a very common
8 experience. The analogy I like is candy-making in the mid-
9 19th century, where you could use qualitative tests called
10 hard ball stage, soft ball stage, hard crack stage,
11 depending on whether you were making brownies or peanut
12 brittle, and you would not have to understand chemistry or
13 carbohydrates and caramelization to make use of these
14 tests, but if you followed a consistent recipe, if you were
15 an experienced confectioner, if you knew what your raw
16 materials were, who they came from, you trusted your
17 suppliers, you qualified your supplies, and you used these
18 sorts of what we call in-process tests appropriately, you
19 could generate a consistent product. If you became the
20 sort of a confectioner that everybody might want to
21 emulate, eventually you kept careful records and you might
22 have even made an extra buck by publishing them.

23 And finally, there are elements of process
24 control that we're beginning to hear about. For example,
25 Dr. Gearhart has mentioned magic lots of fetal serum that

1 work for some things and not for other things and
2 variability in starting materials. And how you qualify the
3 starting materials? Maybe the tests that you use to
4 qualify the starting materials have their own problems. Or
5 alternatively, what are strategies for getting away from
6 starting materials that are difficult to control. I think
7 this is a technically quite knotty issue that we'd like to
8 hear as much advice about as possible.

9 Finally, what we call specifications. Some
10 things are very basic. We need things to be sterile. We
11 need the endotoxin levels to be low. We don't like them to
12 be growing mycoplasma and so forth. We know all about
13 that. But beyond that there are some special difficulties
14 with these products that we often don't have as much
15 trouble with with other classes of biologics.

16 One of the most nettlesome that confronts us I
17 think in this arena is how do you identify your product.
18 It's continuing to evolve in culture with time. It may be
19 heterogeneous. The characteristics which define it
20 unambiguously I submit we probably do not know. Certainly
21 we have been thinking about gene profiling and microarray
22 technology and FACS scanning with as many different
23 antibodies as we can find, and looking at function and so
24 forth, but this is just a start. We heard the number of
25 three markers yesterday, and I am willing to bet a large

1 | portion of my personal assets that it will only be in rare
2 | cases that that will suffice to define the identity of a
3 | product. I know other examples where 18 markers is not
4 | enough, as judged by evaluation and stringent in vivo
5 | models.

6 | So, the idea that some people think that you
7 | can identify something just by its morphology, even when
8 | there are fairly good characteristics, this structure here
9 | usually identifies a witch, but it's important to determine
10 | whether or not what you're looking at is artifactual as
11 | just one example, is really quite premature and inadequate.

12 | We heard about functional testing, which I
13 | think is a very interesting and difficult area. One could
14 | imagine doing patch-clamp electrophysiology, but then if
15 | you are looking at a dish or a lot of cells, what is your
16 | sampling paradigm? How many, what kind of standard
17 | deviations, what actually is your number, what is your
18 | specification? Do you say plus-minus, action potentials,
19 | yes-no? Do you place a number on it? Calvin told us that
20 | science is measurement. Was it Rutherford who said if you
21 | can't reduce it to numbers, I am not interested? I think
22 | that is a terrible paraphrase. But nevertheless, that
23 | issue needs to be confronted.

24 | Dr. Gage mentioned a very interesting idea,
25 | that there may be windows of competence during which, or

1 states of competence during which a product may adopt its
2 eventual fate, or not, depending on what you have done to
3 the cells, how they have been treated, how old they are,
4 how many passages, and who knows what. The importance of
5 this may depend on where you're intending to implant them.
6 Could one envision functional tests to evaluate competence,
7 whether in vivo, or perhaps maybe one could figure out some
8 magic growth factor or combination or sequence of growth
9 factors, and then look at immediate early response genes.
10 One kind of idea.

11 And finally, the most difficult issue which,
12 like Dr. Salomon, I will refrain from going too far
13 overboard with. I guess I've kind of talked about this
14 already, but then the thought of developing surrogates for
15 potency is something that we'd like to hear a lot about if,
16 indeed, there are rational ways for doing it.

17 So, with that I will yield the floor to you
18 folks so that we can hear as much as we can about what we
19 need to know.

20 DR. SALOMON: Thank you, Malcolm.

21 I know you had wanted to make a set of
22 questions.

23 DR. AUCHINCLOSS: I guess it's a question for
24 both Malcolm and for Don Fink. It's really a generic
25 issue. This is a generic discussion. The question that I

1 think you are asking us today is what special regulatory
2 issues are associated with stem cell therapy. But when you
3 put up your list of considerations, both your list, Don,
4 and what we just heard there from Malcolm, they're
5 precisely the same issues that you address in any other
6 form of cell transplantation, or indeed, precisely the same
7 issues that you would address if you were approving a new
8 cytokine or monoclonal antibody, et cetera. There is
9 nothing unique in any of the questions at least in the
10 broad categories of any of the questions that you put to
11 us, and you know how to do that kind of regulation.

12 So, my first question for you is, what do you
13 feel is really special about "stem cell therapy" that you
14 want us to try and help you with?

15 DR. FINK: Well, let me take a first shot, and
16 Malcolm, you can follow up if you need. I think probably
17 the one point that we focused in on as a group or a
18 committee is the rather unique and intrinsic capacity of
19 these cells, presumably stem cells with pluripotentiality,
20 to actually become perhaps something different, or mature
21 following placement within a patient, whereas in many
22 contexts when we're evaluating cells for therapy and
23 characterizing them, we know what they are prior to
24 implantation. We probably have a fairly good idea what
25 they are going to do.

1 But in this context what we're interested in
2 finding out as much as we can about what we might
3 anticipate, either positively or negatively, to be the case
4 following placement of the cells within the patient, that
5 we cannot perhaps necessarily get a handle on up front,
6 although we can use tests to qualify or characterize them
7 as derived from the source to get some indication that at
8 least this primordial or this prior to implanting
9 formulation will lead to, in fact, what it is we hope to
10 find. I think that's probably the difference or the
11 intrinsically different nature of this product compared to
12 other cellular therapies.

13 DR. AUCHINCLOSS: Well, I don't think so, if I
14 can comment further on that. It seems to me that it's
15 fully apparent to you that you have been regulating adult
16 stem cell therapy for a long time in the form of bone
17 marrow transplantation. In bone marrow transplantation,
18 you put in cells that are going to differentiate into other
19 cells than the ones you put in, and you're perfectly
20 comfortable with that at this point. Indeed, as I go
21 through bone marrow transplantation, it seems to me -- and
22 I guess I am now going to put this as a question to
23 everybody -- why isn't bone marrow transplantation a
24 paradigm for all of the issues that you are dealing with?

25 Bone marrow transplantation brings up the

1 immunogenicity of stem cells. There is no question that
2 outside of the CNS they are exquisitely sensitive to
3 rejection. Bone marrow transplantation involves adult stem
4 cells at least at the multipotent level, probably at the
5 pluripotent level, and yet we don't talk about teratomas in
6 bone marrow transplantation. We don't worry about over-
7 doing it. We don't worry about too much bone marrow
8 production when we do bone marrow transplantation. We have
9 recognized, when we do bone marrow transplantation, that
10 there are different recipient populations, some of which
11 can respond to stem cell therapy, some of which can't. We
12 recognize, when we do bone marrow transplantation, that the
13 population that we're putting in is heterogeneous and
14 inherently so.

15 So, many of the issues that have popped up in
16 the questions that we're going to be supposedly addressing
17 are ones that you're perfectly comfortable with at this
18 point. So, my question, I guess, would come down to,
19 what's different between the stem cell therapy that you've
20 been regulating for a long time, bone marrow
21 transplantation, and your worry about stem cell therapy in
22 a larger context?

23 DR. MOOS: Well, there continue to be, I think,
24 both some scientific and historical differences. For quite
25 some time, there was a lot of activity with bone marrow

1 | transplantation, before it occurred to anybody that the
2 | practice should be regulated, and there was a sort of a
3 | grandfather effect -- go ahead.

4 | DR. SIEGEL: Just as a quick technicality.
5 | Bone marrow transplantation per se is not under FDA
6 | regulation. With peripheral stem cell regulation,
7 | transplantation is, and many other devices and growth
8 | factors that are used with bone marrow transplants are.
9 | So, from a scientific perspective --

10 | DR. AUCHINCLOSS: You're very comfortable with
11 | regulating bone marrow transplantation, is all I am
12 | suggesting.

13 | DR. MOOS: Well, with technologies that grew
14 | directly from bone marrow transplantation and have many
15 | analogies to it. It's also worth noting that even stem
16 | cells derived from bone marrow or peripheral blood are
17 | naturally occurring, and in only a few cases is it
18 | contemplated to manipulate them extensively, and if they
19 | are manipulated extensively, that triggers some of our
20 | concerns.

21 | There are, I think, to expound on what Don has
22 | said, significant technical differences. While we grant
23 | that there is substantial overlap between some of the
24 | difficulties with other types of cellular therapies, we
25 | think that they are enhanced here.

1 One is that they have a biology that we don't
2 have a lot of experience with. For example, there is a lot
3 of experience with blood-derived cells, which suggests that
4 tumorigenicity is not a serious safety concern, or at least
5 is a manageable one. There is experimental evidence, some
6 of which we heard about yesterday, that suggests that this
7 is an issue that needs to be studied more carefully.

8 The other one that Don mentioned is that in
9 more than one aspect, therapies based on stem cells
10 represent sort of a moving target. Often with other types
11 of cellular therapies what we are putting into the patient
12 is very similar, perhaps all the way differentiated to what
13 is going to be there, that we can test the terminal
14 function, if you will. Whereas with many types of stem
15 cell-based therapies, varying levels of further
16 differentiation are anticipated, up to the level suggested
17 by Evan Snyder, where you might use extensively manipulated
18 stem cells rather than stem cell-derived products as
19 tumoricidal killer bees.

20 The other issue is that there are behaviors of
21 some of these cells that, although there is some precedent
22 in other types of cell therapies, perhaps are more dramatic
23 here. One thing that makes us very nervous is the issue of
24 migration of cells, which especially with relatively
25 immature cells seems to be quite extensive. We don't know

1 yet if this is a problem or if it's just a concern. This
2 is something that we need advice to deal with
3 intelligently.

4 DR. AUCHINCLOSS: I wrote down what is unique
5 about stem cells on my list yesterday, and I was putting
6 down different things. I had cell migration down there at
7 one point, and I had differentiation down there at another
8 point. And I don't think those are actually the
9 fundamental features that make this a subject for special
10 concern to the FDA.

11 We know perfectly well that hepatocytes and
12 islets actually migrate all over the place to different
13 places. Migration in and of itself, and indeed
14 differentiation in and of itself, is not a unique feature
15 of stem cells or a unique concern from a regulatory point
16 of view.

17 To me -- to answer now my own question, what is
18 unique -- what is unique is your concern of unlimited
19 proliferative capacity. I think that's what's unique in
20 the stem cell therapy.

21 Now, here I am going to now split between
22 embryonal stem cells, where I think we have heard lots
23 about how the unlimited proliferative capacity is a major
24 potential problem, and adult stem cell therapy, where I
25 haven't heard so far any evidence of overdoing it with

1 adult stem cell therapy.

2 But then you say to me, as you did yesterday at
3 lunch, well, that is not the point. The point is that what
4 really makes these stem cell therapies different is they're
5 going to take them out and culture them, and they're going
6 to leave them there for 6 months, and a transformation
7 event might take place. Then we might take an adult stem
8 cell and turn it back into a cell with unlimited
9 proliferative capacity. And I think that's exactly the
10 right concern

11 But my suggestion to you would be that that is
12 equally true if you take islet cells and put them in
13 culture for 6 months, as people may eventually do. There
14 is the potential for a transformation event that becomes a
15 special regulatory concern to the FDA.

16 My point in all of this conversation is that I
17 think the FDA wants to try and struggle to say, what's
18 special about stem cells? The conclusion I come to is,
19 what's special about embryonal stem cells is a good topic,
20 but what's special about adult stem cells isn't about adult
21 stem cells. It's about any tissue in which you do
22 something that potentially gives it unlimited proliferative
23 potential.

24 DR. SIEGEL: I think here you're not addressing
25 the question we need to answer. Because the question is

1 not what is unique. That is a straw man. It is how to
2 regulate these cells. These cells are not bone marrow
3 cells. They are not pancreas cells. We have experience
4 with cells. We need to know what are the right
5 specifications. You're not going to tell us, like some
6 people told us 3 months ago, that insulin secretion is the
7 right specification for this type of cell --

8 DR. AUCHINCLOSS: Jay, but you know we can't
9 answer that question in a generic form.

10 DR. SIEGEL: Well, absolutely.

11 DR. AUCHINCLOSS: How can we possibly sit here
12 and tell you how to regulate these cells when there are
13 going to be 150 different --

14 DR. SIEGEL: Well, that is right, and that is
15 why we've been talking about what the various issues are
16 for various types of cells. That's what here for, but
17 we're not here just to focus on what is absolutely unique
18 or different.

19 DR. AUCHINCLOSS: Yes, I think you basically
20 asked us to talk to you about what's inherently different,
21 what's generically different about the regulatory issues
22 associated with stem cells. I don't know how to have a
23 generic conversation any other way.

24 DR. SALOMON: This is typical of Hugh and I not
25 agreeing. I totally disagree.

1 (Laughter.)

2 DR. SALOMON: And that is fine. This is a
3 dynamic we're used to.

4 I agree actually with what you just said now,
5 but I do not agree that that is what we are supposed to be
6 doing this morning. What I see us doing this morning is
7 focusing in on what is unique about neural diseases and
8 neural stem cells and neural models that the FDA should be
9 aware of in regulation. I think if we find ourselves
10 discussing something that is generically cell regulation,
11 like measuring endotoxin levels at 3 weeks in culture, I am
12 perfectly happy to give that short shrift.

13 But if we are talking about going in and taking
14 adult stem cells by taking a piece of brain and dissolving
15 it, or we are talking about taking fetal stem cells or
16 embryonic stem cells and using specific growth factors that
17 are not common to any other field to differentiate, and
18 then come up with an assay and put that into a specific
19 disease, then we're talking about something unique to
20 neural stem cell transplantation, or neural cells
21 transplantation. It doesn't always have to be a stem cell.
22 So, I think that is where I would like to see us focus.

23 DR. DINSMORE: If I could just make a comment
24 from the floor. John Dinsmore from Diacrin. I just wanted
25 to speak to Dr. Auchincloss' comment about what is unique

1 about these stem cells.

2 I would agree with him, the one defining
3 feature is they're expanded in vitro extensively prior to
4 their utilization, and there are events that could occur
5 during that expansion. So, therefore, it is a very useful
6 area, unique area, that isn't normally regulated because
7 other types of cell therapies deal with transplantation
8 into the central nervous system, or putting cells to
9 replace insulin function or liver function. So, in some
10 ways it does come down to an issue of the uniqueness of the
11 stem cell. One of the things is that they're expanded
12 extensively.

13 DR. SALOMON: Well, that point is taken, but
14 Dr. Auchincloss made the point that other fields are
15 talking about longer-term culture, and so I think that that
16 is not -- I do not think the FDA is hung up on this unique
17 thing anyway.

18 I know there are some questions. Dick had
19 asked me initially, and then we'll go on.

20 DR. CHAMPLIN: Just reflecting again on the
21 paradigm of hematopoietic transplants, there is a
22 possibility, at least, of overdoing the requirements for
23 characterization, potency, et cetera because right now we
24 cannot characterize hematopoietic stem cells. There's no
25 assay for potency. So, some of these things are impossible

1 to achieve, at least at our current level of understanding,
2 yet we have been doing hematopoietic transplants for 30
3 years and curing a lot of patients.

4 There is sort of a happy middle ground here
5 where one has a body of information that is sufficient to
6 go forward with human experimentation with clinical trials
7 that isn't necessarily the desired set of knowledge that we
8 all would like to have in terms of fully understanding the
9 biology of these cells.

10 I think the general principles that apply to
11 other forms of cell therapy apply here as well. We have
12 heard a range of types of cell transplants. The
13 Parkinson's disease study really was a tissue transplant of
14 fetal, dopaminergic tissue into an adult brain without much
15 manipulation. They just take it out and put it in, sort of
16 a minimally manipulated transplant in the vernacular.

17 Then we've heard about extensively manipulated
18 cells that are grown for long periods of time in vitro, and
19 potentially even genetically modified. That is another
20 category that obviously wouldn't need much more critical
21 characterization of the cell populations involved.

22 In some cases it's probably a good idea not to
23 have purified cell populations. In hematopoietic
24 transplants, for example, there is a range of things that
25 we have put in the stem cell or progenitor cell category,

1 | some that have short-term proliferative potential, that
2 | give rise to cells very quickly and rapid engraftment for
3 | the patient, but that can't be sustained forever. Then
4 | there are probably true stem cells that may take 6 months
5 | to show progeny. If you just gave those cells, the
6 | patients would die before they'd recover. So, basically it
7 | is a mixture of cells that we actually give to the patients
8 | is probably optimal, so that one can't sort of pre-judge
9 | necessarily -- at least I couldn't foresee pre-judging in
10 | the neural sense -- which nerve cell a priori would be what
11 | you would want to be transplanting in any given situation.

12 | So, I could envision being able to go forward,
13 | in a spinal cord injury, for example, with a poorly
14 | characterized population as was described yesterday,
15 | without necessarily needing to have extensive
16 | characterization data. On the other hand, if one is
17 | genetically modifying and expanding cells in vitro, then
18 | that's a totally different situation that would call for a
19 | much more stringent description of the source material.

20 | DR. SALOMON: I know there's a number of people
21 | who want to make comments. Again, just trying to do my
22 | chair's job here. We've got about an hour and in that
23 | period of time I need to get through these questions. So,
24 | if you could think about what comments you want to make, if
25 | it will get us toward talking about human stem cell

1 sources, then I'm happy to yield you the floor. If not,
2 then can we just keep the comment and bring it in at the
3 right moment? I know there were some comments here. Go
4 ahead.

5 DR. MACKLIS: I'll try to take 30 second and
6 then you can decide as the chair whether they're on point.
7 But just to give very short answers from a very
8 neurocentric point of view to Dr. Auchincloss' questions,
9 and these may sound exceptionally naive because I do not
10 know that much about hematologic system anymore.

11 But two central differences may be that when
12 one replaces blood cells, there's a whole range on a CBC
13 with DIF of what's normal. In the nervous system, at least
14 we believe that there are very, very, very perfect, careful
15 controls during setting up of the circuitry of the exact
16 complement. So, that's one.

17 The second is that we as a field, I think, in
18 general believe that, with the exception of the olfactory
19 bulb in the dentate gyrus of the hippocampus that we heard
20 about yesterday, that the developmental signals to guide
21 proliferation, control, stopping, differentiation, and
22 integration are gone in the adult CNS, and that
23 microenvironment is so important that what we're really
24 talking about in this field is releasing cells from those
25 controls and making them do something on their own or

1 reactivating those.

2 And I don't know, those might be two ways in
3 which it's different from the hematologic system.

4 DR. SALOMON: David and then Tom.

5 DR. DRACHMAN: Very simply stated, the brain is
6 not a liver. That's very important to remember. Because
7 of that, it is protected by the skull. The cells you put
8 in you may never get back. That's the first thing.

9 The second thing is that stem cells are not
10 drugs. We give cumadin and we check the INR every other
11 day until we get it right. Once we put the stem cells in,
12 they're gone. These are the two things that to me make
13 this very different.

14 The gypsy moth issue is another one that you
15 will always worry about, or kudzu. These were things that
16 were brought into this country with the idea that we would
17 have tons of silk as a result of the gypsy moth. It
18 started right there in Massachusetts. Kudzu is going to be
19 a way of solving our problems of eating. It grew a little
20 more than one would want.

21 This is probably not generally the issue, but
22 rather the brain is not a liver, one, and two, these cells
23 are not drugs and we can't regulate them once they get into
24 the brain.

25 DR. AUCHINCLOSS: Well, I do not think the

1 brain is the liver, and that is a very important point,
2 that all of these trials need ordinary regulations specific
3 to their particular cells. Nobody has any doubt about
4 that. The issue is, in a generic conversation about stem
5 cells, what do we really want to concentrate on about stem
6 cells.

7 Secondly, islets are not drugs either. The FDA
8 is very used to regulating cellular transplantation, and
9 this is cellular transplantation. About 80 percent of what
10 I heard yesterday was cellular transplantation. I didn't
11 hear a stem cell component to it.

12 DR. FREEMAN: Very quickly, I think there are
13 cellular biologic issues and then there are neurologic
14 issues. First of all, with, for example, bone marrow
15 transplants or pancreas, you're dealing with systemic
16 effects versus local effects in the brain.

17 Secondly, there's no way to biopsy these cells,
18 and we're relying completely on surrogate, noninvasive
19 markers for monitoring effectiveness.

20 From the neurologic perspective, also what's
21 unique is that the cells do not do what they are primarily
22 designed to do. They are transplanted heterotemporally,
23 and heterotopically. You don't complete the normal neural
24 circuit. You don't have the same objectives. You have a
25 much more limited set of objectives than you do with