

FOOD AND DRUG ADMINISTRATION

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
ADVISORY COMMITTEE

AND 1958 '00 AUG 11 A9:37

VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE

+ + + + +

JOINT MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

+ + + + +

THURSDAY

JULY 27, 2000

The joint committee met in open session at 9:23 a.m. in the Versailles Ballrooms I, II and III of the Holiday Inn, Bethesda, Maryland, Dr. Paul Brown, Chairman of the TSEAC, and Dr. Harry Greenberg, Chair of VRBPAC, presiding.

PRESENT:

| | |
|-----------------------------|--------------------------|
| PAUL W. BROWN, M.D. | Joint Committee Chair |
| HARRY B. GREENBERG, M.D. | Joint Committee Co-Chair |
| ERMIAS D. BELAY, M.D. | TSEAC |
| DAVID C. BOLTON, Ph.D. | TSEAC |
| DONALD S. BURKE, M.D. | TSEAC |
| DEAN O. CLIVER, Ph.D. | TSEAC |
| ROBERT S. DAUM, M.D. | VRBPAC |
| MARY K. ESTES, Ph.D. | VRBPAC |
| BRUCE EWENSTEIN, M.D., PhD | TSEAC |
| LISA A. FERGUSON, D.V.M. | TSEAC |
| PATRICIA FERRIERI, M.D. | Temporary Voting Member |
| BARBARA LOE FISHER | VRBPAC Consumer Rep |
| DIANE E. GRIFFIN, M.D., PhD | VRBPAC |
| ALICE S. HUANG | VRBPAC |
| KWANG SIK KIM, M.D. | VRBPAC |
| STEVE KOHL, M.D. | VRBPAC |
| PETER G. LURIE, M.D. | TSEAC |
| JOHN F. MODLIN, M.D. | Temporary Voting Member |

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

PRESENT: (continued)

| | |
|------------------------------|-------------------------|
| MARTIN MYERS, M.D. | Temporary Voting Member |
| PEDRO PICCARDO, M.D. | TSEAC |
| RAYMOND P. ROOS, M.D. | TSEAC |
| DIXIE SNIDER, JR., M.D., PhD | VRBPAC |
| DAVID S. STEPHENS, M.D. | VRBPAC |
| SHIRLEY JEAN WALKER | TSEAC Consumer Rep |
| ELIZABETH WILLIAMS, DVM, PhD | TSEAC |

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

I-N-D-E-X

| | <u>Page</u> |
|---|-------------|
| Administrative Announcements | 4 |
| W. Freas, PhD, Executive Secretary, TSEAC | |
| Welcome: Paul Brown, MD, Committee Chair | 10 |
| Harry Greenberg, MD, Co-Chair | |
| Introduction | 13 |
| W. Egan, PhD, Acting Dir., OVR, FDA | |
| Overview of U.S. Vaccination Program | 25 |
| Walter Orenstein, CDC | |
| BSE Overview | 44 |
| D. Asher, MD, CBER, FDA | |
| Studies of BSE Infectivity in Tissues of | 72 |
| Cattle: Gerald Wells, United Kingdom | |
| Current Research Overview: | 84 |
| John Wilesmith, BVSc, United Kingdom | |
| European Union Presentation: | 106 |
| Professor Jean-Hugues Trouvin and Dr. Roland Dobbelaer, London | |
| Bacterial Vaccines: Overview of Manufacturing | 125 |
| and Risk Assessment: Willie Vann, PhD, CBER | |
| Viral Vaccines: Overview of Manufacturing & | 133 |
| Risk Assessment: Ira Berkower, OVR, CBER | |
| Manufacturers Comments/Presentations: | |
| SmithKline Beecham: Dr. Clare Kahn | 151 |
| Dr. R. Bradley, CBE, BSE Consultant | 153 |
| Aventis Pasteur, Dr. Jeffrey Almond | 172 |
| Comments from Other Manufacturers | 178 |
| Open public hearing | 178 |
| Discussion/presentation of "Questions | 183 |
| for the Committee" | |

P-R-O-C-E-E-D-I-N-G-S

(9:23 a.m.)

1
2
3 DR. FREAS: Could I ask you to take your
4 seats, please. We will begin. We are behind on the
5 time schedule. If you take your seats, we are going
6 to go ahead and resume this committee meeting.

7 I would like to welcome the public to the
8 open session of this joint meeting of the
9 Transmissible Spongiform Encephalopathies Advisory
10 Committee and the Vaccines and Related Biological
11 Products Advisory Committee.

12 At this time, for the members of the
13 public, I would like to go around and introduce the
14 members of the committees seated at the tables. I
15 will start on the righthand side of the room. The
16 first nine individuals are members of the Vaccines and
17 Related Biological Products Advisory Committee, and if
18 they would raise their hands when I call their name,
19 I would appreciate it.

20 At the end of the table is Dr. Dixie
21 Snider, who is Associate Director for Science, Centers
22 for Disease Control and Prevention.

23 In the next chair is Dr. Mary Estes, the
24 Professor of Molecular Virology, Baylor College of
25 Medicine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In the next chair Dr. Steve Kohl, Adjunct
2 Professor, Department of Pediatrics, Oregon Health
3 Sciences University.

4 In the next is Dr. Alice Huang, Senior
5 Counselor for External Relations, California Institute
6 of Technology.

7 Next is Dr. Robert Daum, Professor of
8 Pediatrics, University of Chicago Children's Hospital.

9 in the next chair is our Consumer
10 Representative for the Vaccines Advisory Committee.
11 That is Ms. Barbara Low Fisher, Co-founder and
12 President, National Vaccine Information Center,
13 Vienna, Virginia.

14 In the next chair is Dr. David Stephens,
15 Professor of Medicine, Microbiology and Immunology,
16 Emory University School of Medicine.

17 Next is Dr. Diane Griffin, Professor and
18 Chair, Molecular, Microbiology and Immunology, Johns
19 Hopkins University.

20 Next is Dr. Kwang Sik Kim, Division Chief,
21 Pediatric Infectious Disease Division, Johns Hopkins
22 University.

23 Next is a temporary member for today's
24 meeting, and that is Dr. John Modlin, Professor of
25 Pediatrics and Medicine, Dartmouth-Hitchcock medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Center.

2 Around the corner of the table is another
3 temporary voting member for today, Dr. Patricia
4 Ferrieri, Professor, Departments of Laboratory
5 Medicine, Pathology and Pediatrics, University of
6 Minnesota.

7 Next is another temporary voting member
8 for today, Dr. Martin Myers, Acting Director, National
9 Vaccine Program Office, Centers for Disease Control
10 and Prevention.

11 Next is the Chairman of the Vaccines and
12 Related biological Products Advisory Committee who is
13 acting as Co-Chair for today's meeting, and that is
14 Dr. Harry Greenberg, the Grant Professor of Medicine,
15 Microbiology and Immunology, Senior Associate Dean for
16 Research, Stanford University Medical School.

17 In the next chair is the Chairman of the
18 TSE Advisory Committee and the Chairman of today's
19 meeting. That is Dr. Paul Brown, Medical Director,
20 Laboratory of the Central Nervous System Studies,
21 National Institute of Neurological Disorders and
22 Stroke.

23 In the next chair is Dr. Raymond Roos,
24 Chairman, Department of Neurology, University of
25 Chicago.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 At the corner of the table is Dr. Peter
2 Lurie, Medical Researcher for Public Citizen's Health
3 Resource Group, Washington, D.C.

4 Next is the Consumer Representative for
5 the TSE Advisory Committee. That is Ms. Shirley
6 Walker, Vice President of Health and Human Services,
7 Dallas Urban League.

8 Next is Dr. Bruce Ewenstein, Clinical
9 Director, Hematology Division, Brigham and Women's
10 Hospital.

11 Next is Dr. Ermias Belay, Medical
12 Epidemiologist, Centers for Disease Control and
13 Prevention.

14 Next is Dr. David Bolton, head of the
15 Laboratory of Molecular Structure and Function, New
16 York State Institute for Basic Research.

17 Next is Dr. Pedro Piccardo, Associate
18 Professor, Indiana University Hospital.

19 Next is Dr. Lisa Ferguson, Senior Staff
20 Veterinarian, U.S. Department of Agriculture.

21 Next is Dr. Donald Burke, Director, Center
22 for Immunization Research, Johns Hopkins University.

23 Next is Dr. Dean Cliver, Professor, School
24 of Veterinary Medicine, University of California,
25 Davis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

At the end of the table, Dr. Elizabeth Williams, Professor, Department of Veterinary Service, University of Wyoming.

There are three standing members that are not attending today. They are Dr. Stan Prusiner, Dr. Jeffrey McCullough, and Dr. Walter Faggett.

I would now like to read into the record the official conflict of interest statement for this meeting.

The following announcement is made part of the public record to preclude even the appearance of a conflict of interest at this meeting. Pursuant to the authority granted under the committee charter, the Director, Center for Biologics Evaluation and Research has appointed Doctors Linda Detwiler, Patricia Ferrieri, and Martin Myers as temporary voting members.

In addition, the Senior Associate Commissioner of FDA has appointed Dr. John Modlin as a temporary voting member.

Based on the agenda made available, it has been determined that the agenda addresses general matters issues only. General matters waivers have been approved by the agency for all special government employees participating for this meeting.

1 The general nature of the matters to be
2 discussed by the committee will not have a unique and
3 distinct effect on any of the participants' personal
4 or imputed financial interests. In regards to FDA's
5 invited guests, the agency has determined that the
6 services of these guests are essential.

7 The following reported interests are being
8 made public to allow meeting participants to
9 objectively evaluate any presentation and/or comments
10 made by these guests:

11 Dr. Ronald Dobbelaer is employed by the
12 Biological Standardization Scientific Institute of
13 Public Health, Louis Pasteur, in Brussels, Belgium.

14 Dr. Walter Orenstein is employed as the
15 Director of the National Immunization Program at the
16 Centers for Disease Control and Prevention.

17 Dr. Suzette Priola is employed at the
18 Rocky Mountain Laboratories, National Institute of
19 Allergy and Infectious Diseases, NIH.

20 Dr. Jean-Hugues Trouvin is employed by the
21 Department of Biologics, APSS, APS, in France.

22 Dr. Gerald Wells is employed at the
23 Veterinary Laboratories Agency in Weybridge, United
24 Kingdom.

25 Dr. John Wilesmith is employed at the

1 Veterinary Laboratories Agency in Weybridge, United
2 Kingdom.

3 in the event that discussions involve
4 specific products or specific firms for which FDA
5 participants have a financial interest, the
6 participants are aware of the need to exclude
7 themselves from such involvement, and their exclusion
8 will be noted on the public record.

9 A copy of the waivers are available by
10 written request under the Freedom of Information Act.
11 With respect to all other meeting participants, we ask
12 in the interest of fairness that you address any
13 current or previous financial involvement with any
14 firm whose products you may wish to comment upon.

15 So ends the reading of the conflict of
16 interest statement. Dr. Brown, I turn the microphone
17 over to you.

18 CHAIRMAN BROWN: Thank you, Bill.
19 Welcome, everyone, to this meeting. I thought I would
20 open by telling you a little story that might have
21 consequences for the members of my committee.

22 I sent a little review of the whole BSE
23 new variant CJD problem to the journal Neurology about
24 two months ago, and I'd like to read the committee the
25 one paragraph review by a reviewer of this submission.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 "I feel that the amount of novel
2 information is equal to zero and that this short paper
3 does nothing more, in addition to providing some
4 questionable justification for the arbitrary decision
5 made by the panel of which Paul Brown was president of
6 the Federal Drug Administration to defer blood
7 donations from donors that have resided in Great
8 Britain for more than six months. That particular
9 decision rested and continues to rest on a very weak
10 scientific background, and the attempts by Dr. Brown
11 to defend that position in the present paper remain
12 rather unconvincing."

13 So you can see that the participation on
14 these committees is not without its own risk.

15 The background information which was
16 supplied as a summary for the present meeting is a
17 very lucid and concise document, and I'd like to read
18 three or four paragraphs that seem to me to have
19 generated this meeting at this time, and they are
20 extracted from this background summary information.
21 But I think the chronology is important.

22 In a letter to manufacturers in December
23 of 1993, the FDA recommended that bovine materials
24 from BSE countries should not be used in biological
25 products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Second statement: Uncertainties about
2 BSE-free status of certain countries led to the
3 inclusion of all of Europe in the list maintained by
4 the USDA. There has been no general guidance issued
5 advising manufacturers on how to proceed in the event
6 that a country used as a source of bovine derived
7 materials is subsequently added to the USDA list.

8 Third statement in April 2000: CBER sent
9 a letter to manufacturers including the recommendation
10 that bovine derived materials from countries in which
11 BSE is known to exist or from countries whose BSE
12 status is unknown not be used in the manufacture of
13 biological products.

14 The last statement: The FDA has
15 recommended that bovine derived materials from
16 countries in which BSE is known to exist or from
17 countries whose BSE status is unknown not be used in
18 the manufacture of biological products. The agency
19 has learned that this recommendation for U.S. licensed
20 biological products has not been universally followed
21 by vaccine manufacturers.

22 I think the chronology of those four
23 statements will set the scene for why this particular
24 meeting exists.

25 We have a number of presentations from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 speakers invited by the FDA this morning. They will
2 be followed by comments and presentations by two of
3 the manufacturers, and these are not the manufacturers
4 implied in the last statement that I mentioned.
5 Finally, we will have an open public hearing and
6 discussion and presentation of a number of questions
7 which the FDA would like discussed by this committee.

8 Today there will be no formal voting on
9 any of the questions. There will be discussion only,
10 and we will be sure and cover specifically two or
11 three items which the FDA is particularly interested
12 in having opinions about.

13 I now invite as the first presentation
14 this morning introductory remarks by Dr. Egan from the
15 FDA. Dr. Egan.

16 DR. EGAN: Thank you very much. Good
17 morning. On behalf of the Office of Vaccines Research
18 and Review, I'd like to welcome the members of the
19 Transmissible Spongiform Encephalopathy and the
20 Vaccines and Related Biological Products Advisory
21 Committees to this joint meeting and to express my
22 gratitude to all of you for being here, and in many
23 cases rearranging your schedules to do so.

24 I'd like to take a few minutes to provide
25 the background and history for this meeting and an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 outline of what we hope to accomplish today. I will
2 also go over the questions for discussion. There are
3 no issues, however, on which we have asked for a
4 formal vote.

5 The potential for contamination of
6 biological products with the agent of bovine
7 spongiform encephalopathy, BSE, has been a concern of
8 the Center for Biologics Evaluation and Research and
9 the Office of Vaccines Research and Review for many
10 years.

11 CBER has recommended that bovine derived
12 materials from countries in which BSE is known to
13 exist or whose BSE status is unknown but suspect not
14 be used in the manufacture of biological products.
15 Manufacturers have been referred to the USDA listing
16 for the BSE status of a particular country.
17 Recommendations, however, were not offered by FDA on
18 how to respond to changes in the USDA list that would
19 affect existing vaccines as new countries are added.

20 The appearance of new variant Creutzfeldt-
21 Jakob Disease in the United Kingdom and its
22 attribution to oral exposure to the infectious agent
23 of BSE have raised concerns regarding the potential
24 for human exposure to the BSE agent that might result
25 from the use of bovine derived materials in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 manufacture of vaccines.

2 No evidence exists that any case of
3 variant CJD has resulted from the administration of a
4 vaccine product. However, the theoretical risk of
5 disease that might result from contaminated vaccines
6 needs to be considered.

7 Earlier this year during the review of a
8 regulatory submission, we learned that CBER's
9 aforementioned policy on the sourcing of bovine
10 products has not been universally followed. This
11 finding prompted the Office of Vaccines to conduct a
12 general review of all licensed vaccines.

13 The requested in depth review of sourcing
14 of bovine materials for vaccines has been completed by
15 nearly all manufacturers and submitted to the Office
16 of Vaccines. Personnel within the Office of Vaccines
17 have been reviewing these responses, and that review
18 is essentially complete. A few uncertainties remain,
19 and these are being looked into further. The
20 uncertainties that remain, however, do not present any
21 new type of issue.

22 Let me be more specific now about the
23 nature of the issues regarding the sourcing and use of
24 bovine materials. Fetal calf serum sourced from the
25 United Kingdom prepared during the mid-1980s has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 used in the preparation of certain cell and viral seed
2 banks.

3 Beef broths prepared from skeletal muscle
4 or skeletal muscle plus pancreatic tissue that were
5 sourced from Europe, specifically Germany, Denmark,
6 the Netherlands and Poland, have been used in
7 bacterial fermentations.

8 Polygeline prepared from bovine bones
9 sourced from Germany, Italy, Austria, and Switzerland
10 has been used in the preparation of a master bacterial
11 seed bank.

12 Bovine hemin has been used as a component
13 of a bacterial culture medium.

14 Several other bovine derived materials of
15 European source have been used in the preparation of
16 bacterial seeds.

17 This listing that I've just given fairly
18 well covers the range of all issues. We have not
19 considered milk derived products such as amino acids
20 or lactose or tallow derivatives such as glycerol to
21 be problematic, now have we considered bovine
22 materials sourced prior to 1980 to be problematic.

23 The affected bovine materials would be
24 classified as Category III and IV materials in the
25 European Union scheme. That is, materials having low

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 or no demonstrated infectivity. The European
2 categorization scheme is based on scrapie infectivity
3 in sheep and goats, and the European Union has noted
4 that infectivity in BSE infected cattle appears to be
5 much more restrictive.

6 The experimental data on BSE infectivity
7 that we would really like to have is, to our
8 knowledge, not in the literature. There are a number
9 of assumptions that must be made in making estimates
10 of risk for these issues.

11 Moreover, the experimental data that does
12 exist, in many cases, only establishes a boundary, for
13 example, that the infectivity of a particular material
14 is less than a certain number of units, although that
15 material's infectivity could be orders of magnitude
16 less than the experimentally demonstrated limit.

17 There are limits to how much material can
18 be injected into animals. We recognize that one
19 cannot prove absolutely no infectivity, but we
20 certainly would have desired lower limits to have been
21 determined.

22 In the presentations by Doctors Vann and
23 Berkower later this morning, we will be presenting
24 risk calculations that incorporate a number of these
25 assumptions and that reflect these boundaries. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are very, to us, conservative estimates.

2 Differing risk evaluation, depending on
3 the assumptions that are made, will lead to differing
4 conclusions, and your own evaluations may differ
5 markedly from ours. That is why we are here today.
6 We seek your expert opinion in defining potential
7 risk.

8 For a number of these issues,
9 manufacturers have agreed to and have begun to take
10 corrective actions. For example, manufacturers have
11 committed to changing beef sources for bacterial
12 fermentation broths and culture medium components.
13 Additionally, several manufacturers have indicated a
14 willingness to re-derive cell or seed banks as
15 necessary.

16 Now since manufacturers are taking these
17 corrective actions, why are we then here? The answer
18 is primarily that corrective actions take time. New
19 materials such as beef broths need to be made and
20 qualified, and following qualification new batches of
21 vaccines need to be manufactured, formulated, and
22 tested. For some products, this will take on the
23 order of one year. Realistically then, we do not
24 expect new products to be able to reach the market
25 until the fall of next year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Additionally, we are seeking guidance from
2 the committee on the need to re-derive master seeds,
3 not working seeds but the master seeds. We are
4 requesting an estimate of risk, if any, that these
5 products might pose, and your expert opinions for
6 forming an interim policy for the use of the existing
7 vaccines.

8 We are, moreover, requesting your opinions
9 on dealing with investigational products that have
10 similar issues regarding bovine sourcing, and in this
11 context we need to consider both new vaccines as well
12 as currently licensed vaccines that are components of
13 an investigational product -- for example, a new
14 combination vaccine.

15 Let me now go over the questions that we
16 would like you to consider. I will clarify these
17 questions, if needed, so that discussions on the
18 following presentations can be appropriately focused.

19 In the first question we are asking the
20 committee to please discuss the potential risk
21 presented by the use of bovine derived materials
22 sourced from Europe, including the United Kingdom, in
23 currently licensed vaccines.

24 In this discussion we would like you to
25 please comment on the various risk estimates that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been presented to the committee, and in this
2 discussion to please include: Preparation of
3 bacterial and viral, master and working seeds,
4 preparation of master and working cell banks -- for
5 example, the use of fetal calf serum; to consider to
6 include fermentation processes -- for example, the use
7 of bovine derived media components; the formulation of
8 the final products -- for example, the use of gelatin
9 in their formulation; and additionally in this
10 discussion, please include some risk assessment for
11 bovine materials sourced at differing times from
12 differing European countries, U.K., Germany, France,
13 etcetera.

14 As a second question for discussion or
15 point for discussion, we would like the following:
16 The following item pertains to currently licensed U.S.
17 vaccines that contain bovine derived material obtained
18 from Europe, including the United Kingdom. We would
19 like the committee to please discuss those
20 circumstances, if any, under which FDA should take
21 specific regulatory actions regarding these vaccines.

22 Some examples of regulatory actions which
23 are available to the FDA include product recall,
24 modification of the package insert or issuance of a
25 "Dear Doctor", "Dear Health Care Provider" letter or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some combination.

2 Finally, question 3: This item pertains
3 to investigational -- that is, non-U.S. licensed --
4 vaccines that contain bovine derived materials
5 obtained from Europe, including the United Kingdom.
6 This includes certain investigational vaccines used
7 under IND that contain currently licensed vaccines as
8 components, such as components of a new combination
9 vaccine. In addition, this includes the usual
10 investigational vaccines without previous U.S.
11 licensed components.

12 In the presentations by CBER personnel
13 this morning, Dr. Asher will provide an overview of
14 BSE epidemiology and FDA policy, and Doctors Vann and
15 Berkower will provide overviews of the manufacturing
16 process for bacterial and viral vaccines respectively,
17 indicating points in the process where bovine source
18 materials have been used and approximate amounts of
19 these materials.

20 Estimates of risk from bovine source
21 materials will also be presented by Doctors Vann and
22 Berkower for these viral -- for these bacterial and
23 viral vaccines.

24 I'll try to answer any questions that you
25 might have at this time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BROWN: Does the committee have
2 any questions for Dr. Egan? Thank you, Dr. Egan.

3 DR. LURIE: Can you explain as far as
4 possible when it was that the problem that we are here
5 addressing came to the attention of the FDA, and how
6 it was that -- Well, why don't you answer that
7 question first.

8 DR. EGAN: It first came to our attention
9 or to our attention within OVRP of these issues around
10 March of 2000. So a few months ago.

11 DR. LURIE: I wonder if you can just react
12 to my concern about this. My concern is that it's
13 taken some four months to put together a meeting to
14 discuss this issue, which I think is risky on the part
15 of FDA in that it invites a period of percolating of
16 sometimes not accurate scientific information in the
17 general public.

18 So I'm wondering why it took quite so long
19 to call this meeting.

20 DR. EGAN: I think there were a number of
21 issues that were involved. First, we were trying to
22 get some initial assessments for risk on our own from
23 the manufacturers and evaluate those, and then to work
24 on trying to get a review of all vaccines, having all
25 the manufacturers go over all vaccines so that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could present a comprehensive list of issues to the
2 committee.

3 Then there are some times that it takes to
4 prepare materials for the committee, our own
5 presentations and other materials to get to the
6 committee, and there is some time that it takes to
7 announce the committee meeting in the Federal Register
8 and have sufficient time for the public.

9 I understand that you do regard that as
10 lengthy.

11 DR. LURIE: I guess my reaction to that is
12 -- I mean, among the list of options, perhaps not a
13 likely one but among the options that you listed was
14 the option of recall. It sort of seems somehow
15 contradictory to be having the meeting four months
16 later where the subject is recall.

17 It seems to me that a clear message from
18 taking as long as it took to put the meeting together
19 is that, you know, there is little risk. Now that may
20 be true, but I guess that's the point that I'd like to
21 drive home. It seems that it's taken a while.

22 Let me ask you another question. In the
23 very important December 17, 1993, document -- I don't
24 know if you were at the agency at the time in which
25 FDA wrote to the manufacturers of FDA regulated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 products -- the statement is we request that bovine
2 derived materials from cattle which resided or
3 originated from countries where BSE has been
4 diagnosed, etcetera, etcetera, not be used in the
5 manufacturer of FDA regulated products intended for
6 administration to humans.

7 What about that statement, if anything,
8 seems unclear to you?

9 DR. EGAN: I think the statement is clear.

10 DR. LURIE: Yeah. So do I. And my next
11 question is: Later in the same letter comes the
12 following statement: The agency is considering
13 rulemaking to restrict the use of bovine derived
14 materials from BSE countries. This is in December of
15 1993.

16 Can you tell us what the fate of that
17 consideration was?

18 DR. EGAN: I don't believe -- There was
19 not rulemaking by the agency.

20 DR. LURIE: Can you explain why?

21 DR. EGAN: No, I cannot.

22 DR. LURIE: Does that seem to you, in
23 retrospect, to have been a mistake?

24 DR. EGAN: You know, I think that's
25 probably an issue that we could debate a lot about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether rulemaking is required or whether the existing
2 flexibilities that exist with the agency to deal with
3 it are sufficient. I could probably give you personal
4 opinions.

5 CHAIRMAN BROWN: Can we come back to this
6 perhaps in the open discussion rather than linger on
7 with Dr. Egan? I think these are kinds of questions
8 that would be equally appropriate in the discussion.

9 DR. LURIE: It's only that he's at the
10 microphone.

11 DR. EGAN: Yes. To answer your first
12 question, yes, I was at the agency in 1993, but in a
13 different capacity.

14 CHAIRMAN BROWN: Thank you very much, Dr.
15 Egan. We now will have an overview of the U.S.
16 vaccination program presented by Dr. Orenstein from
17 the Centers for Disease Control. Dr. Orenstein.

18 DR. ORENSTEIN: Thank you very much. I
19 don't know whether -- I guess it's through a computer
20 presentation rather than slides. Okay, we have both
21 here.

22 DR. FREAS: It's your choice.

23 DR. ORENSTEIN: Okay. Well, if somebody
24 will run the computer, I'll just talk about next
25 slides.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The title of my talk is an Overview of the
2 U.S. Vaccination Program, and I've been asked by the
3 FDA to review the benefits of and, hence, needs for
4 vaccines in the U.S. As you review potential risks of
5 vaccines today, it's important to place any risk
6 evaluation in context with the benefits in order to
7 formulate the most appropriate policies. Can I have
8 the next slide, please.

9 Last year as we came to the end of the
10 Twentieth Century, CDC looked back on what it
11 considered were ten great public health achievements.
12 Among those ten great public health achievements of
13 the Twentieth Century, vaccination was listed and, in
14 fact, was the first of a series of ten articles on
15 major public health triumphs during the Twentieth
16 Century. Next slide, please.

17 Vaccines are considered one of the most
18 cost effective measures of preventive health. In 1995
19 Tengs, et al. published an analysis of 310 life saving
20 health care sector interventions. of those 310
21 publications, six involved vaccines -- Six of the 45
22 identified were cost savings that were vaccine
23 related. All published childhood cost/benefit or cost
24 effectiveness analyses showed society saved money for
25 childhood vaccination, and this doesn't include some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 unpublished information or later evaluations. Next
2 slide, please.

3 We have achieved some of the highest
4 immunization rates in this country for routine
5 childhood immunization. For most of our vaccines
6 among 19- to 35-month-old children, a median age of 27
7 months, we have coverage rates of 90 percent or
8 higher. For hepatitis B we are approaching 90
9 percent, at 88 percent, and varicella vaccine, which
10 is a newly licensed vaccine in '95 recommended for
11 universal use in '96, we are beginning to see
12 exponential increases in coverage with approaching 60
13 percent in our last measurements. Next slide, please.

14 This is a slide updated from an April 1999
15 MMWR article that looks at, for eight vaccine
16 preventable diseases or, in the case of congenital
17 rubella, a complication, what their representative
18 annual Twentieth Century morbidity taken from data or
19 estimates during the early to the mid-Twentieth
20 Century and what happened in 1999.

21 What we've achieved is 95.9 percent or
22 greater reductions in all of these diseases, and for
23 many of them through rounding we get to minus-100
24 percent. To highlight a few, diphtheria as a disease
25 is virtually gone in this country, although the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 organism persists, and we do run risks if vaccination
2 levels fall of a return of diphtheria.

3 Measles, which I'll cover in more detail,
4 we believe, is no longer circulating in the United
5 States. Rubella is essentially gone in the United
6 States. It is now primarily a disease of Hispanic
7 populations, Hispanic populations who grew up in
8 countries that at the time were not practicing rubella
9 vaccination. And hemophilus influenza Type B, which
10 at one point is estimated to have caused 12,000 cases
11 of meningitis and 20,000 cases of invasive disease, is
12 now, when it occurs, often a grand rounds case.
13 People are brought around to see such a rare illness.
14 Next slide, please.

15 This is to remind us of what used to occur
16 in this country. This is a slide form the Rancho de
17 los Amigos Hospital in Los Angelos during the 1950s
18 showing a ward full of persons on iron lungs or
19 Drinker respirators. During the 1950s, we had an
20 average of about 16,000 paralytic cases a year in the
21 United States, and over 1800 deaths. Next slide,
22 please.

23 This slide shows what has happened with
24 polio in the United States, first with the
25 introduction of inactivated vaccine and then oral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine. Polio has been eliminated in the United
2 States. The last indigenously acquired cases of polio
3 in the U.S. occurred in 1979. There have been no wild
4 virus induced polio cases since 1979 acquired within
5 the U.S.

6 Nevertheless, we continue to be at risk of
7 polio. While there is a worldwide eradication effort
8 underway, we still have about 30 countries in the
9 world that are endemic for polio, and the World Health
10 Organization estimates that roughly 20 will still be
11 endemic by the end of this year. Hence, if polio
12 vaccinations drop, we run the risk of a return of
13 epidemics of polio. Next slide, please.

14 This is a slide classically taken from
15 Krugman and Sam Katz and others showing the clinical
16 course of measles. I put this in here to show that
17 measles is not a trivial disease. A typical case
18 often had fever between 103 to 105 degrees, multiple
19 systemic symptoms including conjunctivitis or
20 photophobia, coryza, and often a severe, intense
21 cough. Next slide, please.

22 To further emphasize that measles is not
23 a trivial disease, these are cases reported to CDC
24 between 1985 and 1992, showing that 29 percent had at
25 least one complication, including diarrhea, ear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infections, pneumonia, about one in 1,000 with severe
2 encephalitis, and about one in 500 who died.

3 About 18 percent of the cases were
4 hospitalized, and we learned during a resurgence how
5 young physicians who had not seen measles were really
6 misled by the toxicity, and we heard anecdote after
7 anecdote of kids without complications being admitted
8 to hospitals, because the kids looked so toxic, and
9 they were suspecting something else was going on.
10 Next slide, please.

11 This shows you what has happened with
12 measles in the United States. Vaccine was licensed in
13 1963. We've seen a dramatic reduction, but we've had
14 three major outbreaks of measles in the United States,
15 the last between 1989 and 1991 in which we had over
16 55,000 cases, over 11,000 hospitalizations, and
17 officially reported 102 deaths from measles during
18 that period.

19 We have now achieved substantially higher
20 vaccination coverage than we had at that time, and we
21 believe all measles in the U.S. today is not endemic
22 circulating measles but measles from foreign
23 importations with limited domestic spread. Next
24 slide, please.

25 This slide doesn't show up. It would show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the slides. But let me put it -- We still run the
2 threat of reintroduction of measles and, if coverage
3 falls, of measles epidemics. During the three-year
4 period 1997 to 1999, there were 116 international
5 importations of measles into the U.S. They infected
6 32 states and the District of Columbia, and infected
7 78 counties. There's a clear need and a clear threat
8 for measles vaccine, and a clear threat for the
9 reintroduction of measles. Next slide, please.

10 We often give statistics and numbers, and
11 I'd like to, with your forbearance, read a clinical
12 description. This was put together by Lloyd Olson
13 from Indiana University in 1975 of pertussis to put in
14 perspective, I think, one of the best clinical
15 descriptions:

16 "The child possessed of a coughing fit is
17 a pitiful sight, all the more so as the observer is
18 helpless to alleviate or terminate the attack. Each
19 attack consists of ten to 30 forceful coughs per
20 spasm, and into each cough the patient appears to
21 concentrate all his energy. He leans forward or, if
22 standing, stands with legs spread grasping the nearest
23 object and leaning far forward, tongue protruded to
24 the utmost, saliva and mucous streaming from nose and
25 mouth, eyes bulging with tears streaming, his entire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 body racked with the total exertion of each cough."
2 Next slide, please.

3 "The coughing continues in a staccato
4 series. The face becomes more and more cyanotic. The
5 neck bulges with venous congestion, and still the
6 attack continues. Finally, when it seems certain that
7 death is imminent, a final cough appears to clear
8 offending secretions and mucous from the upper airway,
9 and the first opportunity to inspire is offered. With
10 a massive effort, inspiration ensues. Air rushes into
11 the lungs against a still narrowed glottis, and a
12 characteristic whoop is produced." Next slide,
13 please.

14 Apart from the complicating conditions
15 which occur in some patients with pertussis, the major
16 danger from the disease is during severe coughing
17 paroxysms during which prolonged hypoxia may lead to
18 irreversible changes in the CNS or even death. The
19 greatest mortality via this mechanism occurs in
20 infants.

21 This shows you what has happened with
22 pertussis in the United States, first with whole cell
23 pertussis vaccines, showing a major acceleration in
24 the decline of pertussis, and now in cellular
25 vaccines. We've seen pertussis increasing recently in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the United States, and this involves particularly
2 young adults and adolescents who are a reservoir of
3 continuing transmission.

4 If immunization levels fall, I think we
5 can be certain that we will again see epidemics of
6 pertussis without the need for international
7 importations. Next slide, please.

8 To put it perspective the concerns about
9 pertussis, this is data from Japan, but it was seen in
10 the United Kingdom and Sweden. In Japan there was
11 extreme concern about the safety of the whole cell
12 vaccines after two deaths followed whole cell
13 vaccination. The pertussis vaccination program was
14 suspended for a time, then reinstated at two years
15 of age, but not very effectively.

16 Again, this was after two deaths following
17 vaccine. There was a major epidemic of pertussis in
18 which 41 deaths were reported, and it was only through
19 reintroduction of a cellular vaccine, first at two
20 years of age and then at younger ages, that have led
21 to major control of pertussis in Japan. Next slide,
22 please.

23 I'd like to move now to varicella. The
24 CDC has estimated that roughly everybody got varicella
25 in the pre-vaccine era. That's about 4 million cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 per year, about 11,000 hospitalizations per year,
2 about 105 deaths per year, about one on average per
3 week in children. The majority of deaths and
4 hospitalizations did not occur in persons with risk
5 factors, but in those who were healthy children and
6 healthy adults. Next slide, please.

7 These are data from a sentinel
8 surveillance project CDC funded in Antelope Valley,
9 California, West Philadelphia, and Travis County,
10 Texas, where immunization coverage, as best we can
11 measure it in the trial population, varies from about
12 six to 80 percent or so.

13 What you can see here, if you look at the
14 bottom line, is an overall reduction in reported cases
15 of varicella, ranging from 77.5 percent to 84.3
16 percent. The other thing of interest is that a
17 program targeted toward children is having a major
18 impact on circulation of varicella in all age groups,
19 including older adolescents and adults. Next slide,
20 please.

21 What's gratifying is we're not only seeing
22 a decrease in reported cases, but we're seeing a major
23 decrease in hospitalizations, shown here by the blue
24 bars, and on the rates of hospitalizations, shown here
25 in red. Next slide, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 The last disease I want to talk about is
2 hepatitis A. Hepatitis A is again not a trivial
3 disease. It consists generally of a pro-germ of
4 fever, malaise, loss of appetite, nausea, abdominal
5 discomfort, and jaundice. Next slide, please.

6 The CDC estimates that approximately 100
7 persons die each year in the absence of a vaccination
8 program from fulminant hepatitis A. While the usual
9 illness resolves within two months, about ten to 15
10 percent have relapsing illness up to six months.
11 About 11 to 22 percent are hospitalized, and the cost
12 per case, even for children, is not insubstantial, in
13 children ranging, from CDC estimates from our
14 hepatitis group, from \$433 to \$1492 per case.

15 The total cost estimated and published in
16 the ACIP statement on hepatitis A was approximately
17 \$100 million. Next slide, please.

18 What you can see here with hepatitis A in
19 the Indian population where coverage rates for
20 hepatitis A vaccine in children have ranged from about
21 60 to 80 percent is a marked drop in hepatitis A to
22 the point that hepatitis A in the American Indian
23 population is now similar to the overall U.S.
24 population. Next slide, please.

25 The last point I want to make deals with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the immunization schedule. At the present time, we
2 routinely vaccinate children in the United States
3 against 11 vaccine-preventable diseases in a number of
4 areas at high risk for hepatitis A, 12 areas.

5 What this means is a substantial number of
6 injections and a substantial number of doses of
7 vaccines that are needed for young children. In fact,
8 if you cut off at 18 months of age, it now requires 16
9 to 20 injections, 16 to 20 doses, to fully immunize a
10 child by 18 months of age.

11 This is a major challenge for parents, for
12 health care providers. In some cases, five injections
13 are being given at a single visit, and clearly,
14 combination vaccines, if deemed to be safe and
15 effective, would be very, very important in trying to
16 assure everybody benefits from these vaccines. Next
17 slide, which is the last.

18 So in summary then, vaccines are one of
19 the greatest achievements of public health. Most
20 vaccine-preventable diseases are at or near record low
21 levels. There is an ever present threat of vaccine-
22 preventable diseases, both within this country and
23 from abroad, and therefore, there is a need for
24 maintaining high vaccination coverage levels. Thank
25 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BROWN: Than you very much, Dr.
2 Orenstein, for this very upbeat presentation on the
3 utility of vaccines, which many of us, I think,
4 probably were not fully aware of.

5 Any questions for Dr. Orenstein? Yes, Dr.
6 Modlin?

7 DR. KIM: Kwang Sik Kim. In the context
8 of what we are discussing today, can you somehow
9 elaborate whether indeed vaccine safety, the safety of
10 vaccines per se, has been any contributing factor to
11 achieving or maintaining high vaccine coverage?

12 DR. ORENSTEIN: I think the issue of
13 vaccine safety is critical, and I think there's a
14 critical need to assure the public and the physicians
15 and nurses and others who give vaccines that vaccines
16 are safe. I think there has been a substantial
17 commitment to improving of what we already consider a
18 very safe schedule.

19 This includes the move from oral polio
20 vaccine to inactivated polio vaccine, from whole cell
21 pertussis vaccines to acellular vaccines, and a
22 variety of others. So, yes, I agree. It's critical
23 that vaccines need to be safe and have a special
24 standard for safety.

25 CHAIRMAN BROWN: Sorry, Dr. Kim. Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Modlin's sign is in my sight line right in front of
2 you. So forgive me. Any other questions? Yes?

3 DR. STEPHENS: Walt, to you on the spot a
4 little bit more, does NIP have an opinion about the
5 issue directly for this committee?

6 DR. ORENSTEIN: I think not at this point.
7 Clearly, I think we're here to hear the information
8 and learn more about it.

9 CHAIRMAN BROWN: Thanks very much, Dr.
10 Orenstein. The last -- One more question. All right.

11 DR. HUANG: Alice Huang. I have both a
12 specific and a general question, the specific one
13 being whether the varicella vaccine protects against
14 shingles, with what we know about that.

15 The second general question is would you
16 talk a little bit about herd immunity and the
17 importance of that in vaccination.

18 DR. ORENSTEIN: In terms of shingles, the
19 available data that we have show that the incidence
20 rate of shingles following vaccination is
21 substantially lower than what would be expected from
22 natural virus.

23 Clearly, we haven't had the extreme long
24 term follow-up, but at least in the childhood
25 population I think the data are quite good that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk is extremely small. It's not zero, and there
2 have been vaccine viruses isolated from patients with
3 shingles. The Japanese also are seeing a decrease.

4 I don't know, John, if you wanted to
5 comment more on it. I'm not as familiar with the
6 Japanese data. Then I'll come back to the herd
7 immunity.

8 DR. MODLIN: Just very quickly, Alice, the
9 Japanese, who have been using the Yoka strain for the
10 longest, I think, have the longest experience. They
11 recently have published data on that now is about a
12 20-year follow-up for some vaccinees that suggests --
13 that substantiate just what Walt said, that the
14 incidence of Zoster amongst the vaccinees has been
15 lower than one would have otherwise expected.

16 DR. GREENBERG: Greenberg. There is a
17 large cooperative study in the VA system currently
18 evaluating whether vaccination of people over the age
19 of 60 or 65, people who would have been exposed to
20 wild type varicella, would benefit and are likely to
21 be protected by vaccination, which might have been
22 your question.

23 So that -- The answer to that is unknown
24 at the present.

25 DR. ORENSTEIN: The data I was quoting is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really some estimates of risk of zoster, particularly
2 in the childhood population and what we've seen and
3 experienced in reports of cases.

4 In terms of herd immunity, we feel it's
5 absolutely critical in terms of protecting the
6 population. We know that there are populations that
7 cannot be vaccinated, such as those with immune
8 deficiency disorders or, if vaccinated, do not make
9 adequate immune response, and they are protected
10 through herd immunity.

11 We have achieved our measles successes
12 without having to have 100 percent immunity in the
13 population. I think that a number of groups derive
14 benefit from vaccination that may not be vaccinated.
15 They include one -- I mentioned those who medically
16 cannot receive vaccines, for whatever reason or cannot
17 mount an adequate immune response.

18 There are people with religious beliefs
19 who do not desire immunization or do not feel -- feel
20 immunization goes against their religious beliefs.
21 They are benefitting by herd immunity, and those that
22 refuse vaccination at the present time benefitting by
23 herd immunity.

24 CHAIRMAN BROWN: Last question from Ms.
25 Fisher.

1 MS. FISHER: Dr. Orenstein, as the head of
2 the immunization program for the CDC, do you support
3 strict guidelines for manufacturers to make sure that
4 there is no transmission of BSE to American babies
5 through vaccines using bovine sources for production?

6 DR. ORENSTEIN: I think that there should
7 be guidelines for manufacturers, and I think that, to
8 the extent possible, that risk needs to be as close to
9 zero as possible, hopefully zero. But I think, with
10 all of these things, we're going to have to weigh
11 risks and benefits.

12 My hope is it's zero, and I think that we
13 ought to try and go for zero, but with other vaccines
14 we have to weigh the risks and benefits, and I think
15 we need to understand what the magnitude of risk would
16 be in order to put that into the equation of a risk-
17 benefit evaluation.

18 CHAIRMAN BROWN: A postscript from Dr.
19 Ewenstein.

20 DR. EWENSTEIN: Thanks. Have you tried to
21 -- Well, I guess there are two parts to this. One,
22 for the many vaccines that we've talked about, are
23 there multiple sources, (a)? (b) Have you tried to
24 project, without trying to infer anything from the
25 question, what the impact would be on various, let's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 say, periods of time when a vaccine was not available
2 what the impact would be on the resurgence of diseases
3 that you've described?

4 DR. ORENSTEIN: If we could go back -- I
5 don't know if you can go back to the schedules,
6 because you have to go vaccine by vaccine. There are
7 four suppliers right now of DTAP vaccine. There is a
8 single supplier of inactivated polio vaccine. There
9 are three suppliers, I believe, of Hib vaccine. There
10 is one supplier of MMR vaccine, one supplier of
11 varicella vaccine, two suppliers of hepatitis A, one
12 supplier of pneumococcal conjugate vaccine. Did I
13 leave any out? I think that covers them all.

14 In terms of the impact, it's very
15 difficult to project. It would depend, clearly, on
16 how soon vaccine could be reinstated. The longer we
17 go, the more the risk.

18 I would presume there would need to be
19 some accumulation of susceptibles. How long that
20 would have to be and in which populations is very
21 difficult, because these are sort of stochastic kinds
22 of things. It's difficult to know when or whom is
23 going to introduce disease, in which population.

24 CHAIRMAN BROWN: Please?

25 DR. DAUM: Bob Daum from the University of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Chicago. As an infectitious disease practitioner,
2 with many older, less expensive antimicrobials, we are
3 now experiencing severe shortages because
4 manufacturers just don't seem to be willing to make
5 them anymore.

6 I'm sort of wondering whether you would be
7 willing to comment on potential strain in this system
8 where we as a practicing community, a medical
9 community, need manufacturers to make these vaccines,
10 because the government really isn't in that business
11 right now, and what the impacts would be of actual
12 threatened or perceived shortages of vaccines, in your
13 view, on the vaccination program in this country.

14 DR. ORENSTEIN: Well, I think -- Thanks,
15 Bob. I think, in my opinion, if we lose some of these
16 vaccines, for whatever reason, I think we are going to
17 see a return of some of these epidemic diseases. So
18 I think we do need to weigh risks and benefits when we
19 make any decisions about this.

20 I think it's not to maximize the benefits
21 or minimize the risks, but I think they need to be
22 weighed as fairly as possible in an overall policy
23 decision. I think that I've tried to demonstrate that
24 doing without vaccines is not without cost. There is
25 a cost to society and a cost to the health of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 public, and I think we just need to balance those to
2 make sure we derive the maximum health and the maximum
3 benefit.

4 CHAIRMAN BROWN: Well, I don't think I'll
5 thank Dr. Orenstein anymore. We'll just have
6 continuing questions. So go ahead.

7 DR. KOHL: I'm going to push you, Walt, a
8 little harder. If this committee or the FDA agrees on
9 a product recall of specific vaccines, has the CDC
10 prepared any scenarios of what the impact would be?

11 DR. ORENSTEIN: We have not. We'd need to
12 know which vaccines, and we would need to know the
13 magnitude of that in order to do that. So we have
14 not.

15 CHAIRMAN BROWN: Dr. Orenstein, you'll be
16 here the whole day, won't you?

17 DR. ORENSTEIN: Yes, I will.

18 CHAIRMAN BROWN: So in general discussion,
19 if any of these questions come up that Dr. Orenstein
20 can answer, he will still be here, and we can continue
21 to ask him. Thank you.

22 The next presentation and the last one
23 before our morning break will be given by Dr. David
24 Asher from the FDA.

25 DR. ASHER: Thank you, Dr. Brown. Good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 morning. I'd just like to add here that, in addition
2 to other duties, I'm CBER's representative on the FDA
3 TSE Intercenter Working Group, and one of the issues
4 that we are concerned about is the development of an
5 appropriate regulation concerning TSEs.

6 I will review FDA policies concerning BSE
7 and the safety of regulated products, beginning with
8 the first expressions of concern in 1991, soon
9 followed by recommendations that manufacturers not use
10 most bovine derived materials from countries with BSE,
11 which remains today the policy of the FDA as well as
12 of our sister agency, the U.S. Department of
13 Agriculture.

14 Since we know that those recommendations
15 were not always followed, I will also address briefly
16 several factors affecting the risk that the use of
17 bovine derived materials from various countries in
18 manufacturing vaccines might result in transmission of
19 BSE to recipients, an event that has not been
20 demonstrated and the likelihood of which seems quite
21 remote, but which might be catastrophic, should it
22 occur.

23 Of course, there are precedents for
24 accidental transmission of TSEs by veterinary vaccines
25 and by human peptide hormones, though both products

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are quite unlike those to be discussed today.

2 Several factors to consider in estimates
3 to risk are related to the source of bovine materials:
4 The temporal risk, that is, the years when cattle in
5 a country were infected; the geographic risk, that a
6 country has BSE in its native cattle and the BSE rates
7 in that country; and the tissue risk, the likelihood
8 that various tissues of an infected animal contain the
9 BSE agent.

10 I'm very grateful to the SSC, the
11 Scientific Steering Committee, of the European
12 Commission's Health and Consumer Protection
13 Directorate General for recently issuing a number of
14 valuable opinions addressing those issues.

15 Also to consider in evaluating the
16 theoretical risks are details of manufacturing and end
17 use of vaccines that I can discuss only very briefly.

18 We are concerned only with bovine derived
19 materials, those from other ruminants being negligible
20 in CBER regulated vaccines, and of the animal TSEs,
21 only BSE has been convincingly associated with a human
22 disease, new variant CJD with its unique constellation
23 of findings, listed here, several of which are BSE-
24 like. FDA's concern about the potential
25 transmissibility of animal TSEs to humans predates the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 first description of VCJD in 1996.

2 Today we are mainly concerned about four
3 bovine components used to manufacture vaccines: Serum
4 collected from fetal and older animals in a high
5 prevalence country during years when many cattle were
6 infected; a derivative of gelatin prepared from the
7 bones of cattle in several low prevalence countries;
8 and bovine pancreatic extracts in meat broth, also
9 from cattle in low prevalence countries.

10 For many years, FDA regulations have
11 required that cultures used to manufacture biologics
12 be free from extraneous organisms. Except for the so
13 called ruminant feed ban of 1997, the FDA has issued
14 no additional rule specifically regulating TSE safety.
15 However, beginning in 1991 the agency has sent a
16 number of letters to manufacturers of regulated
17 products and issued published guidance expressing
18 concern about the potential danger of ruminant TSEs
19 for humans.

20 In May of 1991 the CBER Director first
21 articulated concern for the safety of biologics and
22 asked manufacturers to review sources of bovine and
23 ovine materials that they used not only as active
24 ingredients and excipients, but also as in-process
25 reagents, including enzymes and cell culture

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 components like serum and its derivatives.

2 In July 1993, CBER provided manufacturers
3 with a recently revised "Points to Consider" document
4 specifically recommending that bovine serum and other
5 additives in cell culture media used for production of
6 biologics be free of the BSE agent.

7 Later in 1993, CBER and other FDA centers
8 sent letters published the following year recommending
9 that most bovine components in regulated products not
10 be sourced from animals in BSE countries, and noting
11 that the USDA maintains a list of such countries.

12 I must add here that the FDA did not
13 consider the possibility that a product prepared with
14 components derived from cattle in a country not on the
15 BSE list might still be in inventory when the country
16 was added to the list later.

17 In 1996 following the recognition of VCJD,
18 the Deputy Commissioner of Food and Drugs sent letters
19 to manufacturers of regulated drugs, devices and
20 biologics requesting that they take whatever steps are
21 necessary to assure all concerned that they were not
22 using bovine materials from BSE countries in the
23 manufacture of products for humans, and stating again
24 that the USDA maintains the list of BSE countries.

25 Finally, in April of this year, the CBER

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Director repeated that recommendation, clarifying that
2 manufacture of biologics includes preparation of
3 master and working seeds and cell banks, and pointing
4 out that the USDA BSE list includes not only countries
5 that have actually recognized BSE in native cattle,
6 but also countries where the USDA has been unable to
7 assure the FDA that BSE does not exist, the so called
8 BSE suspect countries or status unknown countries, and
9 reiterating the steps that CBER expects manufacturers
10 to take.

11 As some members of the TSE Advisory
12 Committee will recall, in 1994 bovine gelatin was
13 temporarily excluded from FDA's recommendation against
14 sourcing from BSE countries, but after process
15 validation attempts failed to eliminate TSE agent
16 during scaled down production of gelatin, and FDA
17 learned that some brain, spinal cord and dorsal
18 ganglia probably contaminated the starting material
19 for bovine bone gelatin, the agency, endorsed by
20 advice from the committee, again recommended against
21 the use of bovine gelatin from BSE countries in
22 injectable, implantable and ophthalmic products, and
23 suggested increased precautions for oral and topical
24 gelatin.

25 The TSE Advisory committee also has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reviewed bovine tallow derivatives, and was generally
2 reassured about the safety of such reagents from any
3 source. Revised FDA policies concerning tallow
4 derivatives are in development now.

5 Besides gelatin and tallow, all other
6 bovine components are either covered by FDA's general
7 BSE guidance or have been considered case by case.

8 USDA regulations, intended to protect
9 animal health and only indirectly protecting human
10 health, prohibit the importation not only of ruminant
11 meat and meat products from BSE countries but also
12 offal, glands and blood. Bovine gelatin not for human
13 consumption or industrial use is similarly restricted.

14 Bovine serum -- next slide, please --
15 Ruminant serum from BSE countries can be imported
16 under USDA permit so long as the Administrator of the
17 Animal and Plant Health Inspection Service determines
18 that it will only be used under circumstances that
19 will prevent introduction of BSE into animals, and the
20 USDA permit does not authorize exposing any animal to
21 that ruminant serum, even species not known to be
22 susceptible to TSEs. The capitalization here is the
23 USDA's, not mine, and it indicates their level of
24 concern about the safety of the material.

25 Most important, in December of 1997,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 published the following month, the USDA, having
2 learned about earlier widespread exports of cattle and
3 meat and bonemeal from the U.K. into many parts of
4 Europe, prohibited the importation of ruminants and
5 most ruminant products from all of Europe as a
6 precaution, expanding the BSE list.

7 Now I will briefly review some information
8 useful to estimate the theoretical risk posed by the
9 bovine materials of concern today, starting with
10 temporal risk.

11 To date BSE has been recognized only in
12 cattle born in ten European countries, listed here in
13 approximate order of the earliest birth cohort
14 affected. The vast majority of recognized cases have
15 been, and still are, in the U.K., which has reported
16 over 176,000 to date, almost 2300 last year.

17 In the U.K. diseases affected some cattle
18 born as early as the 1970s, peaking in cohorts born in
19 the late 1980s when the USDA estimates that as many as
20 .5 percent of all cattle there may have been infected.

21 The disease then appeared in cohorts of
22 cattle born in France, Ireland, Portugal and
23 Switzerland in the mid-1980s, and those born a decade
24 later in the Benelux countries and in Liechtenstein
25 and Denmark. The EC's SSC now suspects that there

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 must also be some native cattle infected with BSE in
2 Germany, Italy and Spain, although those countries
3 have not recognized the disease.

4 BSE cases peaked in the U.K. near the end
5 of 1992. They may have peaked in Switzerland more
6 recently. The situation in other countries is not yet
7 clear.

8 The SSC recently released a helpful
9 estimate of the probability that cattle in a given
10 country have been infected with the BSE agent and the
11 probable current incidence in each of 25 countries
12 that provided information, the geographic BSE risk
13 estimate or GBR.

14 The GBR depends on a number of factors,
15 including numbers of cattle in a country, imports and
16 feeding of meat and bonemeal, rendering and recycling
17 of meat and bonemeal within the country, elimination
18 of specified risk materials, so called SRM, from
19 carcasses, surveillance for BSE, and cattle culling
20 practices.

21 The quality of TSE surveillance is
22 especially important, since passive confirmation of
23 disease in sick animals alone misses at least 50
24 percent of infected animals, while active examination
25 of brains of apparently healthy older animals provides

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a more realistic estimate of incidence.

2 Both the intensity of BSE challenge --
3 that is, the exposure of animals to imported
4 contaminated meat and bonemeal and to recycled meat
5 and bone meal -- and stability, the effectiveness of
6 national control efforts to remove infected animals,
7 and contaminated products from the environment
8 influence the risk that cattle are infected.

9 The overall SSC assessments were based not
10 only on information provided by national authorities,
11 but also on results of EC inspections, U.K. trade
12 figures, and realistic worst case assumptions.

13 The current GBR, which seems inevitably
14 destined for modification, recognizes four risk
15 categories of country, from the highest, Roman Numeral
16 IV which includes only the U.K. and Portugal, and a
17 lower risk category III, probably to be further
18 stratified, which includes all other countries known
19 to have BSE in native cattle, plus the three suspect
20 European countries.

21 Nine countries, including the USA, are
22 considered to be in Category II. They are probably
23 free of BSE, but have a history of importing cattle
24 from the U.K., rendering some carcass into meat and
25 bonemeal, and possibly feeding it to native cattle.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The U.S. feed ban was put into effect only in August
2 of 1997.

3 Four countries in Category I are
4 considered BSE-free, although one may be reconsidered
5 because of its imports from a category III country.
6 Of course, many countries did not provide information
7 to the SSC, but all those of concern to the FDA today
8 except one did respond.

9 The third element of risk associated with
10 source is the tissue risk, which will be reviewed in
11 detail by later speakers today. Thus far, only neural
12 tissues and intestines of cattle have been
13 convincingly demonstrated to contain BSE agent.
14 However, in the U.K. and in some other parts of
15 Europe, lymphoid tissues are also removed from beef
16 carcasses as a precaution, because those tissues are
17 consistently infectitious in sheep and goats with
18 scrapie.

19 The EC has a system to estimate the risk
20 that a given tissue of a ruminant with a TSE,
21 including cattle with BSE, may be infectitious.
22 Unfortunately, this system, in contrast to the GBR,
23 assigns the lowest number, most recently an Arabic
24 numeral, to the highest risk tissue. Tissues in the
25 two highest risk categories are listed here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Note that the four bovine materials of
2 concern today, shown here bolded, are all derived from
3 tissues in either low risk Category III or in the no-
4 detectable infectivity category IV, which we prefer to
5 call minimal risk tissues.

6 Just a warning: Experience looking for
7 infectivity in a variety of tissues from human CJD
8 patients assayed by intracerebral inoculation of
9 monkeys illustrates that small sample sizes can yield
10 misleading results. Note here that CJD infectivity
11 was found in only a modest fraction of kidneys, livers
12 and spleens tested. Those would be tissue risk
13 categories III and IV in the European system for
14 cattle.

15 Testing of a small number of specimens
16 with the use of less sensitive animal models might
17 have failed to detect infectivity in those tissues.
18 I hope that today's more recent information from the
19 U.K. Ministry of Agriculture, Fisheries and Foods BSE
20 pathogenesis study may serve to increase our
21 confidence in the EC BSE tissue risk estimates.

22 Now for a short digression. What has led
23 regulatory authorities to recommend taking such
24 apparently extravagant precautionary steps for
25 sourcing -- for example, the U.K.'s including lymphoid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tissues among the specified risk material to be
2 removed from older calves, the U.K.'s decision not to
3 use its own blood donors' plasma for fractionation,
4 and the FDA's repeated requests not to use most bovine
5 materials from BSE countries?

6 The precautions may seem excessive,
7 considering that there are, as we have heard, no
8 actual scientific data showing that any of those
9 materials is infected. However, those decisions
10 reflect the uncertainty of the risk involved.

11 The basis for such decisions has been
12 codified in the European Union as the "Precautionary
13 Principle," which asserts the right of a society to
14 respond preemptively to an uncertain risk while
15 awaiting better scientific information.

16 In that regard, a recent opinion by the
17 SSC expresses well FDA's thinking about minimal BSE
18 risk tissues, noting that there is little doubt that
19 under certain circumstances humans or animals could be
20 exposed to the BSE agent by consuming ruminant blood
21 products, and that this risk may be reduced or
22 eliminated by a combination of various strategies,
23 including source bovine blood from BSE-free areas or
24 closed herds.

25 The SSC noted further that potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nearlgross.com

1 infectivity in bovine blood, and presumably in other
2 minimal risk tissues, might result not only from some
3 as yet undetected intrinsic infectivity to the tissue,
4 presumably at low levels and perhaps infrequent, but
5 also from potential extrinsic infectivity due to
6 contamination of the blood with high risk tissue,
7 either by slaughter or blood collection techniques.

8 There are a number of factors that should
9 serve to mitigate greatly the risk of transmitting
10 BSE, even if the country of origin had the disease in
11 some cattle: all material from health, inspected
12 animals, especially animals from well controlled and
13 documented herds; a documented history that the
14 animals had never been fed meat and bonemeal; source
15 animals for the material too young to be in the later
16 stages of the BSE incubation period, as in the United
17 Kingdom's 30-month scheme; and potential cross-
18 contamination of low and minimal risk tissues with
19 specified risk materials reduced by using slaughter
20 techniques unlikely to embolize brain tissue or to
21 allow its leakage; and by careful removal and disposal
22 of risk material at the point of slaughter.

23 Risk is also affected by the manufacturing
24 process, although often to an uncertain degree, and
25 you will hear more today about the potential effects

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of dilution, partition, and possible reduction of
2 infectivity by heat in various production steps.

3 Additional, Sue Priola of the NIH is here
4 today and available to comment on the theoretical,
5 albeit unlikely, possibility that the BSE agent might
6 replicate in some cell cultures.

7 The last element of risk to be considered
8 in a traditional analysis is the end use of the
9 product. Here we must recognize that vaccines at
10 issue are all administered by intramuscular injection,
11 a route that's more effective in transmitting most
12 infections, including TSEs, than is the oral route to
13 which transmission of new variant CJD has already been
14 attributed.

15 I need not remind you that vaccinated
16 children pose special concerns for caregivers,
17 manufacturers, and for regulators. Children have a
18 whole lifetime to incubate a slow infection. They are
19 generally healthy, and they are especially vulnerable
20 in that they are legally unable to give informed
21 consent for treatments that they receive as much to
22 protect others as themselves.

23 Their parents' continued confidence in the
24 safety of vaccines will be necessary if our nation is
25 to achieve universal immunization. Vulnerable people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 undergoing non-voluntary preventive treatments that
2 contribute to the general welfare are entitled to
3 receive the highest level of fiduciary protection.

4 The public might reasonably understand
5 that protection to include the most careful possible
6 sourcing of all components of vaccines at all stages
7 of production.

8 When, due to misunderstandings, FDA
9 precautionary recommendations concerning that
10 subsourcing were not followed in the manufacture of
11 vaccines, the obvious great benefit afforded by the
12 product may outweigh any remote theoretical risk of
13 harm to the recipients. However, the agency would
14 generally expect deviations from these recommendations
15 to be corrected as soon as feasible, and for the
16 situation to be disclosed to the public. Thank you.

17 CHAIRMAN BROWN: Are there any questions
18 for Dr. Asher? Yes?

19 DR. BELAY: David, can you expand a little
20 bit on what you call extrinsic infectivity, where that
21 infectivity comes from and whether or not there have
22 been any measures taken in European countries to
23 mitigate that infectivity that could end up in low
24 infectivity?

25 DR. ASHER: The two possible sources of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extrinsic infectivity in a low risk tissue come from
2 the slaughter technique used and the tissue collection
3 technique. The slaughter technique of greatest
4 concern of those in which air is introduced into the
5 cranial vault which is known to produce embolization
6 of brain tissue, not only into lung, but it's now been
7 documented into other tissues as well, though not
8 specifically into muscle.

9 Less invasive pithing -- that is, the
10 procedure of putting a rod through an entry wound into
11 the skull and disrupting brain -- is also known to
12 produce embolization. Less damaging slaughter
13 techniques are less likely to produce embolization.

14 Information on slaughter techniques in the
15 European Union may be available to the USDA. Perhaps
16 Lisa Ferguson would like to comment on that.

17 CHAIRMAN BROWN: Well, before we have
18 other comments, there is a document which has just
19 been completed by the European Community with
20 extraordinarily graphic descriptions of slaughter
21 processes, and Dr. Bradley this afternoon, who is
22 speaking, might want to -- if you have further
23 questions about it -- give you more details, because
24 he and I and a number of other people participated on
25 the committee that drew up this document.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In general, slaughtering is done by
2 captive bolt, which basically is a bullet on the end
3 of a -- a bullet that never leaves permanently the
4 pistol, and it's traumatic, but it has been relatively
5 infrequently associated with any cerebral emboli.

6 The use of air injection and of pithing
7 are both currently being discouraged, if not banned --
8 air injection -- as a guideline by the European
9 Community. So this method of slaughter is on the way
10 out, but has been used in some countries of the
11 Western world during the period at which BSE was
12 occurring.

13 DR. ASHER: The answer to the second
14 question was during the collection of blood,
15 particularly not fetal blood but from older animals,
16 there is an opportunity for brain tissue exiting from
17 a cranial wound to enter the blood.

18 The point I was making was that, if such
19 techniques are avoided, the chance of extrinsic
20 contamination is much reduced, and those would be
21 mitigating factors.

22 DR. GREENBERG: Do you have some estimate
23 of the likelihood that a country like the United
24 States actually has BSE circulating and just below the
25 level of detectability? What should be the level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assurance that BSE is geographically restricted to
2 various areas?

3 DR. ASHER: The U.S. Department of
4 Agriculture has an active surveillance program, and I
5 gave up counting when they reached more than 7,000
6 brains from suspect animals, all of which had been
7 negative. Perhaps Lisa has the latest figure on that,
8 and estimate of the probability for this country.

9 It seems quite remote at the moment.

10 DR. FERGUSON: Yes. I would agree that it
11 does seem quite remote. We've had a fairly strong
12 surveillance program in place since 1990, including
13 what we would term both passive and active
14 surveillance. We're not looking strictly at central
15 nervous system cases that are presented, although
16 those, obviously, are included, but we are also
17 sampling what we call downer cows. In Europe they are
18 more often referred to as fallen stock.

19 These are animals that are -- and they
20 can't stand, for whatever reason. In many cases, it's
21 not a CNS type reason, but we are sampling from both
22 of those populations all animals.

23 Over the ten years that we've been doing
24 the surveillance -- I looked at our figures yesterday,
25 and through May of this year it was over 10,700. At

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 one point in time we did an estimate of essentially
2 our confidence interval that we would find a one in a
3 million case. I think it was like a 95 percent
4 confidence interval, with our targeting in the adult
5 population.

6 CHAIRMAN BROWN: Yes, and that one in a
7 million figure, Dr. Greenberg, is one to keep in mind.
8 It's entirely possible that cattle, sheep, pigs,
9 chickens, fish and every other species known has a
10 sporadic occurrence of CJD at the same rate that
11 occurs in humans, one in a million.

12 What we can say is -- What we can't say is
13 we don't know if that occurs. What we can say is
14 that, if it does occur, it doesn't seem to cause a
15 problem. Dave?

16 DR. ASHER: I would only want to comment.
17 Regardless of whether one subscribes to the theory of
18 spontaneous generation of the disease agent, the
19 ruminant feed band is specifically designed to reduce
20 or eliminate the recycling of any infective material,
21 which is what clearly caused, as we'll hear later in
22 the morning -- clearly caused the epidemic of BSE in
23 the United Kingdom.

24 DR. ROOS: Ray Roos. David, you presented
25 the SSC data regarding BSE in European countries. Now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there is also a USDA list that you commented on.

2 DR. ASHER: Yes.

3 DR. ROOS: I'm wondering about the
4 relationship of those two, since we are going to be
5 asked to comment later about countries and origins of
6 bovine material.

7 DR. ASHER: The USDA has what I would
8 consider a more conservative list in that all of
9 Europe, including the low risk countries, at the
10 moment are prohibited, and it's on the USDA list that
11 the FDA relies.

12 Now the USDA has allowed for the
13 possibility of European countries being reinstated by
14 the provision to the USDA of reassuring information.
15 To my knowledge, since January 1998 when the interim
16 regulation was published, no European country has
17 actually been reinstated, and it's on that USDA
18 position that the FDA, obviously, relies.

19 CHAIRMAN BROWN: Let me clarify that. I
20 think the question was: Is the FDA using the USDA
21 list as its list of BSE-free, possible BSE occurring
22 countries?

23 DR. ASHER: Yes.

24 CHAIRMAN BROWN: And has that information
25 been included -- that is, specifically included -- to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the most recent letters to the manufacturer, so that
2 there's no question that, if the FDA list currently
3 might not include Country X but the USDA list does
4 include Country X that it's been specifically
5 communicated to the manufacturers that the USDA list
6 essentially supersedes dated FDA lists?

7 DR. ASHER: The USDA -- The FDA has
8 repeatedly noted that we rely on the USDA list. For
9 the purposes of these discussions, though, there
10 really is no difference, since all countries of
11 concern today with the exception of one are
12 Categories III or IV or "status unknown" in the
13 European system. So it's really not a major
14 discrepancy.

15 DR. ROOS: And the USDA list corresponds
16 to the SSC tables that you have presented and, if not,
17 which do we follow, David?

18 DR. ASHER: USDA is the agency to which
19 the FDA since the beginning of this outbreak has
20 turned for authoritative advice on BSE. The
21 requirements of Europe are quite different, because
22 they already have BSE in a number of countries, and in
23 North America, so far as we know with the exception
24 of one imported case in Canada, we do not.

25 So that the criteria for being listed in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this country are much stricter than they would be in
2 Europe, and the way the list was developed is
3 different. In this country the list was developed as
4 a precaution to protect animals and us. In Europe it
5 was an attempt to estimate how much disease is present
6 in a given country.

7 CHAIRMAN BROWN: So FDA guidance, which is
8 what we're here to consider, is based on the USDA
9 list, current. Yes?

10 DR. SNIDER: With regard to that issue, it
11 seems to me the EU SSC list, as you stated, is more
12 conservative as it relates to European countries, but
13 then I guess part of the disconnect is that in
14 Category II the SSC includes not only countries like
15 Austria which USDA prohibits imports, but also
16 countries like USA and Canada and would only include
17 in Category I Argentina, New Zealand, Paraguay and
18 Norway.

19 So one of the things we would have to
20 struggle with is again, if we wanted -- if the
21 principle is to try to be as conservative and
22 protective as possible, are we talking about excluding
23 USA and Canada as well?

24 DR. ASHER: No, we are not. Of course,
25 the SSC list I cited for information and because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 today's issue is a European issue, not because we have
2 any obligatory reliance upon that list, which we do
3 not.

4 Let me point out that the USDA list is a
5 "yes or no" list.

6 DR. SNIDER: Well, today's issue, but as
7 it was pointed out by Bill Freas earlier, we are
8 supposed to be talking about this from a very generic
9 standpoint, not from particular manufacturers' sources
10 of products, you know, today, but from now on.

11 I guess part of the concern is -- Now if
12 for a variety of reasons, whether they are scientific,
13 whether they are economic, whether they are political,
14 countries start jumping around from one list to
15 another, that's going to create some havoc, both for
16 those of us trying to advise FDA and for FDA and for
17 manufacturers.

18 So I think it's important to try to
19 understand the basis for classifying countries as
20 being places where we can obtain safe products, and
21 I'm not questioning the U.S. being safe. I'm just
22 having trouble trying to understand why we ban from
23 Austria and don't ban from the U.S. because what's the
24 USDA's basis for saying that when the SSC comes up
25 with similar classification for those two countries.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 That's all.

2 DR. FERGUSON: Could I clarify -- I think
3 it might be helpful -- some of USDA's actions and what
4 we did in the interim rule that was published at that
5 time, what we have done in the intervening time and
6 probably what is predicted in the future.

7 When we initially published our interim
8 rule where we extended the restrictions to all of
9 Europe, that was a very conservative action, and we
10 took that because we did not know exactly what the
11 status was in Europe. There were publications out at
12 that point in time that really made allegations about
13 severe underreporting of BSE in Europe.

14 So we took that action. At the time we
15 took the action, we also provided for any country to
16 give us information that addressed various factors,
17 and we would consider that information. If, through
18 that evaluation, we decided that country was not a
19 risk, then we would go through further regulatory
20 processes and take them back off the list.

21 So we did get information from various
22 countries, and we went through some evaluation
23 processes with those. About the time we got that
24 done, there were several other factors that
25 intervened.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We contracted with Harvard to do a risk
2 assessment internally, which is still in process, but
3 also about the same time the Scientific Steering
4 Committee decided to go through their similar process.
5 Actually, the factors that they were looking at were
6 very similar to the factors that we were looking at.

7 You know, obviously, with this disease
8 you're going to have the same things come into play.
9 So even though we had initially done some evaluation,
10 once we knew that the SSC was going through this, and
11 we were somewhat familiar with the SSC's process, we
12 decided we would sit back and wait and see what their
13 final determination was.

14 Actually, as it turns out, they were
15 getting more information than we were. Obviously,
16 they had a bit more access. So we were very
17 interested to see if there was additional information
18 that showed up in their reports that we were not privy
19 to.

20 As it turns out, probably our risk
21 estimations were very close. They were very similar,
22 you know, the large groups of countries falling into
23 different categories, yeah. So even though at this
24 point in time our list has not changed on a regulatory
25 basis, it very likely will change, because we've made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that obligation initially, is if we estimate the risk
2 of a country to be acceptable, then we will go ahead
3 and take them off the list.

4 CHAIRMAN BROWN: Yes. And let me just add
5 to this discussion and perhaps terminate it. This
6 committee is not being charged with validating the
7 decisions made by USDA and a variety of other
8 organizations as to the relative risk of a country.
9 What we're being asked to do is to give -- that is to
10 say, given a category of BSE possibility versus non-
11 BSE possibility, to move on to whether or not we think
12 that it's a risk or not.

13 So we're just going to make the assumption
14 that the USDA and other organizations are doing the
15 best they can in making a valid estimate of the risk.
16 We are going to move beyond that and just accept that,
17 and then talk about whether it's a good thing or not.

18 DR. KOHL: I think some clarification for
19 me, in particular, and the audience, possibly in
20 general, might be helpful.

21 It seems that the USDA has put out much
22 more stringent kinds of regulations and actually bans
23 on certain animal products, whereas the FDA has, it
24 appears, made recommendations without apparently
25 enforcing them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'm not sure how that happened, and I
2 presume it's based on what would happen when you
3 withdraw certain kinds of products. But if that could
4 be clarified for us, it might help put this into some
5 kind of context.

6 CHAIRMAN BROWN: Dave, do you want to
7 clarify it now, if possible, or shall we wait until
8 this afternoon in general discussion?

9 DR. ASHER: Yes, it sounds like it has a
10 large element of compliance involved. All I can say
11 is that you have to keep in mind that guidance --
12 failure to follow guidance is not per se a violation
13 unless the underlying regulation or a statute is
14 violated.

15 When one finds out that there has not been
16 compliance with guidance, there are only a number of
17 things that you can do, absent an immediate public
18 health emergency. One of them is to assert that
19 failure to follow the guidance is a failure of
20 following GMP, good manufacturing processes. Another
21 would be to convene a meeting, a meeting like this.

22 CHAIRMAN BROWN: I'm going to terminate
23 this discussion now. It's eleven o'clock. We're
24 running one hour behind schedule. We will reconvene
25 at 11:15.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Whereupon, the foregoing matter went off
2 the record at 11:01 a.m. and went back on the record
3 at 11:16 a.m.)

4 CHAIRMAN BROWN: We have three
5 presentations between now and the lunch break, and the
6 first will be given by Dr. Gerald Wells, who has been
7 a consultant -- well, he is now a consultant
8 veterinary pathologist, but he's worked for years and
9 years and years at the Veterinary Laboratories Agency,
10 New Haw, the United Kingdom. Dr. Wells.

11 I might also add, just by way of
12 introduction, it was Dr. Wells who made the initial
13 diagnosis of BSE in the United Kingdom. Gerry?

14 DR. WELLS: Thank you very much, Paul. In
15 this presentation I'd like to provide a background on
16 the studies which have provided some estimates of the
17 presence of infectivity in tissues of cattle with BSE,
18 either those cattle in the clinical phase of the
19 disease or, more importantly, I'd like to consider
20 those at various stages in the pathogenesis, during
21 the pathogenesis.

22 This presentation is not, by any means,
23 comprehensive of the transmissibility studies of BSE,
24 but concentrates on the most recent observations. All
25 the studies that I'm going to discuss are funded by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the U.K.'s Ministry of Agriculture, Fisheries and
2 Food, and the standards of the new Food Standards
3 Agency.

4 The pathogenesis of the TSEs in general
5 gets its reputation initially from work that was
6 carried out on scrapie of sheep, particularly by
7 Hadlow and others, and the dogma regarding scrapie is
8 that by parenteral routes or oral routes of infection,
9 by non-neural routes, there is a lymphoreticular phase
10 of infectivity prior to neuro-invasion.

11 That has led to, as we've seen already
12 today, categorization of various levels of infectivity
13 that occur in the different tissues in sheep, with
14 Category I high infectivity in brain and spinal cord,
15 medium maybe in lymphoreticular tissues, and then
16 Category III in another series of tissues, and finally
17 no detectable infectivity in Category IV tissues.

18 Bioassays were carried out in conventional
19 RIII mice of the infectivity in tissues of naturally
20 infected cattle, clinically affected with BSE.
21 Infectivity has been found in those studies only in
22 brain, cervical spinal cord, the terminal part of the
23 spinal cord, and retina, all central nervous system
24 tissues.

25 No infectivity was found in 51 tissues,

1 but this indicates here approximately a total number
2 of assays of 100, that the number of animals sampled
3 per tissue is variable, and in most cases extremely
4 limited.

5 With the occurrence of BSE has been the
6 accompanying geographically and contemporaneously
7 associated occurrence of disease in several other
8 species. So this in itself has given a clue to the
9 fact that the BSE agent does not deal -- does not
10 occur with the same sort of frequency in species as
11 scrapie, in other words has a different species range
12 to that of scrapie.

13 Here are species that have been shown by
14 bioassay to contain the same --

15 CHAIRMAN BROWN: Can we get someone to
16 focus this slide projector, please?

17 DR. WELLS: -- the same agent as BSE here,
18 Greater Kudu, the domestic cat, and the association of
19 these species in Britain with exposure to meat and
20 bonemeal products and the exposure of exotic species
21 of cats to products of the bovine carcass, probably as
22 raw meat material or spinal cord material from half-
23 necks.

24 So with the occurrence of BSE, various
25 studies indicated the -- In the early course of BSE,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 various studies indicated that there was a feasibility
2 of carrying out a pathogenesis study of BSE actually
3 in cattle. Certainly, the lesion profile in cattle,
4 the lesion profile in mice and the biological
5 characteristics of the disease in mice indicated that
6 we were probably dealing with a single agent.

7 Furthermore, the apparent homogeneity of
8 the PrP gene throughout the cattle population
9 indicated that we could carry out a pathogenesis study
10 with reasonable degree of predictability of results,
11 of uniform results in the pest animals, providing a
12 sufficient dose of agent was given.

13 So a pathogenesis experiment, which has
14 been alluded to already, was set up, the objective
15 being to determine the temporal and spatial
16 development of infectivity and pathology following
17 oral exposure of cows to a single 100 gram dose of
18 affected cattle brain homogenate.

19 The protocol: Thirty cows in total, not
20 a large experiment by some of the later standards, but
21 all the number of cattle that could be housed in the
22 facilities available at that time. Thirty cattle
23 dosed orally at four months with the 100 gram brain
24 stem material, and then groups killed sequentially at
25 intervals through to 14 months.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 The inoculum consisted of a pooled brain
2 stems from 75 cases of BSE, and the material was
3 sourced in 1991. The inoculum was assayed in RIII
4 mice with an incubation period of 373 days, indicating
5 that it was not the highest titer material, but
6 probably equates to a titer of somewhere about 10^4 in
7 RIII mice.

8 The tissue is inoculated into mice from
9 each kill of the sequential kill study in cattle.
10 I'll just very quickly go through the series. This
11 was the tissues, the neural tissues, inoculated, and
12 neuromuscular tissues, including triceps, masseter,
13 longissimus dorsi, absterhocapelllicus muscles.

14 Lymphoreticular tissues were, obviously,
15 included, and spleen, thymus, tonsil, and a range of
16 regional lymph nodes and lymphonodes from the viscera.
17 Alimentary tissues comprised tongue, salivary glands,
18 pillar of the rumen, pyloric region of the stomach,
19 portions of the wall of the duodenum, the distal ileum
20 including pars patches, the spiral colon, not
21 necessarily including lymphoid tissue, pancreas and
22 liver.

23 A few other tissues were also selected for
24 inoculation into mice, including kidney, lung, a
25 portion of lung, respiratory epithelium from the nasal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chamber, the left ventricle of the heart muscle, blood
2 and bone marrow.

3 The results of the early assays showed
4 infectivity confined entirely to the distal ileum.
5 Here, if you can see that, the infectivity denoted by
6 the red dots against an incubation period in mice
7 here, in RIII mice, showed first at six months post-
8 inoculation, and showed a decreasing mean incubation
9 period up to 14 months and sort of plateaued out at 18
10 months.

11 No infectivity was found during the other
12 sequential kills of the study until infectivity was
13 detected in the central nervous system at 32 months
14 after exposure.

15 Clinical disease was first apparent in
16 cattle at 35 months, and here also parts of the
17 peripheral nervous system, the dorsal root ganglia,
18 and the trigeminal ganglia were involved and,
19 interestingly, bone marrow infectivity was detected at
20 38 months post-exposure.

21 Also in this clinical period of disease,
22 infectivity was again detected in the distal ileum
23 here in the three final kill sequences, and we'll come
24 to that in the next slide.

25 Ignore the term interim here. These are,

1 in fact, now final results of bioassay here in C57
2 black mice from the distal ileum of cattle killed
3 between 36 and 40 months PI.

4 Here we can see -- Again, ignore the
5 coloring here, because these are now final results in
6 all kills. Here the number of positive mice over the
7 number of mice surviving wherein the first mice was
8 confirmed positive, so any one of two here, at 942
9 days for the 12 and nine over 19 at 40 months.

10 A decreasing mean incubation period in the
11 mice, but the incubation periods are close to the
12 limit of detectability of infectivity, probably
13 denoting limiting dilutions of infectivity in that
14 tissue.

15 Just a quick look at the bioassay results
16 of infectivity in the bone marrow of cattle 38 months
17 post-inoculation, the only time point at which
18 infectivity was detected in this tissue.

19 The clinical status of the mice: Only two
20 out of 16 in the group at this incubation period of
21 days -- two out of 16 were also histopathologically
22 positive, but on application of PrP
23 immunocytochemistry to the mouse brains, a further --
24 that should be six -- a further four animals became
25 positive, again with incubation periods close to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indicating limiting dilutions of infectivity.

2 In summary then, the original part of the
3 study of the pathogenesis of experimental BSE in
4 cattle showed clinical signs occurring initially at 35
5 months post-exposure; abnormal PrP from 32 months
6 post-exposure; vacuolar changes in the central nervous
7 system of the cattle only from 36 months post-
8 exposure; and infectivity in the CNS again from 32
9 months and in the peripheral nervous system also from
10 32 months, and infectivity in the distal ileum from
11 six to 18 months; and this hiatus here where no
12 infectivity was detected until it again appeared, but
13 at a much lower concentration, from 36 to 40 months.

14 A further number of tissues were taken
15 from the same pathogenesis study at two particular
16 time periods, 18 months pi and 32 months pi. These
17 were tissues which had potential significance to
18 medical procedures, and those tissues were heart
19 valve, pericardium, aorta, skin, collagen, and bone,
20 and collagen taken from the Achilles tendon. Those
21 were all negative, and that study is completed.

22 Just to go back now to some older studies
23 that were carried by Marsh and Hadlow before I
24 introduce the next experiment: These studies indicate
25 the value of within-species assays.

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Since many of the -- All the assays we've
2 been talking about so far are from cattle to mice,
3 using the most effective route of exposure, the i.c.
4 or sometimes the i.c. plus i.p. route; but here with
5 TME tissues -- tissues from TME experimentally
6 infected TME mink, when assayed in mink, show
7 relatively higher titers than if they were assayed
8 across a species barrier.

9 Notably, skeletal muscle -- this is one of
10 the very few observations of indicating any
11 infectivity in skeletal muscle in TSEs.

12 So the next study was to compare the
13 titration of infectivity within species, cattle to
14 cattle, compared with a titration of infectivity in
15 the most efficient conventional mouse model for
16 primary inoculation, which was in RIII mice.

17 The injection in mice was by -- in cattle,
18 rather, was by a semi-stereotactic method into the
19 brain stem, and the needle was withdrawn during
20 inoculation to deposit the 1 ml of 10^{-1} inoculant
21 along the needle track.

22 The objectives of the study, as I've
23 stated: to measure the underestimation of infectivity
24 titer of BSE when titrated across a species barrier in
25 mice, and to produce an approximate dosing incubation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 curve of infectivity of brain from BSE-affected
2 cattle.

3 The design of this study was six groups of
4 four cows were inoculated i.c. at four months with a
5 single dilution of BSE brain pool using a tenfold
6 dilutions from 10^3 down to 10^8 . Parallel titration
7 was carried out in RIII mice i.p. with a range of 10^{-1}
8 to 10^{-6} dilutions.

9 This gives results to November of '98, but
10 interestingly, now the experiment has been terminated
11 at 86 months post-inoculation, and the results in
12 terms of numbers per group affected have not changed.
13 So unlike some titrations, we have a rather messy
14 result in that we have three groups here with an
15 incomplete tape.

16 The mouse titration was completed,
17 obviously, long before the cattle titration, and the
18 titration -- the Karber titer in mice is $10^{3.3}$,
19 relatively low.

20 The results of the comparative titration
21 showed that the Karber titer of bovine brain stem
22 pool, five cases of BSE went into the pool. In RIII
23 mice, as we said, $10^{3.3}$; in the cattle, 10^6 .

24 Side by side with this comparative
25 titration there was a study carried out where a pool

1 of lymph nodes and a pool of spleen tissues from the
2 same five cases was inoculated at a 10^{-1} dilution into
3 mice and in cattle.

4 The good news is that the Fresian/Holstein
5 cattle were negative at the endpoint of the study, 86
6 months p.i., and at the end of this presentation I'll
7 show you a dose response curve calculation for this
8 part of the study. In RIII mice, negative again at
9 the endpoint of 700 days.

10 So the conclusion from the comparative
11 titration study is that the underestimate of
12 infectivity titer of BSE brain tissue titrated across
13 a species barrier in mice is around $10^{2.7}$ -- in other
14 words, 500-fold, somewhat less than the previous more
15 pessimistic estimates of a thousandfold.

16 The spleen or lymph node pool from
17 confirmed cases of BSE clearly must contain less than
18 10^{-1} log 10 i.c. LD₅₀ gram.

19 So taking tissues from the pathogenesis
20 study, we have now or sometime back now started a
21 study in which we have titrated the tissues from the
22 pathogenesis study or selected tissues in cattle to
23 determine infectivity in a range of these tissues at
24 different time points from the original pathogenesis
25 study.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The only results of that study to date are
2 three positive groups, the distal ileum taken at ten
3 months post-inoculation with an incubation period of
4 close to two years, distal ileum at 18 months here,
5 definitely at two years, and caudal medulla and spinal
6 cord pooled from the animals at 32 months, again as
7 expected, an incubation period here, which is
8 indicating a fairly low titer.

9 If we now look, finally, at the estimated
10 dose response curve of bovine brain from cases of BSE
11 after i.c. inoculation, here the data only goes up to
12 39 months, but this is the projected dose response
13 curve at limiting dilutions, and here we can see that
14 around the two-year those cattle in the previous slide
15 will have an approximate titer in cattle of 10^2 .

16 There I'd like to leave it and just to say
17 that, clearly, from these experiments there is no
18 evidence as yet of infectivity in any of the tissues
19 that we are concerned with in this session today.
20 Thank you.

21 CHAIRMAN BROWN: Thank you very much, Dr.
22 Wells. I think we'll go on immediately to the next
23 presentation, given by Dr. John Wilesmith,
24 veterinarian and head of the Epidemiology Department
25 at Weybridge.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Again, by way of introduction, Dr.
2 Wilesmith was responsible for, I think it's fair to
3 say, unraveling the mystery of the epidemiology of BSE
4 in a very timely way, and I think the United Kingdom
5 and all people concerned with these diseases should be
6 very grateful both to Dr. Wells and to Dr. Wilesmith.
7 John?

8 DR. WILESMITH: Thank you very much, Mr.
9 Chairman. That's very kind.

10 What I've got to say to you is probably
11 not very much new today. Most of the studies I've
12 been involved in, the epidemiological research has,
13 obviously, been more concerned with the animal side in
14 this pool, sorting out that side. But there have been
15 some, hopefully, important things which have been
16 related to human health, both in BSE and also perhaps
17 FSE, the feline spongiform encephalopathy epidemic.

18 I'm going to concentrate on BSE, and it's
19 going to be sort of bits and pieces perhaps which,
20 hopefully, are of some relevance. The title, you'll
21 be pleased to hear, is longer than the talk.

22 Well, the first thing I wanted to say is
23 something about the sort of update on past control
24 measures and onsets of exposure, and then say a little
25 bit about the current status of the epidemic.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'll restrict my comments now about the
2 European situation to a very few words, because that's
3 really been covered, and then finally I was prompted,
4 rally, to say something about the large cohort study
5 which was completed in 1997 and started up in '89,
6 because I gather that has had some sort of
7 interpretations with respect to the risks of calf
8 fetal serum. So we'll go through in that order.

9 Well, you've seen the epidemic already,
10 and this takes you up to March 2000 for the confirmed
11 cases, and this is by month and year of onset. The
12 epidemic does appear, as you see, to be going away.
13 But coming back to the, as it were, beginning, there
14 have been a number of things that we were interested
15 in, and that's when, you know, was BSE a new disease
16 and could we identify when the first cases were, and
17 could we determine when the onset of effective
18 exposure was?

19 The rest of the interest, really, has been
20 then throughout the epidemic of trying to determine
21 what the effects of the interventions have been, and
22 of trying to determine whether there is any other
23 means of infection other than the food borne source or
24 the feed borne source, I should say. So I'm going to
25 say a little bit about those with some relevance to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the sort of human health aspects.

2 Well, there's been a lot of work going on
3 right from the beginning in terms of trying to get
4 some idea of when this thing started. I think we
5 really have got some fix, and it does look as this
6 whole thing started around April '85 in terms of
7 clinical disease, and that comes, really, from my
8 initial epidemiological studies and also Gerald's sort
9 of review of archive material, and we keep getting
10 back to April '85. I'm not saying there weren't cases
11 before, but that seems to be the substantive start of
12 the whole thing.

13 When it comes to the onset of exposure,
14 then we did some fairly simple modeling way, way back
15 in 1987. That suggested that we had this sort of
16 sudden onset of effective exposure at least to cause
17 the clinical epidemic in '81-82.

18 Now we've done all sorts of things in
19 between, and myself and others have sort of modeled
20 this, and they come up with a similar date. We've
21 recently sort of taken the whole of the epidemic and
22 done some rather large and grandiose sort of spatio-
23 temporal analyses, and we seem to have substantiated
24 that.

25 So to summarize what I've just said is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we had this initial suggestion of the winter, if
2 you like, of '81-82 as exposure starting. The
3 modeling studies seem to have supported this time, and
4 having done a great deal more at trying to get to
5 grips with this, it does seem that this all fits
6 together without being too tautological and going
7 around the same houses in these studies.

8 So if one needs any confidence about
9 sourcing and dates, then that might give the committee
10 some assistance.

11 In terms of the intervention measures, these are
12 probably really irrelevant maybe to the human side of
13 things, but because we are looking at the ruminant
14 derived protein ban in July '88 and the SBO ban, the
15 specified bovine offal ban, in November 1990. Of
16 course, the SBO ban for humans was the year before in
17 September 1989.

18 To give you a flavor of what's perhaps
19 been happening in the animals in terms of immediate
20 effects -- by immediate, I mean in the first 12 months
21 after their introduction -- again a number of efforts
22 have been made to look at this, but this is the
23 results of the really sort of survivorship analyses in
24 some detail which we completed recently. Hopefully,
25 the papers will be published shortly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The ruminant protein ban had a 67 -- or
2 produced a 67 percent reduction in the first 12 months
3 after that ban. Not perfect, because as you all know,
4 there were some imperfections in terms of the SBO ban
5 itself and also we have this problem of continued
6 cross-contamination, particularly in mills before the
7 -- hopefully, before the August 1996 total ban on
8 mammalian protein being fed to animals.

9 The other one which may be convertible to
10 what the effects of the SBO ban in humans are is that
11 we had a 46 percent reduction in risk in the first 12
12 months following that ban.

13 Personally, I think one care needs to be
14 taken in that. People have got a little bit worried
15 perhaps in terms of the human risks because of that
16 figure, but my view is that I think the noncompliance
17 with the SBO ban was probably more hazardous to the
18 animal population than the human population. But that
19 perhaps gives some sort of start to the whole thing.

20 In terms of exposure windows, this has
21 been something of interest to those people who have
22 been trying to model variant CJD, and this perhaps is
23 a little bit crude. But we started off by thinking
24 that the main exposure window was between 1985-89.

25 Obviously, there's scatter around both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ends of that because of the preclinical cases pre-'85,
2 and if the SBO ban was not as perfect as perhaps it
3 might have been, there might be something happening
4 after '89. But that seems to be the high risk period.

5 The '85 start-up is quite interesting in
6 terms of some of the exposures to other species in
7 that it does seem that, although we have incomplete
8 ascertainment for the cats, the domestic cats, the
9 ones that we see at the beginning of their epidemic
10 would have also been exposed in '85, and it does seem
11 to indicate this quite -- indicates the build-up of
12 infection in the circulating meat and bonemeal in
13 animal products at that time.

14 The epidemic had really driven the
15 propagation that occurred to get really high
16 prevalences of infection in that circulating material.

17 The other is a minor point, and perhaps
18 for consideration in terms of vaccines, but it's still
19 interesting that we can't find out what the exposure
20 of the human population was in terms of CNS, brain and
21 spinal cord, and that contained in mechanically
22 recovered meat. It really is quite difficult, and we
23 probably know more about the feeding of animals than
24 the feeding of humans.

25 Back to the epidemic curve, there are a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 number of things going on in terms of monitoring this
2 decline in the epidemic. We have a number of problems
3 that the critics sort of have fun with in that
4 currently in terms of reported cases we are getting
5 around about 20 to 30 a week, of which on average 25
6 percent will be negative histopathologically.

7 If we look at the 1996-born suspects
8 reported, then we have nearly 200 of those reported,
9 and we only have two positives. This is an
10 unprecedented negative range, and it leads people to
11 say that this is -- you know, we are now seeing BSE
12 II. In fact, it's just a fiction, really, of the
13 surveillance system, and we will, hopefully, see these
14 negatives coming in. However, there's also people
15 wanting confirmation that this epidemic is going away.

16 Surveillance has been mentioned in the
17 European context, and I'll come back to that. But
18 just to put you in the picture of what's going on, in
19 the first three months of 1999 we conducted an over-30
20 months scheme survey of the OTMS animals.

21 We actually pointed the survey to the
22 animals greater than five, just to get a bit more
23 power in the whole survey.. You can see that we took
24 a sample of just over 4,000 animals, which was me
25 estimating what we might actually find, given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 current diagnostic tests.

2 Well, we did find .45 percent of animals
3 histopath positive. The survey was designed to detect
4 half a percent. So that wasn't too bad.

5 On the new tests which may be of interest,
6 we did process these samples or colleagues in
7 Switzerland did process these samples by the prionix
8 check test, and that did not reveal any additional
9 positive animals. It entirely matched using a blind
10 technique. They were not told which were the
11 histopathologically positive animals. They just
12 matched the histopath animals, which was quite
13 interesting.

14 In terms of further tests then, we are
15 using a Delphia technology which was developed by Jim
16 Hope and colleagues in the Institute of Animal Health
17 in Britain, together with colleagues in the VLA, and
18 we are still processing these samples.

19 It's now been sort of set up for survey
20 use. It's not actually being alternated, but we are
21 processing these. It is interesting to see what these
22 tests are actually detecting.

23 The Delphia may actually be somewhat
24 better than the others at detecting preclinical
25 infection. It's a complicated business, and we seem

1 to be learning more and more.

2 CHAIRMAN BROWN: John, were the
3 histopathologically positive animals clinically ill or
4 were they clinically healthy?

5 DR. WILESMITH: Sorry. These were
6 clinically normal animals.

7 CHAIRMAN BROWN: Normal?

8 DR. WILESMITH: Yes. There were 18 of
9 them, if you want some expansion. There were 18 to
10 make up that prevalence, and going back to the farms,
11 17 of the farms, 17 of the 18 farms had all had cases
12 before. There was no indication that these animals
13 were being shipped off, and there's no financial
14 advantage for them to be done so.

15 As a follow-up, an additional survey to
16 try and get this sort of independent assessment of the
17 decline, we started in May 15. We don't have any
18 results yet. As I say, we're trying to get this
19 independent assessment of the decline and, hopefully,
20 allow for evaluation of the Delphia.

21 I should say the Delphia is a post-mortem
22 test of brain or spinal cord, and it will also allow
23 me to see if we can actually work out the sample size
24 for the next survey in 2001. It is likely that this
25 is going to exceed the resources, because it could get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 into the hundreds of thousands, and unless some
2 automation of testing goes on, we might not achieve
3 that.

4 Turning back to Brussels and what Brussels
5 now are requiring from one of the more recent
6 amendments to one of these Commission decisions -- The
7 Commission decision number, if you collect them, is
8 98/272, and this is about surveillance for BSE, and it
9 is enforcing all member states to do surveys on fallen
10 stock, and they are designed to detect - I think it's
11 a half-percent prevalence with 95 percent confidence.

12 This is not estimating prevalence. It's
13 detecting the presence. I think that will be
14 important. Some of the results of that surveillance
15 will be important in making some further assessments
16 of the geographical BSE risk assessments that I was
17 involved in and have already been alluded to, to
18 actually see precisely -- not precisely, but estimate
19 somehow better when these countries became exposed,
20 when things started happening and so on.

21 So it's not ideal for the British
22 situation to take fallen stock. I would prefer to go
23 on with the over-30 months abattoir survey, but that's
24 the decision that's been made, and it will, hopefully,
25 reveal some interesting results.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 France, as you probably gathered from the
2 press, have already started on this and are in the
3 process of trying to collect 44,000 such animals over
4 a period of 12 months.

5 Right. Back to the epidemic curve. All
6 I wanted to say was, in terms of the future incidents
7 which people still are quite interested in, the
8 predictions are actually going quite well. You can
9 see that we have some 95 percent confidence intervals
10 for 2000 and 2001, and all I can advise is to look at
11 the righthand end of those confidence intervals,
12 because those seem to be the ones that are nearest.

13 So we've more or less got a 50 percent
14 reduction in decline in the epidemic, which is much as
15 expected from the intervention on the food-borne
16 source.

17 Now I was going to say something about BSE
18 in Europe and other countries. I'll try and keep it
19 brief, especially as what the Chairman has advised on
20 this issue of the geographical BSE risk assessment.
21 But it is true that we did write a paper a few years
22 ago on the risks of exporting British animals to
23 member states. **

24 This caused -- The paper caused a certain
25 amount of commotion. It was unfortunate, because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everybody sort of thought that we were criticizing
2 their animal surveillance.

3 I wrote the last three paragraphs of the
4 discussion of that paper fairly carefully, and it was
5 actually saying that you didn't really need to detect
6 BSE. You could do a decent risk assessment and
7 consider control measures, irrespective of whether you
8 had found it or not. However, that was ignored, and
9 it started into a battle on import figures and quality
10 of surveillance.

11 Since that, as you've learned, the SSC
12 stimulated this very, very large project to look at
13 the geographical risk assessment, and just to put it
14 in perspective, this project, if you like, was
15 initiated because of the need to perhaps persuade
16 other member states to put in SRM bounds, which they
17 were reluctant to do because of the cost and so on,
18 and the countries are saying why should we do this
19 when we think our risks are low.

20 That is the background to it. I think, in
21 terms of interpreting some of it, summaries, as far as
22 I know, are on the SFC's Web site. The full risk
23 assessments should be available. They might even be
24 available on the Web site since I came away, but they
25 will require -- If you need to look to see when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 significant risks might have been present in any
2 country, you may need to read those risk assessments
3 in a little bit more detail.

4 All I will say is that the one thing that
5 we have learned from that exercise is that, really,
6 most, if not all, of the European member states were
7 capable of propagating the BSE agent once seeded, and
8 that is a change from what everybody was trying to
9 claim, that it wouldn't happen with us.

10 That might sound critical, but it was a
11 kind of feeling of denial that has been prevalent in
12 the past, and I think the risk assessment has been
13 useful. It may be a bit delayed, and I hope that it's
14 useful to answer the question about categories of
15 countries.

16 I think, when you get to the ones and
17 twos, you shouldn't worry too much whether you're in
18 I or II. It's quite subtle in terms of that sort of
19 categorization. Again, I can only point you to read
20 the details. So I'm not going to say anymore about
21 that.

22 Just to finish off, Mr. Chairman, I'd just
23 like to say something about this cohort study which
24 has been criticized in all sorts of manners, and
25 people have had expectations of it more than it really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deserved. This is the basic plan.

2 What we were trying to do here was to get
3 in a simple epidemiological way a population estimate
4 to determine whether there was a maternal risk, a
5 maternally associated risk.

6 That is, where offspring of BSE confirmed
7 cases more at risk than offspring of dams which did
8 not have clinical BSE in their lifetime? Was there a
9 difference between those two lots of animals? So
10 nothing to do with how long were they with their mum
11 and so on. It was much simpler than that.

12 So what we did, we used the BSE database,
13 because we had been recording all these details about
14 offspring and so on from the beginning, and we went
15 out to the naturally affected herds, and we purchased
16 classically the pairs of animals, born in the same
17 herd, in the same season, an they had to be castrated
18 or virgin heifers.

19 We started this in July '89, purchasing
20 them. We actually ran out of animals in December
21 1989. We had exhausted the population, and this is
22 another thing that people don't quite appreciate. We
23 did not have an infinite population to go at. We were
24 buying these animals, and they weren't that common.

25 So we have one -- One of the pairs is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 offspring of a confirmed case, and the other is the
2 offspring of a normal dam. That is, she lived until
3 six years of age without getting clinical BSE. We
4 maintained these animals in their pairs on three of
5 the old farms -- that's what the ADAS really means --
6 until seven years of age unless death or the need to
7 slaughter intervened.

8 Anything suspected casualty or when they
9 got to seven, they were all looked at histologically
10 by Gerald and his team, all blind. What it says on
11 the bottom lefthand corner -- you probably can't read
12 -- is that the last animal reached seven in November
13 1996. So very expensive, but actually was quite a
14 straightforward hypothesis or objective.

15 Now just to put this a little bit in
16 words, the objective of the cohort study was to
17 provide this estimate of the risk of offspring of
18 confirmed cases of BSE of developing BSE themselves
19 compared to the offspring of BSE unaffected animals.
20 Ever so simple.

21 The study was not concerned specifically
22 with maternal transmission. So the study could not
23 really identify or even suggest perhaps the mechanisms
24 involved in any positive maternal effect observed. So
25 I don't want to get this out of context. I don't want

1 to labor the point, really, either. But it is
2 important to realize what the limits of this big study
3 were.

4 Well, the results have been published, and
5 this is just really the summary table, giving you the
6 -- The results have been published. As you have seen,
7 there was a risk difference between the two groups of
8 9.7 percent.

9 In summary, if you want a summary
10 statement of that, then it means that offspring of
11 animals born to confirmed cases in the last six months
12 of their incubation period probably have a ten percent
13 risk of BSE. That has been used, actually, the
14 results of that.

15 Although such effect is really true of
16 maternal transmission, it couldn't maintain the
17 epidemic. Nonetheless, an offspring CO was commenced
18 in 1998 and is proceeding prospectively.

19 So we've got this apparent ten percent
20 risk for offspring of clinically affected cows born
21 during the last six months of the dam's incubation
22 period. The results cannot confirm the occurrence of
23 maternal transmission, only this apparent maternal
24 effect. There's a great debate, as some of you will
25 know, then about the whole business of maternal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 transmission.

2 There was no evidence for a reduced age of
3 onset of clinical signs produced in the offspring and,
4 therefore, reduced incubation period, suggesting that
5 they probably got it as calves, and further research
6 is required to investigate the hypothesis of the
7 maternal effect, notably its presence in the absence
8 of a feed-borne source.

9 I'm afraid that's not going to be
10 possible, because of the offspring CO, and we've not
11 been able to do any further studies. I'm afraid it
12 will remain as it stands in terms of BSE.

13 Thank you, Mr. Chairman.

14 CHAIRMAN BROWN: Thank you very much, Dr.
15 Wilesmith. If there are a maximum of three questions
16 that might be posed for either Dr. Wells or Dr.
17 Wilesmith, we will ask for them now. Well, that's
18 four. Seems the Vaccine Committee is the aggressive
19 part of this joint meeting.

20 DR. HUANG: I'm Alice Huang. I think that
21 for risk assessment as well as for surveillance, one
22 of the most important questions we have here before us
23 is an understanding of the assays that are being used.

24 I have here, from what I understand, that
25 certainly between infectivity doses and species in

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701