

1 available. This is similar to the same  
2 situation with bioactive lipids.

3 CD40 ligand can be tested by ELISA  
4 and there are kits available but they're for  
5 research use only.

6 A little more specific, testing  
7 for HLA antibodies, the antigens that are  
8 available are usually isolated affinity  
9 chromatography or they're produced by  
10 recombinant technology.

11 These antigens can be adhered to  
12 solid surfaces, so there's multiple different  
13 types of assays out there. There's ELISA  
14 kits. There's flow cytom--they put the  
15 antigen on beads and use flow cytometry to  
16 detect the antibody.

17 There's also a system available, a  
18 modified flow cytometer, to do more rapid  
19 testing.

20 So testing for HLA antibodies does  
21 lend itself through high throughput testing,  
22 and most--a problem, though, with this is if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you start testing for HLA antibodies, is most  
2 of the products we find with HLA antibodies  
3 won't cause TRALI. Neutrophil antibody.  
4 Again neutrophils are needed. The problem  
5 with this is neutrophils have a short life  
6 span, so you literally have to test the cells  
7 the same day you isolate them. There's a  
8 variety of cellular assays that have been  
9 described, agglutination, immunofluorescence  
10 or flow cytometry, and monoclonal capture  
11 assay and a mixed pass of hemoglutin test.

12 In general, these require all  
13 fresh granulocytes. People have wanted, and  
14 worked on developing solid phase assays. The  
15 problem is is that one of the antigens that's  
16 commonly implicated in TRALI, 3a, hasn't been  
17 characterized on a molecular basis, and  
18 there's not even monoclonal antibodies to  
19 this antigen.

20 So antibodies to 3a can only be  
21 tested with intact neutrophils at this time.

22 Bioactive lipids. Again, people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are assaying these using intact neutrophils.

2 Typically what they do is they incubate  
3 neutrophils with plasma or a lipid and then  
4 measure the respiratory burst compared to a  
5 control, and if neutrophil priming is  
6 present, then the respiratory burst of the  
7 neutrophil should be higher.

8 One of the problems with this is  
9 even if you set up the assay, a threshold for  
10 causing TRALI's not known. So, really, it's  
11 not been defined, how much neutrophil priming  
12 would you need to cause TRALI.

13 CD40 ligand. Again, ELISA assays  
14 are available but it's only available as a  
15 research tool, and then the threshold again,  
16 what's the threshold of CD40 ligand that  
17 would cause a transfusion reaction of TRALI?

18 That's not yet well-established. So it'd be  
19 difficult to start to test for this agent and  
20 exclude blood products.

21 So, in summary, for HLA  
22 antibodies, testing donor samples is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 straightforward and readily available, but a  
2 positive test result has a low predictive  
3 value of TRALI. Testing for neutrophil  
4 antibody require working with fresh  
5 neutrophils but a positive result has a  
6 higher predictive value for transfusion  
7 reaction.

8 Testing for bioactive lipids also  
9 requires working with fresh neutrophils and  
10 testing of the products at the time of the  
11 transfusion would be challenging.

12 And then with CD40 ligand, again,  
13 it's a straightforward test, but then again  
14 we'd have to test products at the time of  
15 transfusion.

16 And in conclusion, donor product  
17 and patient factors have all been implicated  
18 in TRALI. Unfortunately, no single factor is  
19 highly predictive with TRALI. Testing for  
20 HLA antibodies and CD40 ligand is feasible.  
21 Testing for neutrophil antibodies and  
22 bioactive lipids is possible but more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 difficult.

2           Alan did ask me to just take a  
3 minute and talk about the practices at the  
4 Department of Transfusion Medicine at the  
5 clinical center, and we have now gone to  
6 transfusing only plasma from male whole blood  
7 donors.

8           We are a little bit short of AB  
9 plasma, so we do have a small number of  
10 females that donate AB plasma by apheresis  
11 but we screen them for neutrophil antibodies  
12 and HLA antibodies.

13           We know for sure we defer them if  
14 they have a neutrophil antibody. We haven't  
15 found any with HLA antibodies but we see what  
16 the strength of the antibody is and if  
17 they've been involved with transfusions  
18 before we necessarily exclude them for having  
19 an HLA antibody.

20           And then our policy is if we have  
21 a donor that's been implicated in TRALI, if  
22 we identify a antibody to a characterized

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutrophil antigen, then we defer them for  
2 donating.

3           And then we do have a protocol  
4 that's been recently approved and we're  
5 investigating the incidence of transfusion  
6 reaction in recipients of plasma components  
7 with and without HLA antibodies. Thank you  
8 for your attention.

9           DR. SIEGAL: Thank you, Dr.  
10 Stroncek. Are there any questions?

11           MS. KOCHMAN: Sheryl Kochman, FDA.  
12 We have cleared an HNA test within the last  
13 year and you can find the details on our Web  
14 site.

15           DR. STRONCEK: Is that a  
16 genotyping test or a phenotyping test? Or  
17 testing for an antibody screen?

18           MS. KOCHMAN: I think it's a  
19 typing test for the antigen.

20           DR. SIEGAL: Given what little we  
21 know about the pathogenic mechanisms, I take  
22 it there is no simple pharmacological

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approach to prevent, like giving Benedril or  
2 something?

3 DR. STRONCEK: Well, I don't think  
4 so. I mean, if there was a good commercial  
5 solid phase test for testing for neutrophil  
6 antibodies, I think all of us would be doing  
7 that. There's a number of other speakers  
8 that have data and thoughts on that, but HLA  
9 antibodies, in my view, they're more likely  
10 than a random unit to cause a transfusion  
11 reaction.

12 But the problem is if we start  
13 eliminating donors with HLA antibodies, that  
14 we will lose a lot of donors that aren't  
15 causing any problems.

16 DR. GOLDING: Can I ask a  
17 question? Basil Golding, FDA. One of the  
18 things you said that struck me as being  
19 important is solvent detergent treated plasma  
20 is not associated with TRALI and I'm not  
21 aware of any IGIV products being associated  
22 with TRALI. So a simple explanation to me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be a dilution factor, and that if you  
2 have a high titer, it gets diluted out. So  
3 my next question is what do we know about  
4 quantitation and is that going to be  
5 important?

6 In other words, it's not just  
7 knowing that there are antibodies but what is  
8 the titer that is important, and do the  
9 current tests assess that?

10 DR. STRONCEK: Well, I mean, not  
11 really, not much is known as far as titer and  
12 quantitation of antibody in causing TRALI. A  
13 couple reasons is it's a rare incidence, so  
14 it's difficult to study, and there have been  
15 a lot of assumptions that just antibodies are  
16 bad, so people haven't really thought about  
17 looking at what are the important factors  
18 that--what are the factors about those  
19 antibodies that are important in causing  
20 TRALI?

21 DR. KLEINMAN: Just a comment.  
22 There has been a report of a IVIG TRALI case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 but it was from an experimental IVIG reported  
2 by Patrick Mollison, which, by the way, can  
3 be found in the 11th edition. It was made by  
4 a very small pool, so pooling may indeed have  
5 a role.

6 DR. SIEGAL: Dr. Szymanski.

7 DR. SZYMANSKI: I have a question.

8 It seems to me--I write that you need the  
9 antibody, regardless, if you have a bioactive  
10 substance or not. So why to test for both,  
11 if the antibody's always needed, even if you  
12 need a bioactive material?

13 DR. STRONCEK: I think the groups  
14 that work with--Silliman's group, that's done  
15 the work with the bioactive substance, would  
16 say that you don't need an antibody  
17 necessarily to cause TRALI in some cases.  
18 That the CD40 ligand or the bioactive lipid  
19 would be sufficient on its own.

20 DR. SZYMANSKI: But bioactive  
21 liquid is coming from granulocytes or  
22 lymphocytes or whatever, white cells?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. STRONCEK: They are thought to  
2 be released by stored platelets and red  
3 cells, so then when they're transfused, they  
4 react with the granulocytes circulating in  
5 the patient.

6 DR. FINNEGAN: I was delighted to  
7 see that you actually looked at the patient  
8 component, because in reading the materials  
9 that we have, there seemed to be very little  
10 assessment of the comorbidities of the  
11 patients who develop TRALI. And one of my  
12 concerns is if we have a national disaster  
13 and we not allowing females to give plasma,  
14 we're going to run into some problems.

15 Do you have a sense, other than  
16 the hematological or immunocompromised  
17 patients, are there patients who really did  
18 not seem to develop TRALI if they were given  
19 the granulocyte? I'm sure there are because,  
20 obviously, the number of TRALI cases versus  
21 the number of multiparous women who donated,  
22 do not match up, so there have to be patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who can get it and it doesn't bother them.

2 Do you have any sense of, is that  
3 younger? is that trauma patients? Do you  
4 have any sort of sense of that?

5 DR. STRONCEK: Not really. It's  
6 really--again, there's been mostly single  
7 institution studies and we all have our, you  
8 know, different patient mix. But almost all  
9 patients have been described to have TRALI,  
10 even patients without antibodies who, you  
11 know, if you really believe it's all  
12 neutrophil-mediated, that they shouldn't have  
13 TRALI, but some of those patients do too.

14 DR. SZYMANSKI: I also wanted to  
15 bring out the idea that there are many, many  
16 female donors who have donated platelets  
17 many, many times, and plasma many, many time,  
18 and they have never been associated with any  
19 TRALI event. So they're sort of biologically  
20 proven to be safe females.

21 What do you think about that? I  
22 mean, why do you have to even test them?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. STRONCEK: Excuse me but I  
2 couldn't hear the question.

3 DR. SZYMANSKI: The question is  
4 that let's say in my institution we have many  
5 females who donate repeatedly platelets, you  
6 know, many times a year, and they have never  
7 been associated with any TRALI kind of  
8 incidents. To me, they are biologically  
9 proven safe donors. I haven't seen where  
10 they can just be accepted as such, without  
11 any kind of testing.

12 DR. STRONCEK: I think that's a  
13 good point. I think the problem is is that  
14 it's hard to know if they're completely safe.

15 Again, I agree that there's many women that  
16 have HLA antibodies and when you transfuse  
17 their products they don't cause TRALI. But  
18 it's unclear if there's a subset of people  
19 with HLA antibodies that will cause TRALI,  
20 but it's just a real low incidence, and then  
21 other donors are completely, have HLA  
22 antibodies but will never cause TRALI.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I think that's one of the  
2 important questions. You know, we and other  
3 groups are trying to address that by having  
4 prospective studies, to actually start  
5 comparing the incidence of transfusion  
6 reactions and the type on people with HLA  
7 antibodies and without, and try and get an  
8 idea, is titer important? Is it only certain  
9 specificities?

10           Is there something about the  
11 patient that's really the critical factor,  
12 and it's not the donor?

13           DR. SZYMANSKI: Man of the females  
14 have not even been pregnant, they're young  
15 women, they cannot have any antibodies--

16           DR. STRONCEK: Sorry?

17           DR. SZYMANSKI: There are many of  
18 these biologically safe women, have not even  
19 been pregnant once.

20           DR. STRONCEK: Yes. I think even  
21 in multiparous donors, women, the incidence  
22 of HLA antibodies is--well, if they've had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three or more pregnancies it's about 20  
2 percent. But there's a number of women that  
3 don't have HLA antibodies.

4 DR. SIEGAL: Dr. Di Bisceglie.

5 DR. DI BISCEGLIE: I guess you've  
6 identified the series of risk factors  
7 associated with TRALI but what might be  
8 useful is some quantitation, some relative  
9 quantitation of the risk, and maybe the  
10 incidence is so low that you have--it's not  
11 possible to calculate, say, an odds ratio, or  
12 a relative risk or a rate per thousand or  
13 something like that, that would allow a  
14 prioritization of the risk factors. Are such  
15 numbers available?

16 DR. STRONCEK: Not yet but, again,  
17 you know, the Red Cross has begun looking at  
18 this in a systematic way, and the REDS group  
19 will be able to look at large cases of  
20 numbers of TRALI over several institutions.  
21 So I think that data will be coming but it's  
22 not available at this time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SIEGAL: The next speaker,  
2 please. This will be Dr. Sarode. Current  
3 use of transfusable plasma.

4 DR. SARODE: Good morning. You  
5 know, when I got this e-mail about  
6 transfusable plasma, I had no idea what I was  
7 supposed to talk, but I figured out, since  
8 it's TRALI, you basically want to know how  
9 much plasma we are misusing. So that's why  
10 the topic of my talk is misuse of plasma in  
11 clinical practice.

12 Now in United States we transfuse  
13 more than 3 million units annually, and this  
14 is very old figure from 2001, and I can  
15 assure you, it's more than that now, because  
16 there are seven changes in the way  
17 laboratories, they are doing testing for  
18 correlation factors.

19 Now most of these plasmas, they  
20 are transfused before any procedure is  
21 performed, a surgical procedure is performed,  
22 or for patients who have an abnormal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coagulation test in ICU setting, and which is  
2 very common.

3           Unfortunately, the pre-surgical  
4 hemostatic assessment is mostly performed by  
5 using laboratory tests, rather than good old-  
6 fashioned clinical testing.

7           So if we don't take a good  
8 clinical history about bleeding, rapidly we  
9 are doing screening tests for coag and not  
10 bothering about the history.

11           So many times you get abnormal  
12 coagulation test and we act on these lab  
13 results.

14           Now this particular slide shows  
15 the ratio of red cells to FFP transfusion in  
16 different countries. If you look at United  
17 States and Germany, we are transfusing one  
18 unit of plasma for every three red cells, as  
19 opposed to Finland, U.K. and France, where  
20 they're transfusing only one unit for six  
21 units of red cells.

22           So we are transfusing almost twice

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 more plasma. Dr. Dzik from MGH, he published  
2 this study about request for plasma in their  
3 hospital. So they wanted to know why  
4 physicians order FFP, and guess what? Most  
5 of the time they ordered plasma to correct an  
6 abnormal test result, that's INR, before any  
7 procedure, and there's about 15 percent of  
8 patients, they get plasma to reverse warfarin  
9 effect.

10 So almost 50 percent of plasma  
11 used to correct an abnormal coagulation test.

12 Now you should look at literature. Not a  
13 single study had shown any good correlation  
14 between prolonged coagulation test results  
15 and efficacy of plasma in treating those  
16 numbers, or having an effect on hemostatic  
17 efficacy when the coagulation tests are only  
18 mild to moderately abnormal.

19 This study from Cleveland Metro  
20 Hospital showed that when they had 80  
21 patients of cirrhosis, who had slightly  
22 elevated INR, they gave them plasma, 41

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent received for prophylaxis, and 60  
2 percent who were already having some bleed,  
3 they got anywhere between two to four units,  
4 and actually 25 percent, they got more than  
5 four units of plasma.

6 That's more than a liter of volume  
7 in a patient who is cirrhotic, and, I mean,  
8 you can imagine, pouring one liter of plasma  
9 in two to four hours. It can be a disaster.

10 And what were the results? 90  
11 percent of patients failed to achieve the  
12 goal the had. So they wanted all these  
13 clotting tests to be within three seconds of  
14 upper limit of normal and 90 percent of these  
15 patients who got plasma, even more than four  
16 units, they did not achieve the goal.

17 So their conclusion was very  
18 simple. FFP frequently failed to correct  
19 INR. This is another study which was done a  
20 long time back. It looked at bleeding time  
21 at the site of liver biopsy, and compared  
22 with prothrombin times. And you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see that the bleeding times, they're all  
2 over, even when the patients, they had near  
3 normal PT, that longer bleeding times as  
4 opposed to when they had longer prothrombin  
5 time, and they had no bleeding.

6 So this again shows that even in  
7 1981, we knew that there was no good  
8 correlation between prothrombin time and  
9 bleeding tendencies.

10 Now this table, which is crowded,  
11 basically has the same message.

12 Unfortunately, there's not a single, well-  
13 designed, randomized, control study in the  
14 literature which has looked at efficacy of  
15 plasma in either correcting an abnormal PT  
16 and PTT and its efficacy in preventing  
17 bleeds.

18 So these various studies, they  
19 have looked at--of course these are  
20 retrospective and observational studies--but  
21 none of these studies found any predictive  
22 value for either PT or PTT as regards

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       bleeding, post procedure.

2                   Now what happens when we have  
3       hemoglobin of 11 grams. We don't transfuse  
4       red cells. When the platelet count is mildly  
5       reduced, we don't transfuse platelets.

6                   When creatinine is 2.1, we don't  
7       do dialysis. You tell a nephrologist to do a  
8       dialysis at 2.1, you're going to get yelled  
9       at.

10                   So why do we transfuse plasma,  
11       when now PT is one or two seconds prolonged,  
12       and we want absolutely normal PT? Is there  
13       any evidence for that?

14                   Now if you look at evaluation in  
15       hemostasis, in our body hemostasis is a very  
16       complex phenomenon. If you look at it the way  
17       normal hemostasis works, you have  
18       procoagulant factors, factor I through XIII,  
19       except VI and XII, which are not important.  
20       These clotting factors, they are kept under  
21       check by natural anticoagulants,  
22       antithrombin, protein C, protein S, and now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 TFPI or tissue factor pathway inhibitor, and  
2 then once you have clot in the system, you  
3 have very efficient fibrinolytic system which  
4 dissolves the clot, and of course you have  
5 platelets and endothelial cells, which are  
6 important players in maintaining normal  
7 hemostasis.

8 Now not a single test assesses  
9 this whole hemostasis.

10 Now PT and PTT. These tests, they  
11 were done to diagnose patients who had a  
12 bleeding disorder, meaning when patients had  
13 hemophilia A and B, people really want to  
14 know why they bleed. So they came up with a  
15 test called PTT.

16 Similarly when cows in Wisconsin,  
17 they were bleeding after eating plants which  
18 had warfarin, they wanted to know why they  
19 were bleeding, and they found out--PT.

20 So these tests, they were  
21 developed in patients, or cows who have  
22 bleeding tendencies. So these tests, if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perform in patients who have bleeding  
2 tendency, you have very high test--probably  
3 you are finding them abnormal. They have  
4 never been shown to assess bleeding risk in  
5 nonbleeding patients.

6           If you take 100 patients in your  
7 hospital and you do PT and PTT, and you find  
8 slightly abnormal PT and PTT in 10 percent,  
9 there's no guarantee that all these ten  
10 patients, they are going to bleed after  
11 surgery or during surgery.

12           Now most of the plasmas, they are  
13 given for abnormal PT. Now let's look at  
14 what PT is. It's a very simple test. You  
15 take patient plasma and you add a reagent  
16 which has tissue thromboplastin and calcium  
17 chloride.

18           Now in the past, the sources of  
19 tissue thromboplastin included brains, which  
20 were human brain, rabbit or goat. We use  
21 human placenta because they are all rich in  
22 thromboplastin.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Now we have recombinant tissue  
2 thromboplastin that is used.

3                   However, in clinical practice, you  
4 will hear the term INR, and if you ask what  
5 is INR, most clinicians, they don't know what  
6 INR is. They know this is a nice number,  
7 which is associated with PT. Now this INR,  
8 or international normalized ratio, was  
9 developed to monitor patients who were on  
10 anti-coagulation or warfarin.

11                  So INR is the prothrombin time  
12 ratio that, by calculation, would have been  
13 obtained, though regional WHO-referenced  
14 thromboplastin had been used to perform the  
15 PT.

16                  Now the reason we needed INR was  
17 that if you have tissue thromboplastin coming  
18 out of one brain and used in one lab, it can  
19 last only maybe up to six months. But after  
20 that you will prepare another reagent, and  
21 therefore the sensitivity of each brain for  
22 different, Vitamin K, different factors, was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different. So you had highly variable PTs.  
2 And this was even worse when you had patient  
3 going from one hospital to another one. The  
4 reagent was totally different.

5 And most of these tests, they were  
6 done in '80s by using manual or semiautomatic  
7 techniques. So changing one or two seconds  
8 really created havoc. So we needed a method  
9 which then was provided. That's INR.

10 So the way INR is calculated is  
11 you need a prothrombin time ratio where  
12 patient's PT is divided by control, so, for  
13 example, if PT is 24, control 12, you get a  
14 prothrombin time ratio of two, and to  
15 calculate INR, you raise that prothrombin  
16 time ratio by ISI.

17 Now this is the key. ISI, the  
18 International Sensitivity Index, which is  
19 given to each tissue thromboplastin reagent,  
20 when it is compared and standardized and  
21 calibrated against WHO standard.

22 So in this case, if the ISI, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 reagent is two, your INR will be four. So  
2 that's how you get INR. Now the problem with  
3 tissue thromboplastin used in '80s and '90s  
4 was that they had very high ISI. So to  
5 maintain INR between two and three, your PT  
6 was between 16 and 18. Now that's where we  
7 all got trained, is '80s and early '90s, that  
8 if the PT as 16 or 17, patient was  
9 coagulopathic, because that was the--INR was  
10 very high.

11 But the problem was if you had PT  
12 of 15, patient was subtherapeutic and if PT  
13 was 19 or one second above, was  
14 supratherapeutic.

15 So it was giving nightmares to the  
16 clinician to manage anti-coagulation  
17 treatment for the patient. They had to  
18 adjust doses every now and then. So we did  
19 slightly better by improving the sensitivity  
20 of tissue thromboplastin to two and to  
21 maintain INR between two and three, now we  
22 had slightly wider safety range, between 17

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and 21. But again, it wasn't ideal. So we  
2 went to recombinant tissue thromboplastin or  
3 some of the other tissue thromboplastin which  
4 have ISI close to one, and to maintain INR  
5 between two and three, now we have PT between  
6 23 and 35.

7 But what happened? We got stuck  
8 on these PT values. And that's what still  
9 happens. So surgeons who are used to having  
10 bleeds when patients had PT of 16 and 17, in  
11 '80s and '90s, they still want 16 and 18  
12 here, which is actually equivalent of  
13 somewhere here--28. So the problem here is  
14 we have not communicated with the clinicians  
15 all these changes that have happened in the  
16 clinical labs.

17 And this is one of the reagents  
18 that we use, it has very sensitive PT  
19 reagent, and it causes very short, PT's  
20 normal, but it's too sensitive to Vitamin K-  
21 dependent factors, especially VII. So what  
22 happens now is even slight decrease in factor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 VII leads to prolongation of PT/INR, and we  
2 react to those by giving plasma.

3 Now if you look at the hemostatic  
4 levels for various factors, or important  
5 factors, you basically need anywhere between  
6 15 to 30 percent or 40 percent, and you can  
7 perform any surgery.

8 When we did sensitivity of our PT  
9 reagent for all these factors, we found that  
10 when the factor VII was 49 or less, PT got  
11 prolonged. But you need only 15 percent for  
12 major surgery. So coming to indications for  
13 plasma, the absolute indication is still TTP  
14 until we find recombinant Lnt is thirteen.  
15 Patients who have coagulopathy with bleeding,  
16 patients who have coagulopathy during  
17 surgery, and a reversal of warfarin overdose.

18 However, the ideal product would be to use  
19 prothrombin complex concentrate, which is  
20 recommended by American chest physicians,  
21 British hematologists, Australian and  
22 European societies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   However, we don't have a  
2 prothrombin complex concentrate in this  
3 country licensed for this particular effect,  
4 and of course for real congenital factor  
5 deficiency for which we don't have beautified  
6 concentrates.

7                   However, plasma is often misused to  
8 correct mild to moderate abnormal PT/INR,  
9 without bleeding, and volume expanded.

10                   Now the published data provide no  
11 evidence-based guidance for use of pre-  
12 procedure SFP, or even platelet transfusion  
13 among patients with mild to moderate abnormal  
14 results.

15                   So what we did, we talked to our  
16 neurosurgeons, and when they wanted some  
17 plasma for slightly prolonged PTT, we did a  
18 study. We looked at, we stored their  
19 patients' plasma who had slightly prolonged  
20 INR, we gave them the plasma at that time and  
21 looked at hemostatically important clotting  
22 factors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And if you look at the results the  
2 medium PT was slightly prolonged as compared  
3 to upper limit of normal. Similarly, INR was  
4 slightly prolonged. PTTs were normal.  
5 Factor VII was normal, and Factor VIII  
6 actually was very high, which is shown in the  
7 next slide.

8                   These are certain selected cases.

9                   Now whenever we do PT and PTT, everyone  
10 looks at PT/INR, and others, they ignore PTT,  
11 and I don't know why. Look at these  
12 highlighted PTTs. They have very high levels  
13 of Factor H. A PTT of 90. Even though the  
14 INR is 1.4, which surgeons thinks has risk  
15 for bleeding. If you look at the Factor  
16 VIII, 547. Factor VIII is a known risk  
17 factor for clotting. It's a known  
18 prothrombotic risk factor.

19                   So by looking at PT and PTT, this  
20 patient didn't need any plasma. So I  
21 presented this particular study at  
22 Neurosurgery Grand Rounds, and there was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       drastic reduction in FFP orders for  
2       prophylactic plasma use, 85 percent. We  
3       followed up after a year and it still remains  
4       75 percent less than pre study, and I still  
5       keep working with these surgeons to remind  
6       them.

7                       Now in Parkland, we have a very  
8       active transfusion medicine practice. It's a  
9       county hospital. Blood Utilization Review  
10      Committee, or so-called Transfusion  
11      Committee, has established evidence-based  
12      transfusion criteria, and since 2003,  
13      transfusion medicine residents, they are  
14      involved in each and every request for plasma  
15      and platelets that do not meet the guidance.

16                      And the goals were to reduce  
17      unnecessary transfusion and advise  
18      appropriate products, because we always try  
19      to treat everything with plasma and forget  
20      about cryo or other products like DDVP,  
21      prothrombin complex concentrates. So that's  
22      what we do because all these factor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 derivatives, they are kept in blood bank and  
2 we like it.

3 And of course to reduce the  
4 incidence of TRALI. I won't go into details  
5 but this is a triage sheet for blood bank  
6 technician to call a resident when to give  
7 plasma, and similarly for platelets.

8 Now since 2000, when I came there  
9 in the end of 2000, I started taking part  
10 actively in 2001, you can see that the number  
11 of red cell transfusions has remained the  
12 same because we haven't intervened in that  
13 particular practice yet.

14 But if you look at plasma, it has  
15 started around 11,000 and now it's dropped to  
16 less than 4800. So there's a 60 percent  
17 reduction in plasma use in four years.

18 A similar thing happens for  
19 platelets, decreased from close to 5000 to  
20 less than 2500.

21 However, the admissions, they have  
22 remained the same, surgeries, they have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remained the same, and trauma has remained  
2 the same.

3           Now we have a master transfusion  
4 protocol established for our trauma center,  
5 and the goals were to provide rapid blood  
6 component therapy, to prevent or treat  
7 coagulopathy earlier, than waiting for it to  
8 happen, and reduce blood wastage.

9           And this is our shipment protocol  
10 for different products. Whenever a protocol  
11 is initiated, we send five red cells to  
12 plasma, in the first shipment followed by  
13 five red cells to plasma, those are platelet  
14 and small dose of NOVA 7, and the third  
15 shipment goes with car.

16           And if you look at the blood  
17 products used, pre and post MTP, we have  
18 reduced the use of red cells by seven per  
19 incident, so instead of using 24 we use now  
20 seven. Seventeen red cells. But look at the  
21 plasma. It has decreased by 50 percent, and  
22 same with platelets.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1                   So what's happening is we are  
2 trying to prevent or treat the coagulopathy  
3 earlier rather than waiting till it develops  
4 and try to pull all these factors.

5                   So it seems that appropriate  
6 products utilization is the goal. We should  
7 recommend when the cryoprecipitate is  
8 indicated. All these patients who are  
9 getting plasma, they may have low fibrinogen,  
10 they may have dysfibrinogen anemia, which is  
11 common in patients with chronic liver disease  
12 or cirrhosis.

13                   Use of antifibrinolytic agents is  
14 encouraged. Patients with mild gum bleeds  
15 and all, and they need platelets, we  
16 recommend Amicar. Similarly, a reversal of  
17 heparin-induced bleeding, many surgeons, they  
18 still want to give FFP, which is actually  
19 contraindicated, in my opinion, because it  
20 supplies antithrombin and makes the bleeding  
21 worse.

22                   We have started using prothrombin

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complex concentrate, which is not an ideal  
2 one, but still, it has reduced our plasma use  
3 by 50 percent.

4 So the take-home message is that  
5 there's still significant misuse of plasma in  
6 clinical practice. Blood banks should  
7 practice transfusion medicine, rather than  
8 function as simply blood-dispensing units.  
9 That's what's happening in almost 99 percent  
10 of hospitals in the country.

11 Whenever there is a request for  
12 any blood products, they are dispensed  
13 without any question.

14 There's a greater need for  
15 clinicians and medical students education in  
16 transfusion medicine and hemostasis. And  
17 most importantly, they like it. If you tell  
18 them what's the real stuff, they like it and  
19 they respond to it. Thank you very much.

20 DR. SIEGAL: Thank you very much.

21 Are there any questions?

22 DR. SZYMANSKI: I just want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commend you on this wonderful presentation  
2 because it's really a great problem in this  
3 country, and the smaller the hospital, it  
4 seems to be even greater. I recently  
5 inspected a blood bank at a relatively small  
6 hospital and they use plasma for slight  
7 increase in PT. They never check if the PT  
8 changes after plasma transfusion, you know,  
9 prior to surgery, and they really don't have  
10 proper guidelines for any of the blood  
11 components, and I think if you can reduce  
12 plasma and limit infusions, you know, by  
13 having good guidelines, like you have  
14 presented, it would also reduce the incidence  
15 of TRALI.

16 And I really liked your talk very  
17 much. Thank you.

18 DR. SARODE: I mean, that's my  
19 goal. I mean, I have been going to different  
20 departments, giving Grand Rounds, and they  
21 are responding very positive because these  
22 changes in the laboratories, they have not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       been communicated to clinicians and they  
2       still think that, you know, a PT of 16 is as  
3       bad, you know, as it used to be. But that's  
4       not true. We have changed practices in  
5       radiology, intervention radiology,  
6       hepatology, neurosurgery,

7                       [No response]

8                       DR. SZYMANSKI: Yes. The surgeons  
9       particularly need a lot of education in this  
10      area.

11                      DR. MANNO: I have a question  
12      about your recommendation for a PCC as  
13      opposed to FFP for reversal of coumadin. Is  
14      that based on ease of use, because you don't  
15      have to wait for the plasma to thaw, or  
16      efficacy?

17                      DR. SARODE: I think both. The  
18      first thing--

19                      DR. MANNO: Are there data to  
20      demonstrate this?

21                      DR. SARODE: Pardon?

22                      DR. MANNO: Are there data, have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there been studies of this, or is this your  
2 preference?

3 DR. SARODE: Yes. European data  
4 is there, and we have our data coming out  
5 soon. The advantage of PCC--now there are  
6 two types of PCCs. People get confused. One  
7 is--

8 DR. MANNO: I don't.

9 DR. SARODE: I know. But there  
10 are many hematologists who are still  
11 confused.

12 There is one called activated PCC  
13 or autoplex, which is not available in U.S.,  
14 and FEIBA. And there are PCCs which are  
15 nonactivated clotting factors, so it has  
16 purified factors II, VII, IX, and X, and  
17 these PCCs, they are used to treat hemophilia  
18 B. The advantage of four factor PCC, where  
19 you have all Vitamin K, different factors,  
20 actually some of the products in Europe, they  
21 also have protein C and protein S. So it's  
22 much more complete PCC.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And you can transfuse PCC within  
2 five minutes, the dose, 15 -- for the worst  
3 INR with bleed, and you will find correction  
4 of INR within five minutes, as opposed to  
5 FFP, when you are trying to give FFP, you are  
6 thawing the plasma, it takes half an hour to  
7 thaw the plasma, and maximum you can  
8 transfuse is one unit every 15 to 30 minutes,  
9 and that leads to a volume overload, because  
10 the majority of the patients who come with  
11 warfarin-related bleed, they are elderly, on  
12 warfarin for atrial fibrillation or cardiac  
13 condition.

14                   So both safety reason, I think  
15 it's very good, and rapidity is excellent.  
16 And the current PCC that's available in U.S.,  
17 called Profile 9, has II, IX, and X. It  
18 doesn't have factor VII, and that's why we  
19 had to use FFP to correct that, because INR  
20 doesn't get corrected and surgeon really  
21 wants INR.

22                   DR. MANNO: Some of course would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 argue about the expense of a PCC as well as  
2 the old reports of thrombosis, particularly  
3 in patients who have preexisting liver  
4 disease, or in the neonate.

5 DR. SARODE: But most of these  
6 thrombotic complications, they were seen in  
7 patients with hemophilia B and who were  
8 getting PCCs at regular interval for a long  
9 time. So if you give daily PCCs to  
10 hemophilia B with liver disease, you are  
11 replacing factor IX by PCC, but they still  
12 have their own factor II, VII, and X, and by  
13 giving PCCs every day, you are raising their  
14 other clotting factors.

15 So they had prothrombotic state,  
16 and that's why they had more complication,  
17 not significantly more but they thrombotic  
18 complications. But when you treat PCC for  
19 warfarin reversal, you give only one dose,  
20 and you give all these factors, so risk of  
21 thrombosis is significantly less, and the  
22 European studies have shown there's no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence that it's thrombogenic.

2 DR. SIEGAL: We're going to have  
3 to move on. Thank you, Dr. Sarode.

4 Dr. Steven Kleinman from the  
5 University of British Columbia will talk  
6 about the REDS LAPS study on HLA and  
7 granulocyte antibody prevalence in blood  
8 donors.

9 DR. KLEINMAN: Thank you. So I'm  
10 going to address, give a preliminary report  
11 on the Leukocyte Antibody Prevalence Study,  
12 which the acronym is LAPS, and since we have  
13 a second study planned, the acronym is LAPS  
14 I.

15 This is part of the retrovirus  
16 epidemiology donor study, second iteration,  
17 so REDS I was a 15 year study. REDS II is  
18 now a different study. I'm one of the  
19 members of the REDS II group and I'm  
20 presenting this on behalf of many other  
21 investigators. They actually have an old  
22 slide projector control here and an old slide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 projector. I haven't seen that for a long  
2 time. It'd be a page down. Yes.

3 So the structure of REDS II. This  
4 is a study that was funded beginning in 2004  
5 by NHLBI as a five year study. It has  
6 multiple project areas. I'll just be talking  
7 about the leukocyte antibody prevalence study  
8 today.

9 There are six blood centers that  
10 are participating in this study. They are  
11 the blood center of Southeast Wisconsin, the  
12 American Red Cross New England Region, along  
13 with the American Red Cross Southeast Region,  
14 and Emory University, Hoxworth Blood Center  
15 from Cincinnati, Institute for Transfusion  
16 Medicine from Pittsburgh, and the blood  
17 centers of the Pacific, San Francisco.

18 The coordinating center for this  
19 study is Westat, located locally, and the  
20 central laboratory is Blood Systems Research  
21 Institute in San Francisco.

22 The objectives of this leukocyte

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibody prevalence study. There are several  
2 objectives. The main objective is to  
3 determine the HLA class I and II antibody  
4 prevalence in blood donors, and correlate  
5 this with the number of pregnancies, the  
6 lifetime history of transfusion, the time  
7 from the last immunizing event, and to  
8 compare these alloexposures with a baseline  
9 group that has no evident alloexposure, so  
10 never pregnant or never transfused.

11 So in addition to determining the  
12 prevalence of these antibodies, another goal  
13 is to actually identify the HLA antibody  
14 specificities, and the third goal is to  
15 determine the presence of antibodies to  
16 neutrophils, and because neutrophil antibody  
17 testing is complex and expensive, our goals  
18 are a little more modest here. We don't  
19 think we can test the whole cohort for  
20 neutrophil antibodies, and so we don't know  
21 if we'll have the power to relate neutrophil  
22 antibody prevalence to these epidemiological

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factors, pregnancies and transfusion, but we  
2 are planning to do some neutrophil antibody  
3 testing, first in those with HLA antibodies  
4 because we think those will be higher rates  
5 of neutrophil antibodies as compared to some  
6 of our donors without HLA antibodies.

7           So in doing a statistical power  
8 calculation as we began this study, we  
9 estimated from existing data in the  
10 literature, and there are some papers  
11 indicating the rate of HLA antibody  
12 prevalence in donor populations, that we  
13 would need to enroll about 5100 female donors  
14 to have greater than 90 percent power to  
15 detect differences in HLA antibody  
16 prevalence, both by number of pregnancies and  
17 the interval from the last pregnancy.

18           So that was our sample size  
19 calculation for female donors. With regard  
20 to male donors, the preliminary data from the  
21 literature suggests that the--and in very  
22 small numbers--that the rate in transfused

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 male donors and untransfused male donors of  
2 HLA antibody is not every different, and so  
3 we could not power the study to actually find  
4 a difference in rate, and we decided that we  
5 would look at a thousand donors, a thousand  
6 male donors with a lifetime history of  
7 transfusion, a thousand never-transfused  
8 males, and the purpose here was to try to  
9 tighten the confidence intervals around the  
10 prevalence estimates that are currently in  
11 the literature.

12           So a little bit about the  
13 protocol. We give everybody enrolled into  
14 LAPS a questionnaire that--well, it includes  
15 both transfusion history, and pregnancy  
16 history for the female donors. The questions  
17 for pregnancy history are taken from the  
18 National Health and Nutrition Examination  
19 Survey, NHANES. These have already been  
20 validated. It's a series of six questions.

21           They ask the donor whether they've  
22 ever been pregnant, and if so, the number of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pregnancies, and divide this between number  
2 of live births, still births, miscarriages or  
3 abortions, and then we ask for the date of  
4 the last pregnancy and the transfusion  
5 history consists of a question, Have you ever  
6 received someone else's blood? and if yes,  
7 then the date of the last transfusion.

8           One of our first tests was to  
9 decide what assay we would use to measure HLA  
10 antibody. The potential assays that are out  
11 there are flow cytometry assays, both flow  
12 PRA, and Luminex, which I'll talk about in a  
13 minute. There's also, as Dr. Stroncek  
14 mentioned, solid phase ELISA assays, and then  
15 there are the classical methods of  
16 lymphocytotoxicity, usually now done with  
17 human, anti-human immunoglobulin enhancement.

18           We decided that in this research  
19 study we should use the tests that had the  
20 highest sensitivity for HLA antibodies and  
21 based on the high sensitivity of Luminex and  
22 flow PRA, and the high throughput that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could achieve with Luminex, since we did have  
2 such a big study, the assay chosen was the--  
3 we chose the Luminex platform which is a  
4 specialized flow cytometer, and we used the  
5 LABScreen reagent from One Lambda, a company  
6 in California.

7 We decided we would perform both  
8 supplementary screening--I'm sorry. In  
9 addition to screening assays, we would  
10 perform supplementary testing with their  
11 single antigen assay to confirm the screening  
12 results, and I'll get to that a little bit  
13 more specifically in a few slides..

14 So the LABScreen reagent that we  
15 used is called the LABScreen mixed reagent.  
16 It's a screening assay that uses multiple  
17 beads coated with purified HLA antigens.  
18 Each bead contains purified antigens from  
19 five to six cell lines. The assay consists  
20 of five beads that have class I antigens,  
21 three beads that have class II antigens.  
22 There are a total of 54 different HLA class I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antigens and 32 class II antigens involved in  
2 the initial screening assay.

3           The company has also developed a  
4 couple of beads for a new antigen system  
5 that's been discovered, about five to ten  
6 years ago, called MICA, or MICA--I'm not sure  
7 how it's pronounced--which stands for MHC  
8 Class I related antigen.

9           This antigen is present on  
10 endothelial--this antigen system, which  
11 actually has multiple specificities, just as  
12 HLA does, is present on endothelial cells but  
13 is present on a number of other cells in the  
14 body as well, and we thought this would be of  
15 interest to look at because endothelial cells  
16 have been implicated in TRALI.

17           So we use the Luminex flow  
18 cytometer and the assay measures, laser-based  
19 light emission, through the binding of  
20 reagents that are conjugated to antibody,  
21 which is, in turn, bound to the class HLA 1  
22 and 2 antigens.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And here is a schematic provided  
2 by the company. There are, as I said,  
3 multiple beads here that fluoresce at a  
4 difference intensity and then each bead is  
5 covered with different purified HLA proteins,  
6 and basically, the principle of the assay is  
7 the Luminex bead has a bound antigen to it,  
8 or a series of antigens. If the patient has  
9 alloantibody it will bind to the antigen, and  
10 then there'll be a photochemically-tagged  
11 anti-IgG. If that binds, then there'll be  
12 emission of light and you can see that in the  
13 flow cytometer, and you can actually know which  
14 bead it's coming from, and therefore you can  
15 decide which antigens are implicated, whether  
16 they're class 1 or class 2.

17                   So this is a screening assay. One  
18 could go beyond this to actually try to  
19 identify the antibody specificity. Their  
20 common way of identifying the antibody  
21 specificity is similar to the old ways, and  
22 that is that you would use a sort of panel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 reactive antibody. You'd have multiple beads  
2 and you'd have to try to dissect what was  
3 happening.

4 But for purposes of this study,  
5 the company also has a single antigen assay  
6 which isn't used as frequently because it's  
7 more expensive, but we are using it for this  
8 study.

9 So in this assay, each bead  
10 contains one and only one recombinant HLA  
11 antigen and that's characterized well out on  
12 the molecular level. So, for example, you  
13 can have an A2 but we have multiple A2s on  
14 different beads.

15 So this mix contains 94 class 1  
16 and 52 class 2 antigens, each on a single  
17 bead. The specificities included are within  
18 class 1 are the AB, BW, and C loci, and  
19 within class 2 cover DR, DQ and DP. All of  
20 the common antigens are represented.

21 Obviously, there are some rare  
22 antigens that are not included on the panel.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       And so the idea here is if you get  
2 reactivity, you can be much more sure of the  
3 specificity or the multiple specificities  
4 that might be present in a given donor.

5                       But because HLA antibody  
6 interpretation is complex, even with this  
7 system, we've adopted, and most of the  
8 investigators in REDS II are not HLA experts,  
9 we've established a review panel of three HLA  
10 experts, and each confirmatory testing result  
11 will undergo review by at least one expert  
12 and any problematic case will be reviewed by  
13 a panel of experts in order to assign both  
14 interpretation of the confirmatory test and  
15 the specificities.

16                      So that's a little bit about the  
17 lab study. I wanted to give you a little bit  
18 of background about the data that we have  
19 overall in REDS II, cause I'm going to show  
20 some of that in a moment.

21                      And so we have accumulated in a  
22 central location, all of the donation and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 donor data that are accumulated by the six  
2 blood centers. So we have one complete year  
3 of data for 2006. This represents 1.2  
4 million successful donations. So it probably  
5 constitutes about 7, 8 percent of donations  
6 in the U.S.

7 So we have all the basic  
8 demographic information on each donation made  
9 by each donor. We know the gender, the age  
10 of the donor, that sort of thing.

11 We know what type of donation was  
12 made, whether it's whole blood or apheresis.

13 And in addition, we have two additional  
14 pieces of data that aren't usually obtained  
15 by blood centers.

16 We've added questions at these six  
17 centers to the usual donor questionnaire. So  
18 we have the pregnancy history. We ask a  
19 simpler question of all donors than we do to  
20 the LAPS enrollees. So we ask, Have you ever  
21 been pregnant, and if so, how many times?  
22 And we give them a option of one, two, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think up to six, actually. And then we ask a  
2 question, if they're ever been transfused.

3 So I want to show you some data  
4 from the donation database here. This may  
5 have some implication for thinking about male  
6 versus female plasma issues. So you can see  
7 here, for whole blood donors, whole blood  
8 donations, it's basically a 50/50 mix between  
9 donations coming from male donors and female  
10 donors.

11 On the other hand, if you look at  
12 platelet pheresis donors or donors from whom  
13 we collect platelets and another component at  
14 the time of apheresis, you can see that these  
15 are skewed to be greater than 60 percent male  
16 and less than 40 percent females. So we have  
17 a lot more male platelet pheresis donors.  
18 Plasma pheresis donors, same thing, but about  
19 55 percent male and I just threw this in,  
20 it's not particularly relevant, but for red  
21 cells collected by automated apheresis, for  
22 which you have to have higher hematocrits, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general, almost 90 percent of those  
2 procedures are done in male donors.

3 Now as I said, we collect the  
4 transfusion history data from all of these  
5 donors and it's summarized here. This is on  
6 a per donor basis rather than on a per  
7 donation basis.

8 So you can see that amongst our  
9 male donors, about 2.6 percent indicated that  
10 they had a previous lifetime history of  
11 transfusion, and just to remind the  
12 committee, we generally ask all donors if  
13 they've been transfused in the last 12  
14 months, and if they had, they're not allowed  
15 to donate until those 12 months are over.

16 But if they've been transfused  
17 greater than 12 months ago, they are eligible  
18 as a donor. So these are people who were not  
19 transfused in the last year because they're  
20 eligible as donors but had a previous  
21 transfusion.

22 And in female donors, you can see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the number is about 4 percent.

2 Interestingly, between 2.5 to 4 percent of  
3 the donors, depending on gender, answer that  
4 question as "not sure," whether they've ever  
5 had a lifetime transfusion.

6 If we were to do this per donation  
7 rather than per donor, based on our  
8 experience in REDS I, some of these donors  
9 turn out to be multiple time donors and at  
10 least in REDS I, where we had a similar  
11 percentage of about 4 percent of donors who  
12 had a previous history of transfusion, they  
13 accounted for about 5 percent of donations.

14 So that's the background on  
15 history of transfusion.

16 Now returning back to the specific  
17 protocol of LAPS, here's our enrollment to  
18 date, and our enrollment is almost complete.

19 Rather than 5100 female donors, we've been  
20 so aggressive, that we have almost 6000  
21 female donors who have been enrolled in this  
22 study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   We have 1241 males who have not  
2                   been transfused, they're easy to enroll, but  
3                   we have, so far, only 749 males who have been  
4                   transfused, and as you can see, if only 2  
5                   percent of our, 3 percent of our males have  
6                   been transfused, it's hard to find these  
7                   people when donors come to mobiles.

8                   So we've had to do recruitment  
9                   through special efforts to find male donors  
10                  with a previous history of transfusion.  
11                  That's why the enrollment is ongoing.  
12                  Whereas the enrollment of the first two  
13                  groups, we just approached donors coming in  
14                  at multiple collection sites determined by  
15                  the individual blood centers, and these were  
16                  based on ease of logistics as well as trying  
17                  to get a representative demographic mix of  
18                  donors that do visit those particular blood  
19                  centers.

20                  Now we've analyzed the  
21                  questionnaires from these enrollees and I  
22                  just want to go through the parity history in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the female donors that we've enrolled.

2 So, again, close to six thousand  
3 donors. And what I've represented here is--  
4 the green bar represents the parity history  
5 of all the female donors, not the LAPS  
6 enrollees, but the greater than 400--I think  
7 300,000 female donors in the database for  
8 2006.

9 And this is coming off the  
10 question that we ask each female donor at the  
11 time that they come in for their donation.

12 The blue bar is also from the  
13 REDS donation database. It represents the  
14 apheresis female donors and then the gray bar  
15 represents the enrollees in LAPS.

16 A couple of things to point out  
17 there. Number one, the number of never  
18 pregnant females, this is on a donor basis,  
19 so of our female donors, about 44 percent  
20 have never been pregnant, which is actually  
21 much higher than what has been reported in  
22 previous papers in the literature about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 U.S. donor base, and of course these papers  
2 are ten to fifteen years old, and think what  
3 we might be finding is the demographics of  
4 pregnancy have changed, at least in blood  
5 donors, if not in the population, in general,  
6 and so we have a lot more females who have  
7 never been pregnant.

8 We haven't completed the  
9 demographic analysis, but preliminarily, it  
10 looks like this is, as you would expect,  
11 related to age, that the younger donors are  
12 less frequently pregnant than women who are  
13 older.

14 You can see, there's a bit of a  
15 discrepancy between apheresis donors, in that  
16 there are fewer never-pregnant women donors,  
17 and then we have the LAPS donors who happen  
18 to be mostly whole blood donors but their  
19 pregnancy history reflects a little bit more  
20 that of the apheresis donors.

21 And then we have about 11 percent  
22 of donors who've been pregnant once, about 20

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to 22 percent that have been pregnant twice,  
2 and then anywhere in the general population  
3 of donors, about 25 percent that have been  
4 pregnant three times or more, and then even  
5 more in apheresis donors.

6 And so this is important when we  
7 actually get HLA antibody prevalence and our  
8 enrollees will have to do a weighted average  
9 to say what it is would be in the overall  
10 database.

11 So I want to give you the status  
12 of the actual testing now. We've completed  
13 the screening assay, HLA screening assay on  
14 about 4700 samples. The supplementary single  
15 antigen assay testing is in progress. We  
16 only have very few results on that and the  
17 data analysis is still in progress.

18 But I do want to give you some  
19 preliminary observations. It's interesting,  
20 the package insert for the One Lambda  
21 LABScreen test has a statement, that they  
22 suggest using the cutoff, their normalized

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cutoff ratio of 2.2, but at the same time,  
2 they say that each individual laboratory may  
3 need to determine their own cutoff.

4 It's also interesting that the  
5 data from previous studies, which comes from  
6 generally in-house validations, and they go  
7 to a population, they expect not to have any  
8 HLA antibodies, so a non-alloimmunized  
9 population and get the cutoff value, but  
10 those populations are much, much smaller than  
11 the group we've screened from, from LAPS.

12 And so another thing to recognize  
13 is the cutoff, as recommended by the  
14 manufacturer, was developed in the context of  
15 using this assay system to maximize  
16 sensitivity, particularly in the organ  
17 transplant setting.

18 So they would screen patient  
19 sera, and they were looking for patients who  
20 had HLA antibody, and clearly, if a patient  
21 who had HLA antibody was then given an organ  
22 with the corresponding HLA antigen, you would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be worried about an anamnestic response, so  
2 that any indication of previous immunization  
3 would lead to the potential for organ  
4 rejection.

5 But I want to point out that this  
6 is very different from the way we might be  
7 using an assay to determine the safety of a  
8 donor in a TRALI situation, because here,  
9 we're talking about passively transfused  
10 antibody causing the pathology.

11 And so it may not be important to  
12 detect antibody at very low tiers, cause it's  
13 all going to get diluted out in the person's  
14 own bloodstream. So here's all I can say  
15 about the data right now. Using an NBG ratio  
16 of 2.2, we're finding HLA antibody in a  
17 significant proportion of apparently non-  
18 alloexposed persons. So non-transfused males  
19 and never- pregnant females. And  
20 interestingly, if you go back to the one  
21 paper that everybody quotes about this  
22 phenomenon, which was published in about 2000

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out of St. Louis, in apheresis donors, they  
2 used lymphocytotoxicity and they showed a 7.8  
3 percent incidence of HLA antibodies in never-  
4 pregnant females, and our findings at this  
5 lower NBG ratio are in that order of  
6 magnitude.

7                   However, we haven't yet done  
8 confirmatory testing, and as I said, if we  
9 choose a higher NBG ratio, so set the cutoff  
10 higher, than the rate significantly  
11 decreases, and we're doing some analyses to  
12 see where the cutoff really should be set,  
13 and this is highly dependent on the  
14 confirmatory assay results.

15                   And same thing as you would expect  
16 with any assay, that again, depending on  
17 where we set the cutoff, we'll also see a  
18 different rate of HLA antibodies in females,  
19 depending on their level of pregnancy, and we  
20 are seeing a correlation, as we would expect,  
21 of the greater the number of pregnancies, the  
22 greater the amount of HLA antibody,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regardless of whether the donor is--  
2 regardless of the cutoff we use.

3 So our thoughts now are that the  
4 same concerns about assay sensitivity, which  
5 we're demonstrating in the Luminex system,  
6 which is how sensitive do we want to be, will  
7 also apply to other HLA detection systems.

8 And so as of now, it's unclear if  
9 antibody with low signal strength is of  
10 significance for the safety of transfusion  
11 recipients, and it's unclear how such  
12 information should be used to make donor  
13 deferral decisions, even if we're adopting  
14 the precautionary TRALI risk reduction policy  
15 that says we want to minimize transfusion  
16 from HLA-immunized or leukocyte-immunized  
17 donors.

18 One other aspect of this study I  
19 wanted to mention is we are establishing a  
20 repository. We have anywhere from four to  
21 six aliquots of plasma frozen, two aliquots  
22 of whole blood, and in many cases two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aliquots of serum. These are stored at minus  
2 70 degrees Centigrade. We'll be able to  
3 access these for neutrophil antibody testing  
4 and maybe neutrophil antigen or HLA antigen  
5 testing, if we decide to do it.

6 We can also access these for HLA  
7 antibody testing using other test systems,  
8 provided we get the funding to do that, and  
9 we can actually recall donors if we want to.

10 And then my final slide is to tell  
11 you what we're planning to do. In addition  
12 to analyzing the HLA antibody data, we will  
13 do neutrophil antibody testing. We are  
14 planning to do some HLA titering studies to  
15 see how strong some of these antibodies are,  
16 we'll look at the MICA data, and we may do  
17 alternate HLA tests.

18 And finally, we are far advanced  
19 in a planning stage of what we're calling  
20 LAPS II, which is a clinical study in which  
21 we will identify previous products donated by  
22 these persons with HLA antibody, and then go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 back to recipients of high plasma volume  
2 components from these donors, well, not to  
3 the recipients but to their charts, over the  
4 last few years, and through our triage  
5 protocol of looking at their x-rays first,  
6 decide whose medical record to review, and  
7 see if we can document whether people with  
8 leukocyte antibodies resulted in the  
9 production of more TRALI than donors who did  
10 not have leukocyte antibodies. So that's in  
11 the planning stage currently. Thank you.

12 DR. SIEGAL: Thank you. Are there  
13 any questions?

14 DR. KLEIN: Steve, thank you for  
15 sharing the data with us. I'm not surprised  
16 about the frequency of the female, nonparous  
17 women with this sensitive Luminex assay. In  
18 fact, I'm surprised it was as low as it is.  
19 But we know that history is sometimes  
20 misleading and spontaneous abortions occur.

21 Do you have any data to share with  
22 us about male screening at this point,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 because clearly, that's a big issue,  
2 especially for apheresis platelets?

3 DR. KLEINMAN: Yes. A couple of  
4 preliminary observations. If you look at any  
5 specific cutoff right now, we've looked at  
6 two, a ratio of 2.2 and a ratio of 10, and if  
7 you take a preliminary look at the screening  
8 data and look at the frequency of antibodies  
9 in never-transfused females, never-pregnant  
10 males, never-transfused males, and transfused  
11 males--now we only have data on about 250  
12 transfused males--the numbers in those three  
13 groups are, at a particular cutoff are fairly  
14 comparable to one another.

15 So it doesn't look like  
16 transfusions--again this is preliminary--but  
17 it doesn't look like transfusions in the male  
18 donors has caused much additional HLA  
19 antibody to the background rate of  
20 transfusions of antibody in never-transfused  
21 males.

22 So it doesn't look like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transfusion's a very powerful stimulus, at  
2 least in the amount that our donors have been  
3 transfused. But this is preliminary, Harvey.

4 DR. KLEIN: But it looks like  
5 about 5 percent of your males are positive by  
6 this assay transfusion in this; correct?

7 DR. KLEINMAN: Well, it depends  
8 where we set the cutoff. If we set the  
9 cutoff down at 2.2, then it looks like again,  
10 without confirmatory data, somewhere about 7  
11 to 8 percent. But, again, confirmatory data  
12 will probably bring that down, and we haven't  
13 looked at the strength of antibody. If you  
14 go up to a much higher ratio, in the ten  
15 range, then we're down to 1 to 2 percent.

16 DR. KATZ: Steve, do you have the  
17 year of transfusion in these histories, or--

18 DR. KLEINMAN: We do have--

19 DR. KATZ: --whether they got LR,  
20 or what they were transfused--

21 DR. KLEINMAN: We do have the year  
22 of transfusion. We haven't analyzed on it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 yet. And again, we only have data on 250  
2 transfused males. We have 750 enrolled,.  
3 We're continuing with enrollment and hope to  
4 get to a thousand. So, again, it's a very  
5 partial data set and, you know, we hope to  
6 have a lot more data in the next few months.

7 DR. SIEGAL: Are you looking at  
8 the women in terms of how many sexual  
9 partners they've had as--

10 DR. KLEINMAN: No; there's no  
11 history of sexual partners. We're not taking  
12 a history of sexual partners. It brings up  
13 an interesting question that we've tried to  
14 ask the experts, and if you really haven't  
15 been alloimmunized by pregnancy or  
16 transfusion, is it possible to have HLA  
17 antibody based on immunization, based on  
18 sexual contact with partners? I mean, is  
19 that an immunizing event for HLA antibodies  
20 that could explain this background rate?  
21 Apparently nobody knows the answer to that.

22 Or could this background rate be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cross-reactivity against other antibodies,  
2 perhaps through exposure to vaccines that  
3 might have been grown in cell lines,  
4 etcetera, and, you know, we know that's  
5 possible, people have reported that as  
6 possible, but we really don't have an expla--  
7 or is it just, you know, false positive stuff  
8 that nobody, as with many assays, that  
9 nobody's ever able to identify the cause?

10 So we really don't know at this  
11 point. But we don't have that data to be  
12 able to evaluate it.

13 DR. NELSON: This is really a  
14 fantastic study. It seems, though, that the  
15 sample size is going to be too small,  
16 probably, if the rates are one in a thousand  
17 and one in ten thousand, and you've got 5000  
18 people to actually identify, link antibodies  
19 with TRALI cases, and I wondered if there was  
20 thought about doing a case control study in  
21 the REDS, where you might be able to save or  
22 collect, since the event occurs within a few

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hours of the transfusion, and actually link  
2 the antibodies with the TRALI event?

3 DR. KLEINMAN: Yes. Two comments  
4 on that, Ken. With regard to the sample size  
5 and the look-back study, depending on the  
6 assumptions you make, and obviously that's  
7 always true for sample size calculations, we  
8 think that we might have an adequate sample  
9 size to do the study, if you think that TRALI  
10 actually occurs at some of the higher rates  
11 that people are predicting.

12 With regard to doing a case  
13 control study, going forward, there is an  
14 NHLVI-funded SCCR, you know, Specialized  
15 Clinical Center of Research, or something  
16 like that, that Pearl Toy's group has at  
17 UCSF, in conjunction with Mayo Clinic, and  
18 that is one of their protocols.

19 They have, I think, gone through a  
20 year of enrollment of TRALI patients, and  
21 controls, where they're actually collecting  
22 ever donor unit and analyzing it, and I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the reason they're not here is because it's  
2 just too early to have enough data to be  
3 meaningful.

4 But I think that's the stud that  
5 will look at it in the appropriate scientific  
6 control fashion. The look-back is the best  
7 we think we can do within the REDS context.

8 DR. GLYNN: Steve, could you give  
9 us the estimates for the women who were  
10 pregnant, who have been pregnant before?

11 DR. KLEINMAN: Well, you know,  
12 it's a dilemma on how much data to present  
13 because we really haven't, you know, it's  
14 really an analysis in progress, and if this  
15 meeting was two months from now, I think we'd  
16 feel much more confident about presenting  
17 actual numbers.

18 But, again, depending on where you  
19 set the cutoff--I guess a few things I can  
20 say. It's more common to find HLA class 1  
21 antibodies than HLA class 2 antibodies by  
22 about threefold, and it's actually not that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncommon to have both HLA class 1 and class 2  
2 in the same person.

3 With regard to numbers, they  
4 certainly are comparable, if not higher to  
5 what's been reported in the literature, and  
6 that has been about 15 percent in people with  
7 one to two pregnancies, and greater than 25  
8 percent in people with three or more  
9 pregnancies.

10 So, again, if we use the lower  
11 cutoff value, we're finding numbers that are  
12 as high, probably higher than that. But we  
13 really would like to have our confirmatory  
14 data analyzed, because I think if somebody  
15 has antibody on the screening system and  
16 then, quotes, it's positive on the  
17 supplemental test, while it doesn't  
18 necessarily confirm it, it tells us that  
19 there's reactivity against a specific HLA  
20 antigen and not other HLA antigens, and  
21 whether that makes it HLA antibody or cross-  
22 reactivity, we still don't know, but I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's really the crux of the data that'll be  
2 more solid.

3 DR. WILLIAMS: Alan Williams, FDA.

4 Two quick donor management questions.

5 What has been the experience in  
6 administering the NHANES pregnancy question?

7 Put differently, is it feasible in a blood  
8 donor setting, and secondly, are donors being  
9 given the results of the antibody testing?

10 DR. KLEINMAN: So the first  
11 question about administering the  
12 questionnaire, I really can't answer. I  
13 don't think it's been a problem in--well, it  
14 has been easy to administer in the research  
15 setting but whether that would be practical  
16 to ask six questions in the normal donor  
17 setting, I don't know, because they're  
18 actually given a questionnaire that they fill  
19 out, a separate questionnaire.

20 Clearly asking the two additional  
21 questions at the time of donor interview,  
22 which we've done in REDS I and REDS II, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 I think has been a very transparent and easy  
2 process.

3 So if you just add a question,  
4 have you ever been pregnant?, and then, if  
5 so, how many times? But that doesn't go into  
6 sort of the details between miscarriages,  
7 abortions, and the advantage of NHANES is  
8 you're telling people that you're really  
9 interested in not only live births but, you  
10 know, any other pregnancy episodes, and we're  
11 not doing that on the short form. So I don't  
12 know how feasible that is.

13 With regard to giving results back  
14 to donors, that was sort of an issue of great  
15 debate at the time of taking the protocol  
16 through to IRBs and the decision was left to  
17 each center, but I think at the time that the  
18 research study was approved, most people felt  
19 that HLA antibodies results would not be  
20 meaningful to give back to donors because  
21 they really had no health significance, and  
22 at that time they had no consequence on your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 donation eligibility.

2 But, on the other hand, if we  
3 found donors with granulocyte antibodies,  
4 especially certain specificities, most people  
5 felt that donors should be told that, so the  
6 consent that donors sign has said you may be  
7 given your test results if deemed to be of  
8 medical significance.

9 Thus far, since we don't have  
10 confirmatory data back, except on a handful  
11 of people, we haven't actually given any  
12 donors' results yet.

13 DR. SIEGAL: Ms. Baker.

14 MS. BAKER: So the questions on  
15 pregnancy history in the REDS database, they  
16 are or are they not the six NHANES validated  
17 questions?

18 DR. KLEINMAN: No. The NHANES  
19 validated questions are only for the 6000  
20 women who enrolled in the LAPS study. The  
21 other, the three to 400,000 women who've been  
22 asked the pregnancy questions, I don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remember the exact questions but I think  
2 they're essentially, number one, have you  
3 ever been pregnant? and number two, how many  
4 pregnancies have you had? And I think they  
5 give either an oral answer or fill in  
6 something. So it's less substantial in the  
7 NHANES.

8 DR. SIEGAL: Dr. Kleinman, thank  
9 you very much. I think perhaps the other  
10 questions could be private. We're running an  
11 hour behind.

12 DR. NELSON: Just one quick. Did  
13 you ask about the mode of delivery, C-section  
14 versus--

15 DR. KLEINMAN: No.

16 DR. NELSON: Cause that might be  
17 interesting as to when sensitization might  
18 occur.

19 DR. KLEINMAN: Sure. Well, I  
20 mean, it's the same issue, you know, how many  
21 questions can you ask people in a quick  
22 intake interview and we just tried to hit the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 highlights.

2 DR. SIEGAL: I'd like at this  
3 moment to suggest that we take a break since  
4 we're a half an hour behind and continue. We  
5 don't, at the moment, have any open public  
6 session speakers, so we may be able to make  
7 up some time. But we are an hour behind, so  
8 let's make this break quick. Are there any  
9 questions to that?

10 [No response]

11 DR. SIEGAL: Okay. Let's do it.

12 [A recess was taken from 10:59  
13 a.m. to 11:13 a.m.]

14 DR. SIEGAL: All right. All right.

15 So to restart, we have Dr. Richard Benjamin  
16 from the American Red Cross, talking about  
17 their experience with TRALI.

18 Dr. Benjamin.

19 DR. BENJAMIN: Good morning, and  
20 thank you to the committee for the  
21 opportunity to present the American Red  
22 Cross's experience with TRALI. In the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 beginning of 2003, the Red Cross instituted a  
2 nationwide hemavigilance program that we call  
3 the Donor and Recipient Complication Program.

4 The single most common adverse  
5 event reported to this program is TRALI. In  
6 the period from 2003 to 2005, there were some  
7 550 reports of TRALI, with increasing  
8 incidence during this period. This data is  
9 now published in Transfusion this month by  
10 Dr. Anne Eder and most of my presentation is  
11 taken from this publication.

12 Of those 550 reported TRALIs over  
13 three years, there were 72 fatalities, and we  
14 can see here that in 2003 we had 17 fatal,  
15 suspected TRALI fatalities reported. It rose  
16 to 33 in 2005, and we had another 33 in 2006.

17 We did an analysis of these fatal  
18 cases. We focused only on the fatal cases  
19 because the data tended to be more complete  
20 and the investigations were better in the  
21 fatal cases.

22 We did a retrospective review

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using three of our transfusion medicine  
2 specialists to go through the 72 cases, and  
3 basically they classified them as probable  
4 TRALI or unrelated cases.

5           They decided, without looking at  
6 the antibody results, that about 38 of them  
7 were probably TRALI. We then looked at the  
8 antibody data and about 75 percent of these  
9 probable TRALI cases, there had been a  
10 antibody-positive female donor involved in  
11 the case.

12           I should point out that the  
13 antibody testing in the study was not  
14 standardized. It was performed in multiple  
15 labs, in multiple hospitals, and, in fact,  
16 only in a minority of cases were the  
17 recipients actually typed.

18           So only about in 20 to 25 percent  
19 of cases did we actually have cognate  
20 recognition. So I would not use this data to  
21 prove that antibodies cause TRALI. That's my  
22 point here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Anyway, 75 percent did have  
2 antibodies, and we document that here.

3                   When we looked at the components  
4 that were involved in the TRALI cases, and I  
5 think about a third of them, there was a  
6 single unit transfused within six hours of  
7 the onset of TRALI, so a good third, that  
8 clearly we could say that unit caused the  
9 TRALI.

10                   The units most commonly involved  
11 were plasma units, 24 cases out of the 38,  
12 and 18 of those cases had a antibody positive  
13 female donor involved.

14                   That's where we get our  
15 conclusion, that if we intervene with respect  
16 to plasma, we could prevent six fatal cases a  
17 year from the 18 cases shown in this figure.

18                   There were five fatalities  
19 implicating apheresis platelets and seven red  
20 cells, or with red cells.

21                   Clearly, we transfuse many more  
22 red cells than we do plasma and platelets, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we converted these data into rates, and the  
2 rate, clearly, for plasma, was much higher  
3 than any other component. But one in 200,000  
4 distributed units of plasma was implicated in  
5 a TRALI fatality.

6 About one in 300,000 apheresis  
7 platelets and for the other components, it  
8 was in the range of one in two million  
9 components implicated in a fatality.

10 We looked at the odds ratios  
11 comparing plasma to red cells with an odds  
12 ratio, highly significant, of 12.5.  
13 Apheresis platelets versus red cells, odds  
14 ration of 7.9. The difference between plasma  
15 and pheresis platelets was not significant  
16 but clearly a strong trend.

17 We then correlated that with the  
18 amount of plasma in these components and  
19 plasma and apheresis platelets both contained  
20 about 250 or 300 mls of plasma. The other  
21 components are clearly less than 50 mls, on  
22 average.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1                   We also looked at the proportion  
2 of female donors in these components and most  
3 of our components have about half and half  
4 male and female, except for apheresis  
5 platelets, that are 65 percent from male  
6 donors and 35 percent from female donors. A  
7 conclusion from these data was that fatality  
8 appeared to be correlated with the amount of  
9 female plasma exposure in the system.

10                   The Red Cross felt that they  
11 needed to intravene and do something about  
12 these fatalities, and clearly, we wanted to  
13 focus on the plasma and apheresis based on  
14 these data.

15                   We're also very aware that we  
16 transfuse way more red cells than we do  
17 plasma and platelets, and that we had no way  
18 of intervening to do anything about red cells  
19 at this point in time. So if we intervened  
20 on plasma, at best, we could address about 60  
21 percent of the problem. We are not going to  
22 completely avoid TRALI by any intervention

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 around plasma or platelets but we could get  
2 to the majority.

3 So it's going to be a partial  
4 solution, whatever we do.

5 What were the possible solutions?

6 I think you've seen this slide before.

7 Well, clearly, Dr. Sarode talked about the  
8 appropriate use of products. We've spoken  
9 about the selective use of male products,  
10 donor histories, testing, pool and store.  
11 All blood-derived platelets have been  
12 apparently less frequently involved in TRALI.

13 We're talking to manufacturers about  
14 platelet additive solutions to reduce the  
15 amount of plasma in apheresis platelets, and  
16 we recognize that solvent detergent plasma  
17 could be attractive from the TRALI point of  
18 view.

19 I do want to emphasize what Dr.  
20 Sarode said about appropriate use of blood  
21 clots. Of the 24 fatalities that implicated  
22 plasma, twelve of them, the plasma was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transfused for reversal of coumadin, and in  
2 six of those twelve, there was no indication  
3 that the patient was bleeding at the time.

4           So when we did a clinical  
5 correlate, we tried to look at the clinical  
6 correlates of these fatalities, the only  
7 thing that stuck out was coumadin reversal.  
8 So I do want to emphasize that that is  
9 probably the single best intervention to  
10 reduce TRALI. Maybe to reeducate our  
11 physicians about the use of plasma for  
12 coumadin reversal.

13           Having said that, we embarked upon  
14 asking the question for plasma, at least,  
15 could we selectively use male products?  
16 Within the Red Cross system, we produce just  
17 over 5 million units of plasma from whole  
18 blood. We transfuse about a million and a  
19 half as transfusable plasma and the rest are  
20 sent to fractionation, either as less than 24  
21 hours or greater than 24 hours plasma.

22           We have this vast pool of plasma

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for fractionation less than 24 hours, and it  
2 occurred to us that we could start simply  
3 swapping out the male plasma that was sent to  
4 frac, at least initially with the FP24, the  
5 24-hour plasma that we were making, and later  
6 on, with the FFP.

7           So we undertook a pilot, where, in  
8 October of last year, in three of our  
9 divisions, where we attempted to make  
10 predominantly male plasma, FP24, plasma  
11 frozen within 24 hours, and we did this  
12 really to assess the manufacturing issues,  
13 not the clinical efficacy.

14           Essentially, we labeled the  
15 products at donation with a blue sticker or a  
16 pink sticker, or an M or an F, you know, a  
17 very crude system, and when they came into  
18 manufacturing, we triaged them for  
19 fractionation versus useful transfusion. It  
20 was instituted as a business practice. The  
21 SOP was one page long. The final product was  
22 not labeled, nobody was notified, and if we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had shortages of plasma, which did occur  
2 around the West Nile season, and around AB  
3 plasma, we would use female plasma.

4 We did succeed in getting to  
5 greater than 95 percent male plasma in the  
6 divisions where we tried this, quite  
7 successfully, and we continue to do that for  
8 FP24. The system is feasible in the Red  
9 Cross system.

10 So with the discussions that we've  
11 had with the AABB and the ABC, and the  
12 bulletin that came out last November, we are  
13 moving to address the issue of plasma in the  
14 Red Cross system. Right now, 95 percent of  
15 our plasma comes from whole blood donations  
16 and about 5 percent from apheresis.

17 For the whole blood plasma, that  
18 includes FFP, FP24, and cryo pool plasma. It  
19 is our intention to move to greater than 95  
20 percent male by November of this year. We  
21 would like to get to the goal of a 100  
22 percent but we recognize that availability is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be an issue, and, in fact, right  
2 now, we only collect enough AB plasma to get  
3 to 92 percent male.

4 So we are certainly going to have  
5 to do some selective recruitment and some  
6 active intervention to get to 95 percent.

7 It is our intention to move to  
8 more FB24 as opposed to FFP. We could,  
9 indeed, make male-only FFP but there are  
10 significant costs involved in doing that and  
11 one of the drivers from the start here, has  
12 been to do this at minimal cost to our  
13 hospitals.

14 So in our hands, in fact, FFP will  
15 become a specialty product. We do not intend  
16 labeling any products with donor gender. For  
17 apheresis plasma or the Auto-C, we will be,  
18 we have a few regions that produce quite a  
19 lot of Auto-C plasma, and they will be going  
20 forward with a donor history and testing  
21 strategy for apheresis platelets and the  
22 concurrent plasma, which is a very small part

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of our plasma.

2 We will be looking at history and  
3 testing, but, again, for November of next  
4 year, not this year. So that's the strategy  
5 we're taking at this point.

6 I want to emphasize this change of  
7 moving from FFP to FP24. Right now, we're at  
8 about 55 percent FFP, 45 percent FP24. We  
9 have shifted, over the last five years, from  
10 27 percent FP24.

11 We are going, by November of this  
12 year, to have to go to the majority of our  
13 plasma to be FP24. I want to point out that  
14 of our 35 regions, seven regions at this  
15 point in time, distribute a 100 percent FP24,  
16 including our second largest plasma  
17 distributor, which is our Greater Chesapeake  
18 and Potomac Region, which is Baltimore and  
19 Washington, only distribute FP24 at this  
20 point in time.

21 So some major institutions, such  
22 as Johns Hopkins, have found this perfectly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acceptable.

2 What about apheresis platelets?

3 Well, this shows all of our apheresis  
4 procedures with platelets, platelet products  
5 and platelet plasma products. We are at  
6 about 67 percent male on our apheresis  
7 platelet donors at this point, so we like  
8 to think we're already at predominantly male  
9 platelets, but clearly, we could like to do  
10 something about the female donors here and it  
11 is our plan to go to a history and testing  
12 strategy for platelets.

13 So for apheresis products, for  
14 platelets, free storage AquaDose, whole  
15 blood-derived platelets have been piloted and  
16 the pilot is being successful, and is coming  
17 to an end as we speak, so we will be  
18 developing that as an alternative to  
19 apheresis platelets.

20 As we lose donors, we may have to  
21 fill up the gap with AquaDose platelets. For  
22 apheresis platelets, we assume we must test

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 and we assume history will play a role. But  
2 having been involved in the lab study, we  
3 really do believe we need this data before we  
4 make decisions around what to do, concerning  
5 transfusion or pregnancy. I'm particularly  
6 concerned about any data that might suggest  
7 that untransfused males or females may have a  
8 background of antibody. If so, would that  
9 mean, ultimately, we need to test everybody?

10 I don't know. I think this needs to be  
11 resolved and REDS II, the lab study is very  
12 timely and we will wait for that data before  
13 making firm decisions, and I do hope the  
14 committee will too.

15 In terms of testing, we are faced  
16 with the issue that there are no licensed  
17 tests available for donor screening, that are  
18 licensed for donor screening for HLA or HNA  
19 antibodies.

20 In fact I'm not aware of any  
21 suitable technology for routine screening for  
22 neutrophil antibodies on a large scale. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are working with manufacturers for HLA  
2 antibodies. We are aware of at least two  
3 that are developing automated systems for  
4 this, and that may facilitate the HLA  
5 screening.

6 But there will be uncertainties.  
7 What is the appropriate screening strategy?  
8 How do we define a relevant positive? Are  
9 low titer, low, verity antibodies relevant or  
10 not?

11 What's the appropriate donor  
12 deferral? Right now, we intend switching  
13 donors that are positive to red cells and  
14 fractionated plasma. We don't intend turning  
15 anybody away and we're certainly not going to  
16 evoke the wrath of female donors by, in any  
17 way, turning them away. In fact, we're  
18 encouraging them to donate as much as  
19 possible.

20 If you find an antibody-positive  
21 unit, what's the appropriate recall or  
22 withdrawal strategy? Again, we don't know.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And final slide, in summary, we do believe,  
2 at this point, that prudent measures to  
3 reduce patient exposure to alloantibodies may  
4 reduce risk and are wise.

5 We do recognize that availability  
6 issues around AB plasma and apheresis  
7 platelets argue against gender labeling on  
8 the use of only male products and we want to  
9 emphasize that there are uncertainties still  
10 around etiology of TRALI, the lack of  
11 antibody screening technology and the  
12 understanding of specific antibodies, that  
13 really does argue against universal history  
14 or antibody screening at this time.

15 The interventions we're putting  
16 forward are only going to intervene for 60  
17 percent of the fatal TRALIs that we've seen,  
18 since we are not yet addressing the red cell  
19 issue. So we need to be aware that we can't  
20 get to zero, we need to do the best we can  
21 with what we've got. Thank you. I'm happy  
22 to take questions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SIEGAL: Thank you, Dr.  
2 Benjamin. Any questions?

3 DR. KLEIN: Richard, I know for  
4 many members of the committee, the concept of  
5 FP24 is probably a normal one. So could you  
6 tell us whether there are clinical  
7 implications of switching to FP24 from FFP.

8 DR. BENJAMIN: FP24 essentially--  
9 let's first understand what the difference  
10 is. To make FFP, you have to get the blood  
11 back from a drive and frozen within eight  
12 hours, which means that if you're running a  
13 blood drive, you have to shuttle the blood  
14 back to your manufacturing center, and you  
15 have to have people waiting on that box of  
16 blood to process it immediately and freeze  
17 it. There are costs involved in that.

18 Blood centers prefer to wait till  
19 the end of the drive, get the whole box of  
20 blood back into the second or third shift of  
21 the day, and process everything in one go,  
22 and generally the blood is frozen within 16

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hours of collection.

2 We know from studies, that the  
3 only factor that is decreased in FP24 is  
4 Factor VIII. From the time of collection to  
5 eight hours, you lose about 20 percent of  
6 your Factor VIII. By 24 hours, you lose  
7 about another 17 percent.

8 So there's about a 17 percent  
9 difference in Factor VIII levels between FFP  
10 and FP24. We still have greater than 60  
11 percent Factor VIII. According to the circle  
12 of information, they are used  
13 interchangeably, except for the replacement  
14 of Factor V and Factor VIII. Well, we don't  
15 use plasma to replace Factor VIII, and I  
16 think the Factor V description is antiquated.

17 It's quite clear that there's no difference  
18 in Factor V levels in FFP and FP24.

19 Many centers use 24-hour plasma  
20 interchangeably with FFP, and some cities, as  
21 I said, Baltimore, are at a 100 percent and  
22 see no difference at this point in time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KATZ: I think there's one  
2 other thing, particularly for the clinicians  
3 to understand, that some of us were waiting  
4 till January to switch to FP24, which my  
5 center has done, because we were waiting for  
6 the data on Adams PS and TTP. For many of  
7 us, the really clear indication for plasma  
8 transfusion has been TTP.

9 The confirmation that Adams PS13  
10 levels are preserved in FP24 was critical.

11 DR. SIEGAL: Okay. Is there a  
12 comment in the back?

13 DR. SARODE: At what temperature  
14 do you store whole blood when you're  
15 transporting for FP? Is it 4 degrees?

16 DR. BENJAMIN: Excuse me?

17 DR. SARODE: Whole blood when  
18 you're transporting from collection site to  
19 the blood center.

20 DR. BENJAMIN: We are required to  
21 place the blood in a container, so that it  
22 moves progressively towards storage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 temperatures which are, you know, four to  
2 ten. So it's placed on ice. How long the  
3 blood actually takes to get down to 4  
4 degrees, I don't know that.

5 DR. SARODE: So are you going to  
6 collect pooled platelets from that particular  
7 product, because for that you cannot keep  
8 your whole blood on ice.

9 DR. BENJAMIN: Right. So if  
10 you're making random whole blood-derived  
11 platelets, you'll have to treat them  
12 differently. You absolutely have to shuttle  
13 those back. But then you're using some of  
14 the plasma to make the random go in a  
15 platelet. So that's not the ideal product  
16 from to make FFP.

17 DR. SARODE: So basically you'll  
18 get two products out of whole blood.

19 DR. BENJAMIN: You are, but you're  
20 taking some of your plasma for the random  
21 donor platelet.

22 DR. SARODE: And your comment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about decreasing Factor VIII, I mean, we had  
2 done the study that showed that Factor VIII  
3 is the only factor which reduces for, you  
4 know, 4 degrees, significantly. Factor V is  
5 stable for up to five days. So it's not an  
6 issue.

7                   And most of these patients who  
8 need, actually, plasma, they have very high  
9 levels of Factor VIII because it's an acute  
10 phase reactant. So all these questions with  
11 cirrhosis and all, they have Factor VIII, 200  
12 and 300 percent. So that's how we convince  
13 our clinician to use plasma.

14                   DR. BENJAMIN: Thank you for the  
15 slide you showed this morning of a number of  
16 patients that had such high Factor VIII  
17 levels. I will be asking your permission to  
18 use that slide because it's a wonderful  
19 demonstration that Factor VIII is an acute  
20 phase reactant, that it goes up in ill  
21 patients, it doesn't go down.

22                   DR. WILLIAMS: A comment and a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 question. The comment is I believe that most  
2 of the plasma frozen within 24 hours, that  
3 was being referred to as whole blood-derived,  
4 which is mentioned in the circular of  
5 information, can also be prepared from  
6 automated or apheresis procedures, but this  
7 currently is not a licensed product, and we  
8 encourage submission of data to help validate  
9 this, and have it become a licensed product  
10 in the future.

11 The question is looking at the  
12 differences in the incidence of TRALI between  
13 single donor platelets and plasma, where the  
14 amount of plasma is similar, most likely the  
15 gender differences play a role, but I don't  
16 have a good sense for the deferral of donors  
17 with prior, implication in prior TRALI cases,  
18 given that the apheresis platelet donors are  
19 a very multi repeat donor population.

20 Could that possibly be a factor as  
21 well, or is it probably too small?

22 DR. BENJAMIN: I haven't looked at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the data but my gut feeling is it's such a  
2 small number, that it would have no impact.

3 DR. WEINSTEIN: I was wondering,  
4 do you know if the von Willebrand factor is  
5 also affected by being in contact with cells  
6 for up to 24 hours?

7 As I understand it, you do not  
8 separate the plasma from cellular material,  
9 potentially up to 24 hours. It's not only  
10 the Factor VIII that can be affected. It's  
11 also von Willebrand factor multimers, and  
12 this can potentially have an effect on the  
13 manufactured product that you're making from  
14 this material.

15 So has that been examined?

16 DR. BENJAMIN: I'd have to go back  
17 and see which assays they actually used in  
18 the published work, whether they were  
19 actually measuring Factor VIII or von  
20 Willebrand, but I'm assuming that it would go  
21 down together with Factor VIII.

22 DR. WEINSTEIN: [off-mike] and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 affecting multimer size, in particular You  
2 may find that that's a factor.

3 DR. EPSTEIN: Thank you, Dr.  
4 Benjamin. You mentioned the potential use of  
5 screening for HLA or HNA antibodies for the  
6 subset of donors for whom you need an  
7 apheresis platelet, and you flagged that you  
8 might make that decision, or at least look at  
9 it based on the result of the REDS II LAPS  
10 study.

11 So this is really a question for  
12 Steve Kleinman, which is in that study, if I  
13 understood it correctly, you're only going to  
14 be looking for the anti-neutrophil antibodies  
15 in subjects who already have a positive HLA.

16 And those two things may not  
17 correlate very well, and based on what we  
18 heard from Dr. Stroncek, the "bad actor" is  
19 more likely to be the anti-neutrophil  
20 antibody.

21 So my question to you is whether  
22 there's been any consideration within REDS of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adding testing for anti-neutrophil antibodies  
2 and, again, I would mention that Sheryl  
3 Kochman pointed out that FDA has cleared at  
4 least one assay for HNA antibodies, including  
5 HNA 1, 2 and 3. So there's at least one  
6 approved assay that could be used and I'm  
7 sure there are other experimental assays.

8           So I'm just concerned that we  
9 "might be missing the boat," you know, that  
10 we're going to learn a lot about HLA from  
11 REDS II LAPS, but it's relevance to TRALI  
12 might be constrained for lack of studying the  
13 anti-neutrophil antibody, and then given the  
14 Red Cross interest, you know, maybe there's  
15 role for cooperation.

16           DR. KLEINMAN: Yes. Jay, just a  
17 couple comments on that. That is really a  
18 question of active debate within the REDS  
19 group and it really just, it comes down to  
20 money. You know, with the budget--and I  
21 don't know if the NHLBI people are still  
22 here, but if they are, that'd be great,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701