

ajh

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE
60TH MEETING

Volume I

0486 98 OCT -2 P1:49

Thursday, September 17, 1998

8:00 a.m.

Doubletree Hotel
1750 Rockville Pike
Rockville, Maryland 20852

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002

PARTICIPANTS

F. Blaine Hollinger, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Corey S. Dubin
Norig Ellison, M.D.
Jerry A. Holmberg, Ph.D.
Richard J. Kagan, M.D.
Rima F. Khabbaz, M.D.
Marion A. Koerper, M.D.
Jeanne V. Linden, M.D.
Mark A. Mitchell, M.D.
Kenrad E. Nelson, M.D.
Kwaku Ohene-Frempong, M.D.
Joel I. Verter, Ph.D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

TEMPORARY VOTING MEMBERS

John D. Hamilton, M.D.
Paul McCurdy, M.D.
Simon Ogamdi, Ph.D.
Carmelita U. Tuazon, M.D.

C O N T E N T S

	<u>PAGE</u>
Statement of Conflict of Interest: Linda A. Smallwood, Ph.D.	5
Welcome and Opening Remarks: E. Blaine Hollinger, M.D.	9
Committee Updates	
HCV Nucleic Acid Testing: Leonard Wilson	10
Year 2000 Computer Software: Mary Gustafson	13
Recent Review of Albumin Clinical Trials: John Finlayson, Ph.D.	18
Open Committee Discussion	26
Summary of Stem Cell Workshop: Liana Harvath, Ph.D.	38
Summary of the Granulocyte Workshop: Liana Harvath, Ph.D.	54
Summary of HCV PCR Workshop: Edward Tabor, M.D.	61
RIBA and EIA Data: Susan Stramer, M.D.	73
TT Virus and Transfusion Safety:	
Introduction and Overview: Edward Tabor, M.D.	79
Clinical Studies	
Harvey Alter, M.D.	88
Isa Mushahwar, Ph.D.	95
Hao Yuwen, M.D.	105
F. Blaine Hollinger, M.D.	108
Detection in Plasma Derivatives:	
Mei-ying Yu, Ph.D.	109
Peter Simmonds, M.D., Ph.D.	112
Blood Transmission Studies:	
Kevin Brown, M.D.	121
Ian Williams, Ph.D.	128
Open Committee Discussion	140

C O N T E N T S (Continued)

	<u>PAGE</u>
Abbott Laboratories PRISM Detection Assay of HBsAg, Anti-HCV and Anti-HTLV-I/II	
Introduction and Background: Janet Claggett	159
Presentation: James Stewart, Ph.D.	162
FDA Critiques	
HBsAg: Janet Claggett	189
Anti-HCV: Mahmood Fashid, Ph.D.	194
Anti-HTLV-I/II: Elliot Cowan, Ph.D.	198
Open Public Hearing	
Ken Kuramoto, Sacramento Blood Center	203
Dr. Anne Bodner, DiaSoren	209
Dr. Louis Katz, America's Blood Center	213
Sally Caglioti, Blood Systems Laboratory	215
Hans J. Molijn, Blood Bank, Rotterdam	218
Brian Gibbs, WP Blood Transfusion Service, South Africa	221
Kay Gregory, American Association of Blood Banks	225
Committee Discussion and Recommendations	226

P R O C E E D I N G S

1
2 DR. SMALLWOOD: Welcome to the 60th Meeting of the
3 Blood Products Advisory Committee. I am Linda Smallwood,
4 the Executive Secretary. At this time, I will read to you
5 the conflict of interest statement that pertains to this
6 meeting.

7 **Statement of Conflict of Interest**

8 This announcement is made a part of the record at
9 this meeting of the Blood Products Advisory Committee on
10 September 17th and 18th, 1998.

11 Pursuant to the authority granted under the
12 Committee charter, the Director of the FDA, Center for
13 Biologics Evaluation and Research, has appointed Dr. Paul
14 McCurdy as a Temporary Voting Member for all committee
15 deliberations. In addition, the Acting Commissioner of FDA
16 has appointed as Temporary Voting Members: Dr. John
17 Hamilton for the discussions on Topics IV and V in your
18 agendas; Dr. Carmelita Tuazon for the discussions on Topic
19 V; and Dr. Simon Ogamdi for the discussions on Topic VI.

20 Based on the agenda made available and on relevant
21 data reported by participating members and consultants, it
22 has been determined that all financial interests in firms
23 regulated by the Center for Biologics Evaluation and
24 Research that may be affected by the Committee's discussions
25 have been considered. No waivers under Title 18, United

1 States Code 208, Section B3, were necessary.

2 In addition, Mr. Corey Dubin disclosed a potential
3 conflict of interest which has been deemed by FDA as not
4 requiring a waiver, but does suggest an appearance of a
5 conflict of interest. On March 1998, the Agency approved a
6 written appearance determination under Title 5, Code of
7 Federal Regulations, Part 2635.502, on the status of ethical
8 conduct for this appearance.

9 The determination is relevant for this meeting and
10 Mr. Dubin is permitted to participate and vote on all
11 committee discussions.

12 In addition, we would like to disclose for the
13 record that Dr. Donald Buchholz' employer has a patent for
14 bacterial removal from blood products using a leukodepletion
15 filter. Because of Dr. Buchholz' role as a non-voting
16 industry representative, it is not subject to Section 208,
17 which means there is not a conflict, and he may participate
18 fully in the discussions of leukoreduction.

19 In regards to FDA's invited guests, the Agency has
20 determined that the services of these guests are essential.
21 There are reported interests which are being made public to
22 allow meeting participants to objectively evaluate any
23 presentation and/or comments made by the participants.

24 The interests are as follows: For Topic VI, the
25 discussions of leukoreduction, Dr. Ronald Gilcher reported

1 that he consulted with Haemonetics, Dr. Edward Snyder
2 reported that he is the principal investigator on a contract
3 supported by Baxter on leukoreduction studies. He is also
4 the principal investigator on grants supported by Baxter,
5 Paul, and Terimo on leukoreduction. He also has received
6 speaker fees from Baxter and Paul. In addition, three to
7 four years ago he performed studies on leukoreduction
8 filters for Terimo.

9 Dr. Loran Williamson reported that she has a
10 contract and a grant with Baxter and a grant with Paul. In
11 addition, she is associated with the National Blood Service
12 which purchases filters from Baxter, Paul, and Haemonetics.

13 Drs. Juan Alonso-Echanove, John Freedman, and Mark
14 Popovsky have no financial interests to report for the
15 discussion for leukoreduction filters.

16 In the event that discussions involve specific
17 products or firms not on the agenda for which FDA
18 participants have a financial interest, the participants are
19 aware of the need to exclude themselves from such
20 involvement, and their exclusion will be noted for the
21 public record.

22 Screens were conducted to prevent any appearance,
23 real or apparent, of conflict of interest in the committee
24 discussions. A copy of the appearance determination
25 addressed in this announcement is available by written

1 request under the Freedom of Information Act.

2 With respect to all other meeting participants, we
3 ask in the interest of fairness that they address any
4 current or previous financial involvement with any firm
5 whose products they wish to comment upon.

6 At this time, I will ask if there are any
7 declarations from any of the committee members that have not
8 been addressed.

9 [No response.]

10 DR. SMALLWOOD: Hearing none, I would like to
11 introduce to you the members of the Blood Products Advisory
12 Committee.

13 Dr. Blaine Hollinger, the Chairman. Dr. Jerry
14 Holmberg. Dr. Joel Verter. Dr. Richard Kagan. Dr. Paul
15 McCurdy.

16 Dr. Carmelita Tuazon, who is a Temporary Voting
17 Member with us today. Dr. Hamilton, who is also a Temporary
18 Voting Member with us today. Dr. Ogamdi, a Temporary Voting
19 Member with us.

20 Ms. Katherine Knowles. Dr. Buchholz. Dr. Jeanne
21 Linden. Dr. Ohene-Frempong. Dr. John Boyle. Dr. Mark
22 Mitchell. Dr. Marion Koerper. Mr. Corey Dubin. Dr. Rima
23 Khabbaz. Dr. Norig Ellison.

24 Thank you.

25 We have a very full agenda today. I would hope

1 that all of the participants will adhere to the time frames
2 that have been allotted. We would like for everyone to
3 please cooperate with our procedures here and we can get
4 through this.

5 At this time, I would like to turn over the
6 proceedings of the meeting to our chairman, Dr. Hollinger.

7 **Welcome and Opening Remarks**

8 DR. HOLLINGER: Thank you, Dr. Smallwood.

9 We do have a very full day today and tomorrow and
10 I think a very interesting session, as we always I think
11 have in this committee.

12 We are going to begin today with again some
13 committee updates as we always do to start with. We will
14 then have some discussion on three workshops which have
15 already been held: Stem Cell Workshop, Granulocyte
16 Workshop, and an HCV PCR Workshop.

17 I have asked Dr. Smallwood if she would arrange to
18 have us discuss today another very interesting virus which
19 has gotten a lot of play, called the TT virus, and there
20 will be a lot of discussion on that which I think you will
21 find interesting.

22 This afternoon there will be a session on the
23 Abbott Laboratories PRISM detection assay system.

24 With that as a background, we will start with our
25 Committee Updates. First, on HCV nucleic acid testing, Dr.

1 Leonard Wilson.

2 **COMMITTEE UPDATES**

3 **HCV Nucleic Acid Testing**

4 DR. WILSON: The testing of blood donations for
5 markers of bloodborne pathogens has a history spanning
6 nearly three decades. Using hepatitis B as a model pathway,
7 the virus was discovered in 1965, and several years later,
8 immunoassays were developed to detect an envelope protein
9 hepatitis B surface antigen or HBsAg, as it is commonly
10 known today.

11 Since 1971, when FDA began regulating such tests
12 as biologic devices under the Public Health Service Act,
13 test kit performance, that is, sensitivity, specificity,
14 sensitivity and specificity steadily improved.

15 These improvements were based on changes in
16 technology both in the method of detection and in the nature
17 and quality of active components. In regard to detection
18 methods, the evolution of methods began with agar gel
19 diffusion followed by agglutination assays, followed by
20 radioimmune assays, followed by enzyme immunoassays.

21 In regard to the nature and quality of active
22 components, native viral antigens and conventionally
23 prepared immune serum were starting points. For example, an
24 improvement in viral antigen raw material technology was the
25 development of the recombinant hepatitis B core antigen

1 which enabled the development of the antibody to hepatitis B
2 core test, which is in use today.

3 Another example is the improvement in immunoassay
4 sensitivity and specificity provided by the development of
5 monoclonal antibodies. The development and ownership via
6 patent rights, by both raw material suppliers and test kit
7 manufacturers of such recombinant DNA technologies or viral
8 or antibody products based on these technologies are strewn
9 throughout the last two decades.

10 [Slide.]

11 The granting of U.S. patents by the U.S. Patent
12 and Trademark Office has its roots in the Constitution,
13 Article 1, Section 8, and has been executed by the laws
14 passed by Congress beginning in 1790 to promote the progress
15 of science and useful arts by securing for limited times to
16 authors and inventors the exclusive right to their
17 respective writings and discoveries.

18 Today is the 211th anniversary of that signing of
19 the Constitution.

20 Recent developments in the technologies that
21 detect the presence of viral nucleic acids and also
22 determine the viral nucleic acid sequence have led to the
23 logical next step, the development of assays to detect viral
24 nucleic acid which can be significantly more sensitive in
25 certain circumstances than current immunoassays.

1 Accordingly, many of these technologies and
2 sequences have been granted patents by the U.S. Patent and
3 Trademark Office and are thereby granted exclusivity for a
4 time.

5 FDA does not delay the licensure of a test kit
6 based on a sole source situation, however when sole source
7 supplier test kit submissions are reviewed by FDA, product
8 availability is part of the discussion.

9 The history of such situations has been that when
10 a test kit is licensed, manufacturers have prepared an
11 adequate supply to cover the needs of the U.S. blood supply.
12 FDA does not regulate patents.

13 The only patent activity that FDA engages in is in
14 relation to the approval process for generic drugs where FDA
15 requests statements in a generic drug application regarding
16 the freedom of infringement of patent rights.

17 It has come to the attention of FDA that Chiron
18 Corporation, which holds certain patent rights to the HCV
19 genome, has explored various business options to develop
20 nucleic acid assays. FDA wishes to simply state that such
21 arrangements are business in nature and business exclusivity
22 is not within FDA's jurisdiction.

23 FDA has been advising firms who wish to use
24 patented technologies for donor testing that they should
25 contact the owners of such products to understand test

1 availability options. If the firm does not wish to use a
2 patented product, the usual option is to invent or acquire
3 an alternative product which does not infringe on the
4 patented product.

5 FDA expects that all participants in the
6 development and manufacturing of test kits used to protect
7 the blood supply will continue to act responsibly to ensure
8 the protection of public health.

9 Thank you.

10 DR. HOLLINGER: The next update on the Year 2000
11 Computer Software, Mary Gustafson.

12 **Year 2000 Computer Software**

13 MS. GUSTAFSON: Thank you, Chairman, and the
14 Committee.

15 We have brought to this committee issues related
16 to computerized systems used in blood processing and
17 transfusion in the past. These discussions have centered
18 primarily on the regulation of stand-alone blood
19 establishment computer software.

20 Today's update will address more generally all
21 computerized devices used in collection, processing, and
22 transfusion of blood and blood products. I will share with
23 you FDA's activities in addressing the year 2000 problems as
24 they relate to medical devices.

25 For those who may not be aware of the Y2K problem,

1 it is the potential crisis that may occur on January 1,
2 2000, in virtually every aspect of our life that involves
3 computers. This includes health care, banking,
4 transportation, wholesale/retail sales, just about
5 everything that we do anymore.

6 As with the systems used in all other aspects of
7 our lives, medical devices that could be affected by the
8 Year 2000 include those two-digit year format for date
9 representation. Devices affected include those
10 incorporating dates in algorithms, calculations, or
11 recordkeeping.

12 FDA and the Department of Health and Human
13 Services have taken numerous actions to ensure that medical
14 device manufacturers are aware of their responsibility to
15 ensure that their products are Y2K compliant.

16 [Slide.]

17 FDA's outreach effort began with a June 25th, 1997
18 letter to device manufacturers from the Director of the
19 Center for Devices and Radiological Health. This letter
20 stated that computer systems and software applications
21 currently used in medical devices may experience problems
22 beginning January 1, 2000, due to the use of the two-digit
23 fields per date representation.

24 On January 8th, 1998, this center issued guidance
25 for industry entitled "Year 2000 Date Change for Computer

1 Systems and Software Applications Used in the Manufacture of
2 Blood Products."

3 This document outlines the Y2K responsibilities
4 for the manufacturers of devices and also addresses user
5 responsibilities.

6 [Slide.]

7 On January 21st of this year, the Department of
8 Health and Human Services issued a letter to manufacturers
9 of medical devices registered with the Food and Drug
10 Administration, and this includes all devices regulated by
11 both CDRH and CBER, approximately 13,000 manufacturers, and
12 requested that they voluntarily provide information on the
13 status of all of their products relative to the Year 2000
14 date problem.

15 Responses to this request, responses have been
16 published on the Center for Devices and Radiological Health
17 web page. The response, however, has been incomplete. I
18 think to date, around 30 percent of medical device
19 manufacturers have responded to this request.

20 On May 15th, 1998, the Center for Devices and
21 Radiological Health issued a quite comprehensive guidance
22 for industry entitled, "FDA's Expectations of Medical Device
23 Manufacturers Concerning the Year 2000 Date Problem."

24 On September 2nd, 1998, CDRH issued another letter
25 to those manufacturers who had not responded to FDA with the

1 Year 2000 status information for the medical devices that
2 they market. This September 2nd letter announces FDA's
3 intention to publicly identify those device manufacturers
4 who have not provided the information. Up until this time,
5 only the positive responders had been listed on the web
6 page. Now it will be a complete inventory of the registered
7 device manufacturers including both positive and negative
8 responses.

9 What does this actually mean? It all boils down
10 to the fact that both manufacturers of medical devices used
11 in the blood industry and the users of those devices have
12 responsibilities to ensure that patient care is not
13 adversely affected by the device's performance on January
14 1st, 2000.

15 Medical device manufacturers' responsibilities
16 include assessing the device's performance affected by the
17 Y2K date change. Voluntarily reporting the Y2K compliance
18 status of each device manufactured to the CDRH database at
19 the CDRH web site listed, and that is
20 www.fda.gov/CDRH/year2000/year2000.html.

21 They also need to provide the users of their
22 devices with a list of functionalities impacted by the Y2K
23 design changes and correct the Y2K design problems with an
24 updated device or modification of the current device in the
25 field, and also assist the customers who have purchased the

1 device.

2 The Agency has stated that generally, a submission
3 to the FDA will not be necessary. That would be either a
4 510(k) or a PMA supplement provided that the modifications
5 of the device do not change the safety and effectiveness of
6 that device.

7 [Slide.]

8 The user's responsibilities include identifying
9 and inventorying those devices that they use that may be
10 affected by the Y2K problem, contacting the manufacturer,
11 and the first thing that can be done is to go to the CDRH
12 web page, which was noted in the previous overhead, to check
13 and see whether or not their manufacturers have voluntarily
14 reported.

15 If they haven't or if the information is
16 incomplete or if they have questions, then, they need to
17 contact the manufacturer to determine the functionalities
18 impacted by the Y2K design changes. Once the manufacturers
19 have incorporated those changes in the device, then, they
20 need to validate the functionalities in their own workplace.

21 Thank you.

22 DR. HOLLINGER: In some cases, these pictures are
23 relatively simple with proper software, but in many cases
24 they are quite complex, and it is important to initiate
25 this.

1 The next update is on the recent review of albumin
2 clinical trials by John Finlayson.

3 **Recent Review of Albumin Clinical Trials**

4 DR. FINLAYSON: Good morning. The late George
5 Bernard Shaw used to delight in saying, "I often quote
6 myself, it adds spice to my conversation."

7 With that modest beginning, I would like to give a
8 little background for the update by quoting from a review
9 that was published in 1980. Obviously, modesty forbids my
10 mentioning the author of that review.

11 This review was talking about a review which had
12 been published three years previous to that, and I quote.

13 [Slide.]

14 "Tullis has classified uses of albumin into four
15 categories: appropriate, occasional, unjustified, and
16 requiring additional data. The difficulties presented by
17 this classification are simply finding the exact uses to
18 place in the first category and finding any that can be
19 excluded from the last."

20 Now, what is perhaps most remarkable is that
21 albumin was first licensed in 1941, making it the oldest
22 living licensed human plasma derivative, a status to which I
23 can relate.

24 What is equally impressive is that it has
25 continued to command our attention here at the FDA so

1 forcefully, for so long. In fact, the review by Dr. Tullis
2 grew out of a workshop that CBER's predecessor cosponsored
3 with what was then called the National Heart and Lung
4 Institute, which workshop was held in 1975.

5 Now, at the time of its publication in 1977, the
6 Tullis review largely set the tone for what was considered
7 to be the appropriate use of albumin in the clinic and also
8 what was considered to be appropriate labeled indications
9 for albumin.

10 However, within just a few years after Dr. Tullis'
11 review, things began to change. In the late 1970s, various
12 groups reported at least equal effectiveness of albumin and
13 crystalloid solutions in supporting surgical and trauma
14 patients.

15 Furthermore, and contrary to earlier assumptions,
16 such results were reported both for younger patients, that
17 is, in the 20's age group, and older patients in the 50's,
18 60's, and older age groups. Moreover, at this time, some of
19 the old shibboleths began to have fewer and fewer believers.

20 [Slide.]

21 One had heard for a long time, statements such as
22 those made by Dr. Surgenor, for the patient who receives
23 albumin inappropriately there will almost never be a
24 penalty. Well, this no longer held up.

25 At the end of the '70s and the early '80s, Lucas

1 and coworkers showed convincingly that large volumes of
2 albumin solutions administered to trauma patients who had
3 already been rehydrated could have serious consequences
4 including death.

5 At a workshop sponsored jointly by CBER and NHLBI
6 in 1991, it became clear that many clinical conditions which
7 had previously been thought to require albumin could be
8 treated successfully with crystalloid solutions, and this
9 trend of thinking continued.

10 Other groups, for example, the University Hospital
11 Consortium, which is a nonprofit alliance of American
12 medical centers, examined in the '90s the evidence for the
13 benefit of albumin, non-protein colloids, and crystalloids
14 in a wide variety of clinical settings.

15 In many of these, which I have summarized for the
16 committee in the table that you have, the evidence
17 supported, not simply that crystalloid solutions were
18 equally effective as albumin, but actually that crystalloids
19 were the treatment of choice.

20 With this background, an article that appeared in
21 the July 25th issue of the British Medical Journal this year
22 assumes considerable interest. In this issue, the Cochrane
23 Injuries Group, which is affiliated with the Department of
24 Epidemiology and Public Health Institute of Child Health in
25 London, reported the results of a meta-analysis of

1 essentially all available existing randomized controlled
2 trials in which albumin or albumin plus crystalloid was
3 compared with no albumin or usually with crystalloid alone.

4 The endpoint used was mortality. This was the
5 only endpoint used. When published mortality data were
6 incomplete, the Cochrane Group sought them directly from the
7 authors of the original papers.

8 As a result, 30 trials involving 1,419 patients
9 were stratified into three sets representing three of the
10 major indications for albumin, namely, hypovolemia, burns,
11 and hypoproteinemia, sometimes referred to as
12 hypoalbuminemia.

13 Of these studies, there were 24 in which at least
14 one death occurred. The results of these 24 studies,
15 representing 1,204 patients, are presented graphically in
16 the next overhead.

17 [Slide.]

18 You may not be able to see the numbers from the
19 back of the room. That's all right. Do as Alex Guinness in
20 the film, "The Horse's Mouth," told someone to whom he was
21 explaining how to appreciate art, he said, "Don't look at
22 the picture, just feel it with your eyes."

23 The points here, these individual points, are on a
24 logarithmic scale that is a horizontal scale with 1 on this
25 scale being where the vertical line is shown. These points

1 represent the relative risk of death. The horizontal lines
2 represent the extent of the 95 percent confidence interval.

3 A ratio greater than 1, that is, with a point over
4 on the righthand side of this vertical line, indicates
5 greater mortality in the albumin-treated group. A ratio of
6 less than 1, that is, over on the left side of the vertical
7 line, represents greater mortality in the controls, that is
8 usually crystalloid alone.

9 Visual examination reveals that in hypovolemia,
10 which is this first grouping here, that is, hypovolemia in
11 trauma and surgical settings, there is some wavering of the
12 points. Nonetheless, when weighted mean ratios were
13 computed, the mean for hypovolemia was 1.46, with the lower
14 extreme of the confidence intervals just barely dipping
15 below 1. As a matter of fact, it was 0.97.

16 Similarly, the ratios for burn patients were 2.4
17 and for hypoproteinemic patients, 1.69. This figure that
18 says 10.2 here should actually read 40.2, I think like the
19 Pakistani rug weavers who always make one deliberate
20 mistake, the Cochrane Review Group put that in just so I
21 would have something to find.

22 When one combines all of these studies, the
23 overall ratio is 1.68. Now, the first question that is
24 obviously raised in a meta-analysis like this, is are all
25 studies, even though they were all randomized and all

1 controlled, are all studies created equal, and the answer,
2 which was supplied by the Cochrane Group itself, is no.

3 First, some studies were relatively small. The
4 studies did not all have equal numbers of patients.
5 Furthermore, not all the studies followed the patients for
6 equal length of times, and most importantly, in the
7 assignment of patients to one group or the other, there was
8 a difference among studies in the blinding, which the
9 authors of the Cochrane report called "concealment."

10 Therefore, they grouped the concealment into three
11 categories, namely, inadequate, unclear, and adequate.
12 There were 13 trials representing 811 patients in which
13 concealment was deemed to be adequate.

14 When only these, that is, the 13 studies in which
15 concealment was deemed to be adequate, were treated in the
16 same way as the entire group here, these weighted risk
17 averages, as well as the total weighted risk average, were
18 virtually identical.

19 But these are ratios. What do they mean in
20 absolute terms? Well, specifically, in absolute terms, the
21 risk of death was 6 percent greater in the albumin-treated
22 patients, meaning 1 additional death per 16 or 17 patients
23 treated.

24 The next question that could be asked is how well
25 did the Cochrane statisticians do those statistics. Dr.

1 Lockenbrook, Chief of CBER's Biostatistics Branch, kindly
2 analyzed the data in this paper, and Dr. Lockenbrook is here
3 if you have any statistical questions you wish to direct at
4 him.

5 Using a somewhat different approach, he computed
6 odds ratios as well as fitted probabilities of death. The
7 overall odds ratio -- I should say ratios because he used a
8 variety of approaches that he obtained ranged around 1.9, in
9 other words, slightly greater even than the overall risk
10 ratio computed by the Cochrane Group -- when probabilities
11 were broken down by clinical indication and adequacy of
12 concealment, using the criteria that the Cochrane Group had,
13 the probability of death in the albumin-treated group was
14 found by Dr. Lockenbrook to be higher in 6 out of 7 cases.

15 Interestingly enough, the Medicines Control
16 Agency, the MCA, which I will casually refer to as the FDA
17 of the UK, also examined these studies. They looked at the
18 30 original studies, considered that 29 of them were
19 evaluable, and then went through them very carefully, both
20 from a statistical and from a clinical point of view.

21 They concluded that although there were some
22 studies in which the randomization led to an imbalance which
23 could have biased the results against albumin, such results
24 were not prevalent or large enough to affect the overall
25 conclusion.

1 So, what did the Cochrane Group and its
2 consultants conclude, and what is FDA's perspective? The
3 initial statement in the abstract of the British Medical
4 Journal article, which the committee has in its package, was
5 to say the least eye-catching. I quote, "These data suggest
6 that use of human albumin in critically ill patients should
7 be urgently reviewed and that it should not be used outside
8 the context of rigorously conducted, randomized controlled
9 trials" -- not exactly mincing words.

10 Somewhat less emphatic statements have been issued
11 subsequently, however, we are still left with the overall
12 conclusion that I again quote from that same abstract,
13 "There is no evidence that albumin administration reduces
14 mortality in critically ill patients with hypovolemia,
15 burns, or hypoalbuminemia."

16 Therefore, on August 31st of this year, FDA issued
17 a Dear Doctor letter, which you also have in your packet and
18 which the audience can get on the FDA web site, urging
19 physicians to exercise discretion in the use of albumin or
20 plasma protein fraction based on their own assessment of the
21 data presented by the Cochrane Group.

22 In addition, it appears prudent for the FDA to
23 work with manufacturers and examine any clinical data that
24 manufacturers may have generated, to examine current
25 labeling to determine whether indications and/or other parts

1 of the labeling should be revised, and to work with
2 organizations, such as NHLBI, to determine if there are
3 additional clinical trials that should be funded and
4 conducted, and, of course, it was deemed appropriate to
5 update the committee on this, and this concludes my update.

6 **Open Committee Discussion**

7 DR. HOLLINGER: Stay up there just a minute, John,
8 would you, please.

9 DR. SMALLWOOD: Excuse me. I have been asked that
10 all speakers please speak directly into the microphones.
11 That would include our committee members, as well as our
12 presenters. Thank you.

13 DR. HOLLINGER: Put up the data on the Cochrane,
14 if you would, please. You spent some time on this, John,
15 but, first of all, the subtotal in the bottom there with
16 hypoalbuminemia should not be 10.2, it should be 40.2
17 instead of 10.2 if you add them up.

18 DR. FINLAYSON: That is what I mentioned, yes.

19 DR. HOLLINGER: In addition, if you look at all
20 the relative risks up there, the 95 percent confidence
21 intervals, they are all below 1 except when you do add them
22 all up and get a subtotal with these groups, meaning that
23 there probably is not a lot of differences at least in every
24 one of the individual studies that was looked at.

25 Finally, just to make things, I think put it on an

1 even keel, there is clearly, at least from the hepatology
2 standpoint, use of albumin for patients with large amounts
3 of acidic fluid, and so on, to reduce that with albumin has
4 been very successful, and is very useful, and in addition,
5 as you know, the Barcelona Group, as distinct from the group
6 in California, feels that the addition of albumin in
7 patients who have had a paracentesis, who do not have any
8 peripheral edema, may be very useful and beneficial in
9 reducing changes in the kidney status or in the renal
10 status.

11 So, there is still a lot of controversies here,
12 and I think I would not want to leave this, after looking at
13 all this data which is compiled here, I do think albumin is
14 way overly used, no question about it, but I do think there
15 are some indications for albumin despite this.

16 Yes.

17 DR. FINLAYSON: Could I make the comment, yes, I
18 would like to underline what Dr. Hollinger said. In the
19 University Hospital Consortium's list of indications, which
20 is in the paper in Archives of Internal Medicine, which you
21 also have in your package, in that table, paracentesis where
22 more than 4 liters of fluid was removed was indeed one of
23 the indications where they felt use of albumin was
24 appropriate and well supported by evidence.

25 DR. HOLLINGER: Dr. Buchholz.

1 DR. BUCHHOLZ: I do clinical trials as part of
2 what I do in my every-day work, and I would like to address
3 a question to FDA regarding this albumin matter which
4 concerns me as a person who does clinical trials.

5 Leaving the idea of the concept of meta-analysis
6 out of it, I mean there is a substantial amount of this
7 presentation that has been a discussion of the meta-
8 analysis, and I am not a statistician and I am not going to
9 even attempt to try and understand that, but from a clinical
10 trial point, if I came to FDA with a new product that I had
11 done a clinical trial involving 1,400 patients in 30 centers
12 with a wide diversity of diseases, that I retrospectively
13 grouped into groups after the study was over, that had
14 variable blinding, that had no common protocol, that is, the
15 modes of administration of the various drugs were not the
16 same, that had multiple different control groups -- and I
17 believe critique of this study has indicated that there is
18 something like 14 separate controls groups, that is,
19 different groups in the 30 studies reported, there
20 undoubtedly were protocol violations. There are protocol
21 violations in every study that is done if people will admit
22 to it.

23 There was an ignoring of the study purpose and
24 study design, and to me, very importantly, an ignoring of
25 the study endpoint, and then a new study endpoint was

1 retrospectively decided upon after the study was complete.

2 Now, my question to FDA is if I came to you with a
3 regulatory submission with those kinds of flaws, my
4 suspicion is you would send me packing. Perhaps I should
5 take the message home that if I did a meta-analysis, I could
6 make this better, but it seems to me that there are basic
7 flaws in the way these studies were performed, and certainly
8 just taking into account the studies span of 20-year period,
9 there are some real issues I think with how medicine has
10 changed during that period of time.

11 So, my question here is really this article has
12 caused a lot of controversy, and it seems to me that there
13 steps being taken or actions proposed that are outside the
14 science that underlies the basic study.

15 I would like to ask FDA if I came to you with a
16 study, such as what I have just described, what do you all
17 think the chances would be of getting it cleared or
18 approved.

19 DR. HOLLINGER: John, do you want to respond to
20 that?

21 DR. FINLAYSON: The first thing I would answer is,
22 of course, FDA does not hypothesize, you bring the study, we
23 will do the analysis. That is the official answer. That is
24 the correct answer.

25 Now I am going to give my answer. If you were to

1 bring albumin to us as a new product today, and we said you
2 have to do an efficacy trial, what clinical setting or
3 settings would you propose and what endpoints would you
4 seek.

5 I say this not all facetiously, because albumin,
6 as I indicated, was first licensed in 1941. It is difficult
7 to know exactly on what clinical basis it was licensed other
8 than the fact that Charles Janeway, Sr., had collected
9 patients who were successfully maintained with albumin.

10 Certainly, subsequent to licensure, we had an
11 event that is fixed in the minds of all of us over a certain
12 age, which is Pearl Harbor. At that time, Isadore Ravdin
13 put some bottles of 25 percent albumin, which was the only
14 kind of albumin that was available at that time, in fact,
15 was the only kind of albumin that was available for many
16 years, into his pockets, got on an airplane, flew to Pearl
17 Harbor, and came back with glowing testimonials. As they
18 say in the literary world, the rest is history.

19 We have, to my knowledge, since that time, not
20 required for licensure of albumin anything that would
21 approach the efficacy data that we would require for any
22 product today. Furthermore, as far as safety was concerned,
23 safety usually meant when a new albumin product or a new
24 albumin manufacturer came along, safety in the acute sense,
25 did one get allergic reactions, did one get hyper- or

1 hypotensive reactions in the short run over the first 24 or
2 48 hours.

3 So, the sorts of long-term analyses that we are
4 seeing here, with all of the problems that Dr. Buchholz
5 pointed out, have simply not been, to my knowledge, done in
6 anything representing a prospective way from the FDA's point
7 of view. This is not to say that individual studies,
8 reasonably well conducted, have not been performed.

9 DR. HOLLINGER: Joel, do you have a comment?

10 DR. VERTER: In addition to agreeing with what Dr.
11 Buchholz said, I would like to make one or two other
12 comments. First of all, and I am probably in the fringe on
13 this, because meta-analysis is like a virus, it has really
14 taken over a lot, I would like to step back from that term a
15 bit.

16 I think these type of overviews -- and I have a
17 great admiration for the Cochrane Group, I think they do a
18 very good job up to a point -- it is the bottom line that
19 makes me nervous. When they summarize in an overall odds
20 ratio a relative risk, whatever it is, I think that is when
21 we can have a problem.

22 Up until that point, they presented a good
23 overview, a summary of all the clinical work that has been
24 done. I think they do that very well. However, if you just
25 notice in just the first part, the hypovolemia group, of the

1 13 studies I think 8 had 2 or fewer patients in each group
2 or events, I am sorry.

3 That is hardly something that I would be secure
4 about in making inferences, in other words, one more event
5 in either group changes it dramatically. A zero or a 1 in
6 one group, and a zero or a 1 or 2 in the other group, I
7 think we should view with great caution in trying to view
8 inferences from.

9 The next point I would like to make is also what
10 Dr. Buchholz said, is that if all of those control groups
11 are the same, in other words, if they all received placebo
12 or if they all received some other standard, that would be
13 one thing. If they are different, I find it hard to
14 understand how you could combine them into one overall
15 outcome.

16 Finally, if you look at the event rate in the
17 control group, if we make the obviously incorrect assumption
18 that those are all the same control groups, the event rate
19 is 10 percent. If you came to me as a clinical trial
20 designer and asked me to design a trial in which the
21 "placebo," quote, unquote, rate is 10 percent, and you are
22 looking for a 20 percent or so reduction, it would take far
23 more than 250 patients.

24 So, the studies individually are clearly
25 underpowered for any reasonable alternative hypothesis, and

1 even as a, quote, unquote, "meta-analysis," it is quite
2 underpowered to find something. So, I think caution is
3 needed here.

4 DR. HOLLINGER: Dr. McCurdy.

5 DR. McCURDY: Following on what Joel said, I asked
6 our Biostatistical Department to give me an estimate of
7 sample size necessary in order to do a randomized,
8 controlled trial. It seems likely that all three of those
9 groups up there should be treated separately, certainly, the
10 one with the very low mortality would have to be treated
11 separately, and we came up with a figure of somewhere
12 between 2,500 and 3,000 patients in each of the major
13 subgroups.

14 This would be a rather difficult and expensive
15 trial to do. The NHLBI, of course, is a reasonable place
16 where such a trial might be handled. At the present time, I
17 don't see it being a real high priority, but we certainly
18 would be willing to talk with FDA, industry, other groups
19 that might have an interest in doing such a trial.

20 I think I am a bit older than John, so I have a
21 little bit more history behind me. Wasn't the initially
22 developed albumin considerably more salty than the later
23 one, and by the late '40s, I remember people were talking
24 about salt-poor albumin, which really wasn't very poor in
25 salt.

1 DR. FINLAYSON: First, this is in the conflict of
2 interest that Dr. Smallwood mentioned. Dr. McCurdy and I
3 did not rehearse this, but it is the perfect straight line,
4 because he has couched his question or his comment in my
5 minuscule area of knowledge.

6 At the time that albumin was being developed by
7 Professor Cohn and his group, those again of us of a certain
8 age remember that we were fighting a war in North Africa,
9 and the saying was albumin holds up in Boston, but what will
10 it do in a tank in Tobruk.

11 So, there was an undertaking of a series of, in
12 retrospect, very ingenious stability studies. What they
13 used was called the cloud point as an endpoint, where the
14 solution became cloudy. Dr. Schrake in our group has now
15 used much more sophisticated analyses, but found that, by
16 and large, all the conclusions drawn by the Boston Group
17 were absolutely correct.

18 They began to look for what could be used to
19 stabilize albumin. What they found was, remarkably enough,
20 good, old sodium chloride, if used in sufficient
21 concentration, was pretty good at stabilizing albumin, and
22 so whereas, the very first albumin that had been made was in
23 just plain water, which of course was something that didn't
24 last very long because the first thing people noticed was
25 that the solution then came out at the isoelectric point of

1 albumin, which was about 4.8, and then began thinking, well,
2 once you put it in physiological saline, possibly with a
3 little buffer, but when these stability studies were done,
4 it was decided that one should put albumin into three-tenths
5 molar sodium chloride.

6 That became what was known as the standard Army
7 and Navy package. Now, that, of course, was what was
8 distributed, but people didn't stop. They began to look for
9 better stabilizers, and in a very short time, through the
10 work of a number of workers, Boyer, Lum, Luck, and others,
11 they came up with two stabilizers that are still used today,
12 namely, sodium acetyltryptophanate and sodium caprylate or
13 sodium octanoate if you prefer the more chemical name.

14 When they found that these stabilizers were so
15 effective and that they were able to use them at much lower
16 molar concentrations, they could prepare a solution of
17 albumin which had a considerably lower sodium content, but
18 now you had a second kind of albumin, and so you had to name
19 this new one something, so unfortunately, they named it
20 salt-poor even though sometimes the sodium concentration was
21 of the order of, let's say, 150 milliequivalents per liter,
22 you know, right in the physiological range, and even when it
23 was low, it was still probably above 100 milliequivalents
24 per liter.

25 The so-called high-salt material disappeared

1 within about a year because the Army and Navy contracts no
2 longer requested it, they wanted the new stuff with these
3 new, wonderful stabilizers, but the name salt-poor albumin
4 persisted until a predecessor of this group was able to
5 recommend to us that we remove it, and it disappeared with
6 the publication of the additional standards for albumin on
7 May 31st, 1977.

8 DR. BOYLE: I would like to ask a non-statistical
9 question. In the Letter to the Doctors, there is a line,
10 "On the basis of their analysis, the authors conclude that
11 albumin should not be given to critically ill patients
12 outside of rigorously conducted randomized, controlled
13 trials." Later, it states, "The FDA urges treating
14 physicians to exercise discretion in the use of albumin and
15 PPF based on their own assessment of the data."

16 What is your estimate of what this is going to do
17 to the demand for albumin?

18 DR. FINLAYSON: Dr. Epstein and I are exchanging
19 knowing glances. Probably increase it.

20 [Laughter.]

21 DR. FINLAYSON: I mean I base that on very good
22 scientific evidence, but with a n of 1, namely, in 1984,
23 there was a consensus development workshop on the use of
24 fresh frozen plasma, and the panel, even though they were
25 very, very generous to fresh frozen plasma, came up with the

1 concept that the indications for fresh frozen plasma were
2 probably about one-tenth of what had previously been used,
3 and if you got these august persons out in the hall, they
4 said it was probably more like 1 1/100th of that.

5 What was the result of NIH's reporting of the
6 consensus statement? The use of fresh frozen plasma went
7 up.

8 DR. HOLLINGER: Jay, we are going to bring this to
9 a close, but, Jay, do you have any comment? Dr. Epstein.

10 DR. EPSTEIN: I just wanted to be sure that the
11 take-home messages are clear. FDA doesn't know the right
12 answer either. Our point of view is that we reviewed these
13 studies. We found on the face of them that they were not
14 methodologically flawed.

15 They certainly suffer from serious limitations as
16 outlined by Drs. Buchholz and Verter. We felt, however,
17 that the finding was of such potential significance that
18 physicians should be made aware and that they should be
19 exercising discretion. Particularly, there is a domain of
20 overuse of albumin which ought to be curtailed based on the
21 knowledge that random use is not benign.

22 We fully believe that there may be patient subsets
23 that continue to benefit, and we think work lies ahead to
24 reclarify which studies in the past have been adequate and
25 which have not, and which need to be repeated.

1 DR. HOLLINGER: Thank you, Jay. Thank you, John.
2 Stay around. We need people like you to help us understand
3 these things along with Paul.

4 We are going to move on then to the summary of the
5 workshops, and we will start with the first one, the Summary
6 of the Stem Cell Workshop, Dr. Harvath.

7 **Summary of Stem Cell Workshop**

8 DR. HARVATH: Good morning.

9 [Slide.]

10 One week ago today the FDA and Center for
11 Biologics cosponsored in cooperation with the National
12 Heart, Lung, and Blood Institute a workshop, which really
13 was the fourth in a series of workshops since 1995 dedicated
14 to the topic of hematopoietic stem and progenitor cell
15 biology, as well as the development of these products.

16 The workshop was held on the NIH campus and it was
17 open to the public.

18 [Slide.]

19 The workshop objectives were to overview a Federal
20 Register Notice that we published in January of this year
21 entitled, "The Request for Proposed Standards for Unrelated
22 Allogeneic Peripheral and Placental/Umbilical Cord Blood,
23 Hematopoietic Stem/Progenitor Cell Products; Request for
24 Comments." I will talk a little bit about that document,
25 just reviewing it in the next slide.

1 The second objective was to discuss issues
2 regarding the administration of cytokines to normal donors
3 for mobilization of peripheral blood hematopoietic stem
4 cells; the third to discuss the current status of related
5 and unrelated allogeneic peripheral blood stem/progenitor
6 cell collection and transplantation, to discuss the current
7 status of unrelated allogeneic placental/umbilical cord
8 blood banking and transplantation, and then the last session
9 of the day was to discuss the status of professional
10 voluntary standards development.

11 In this 20-minute presentation, I will attempt to
12 summarize as briefly as possible the highlights of that
13 meeting. It is our intention to make available on our web
14 site the entire unedited transcript of this meeting. We are
15 going to give that a try and we hope then all of you will be
16 able to view it.

17 [Slide.]

18 I would like to just take this opportunity to
19 acknowledge the participants in this workshop and to
20 identify those speakers.

21 Dr. Mary Horowitz, the scientific director of the
22 International Bone Marrow Transplant Registry, Autologous
23 Bone Marrow Transplant Registry, talked about transplant
24 registry data and some of the statistical consideration.

25 Paolo Anderlini, a physician from M.D. Anderson

1 cancer study, presented data on normal donors and cytokine
2 administration, the M.D. Anderson experience.

3 Dr. Richard Champlin spoke about the M.D. Anderson
4 experience regarding related allogeneic peripheral blood
5 stem cell transplants, and Dr. John DePesio discussed the
6 Washington University experience in the related allogeneic
7 peripheral blood cell transplant setting.

8 Dr. Dennis Confer, Medical Director of the
9 National Marrow Donor Program, spoke about their trial for
10 unrelated allogeneic peripheral blood stem transplants.

11 [Slide.]

12 The third session was dedicated to the topic of
13 cord blood banking and transplantation. Dr. Mitch Cairo,
14 who is currently at Georgetown University, presented the
15 overview of the Heart, Lung, and Blood Institute multicenter
16 cord blood banking and transplantation study.

17 Dr. Pablo Rubenstein, from the New York Blood
18 Center, presented their experience in banking placental
19 blood, and Dr. Joanne Kurtzberg discussed the unrelated cord
20 blood transplant experience in the United States.

21 We had a brief presentation from Dr. Donna Wall
22 from the St. Louis cord blood bank.

23 The last session, we invited participants who are
24 involved in the development of professional standards. Dr.
25 Rebecca Haley presented for the American Association of

1 Blood Banks, development of their progenitor cell standards.

2 Three individuals presented, representing the
3 Foundation for Accreditation of Hematopoietic Cell
4 Therapies, Dr. Elizabeth Shpall, the president of that
5 organization, Dr. Scott Rowley, president of the
6 International Society for Hematotherapy and Graft
7 Engineering, and then Dr. Fred LaMaistre spoke on behalf of
8 the American Society for Blood and Marrow Transplantation.

9 [Slide.]

10 As I mentioned, this January 20th, FDA published
11 in the Federal Register a request for data, inviting all
12 interested parties from the public to send to the Agency
13 their comments and data to support the development of
14 standards for products in the unrelated allogeneic setting
15 that are derived from placental/umbilical cord blood or from
16 peripheral blood.

17 This specifically deals with minimally manipulated
18 unrelated allogeneic peripheral and placental/umbilical cord
19 blood, progenitor cells, stem/progenitor cells that are
20 intended for hematopoietic reconstitution, and as outlined
21 in that document, it is stated that it may be possible to
22 develop product standards, establishment controls and
23 processing controls from existing scientific and clinical
24 data, and, if so, then to issue guidance for establishment
25 controls, processing controls, and product standards with

1 the ultimate goal to grant licensure for products certified
2 as meeting issued standards.

3 As outlined in that document, if FDA determines
4 that data are available to support the development of
5 standards, then, the Agency intends to publicly announce
6 such standards and licensure may be granted for products
7 certified as meeting the promulgated standards.

8 However, if sufficient data are not available to
9 develop standards, then, after a specified period of time,
10 unrelated allogeneic stem cell transplants would be subject
11 to IND and marketing application requirements.

12 This proposal is a follow-up of what we had
13 outlined in the February 28th, 1997, proposed approach to
14 the regulation of cellular and tissue-based products, but
15 this specifically deals with hematopoietic stem and
16 progenitor products.

17 [Slide.]

18 So, to summarize some of the findings, I will
19 start first with what we heard regarding peripheral blood
20 stem and progenitor cell products, and rather than
21 continuing to say peripheral blood hematopoietic stem and
22 progenitor cells, I am just going to say peripheral blood
23 stem cells or umbilical cord blood.

24 The issues here are that normal donors who are
25 providing the product to the recipient are treated for a

1 period of five to six days with cytokines that are given
2 daily. These may be either granulocyte colony-stimulating
3 factor or granulocyte macrophage colony-stimulating factor,
4 and then they are apheresed, and they may undergo an
5 apheresis procedure once or twice or possibly more times
6 than that.

7 Most of the peripheral blood experience in
8 collecting these products thus far has occurred with HLA
9 identical sibling donor recipient pairs, and we heard from
10 those two centers that are doing some of that work that in
11 the HLA identical sibling donor recipient pairs, the
12 reported advantages to peripheral blood stem cells when they
13 were contrasted to HLA identical sibling bone marrow donor
14 recipients, during the first 100 days post-transplant,
15 appear to be a decreased time to myeloid recovery with an
16 absolute neutrophil count greater than or equal to 500
17 neutrophils per microliter.

18 This appears to be four to five days quicker than
19 what has been seen with bone marrow transplants, however, I
20 would like to point out that these have not been randomized
21 trials, they have not been controlled studies, but basically
22 looking at groups of patients receiving either peripheral
23 blood or bone marrow at different institutions.

24 There is a decrease in patient stay, hospital
25 pharmacy costs, and blood product support. So, these are

1 the reported advantages.

2 Graft-versus-host disease is a major consideration
3 for these type of transplants, and it was reported that thus
4 far the incidence of acute graft-versus-host disease does
5 not appear to be different, however, a surprising outcome is
6 appearing in the instance of chronic graft-versus-host
7 disease in that it appears to be increased in the recipients
8 of these HLA identical grafts.

9 The bone marrow transplant registry data that have
10 taken analysis up to one year post-transplant indicate a
11 trend to 75 percent incidence in peripheral blood stem cell
12 transplants when they are compared to controlled groups of
13 45 percent in the bone marrow recipients.

14 One group Washington University has followed their
15 recipients out to two years post-transplant and are finding
16 a 90 percent actuarial incidence in peripheral blood stem
17 cell transplant reported graft-versus-host disease, chronic
18 GVHD, which is compared to 40 to 60 percent. So, clearly,
19 this is an area that is going to need more follow-up.

20 [Slide.]

21 In the related allogeneic peripheral blood donor
22 setting, when the grafts are mismatched at one antigen, that
23 is, they vary by one of the six major HLA antigens, reported
24 data at this conference indicated that this can result in a
25 100 percent incidence of chronic graft-versus-host disease,

1 and that 40 percent of this graft-versus-host disease is
2 severe, at grade 3 to 4.

3 It is believed that the increased incidence of
4 chronic GVHD is associated with a high CD34-positive cell,
5 as well as lymphocyte doses of these grafts, and these
6 investigators are pursuing studies to quantify the effects
7 of lower cell dose and different types of conditioning
8 regimens on the incidence of chronic graft-versus-host
9 disease, and this was an area that a number of investigators
10 identified as an area in need of further research.

11 Now, all of what I just spoke about was in the
12 related setting. In the unrelated allogeneic peripheral
13 blood setting, there really are very few data available, and
14 the National Marrow Donor Program has undertaken an
15 investigational protocol to collect prospectively
16 information monitoring normal donor safety, as well as the
17 outcome data for recipients of unrelated allogeneic
18 peripheral blood stem cell transplants that are given in a
19 second transplant setting.

20 What this means is that their donors, their normal
21 marrow donors who have already given bone marrow to a
22 recipient may then be called back because that recipient has
23 done poorly, has relapsed.

24 They may be called back for a second donation, and
25 in this protocol they are then having an option to address

1 the use of peripheral blood stem cells in this setting, and
2 they reported that they received 119 requests.

3 They started their protocol in February of 1997,
4 and as of the beginning of August of '98, they had given 34
5 normal donors the granulocyte colony-stimulating factor to
6 mobilize stem cells, and presented the status that 17 donors
7 have had one apheresis collection, 15, two apheresis
8 collections, that one donor had been given the cytokine, but
9 no stem cells were collected, and another donor had been
10 given the cytokine, the stem cells were collected, but not
11 infused.

12 The reasons for these two categories here were
13 that the potential recipient of the products, the medical
14 conditions had worsened such that a transplant was not
15 possible at that time.

16 As I said, they report that donors and recipients
17 are being extensively studied and that NMDP announced that
18 they intend to develop a similar study for unrelated
19 peripheral blood stem cells for first donation transplants.

20 [Slide.]

21 So, the potential disadvantages of peripheral
22 blood stem cells that were noted involved more frequent
23 cytomegalovirus viremia, there are unknown risks of
24 increased chronic graft-versus-host disease, and these
25 really need to be monitored more carefully.

1 It is unknown if there is a survival of the
2 peripheral blood stem cells compared to bone marrow, and
3 these raise new risks for the normal donor.

4 One of the topics that we had discussion a great
5 deal about at this conference and the conference the
6 following day was the topic of cytokine administration to
7 normal donors.

8 Some of the reported short-term safety issues for
9 normal donors have been bone pain, headache, fatigue,
10 nausea, transient elevations, and alkaline phosphatase and
11 lactate dehydrogenase.

12 There are infrequent episodes of chest pain, fluid
13 retention, collection of these products in this setting can
14 require a central venous catheter placement and
15 complications with that, electrolyte and fluid shifts, and
16 then a self-limiting and transient leukocytosis and
17 thrombocytopenia. This may last for a couple of weeks, and
18 so there has been some attention to monitoring this and
19 discussions about the importance of following these donors.

20 The big question here remains the unknown in terms
21 of long-term safety issues for normal donors, and many of
22 these investigators feel that it would be prudent to set up
23 a long-term follow-up registry of normal donors who are
24 given these cytokines.

25 [Slide.]

1 Areas that were proposed by the speakers for
2 future research in the peripheral blood stem cell area
3 include the establishment of a normal donor registry to
4 monitor the long-term, that is, at least out to 10 years,
5 follow-up of normal donors, further studies of the
6 biological and clinical effects of cytokines and apheresis
7 procedures in normal donors, approaches to control graft-
8 versus-host disease, studies to assess the stability of
9 peripheral blood stem cell engraftment to assess the
10 functional effects of T cell depletion, methods for
11 standardizing the CD34 cell assays, and also standardization
12 of some tumor cell assays that are currently being developed
13 in the breast cancer and AML setting.

14 [Slide.]

15 Shifting to the afternoon session, the topic was
16 cord blood banking and transplantation, an area which I am
17 sure all of you have heard there has been great excitement
18 and activity. We focused our attention at this meeting for
19 the unrelated allogeneic banking and transplant setting, and
20 the NHLBI multicenter cord blood banking and transplantation
21 study was reviewed.

22 For any great detail on that, Dr. McCurdy who sits
23 on the panel is the expert here in that design, but in
24 essence, it consists of three banks - Duke, UCLA, and
25 Georgetown, and six transplant centers - Duke, the

1 University of Minnesota, UCLA, Fred Hutchinson, Indiana
2 University, and the Dana Farber.

3 This will be a five-year extensive study to
4 characterize the cord blood product that is collected and
5 banked, as well as the transplant outcome data results using
6 uniform protocols for the collection and banking of the
7 product, as well as the conditioning protocols for the
8 treatment of these patients.

9 The New York Placental Blood Program was reviewed
10 by Dr. Pablo Rubenstein, the director of that program. It
11 is the first unrelated placental/umbilical cord blood bank
12 that was established. It was established in 1992.

13 They have banked and characterized more than 7,700
14 units of placental blood that are made available for the
15 public use. They have provided 700 units of placental blood
16 for transplantation thus far, and Dr. Rubenstein just
17 informed me this week that the results of the first 562
18 consecutive transplants, that study is in press in the New
19 England Journal of Medicine, so I think it will be very
20 interesting for us to keep our eyes open for that paper.

21 Basically, what has been observed is that the
22 speed of myeloid engraftment appears to be associated
23 primarily with the graft cell dose, and that the transplant
24 related events, complicated events, are associated with the
25 patient's underlying disease, their age, the graft cell

1 dose, the disparity of the HLA, and the transplant center.
2 Differences are observed in the United States as compared to
3 foreign transplant centers. Again, those details will be
4 provided in the New England Journal paper.

5 The St. Louis cord blood bank, unlike the New York
6 bank and the NHLBI study, I didn't mention it here, but the
7 NHLBI study and the New York blood center have dedicated
8 personnel to collect their cord blood units who are trained
9 and very much dedicated for that purpose.

10 In contrast, the St. Louis cord blood bank, which
11 is a community-based, unrelated cord blood bank, has decided
12 to evaluate the role of obstetricians and nurse midwives who
13 perform the collections, and they reported that they have
14 collected 10,000 units and have banked 3,000 of those units
15 that they have found to be acceptable.

16 The reason for the 30 percent banking of those
17 units has been low volume and there have been instances
18 where they have bacterial contamination of those units.

19 So, we have three different approaches underway.
20 I would like to make the point that all of these groups have
21 publicly acknowledged that they are conducting their studies
22 under INDs.

23 [Slide.]

24 The areas proposed by speakers for further
25 research in the cord blood area include ex-vivo expansion of

1 cord blood to try and increase the cell graft dose, adoptive
2 cellular therapies, studies using haplo-identical related
3 cord blood transplants, exploring the use of cord blood with
4 gene therapy, and immunological vaccine development.

5 [Slide.]

6 Now, the last session of the day, which is
7 beginning on this slide, involved a very lively discussion
8 of the status of professional standards in this area. There
9 really are two major organization, there really are three
10 groups who have standards - the National Marrow Donor
11 Program has their own set of standards for their program
12 which are very analogous to other professional standards.

13 I would like to point out that the two
14 organizations represented in the panel discussion and
15 presentation summaries were the American Association of
16 Blood Banks, and many of you are very familiar with this
17 organization, they were established in 1947, and they
18 currently represent 8,500 individual as well as 2,200
19 institutional members.

20 They have published standards for hematopoietic
21 progenitor cells since 1991, and their approach to
22 developing standards has invited the participation not only
23 of their own colleagues, but professionals from the American
24 Society for Apheresis, the FDA, FAHCT, which represents the
25 International Society for Hematotherapy and Graft

1 Engineering, ISHAGE, as well as the American Society for
2 Blood and Marrow Transplantation, as well as members from
3 the National Marrow Donor Program.

4 They are also including in their standards
5 development two public members, one, an ethicist, and the
6 other, a patient who has received hematopoietic progenitor
7 cells as a therapeutic protocol.

8 They are currently revising their published 1996
9 standards to incorporate the ISO-9000 model for prospective
10 comprehensive quality management program.

11 [Slide.]

12 Representatives speaking on behalf of the FAHCT
13 standards described their program. They were founded in
14 1996 by the two parent organizations, ASBMT and ISHAGE,
15 consisting of 900 individual members for ASBMT and 1,000
16 individual members for ISHAGE.

17 Standards are established for the purpose of high
18 quality medical and laboratory practice, and they have
19 developed and have implemented voluntary inspection and
20 accreditation.

21 Their committee is comprised of members from their
22 three organizations - ASBMT, ISHAGE, and FAHCT. They
23 expressed concerns at this meeting regarding FDA's proposed
24 rule for facility registration and product listing. Now,
25 that was the proposed rule that we did not particularly

1 address at this conference, but it was another proposed rule
2 that came out this year, in May.

3 Their concerns were that registration alone does
4 not necessarily improve safety. They are concerned about
5 sequelae to registration. They are unsure of the FDA's
6 ultimate intentions in this area, and they feel additional
7 regulations have the potential to impede technological
8 advances and compromise optimal patient care.

9 FAHCT collection center standards include a number
10 of things, and two of them include donor health screening
11 including genetic diseases, as well as having a requirement
12 for recording clinical outcome data.

13 FAHCT has proposed at the meeting that FDA grant
14 them deemed status, and they acknowledge that some groups
15 may choose not to participate in the voluntary
16 accreditation.

17 [Slide.]

18 So, at the end of the day, I think, in the final
19 slide, at the conclusion of this particular panel
20 discussion, I think it was quite obvious to everyone who was
21 there that a topic in need of further discussion is the
22 importance of developing a single set of standards that are
23 acceptable to all interested professionals in this field.

24 I will stop there and see if there are any
25 questions, and then I will move on to reviewing the next day

1 workshop, which was on granulocytes for transfusion.

2 DR. HOLLINGER: Why don't you go ahead.

3 **Summary of the Granulocyte Workshop**

4 [Slide.]

5 DR. HARVATH: So, moving along, the next day,
6 which was Friday, September the 11th, we had a conference on
7 the topic of granulocytes for transfusion, research and
8 clinical experience.

9 This was cosponsored by the Center for Biologics
10 and the Heart, Lung, and Blood Institute, the National
11 Institute of Allergy and Infectious Diseases, and the Warren
12 Grant Magnuson NIH Clinical Center.

13 I would like to comment at this point that the
14 last time FDA had even addressed the topic of granulocytes
15 for collection or transfusion was in October of 1980, at an
16 FDA-sponsored conference which primarily focused on donor
17 safety in the leukapheresis setting.

18 [Slide.]

19 The workshop objectives for this conference were
20 based really on the findings and the renewed interest in
21 granulocytes for transfusion and that comes from the fact
22 that the administration of these cytokines G-CSF and GM-CSF,
23 the same two cytokines that are used to mobilize
24 hematopoietic progenitor cells in the peripheral blood, have
25 also been found to increase the number of circulating

1 granulocytes. In the normal volunteers, this results in the
2 peripheral mobilization of high concentrations of
3 granulocytes, and so it has renewed an interest in the
4 collection of granulocytes for transfusion.

5 The purposes of the workshop were to discuss the
6 current scientific and clinical experience with cytokine
7 mobilized granulocyte transfusion products. Again, this
8 area of concern, the effects of cytokine administration on
9 normal donors, the functional properties of the transfusion
10 product, and to identify studies that are needed to
11 establish the safety and effectiveness of the transfusion
12 product.

13 [Slide.]

14 This conference, since it was much smaller and
15 much more focused, I think we had fewer speakers and I think
16 more opportunity to go into a lot more detail on specific
17 scientific data that were collected, and I will spare you
18 the details of that.

19 However, I would like to at this point in time
20 again acknowledge the contributors to this meeting. They
21 included Dr. David Dale, who gave a historical perspective
22 and a summary of clinical trial considerations. Dr. Dale is
23 from the University of Washington at Seattle, and has been
24 involved in this field for 30 years.

25 Dr. Thomas Price, Puget Sound Blood Center,

1 discussed cytokine administration to normal granulocyte
2 donors. Dr. Dan Ambruso, from [Bonafice] Blood Center in
3 Denver, Colorado, and the University of Colorado, spoke
4 about functional properties of granulocytes from normal
5 donors after G-CSF administration, and Dr. Susan Leitman,
6 whom all of you know from the NIH Clinical Center,
7 Department of Transfusion Medicine, talked about the NIH
8 experience on cytokine mobilized granulocytes and an
9 assessment of efficacy.

10 The afternoon session, which was moderated by BPAC
11 member Dr. Dave Stroncek, focused on the product evaluation,
12 and Dr. Douglas Adkins from Washington University in St.
13 Louis spoke about their research in that area.

14 Dr. Thomas Lane from the University of San Diego
15 spoke about storage considerations, and Dr. Conrad Liles
16 from the University of Washington spoke about in-vitro
17 assays predictive of product function.

18 [Slide.]

19 Issues that were discussed at this conference
20 included topics, such as the optimal granulocyte
21 mobilization strategies and the need to try to work in a
22 cooperative manner to share data to get at the best strategy
23 for mobilizing these products, quality of the cell product,
24 normal donor willingness to participate as a donor for these
25 products, as well as the safety experience, the recipient

1 benefit and risk, as well as the topic of therapeutic
2 efficacy.

3 [Slide.]

4 Dr. Dale focused part of his discussion on
5 randomized clinical trial design, and in that it became very
6 clear that an area that needs to be finalized is the patient
7 population. It is pretty much agreed that the ideal
8 patients for this would be people who are on aggressive
9 chemotherapy, perhaps because they are a stem cell
10 transplant recipient, during a time when they are
11 neutropenic and they have fungal or resistant bacterial
12 infections which cannot be controlled by the armamentarium
13 of the currently available antimicrobial therapies.

14 The primary endpoint -- and there appeared to be
15 two that are possible -- one is the resolution of documented
16 infections and/or alternatively, the prophylaxis of
17 infection, and we heard discussion of the possibility of
18 both of these as a primary endpoint and the need to make a
19 decision in designing a clinical trial as to which one would
20 be the most appropriate.

21 Secondary endpoints that were proposed included
22 survival, safety, and a cost analysis.

23 [Slide.]

24 The normal donors are typically the community
25 blood donors who are the pedigreed platelet apheresis donors

1 who are these individuals who are willing to donate
2 granulocytes. They may also be the bone marrow or
3 peripheral blood stem cell donor, and they may be related to
4 the allogeneic recipient or they may be unrelated.

5 These normal donors may be given a single dose of
6 G-CSF with a total dosing of 300 to 600 micrograms with or
7 without 8 milligrams of dexamethasone, and this, either
8 alone or in combination, is given 12 hours prior to
9 leukapheresis.

10 In contrast to the collection of peripheral blood
11 stem cells which require a five- to six-day course of daily
12 injections of the cytokine, the granulocyte collection
13 strategy is a single dose of the cytokine with or without
14 dexamethasone and then the collection of the product.

15 However, these same donors may be multiply
16 pheresed, so they may come back in another few weeks or
17 monthly to donate product, so there are some concerns in
18 following the short term as well as long term safety of
19 these normal individuals.

20 [Slide.]

21 I would like to present a summary of just an
22 example of data. This is data from Dr. Tom Price at Puget
23 Sound Blood Center, and these are examples from a series of
24 175 normal donors that were given G-CSF with dexamethasone
25 and looking at the neutrophil counts before they were given

1 the G-CSF and then right before they were apherased and then
2 talking about the types of yields.

3 The reason for the excitement in this field is
4 that you can it is possible to achieve fairly routinely a
5 10-fold increase in the number of granulocytes for
6 collection in the free circulation and yields from 81 --
7 well, here, the mean was 81.9 times 10^9 granulocytes total
8 product, but they range from 23.8 to 144 times 10^9 . This is
9 a concentration that was previously just simply not
10 achievable.

11 [Slide.]

12 Another discussion focused on the properties of
13 mobilized granulocyte products, and what is interesting to
14 note is that these products, a bag of granulocytes, will
15 contain in that same bag a unit of platelets that is also
16 collected by this process.

17 So, it is an interesting set of scientific issues,
18 and Dr. Snyder, who was there from AABB, commented that he
19 felt it was important to look at platelet activation in this
20 setting to determine what that might do to the quality of
21 the granulocyte product.

22 Indium-labeled studies presented at this
23 conference showed that the indium-labeled granulocytes that
24 are subsequently transfused will initially locate in the
25 lung and then they go to spleen and ultimately to sites of

1 inflammation and infection.

2 A single transfusion unit of the granulocytes was
3 found to restore in many patients a normal granulocyte count
4 one hour post-transfusion, and this frequently remained for
5 24 hours after the transfusion.

6 The studies described also being able to mobilize
7 cells to the gingival area and by simply doing a mouthwash
8 with saline and then counting the cells that were in the
9 mouth, they were able to ascertain that the cells that had
10 been transfused had actually migrated there.

11 So, I think that there was a lot of interest in I
12 think cooperatively looking at a way to address the best way
13 to assess the efficacy of the product and to characterize
14 this product.

15 [Slide.]

16 In the session on in-vitro assays of product
17 function, the following areas were addressed, and I won't go
18 into detail, but everyone agreed that chemotaxis is probably
19 the most sensitive in-vitro assay predictive of product
20 function, however in vitro assay it happens to be the most
21 variable, that their studies looking at cell death or
22 apoptosis and that it has been found that this is delayed in
23 these products.

24 That means the cells potentially have a longer
25 shelf life than granulocytes that have not been exposed to

1 the cytokine, studies of their ability to generate an
2 oxidative metabolic burst which, as you know, is associated
3 with the ability of the cells to kill microbes and their
4 ability to phagocytize and kill a variety of microbes both
5 fungi, as well as bacteria.

6 [Slide.]

7 The last slide, I would like to end the
8 presentation here and say that the recommendations of the
9 speakers were fairly unanimous that they felt the time is
10 here now for a randomized Phase III clinical trial, and
11 they also agree that normal donors for these products should
12 be followed for long-term effects of cytokine administration
13 and the apheresis procedures.

14 Thank you for your attention.

15 DR. HOLLINGER: Thank you.

16 The third summary is going to be by Ed Tabor of an
17 HCV PCR workshop that was just completed yesterday.

18 **Summary of HCV PCR Workshop**

19 DR. TABOR: The Workshop on Nucleic Acid Testing
20 for HCV and Other Viruses in Blood Donors, sponsored by the
21 Food and Drug Administration, was held yesterday at the
22 Parklawn Building.

23 Its purpose was to bring together representatives
24 of government, industry, and other interested persons to
25 discuss the use of nucleic acid tests, referred to as NAT,

1 in screening minipools of plasma to eliminate those few
2 donates units of blood and plasma with HCV that are not now
3 detected by currently licensed screening tests.

4 Such testing will be required in Europe for all
5 plasma for fractionation by July 1, 1999, and that has been
6 the force driving its rapid evaluation in the United States.
7 Although some other viruses were discussed in the workshop,
8 particularly by Dr. Peter Simmonds, my summary this morning
9 will focus on the problem of HCV.

10 As pointed out by Dr. Celso Bianco, all source
11 plasma and recovered plasma intended for fractionation is
12 screened by sensitive tests for antibody to HCV already, and
13 all plasma derivatives that are licensed in the United
14 States undergo processes during manufacture that remove or
15 inactivate HCV except for some IGIMs.

16 Thus, there has been no documented transmission of
17 HCV by licensed plasma derivatives since 1987, with the sole
18 exception of one outbreak associated with one manufacturer's
19 IGIV in 1993, which was from a nonviral inactivated product
20 at that time, and there has been no transmission by IGIV
21 since 1994.

22 So, the additional testing for plasma would
23 function primarily as an additional safety net. The fact
24 that there are no similar methods to remove or inactivate
25 HCV in whole blood at present means that NAT screening of

1 whole blood and cellular components for HCV RNA would
2 significantly augment the current screening tests for anti-
3 HCV for those products.

4 As described by Dr. Harvey Alter in the workshop,
5 85 percent of HCV infections are chronic infections; 20
6 percent of those will develop cirrhosis within about 20 to
7 30 years after onset of infection. Concurrent use of
8 alcoholic beverages is a major risk factor for an HCV-
9 infected person developing cirrhosis, the O.R. being 147.

10 An interesting clinical aspect of HCV infection
11 was described by Dr. Betty Robertson, who pointed out that
12 whereas about 74 percent of most persons with anti-HCV have
13 HCV RNA detectable by NAT, among black males 95 percent of
14 anti-HCV-positive individuals have HCV RNA. In addition,
15 more black males have HCV genotype 1b infections than are
16 found in other groups in the U.S. This is a more virulent
17 form of the virus, however, further conclusions were felt
18 not to be possible at present.

19 Dr. Steven Kleinman described data from the REDS
20 study and a Red Cross study showing that donations are
21 indeed occurring in the window period of HCV infection and
22 are being missed. This window period is a period when the
23 virus is present in the donor's blood, as detected by NAT
24 tests, but when anti-HCV is not yet detectable.

25 Window period donations by first time donors with

1 HCV are 26 times more prevalent than window period donations
2 for HIV, and this is the reason why the blood collecting
3 community is focusing on NAT testing for HCV first.

4 During the workshop, 11 representatives from 11
5 companies presented their data from NAT testing. This was
6 one of the most interesting parts of the workshop.

7 At least five of the manufacturers are involved in
8 collaborations with one or more of the other manufacturers.
9 Most, but not all, said they are currently doing these
10 studies under INDs. It is noteworthy that some of the
11 manufacturers have been able to detect as few as 10
12 International Units/ml of HCV RNA, which translates into
13 approximately 20 to 50 copies per ml.

14 The technology has advanced sufficiently to
15 identify six genotypes of HCV, as described earlier in the
16 day by Dr. Jens Bukh; all of the manufacturers reported that
17 their methods could detect all genotypes with equal
18 sensitivity, and most had tested all six genotypes.

19 Dr. Indira Hewlett described FDA's view of assay
20 validation and evaluating analytical sensitivity.
21 Perspectives on the regulation and use of NAT tests in the
22 Netherlands, Germany, and in the European Union in general
23 were provided by Dr. Nico Lelie, Dr. Micha Nubling, and Dr.
24 Guy Rautman, respectively.

25 Drs. John Saldanha and Dr. Mei-ying Yu described

1 the international and FDA reference standards that have been
2 developed or are under development. As part of the effort
3 to develop the WHO HCV International Standard, an effort has
4 been made to convert from talking about RNA copies or genome
5 equivalents to International Units with 1 International Unit
6 being equal to between 2 and 5 genome equivalents.

7 In September 1996, FDA held an earlier conference
8 on the feasibility of genetic technology to close the HIV
9 window in donor screening. At that time, implementation was
10 not considered practical due to limitations in technology.
11 FDA is encouraged to see the rapid pace at which nucleic
12 acid technologies are being developed for implementation and
13 pooled testing under INDs. We continue to encourage
14 technology development that would further improve the viral
15 safety of blood and blood products.

16 I would like to acknowledge the work of the other
17 members of the organizing committee - Drs. Yu, Fashid,
18 Epstein, and Hewlett, and the participation of Drs. Dodd,
19 Miriam Alter, Harvey Alter, Dr. Seeff, and Dr. Bianco in the
20 panel discussion.

21 Thank you.

22 DR. HOLLINGER: Thank you, Ed. I want to thank
23 all the members of the FDA staff for these comments on the
24 updates and the workshops. I know it is a lot of trouble to
25 really put these together.

1 We have a little bit of time here. Does the
2 committee have any questions in regards to anything that has
3 so far been discussed this morning, any comments that they
4 would like to make? Yes, Corey. Mr. Dubin.

5 MR. DUBIN: Corey is fine, Blaine.

6 My comments are more for what is lacking rather
7 than what is there, and I in part bring this to the
8 committee because my board has brought this to my attention.
9 I appreciate the updates this morning. I think they were
10 very important, but I continue to have trouble understanding
11 something.

12 In terms of the HCV lookback, we have just seen
13 the guidance pulled from the web and we are told that that
14 is being reevaluated at FDA, and we get no update there.
15 The CJD real change, and no update there, and 50 percent of
16 the manufacturers are under consent decree with regard to
17 GMP problems, and yet the committee is getting no updates.

18 I personally, and my board, are suggesting that we
19 are asked to come to the table and address GMP and safety
20 questions in a climate when we have no updates about the
21 status of the consent decrees or compliance, and when
22 requesting the consent decrees, I, as a member of the Blood
23 Products Advisory Committee, was told I had to go through
24 the Freedom of Information Act, which was a little
25 surprising.

1 Last time, Dr. Mitchell raised the question of HCC
2 research and whether or not that was proper at FDA or
3 whether or not that should be done over at the National
4 Institutes of Health.

5 I think what I am saying is two things. A sense
6 of a lack of a follow-up in terms of things we discuss and
7 come back to, but more important, working in a vacuum, and
8 it makes me question what our real role is, because these
9 things are going on out there, for instance, the GAO report
10 is about to be released, are we going to get an update from
11 staff.

12 The title of the GAO report is Plasma products are
13 safe if GMP is followed. Well, that speaks directly to this
14 question of 50 percent of the manufacturers under consent
15 decree and whether or not GMP standards are being followed,
16 and for me it is hard to come to the table and do this job
17 correctly and responsibly, feeling like this committee lives
18 in a vacuum.

19 I think it is important we begin to raise these
20 questions and ask why we don't get updates, why we don't
21 talk about the consent decrees, why the GAO report doesn't
22 come to the committee, and why we don't circle around.

23 I do think everyone would agree that pullback of
24 the HCV lookback guidance is a big event. The Liver
25 Foundation has sent a rather scathing letter to Secretary

1 Shalala about this, so I feel we come into this room and sit
2 in a vacuum with these things kind of swirling around us,
3 and these things directly impact what we do here.

4 Thank you, Blaine.

5 DR. HOLLINGER: Corey, I think those are good
6 points. I think the lookback issue turned out to be one of
7 logistics, but perhaps, Robin, would you or Jay perhaps,
8 would you want to just comment about that briefly?

9 DR. EPSTEIN: Well, I agree that this committee
10 has an interest in the entire spectrum of blood safety and
11 availability issues that the FDA deals with, however, it is
12 also a fact that this is not the only forum in which
13 information is brought forward and that for the CJD issue,
14 for example, we now have an advisory committee on
15 transmissible spongiform encephalopathies, that we have, in
16 fact, periodically updated that committee.

17 Additionally, where it has been recognized that
18 the decisions go beyond merely a scientific discussion, and
19 involve global public health, economic, social, legal,
20 ethical considerations, that those issues have been brought
21 before an advisory committee of the Department of Health and
22 Human Services, namely, the advisory committee on Blood
23 Safety and Availability, and I think it would be unfair to
24 assert that we have not appropriately and periodically
25 updated that group on these very issues, including CJD and

1 hepatitis C lookback.

2 More specifically to the point of concern
3 regarding FDA's withdrawal of the March 20th, 1998 guidance
4 on hepatitis C lookback, the Agency did place a notice on
5 the CBER web site on September the 8th, withdrawing the
6 extant guidance, however, the notice makes clear that the
7 intent was to remove the September 20th, 1998,
8 implementation expectation date that is contained in the
9 March guidance, and the reason for that was made clear at
10 this advisory committee back in June of '98, where FDA
11 stated that it had received what it felt were significant
12 comments to the March guidance, that they necessitated
13 changes in the guidance that would improve, not just
14 clarity, but the effectiveness of the lookback program, that
15 these spoke directly to donor retesting, they spoke directly
16 to implementation time frames, and it was stated in June '98
17 that we would reissue the guidance.

18 The reason that we withdraw the guidance on the
19 8th was to prevent a situation in which blood establishments
20 would be captured by that implementation date when they knew
21 and we knew that the guidance was going to be changed and
22 imminently.

23 So, I would say stay tuned, the guidance is going
24 to issue very, very soon, that it is not, in fact, a
25 cessation of the lookback initiative by any stretch, that

1 there is no misunderstanding of this by the blood industry,
2 indeed, the AABB put out a notice on the faxnet
3 acknowledging the withdrawal of the policy, but advising its
4 members to continue to proceed with the record searches and
5 to fully expect that the notifications would begin
6 consistent with reissued guidance.

7 So, I think that that, if you will, although it
8 has the appearance of a dramatic gesture, is, in fact, a
9 minor technical realignment consistent with our stated
10 updates.

11 So, I understand what you are saying, Corey, that
12 there is a problem of seeing issues with tunnel vision and a
13 need and desire to have local pictures painted, but I do
14 think that it remains a fact of life that, you know, not
15 every issue at every time comes before this committee, but
16 that is not the same thing as saying that the Agency is not
17 being appropriately public or not updating its advisory
18 committees.

19 DR. HOLLINGER: Thank you. Also, is Dr. Stramer
20 here?

21 MR. DUBIN: Jay, I want to be real clear about
22 what I am saying, so there is no misunderstanding absolutely
23 at all.

24 We are very clear about blood safety and
25 availability and the discussions going on there, and the

1 need to take economic and supply issues there because they
2 are necessarily FDA as a focus, and that FDA has
3 participated in that discussion, I am absolutely clear about
4 that.

5 I even understand very clearly your response about
6 the HCV guidance. I think it is helpful that that gets on
7 the agenda and that response is made because it clears the
8 air. The landscape issue, I also understand what you are
9 saying about the bigger landscape, but let me try to hone
10 this down just a little, so I can be really specific about
11 what is coming from my board.

12 We are asked to sit and discuss problems and
13 safety margin standards that relate to GMP, and 50 percent
14 of the manufacturers are under consent decree, in part for
15 GMP violations, and I am unclear or have not felt and seen
16 at the meetings those discussions.

17 That troubles me when I am asked to make a vote,
18 and understand, not being a doctor, being a consumer at this
19 table conveys a certain degree of responsibility that we
20 have, that we take quite seriously, and we obviously are on
21 display in some way and have to do well here, and we have
22 demonstrated we can be in this process, but there is a
23 discomfort with what is going on out in the world, not in
24 issues that don't belong in FDA, but GMP issues very
25 specific, and the committee's ability to advise, which is

1 all we do, granted, we understand that, and not being
2 updated on compliance, GMP, consent decree, those kind of
3 issues which are the purview of FDA and the committee.

4 Therefore, that is really the focus, and I think
5 that is very specific rather than so global that I am off in
6 right field or kind of between committees. I think I am
7 focused on an FDA issue.

8 As it is brought by my board, the question is you
9 are voting on decisions, yet, these consent decrees exist,
10 some on GMP, and we don't see it on the committee agenda,
11 and you don't come back and tell us that you were updated in
12 this way.

13 Thank you, Blaine.

14 DR. HOLLINGER: We have got just a few more
15 minutes. Thank you, Corey.

16 I asked Sue if she would present just a couple of
17 slides on an issue that had been brought up before. One of
18 the issues that had been discussed before was whether it was
19 necessary always to do RIBA testing in place of ratios or
20 degrees of positivity, where there is a relationship between
21 degrees of positivity of the EIA anti-HCV tests and the RIBA
22 tests, therefore, whether one could get by with just doing
23 EIA testing in most cases instead of having to supplement it
24 with RIBA testing. It goes to some of the issues from the
25 FDA guidance summary, as well.

1 there are ongoing problems. We only meet four times a year.
2 Sometimes we have updates, sometimes we don't on these
3 issues, the GMPs being the issues, availability of product,
4 and so on.

5 It would be helpful if we could have an ongoing
6 update on those issues at each meeting. It doesn't have to
7 take a lot of time, but for those people who don't run
8 around to each of the different committee meetings that deal
9 with some of this, I think it would be very helpful.

10 DR. HOLLINGER: Mark.

11 DR. MITCHELL: I also think it might be helpful to
12 get the minutes from the other committees. Obviously,
13 again, we know that we don't have the purview, but that we
14 need to coordinate with some of the other committees, like
15 the CJD committee, and so on, and I think it would be
16 helpful for us in our decisionmaking to receive minutes from
17 our meetings, as well as from the other committee meetings.

18 DR. HOLLINGER: Ms. Knowles.

19 MS. KNOWLES: I have been interested in this issue
20 since actually injunctions were first leveled in 1993, and I
21 actually sent a written request asking for an update on
22 those last spring, I believe, to FDA.

23 MR. DUBIN: It would be nice to get answered,
24 Blaine, why we have to go through FOIA to get a consent
25 decree. It would be nice to have that answered.

1 DR. HOLLINGER: Okay. Why don't you go ahead and
2 summarize.

3 DR. STRAMER: I will just summarize in six basic
4 lines what the data state. These data have been provided to
5 Paul Mied of CBER for FDA review, as well as to Blaine
6 following the last BPAC meeting.

7 For HCV 2.0, including the time periods from June
8 '93 through June '96, there have been 45,320 repeat reactive
9 samples, donor samples that the American Red Cross -- what
10 do you know, there they are.

11 [Slide.]

12 Of those samples, I am just going to focus on
13 those that are repeat reactive and confirmed positive, so it
14 is this bar up here, so what you see on this bar and whisker
15 plot is signal-to-cutoff ratio, and in this case, this is
16 the HCV 2.0 including 45,320 repeat reactive samples against
17 the outcome on RIBA. These are the samples that we will
18 focus on in advance anyway, but let me go through these.

19 [Slide.]

20 28,153 or 62 percent of the total population
21 confirmed positive. For those centers, for example, who
22 didn't do RIBA, I will tell you what these signal-to-cutoff
23 ratios mean in the absence of a confirmatory test.

24 DR. HOLLINGER: Could you take the timer off of
25 that thing in the back. I think that is what is on, and

1 maybe go back one, if you would, please.

2 DR. STRAMER: Okay. Hopefully, it will stay
3 there.

4 [Slide.]

5 Of these samples listed here, 26,950 or 60 percent
6 had signal-to-cutoffs greater than 3.5 and confirmed
7 positive. Of those 23,599 of the total I just mentioned,
8 with S to COs greater than 3.5, or 26,950, 87.5 percent
9 confirmed positive.

10 So, the bottom line from these data on 2.0, and
11 then I will review the same data for 3.0, is of the total
12 population, approximately 60 percent had S to COs greater
13 than 3.5, and 87 percent of those confirmed as positive.

14 So, if you took a signal-to-cutoff ratio of 3.5,
15 87.5 percent of those were confirmed positive even in the
16 absence of doing a confirmatory test, so one could trigger a
17 lookback based on the use --if that is one possibility --
18 one could trigger a lookback based on a signal-to-cutoff
19 ratio of greater than 3.5 on the EIA-2.

20 [Slide.]

21 Looking at these same data, these are just the
22 population distributions of the different confirmed
23 outcomes, those are confirmed positive, these are
24 indeterminates against the signal-to-cutoff ratios.

25 [Slide.]

1 These are the negative distributions.

2 [Slide.]

3 Looking at the same data for EIA-3 and the use of
4 the unlicensed RIBA test, containing the 3.0 antigens, you
5 can see there is a higher signal-to-cutoff ratio for the
6 confirmed positives.

7 [Slide.]

8 Of these, the positive predictive value is even
9 better. So, if you are using 3.0, for example, in the
10 absence of RIBA, a total of 66.5 percent of those samples
11 would confirm as positive.

12 So, in total, 15,165 had signal-to-cutoff ratios
13 greater than 3.5, and confirmed as positive for positive
14 predictive value of 92.3 percent. So, in contrast to the
15 HCV 2.0, in which if you had an S to CO of greater than 3.5,
16 87.5 percent confirmed as positive, in this case, an S to CO
17 greater than 3.5 had a positive predictive value of 92.3
18 percent of confirming as positive.

19 DR. HOLLINGER: Thank you very much, Susan.

20 We are going to take a break now, and we will
21 reconvene at 10:30 for the TT and Transfusion Safety.

22 [Recess.]

23 DR. SMALLWOOD: We will proceed with the next
24 agenda item. I would just like to acknowledge the previous
25 discussion and concerns expressed by advisory committee

1 members.

2 While FDA appreciates the concerns expressed by
3 the committee members to be updated with pertinent
4 information on regulatory compliance, the procedure is to
5 direct inquiries through the committee chair or through the
6 executive secretary, by which we then can consider this to
7 be an official request, and then we will respond within the
8 scope of the FDA authority.

9 With regard to the requests for the GAO report,
10 copies had been requested for the advisory committee
11 members, unfortunately, they have not arrived yet, but once
12 we have received them, they will be distributed to the
13 committee members.

14 Finally, as an aside, I would just like to ask all
15 presenters, including FDA, as well, if you have not
16 submitted to me copies of your presentations, please do so
17 before you leave. If not, I will continue to harass you.
18 Thank you.

19 DR. HOLLINGER: Thank you, Dr. Smallwood.

20 This is going to be an interesting topic about a
21 virus discovered in Japan. I think it will be useful to the
22 committee as an update -- I mean not an update -- but really
23 for informational purposes only, something that we may need
24 to consider and talk about later on, but that is really why
25 the whole session is being assembled.

1 We will start today. Dr. Ed Tabor is going to
2 give us an overview of the topic.

3 **TT Virus and Transfusion Safety**

4 **Introduction and Overview**

5 DR. TABOR: The first slide, please.

6 [Slide.]

7 I am going to talk to you this morning about TT
8 virus, a newly recognized virus in human blood and liver.
9 TT virus was first reported less than a year ago, and it is
10 really very exciting when there is a newly-recognized virus
11 or when a virus that has been around and we haven't
12 recognized it comes to scientific attention and we suddenly
13 realize it has been there.

14 It is a little bit like being in a newly-
15 discovered continent, a newly-discovered land, and we are
16 seeing a lot of these newly-discovered, newly-recognized
17 viruses nowadays, and the reason is because we are looking
18 for them. We are looking for new viruses that might be
19 causes of post-transfusion hepatitis.

20 [Slide.]

21 Why are we looking for those? Well, for one
22 thing, there is a measurable percentage of post-transfusion
23 hepatitis cases that are not due to any of the known
24 hepatitis viruses, and there are also many cases of
25 fulminant hepatitis and chronic liver disease that do not

1 have any known hepatitis virus associated with them.

2 [Slide.]

3 The TT virus was first reported at the very end of
4 last year by Nishizawa, et al. It was isolated from the
5 serum of a patient whose initials were TT, and Dr. Nishizawa
6 unfortunately chose the unfortunate name of TT virus. It is
7 unfortunate because it is ready-made for confusion with TTV,
8 which to those of us in virology means transfusion-
9 transmitted viruses, which was the name of a very major
10 study run by NHLBI a number of years ago.

11 Nonetheless, they isolated this virus from the
12 serum of patient TT who had a peak ALT of 180 International
13 Units between 8 and 10 weeks post-transfusion. The way they
14 isolated this virus was they took a 1 to 1 mixture of the
15 serum from TT at weeks 8 and 10 and also a sample from week
16 2 when his ALT was normal, and they used a procedure called
17 "representational difference analysis," and what this
18 basically is, is a tag is put on the samples from 8 to 10
19 weeks, and then PCR is done on both samples together, and
20 samples that have the tag because of the nature of the
21 primers that are used, samples that have the tag can amplify
22 exponentially whereas those without the tag can only amplify
23 in a linear fashion and therefore not much of those are
24 produced, and what you end up with is an amplification of
25 whatever nucleic acids were present in the 8 to 10 week

1 sample, but were not present in the 2 week sample.

2 When they looked at this in sequence, they found
3 it did not have a sequence that matched anything in the
4 existing data banks.

5 [Slide.]

6 There are two major issues involving TT virus.
7 These are is TT virus a TTV, that is, is it transmitted by
8 transfusion, and secondly, does it cause disease.

9 What I am going to do this morning is describe for
10 you all that has been published about this virus, but I
11 think that at the end of the session this morning, those of
12 you who are in this room are going to know more about TT
13 virus than anyone in the United States because we have a
14 series of speakers from all of the leading laboratories this
15 morning, all the leading U.S. laboratories, who are working
16 on this virus, and I know some of them are going to present
17 data that have not been published previously, and also data
18 that may change some of the concepts that I am describing.

19 What I am describing to you now is what is known
20 at the beginning of this conference, and I think it is going
21 to be a very exciting conference, and we are going to learn
22 a lot about this virus today.

23 [Slide.]

24 First of all, is TT virus a TTV? There is some
25 very persuasive evidence to suggest that it is transmitted

1 by transfusion. First of all, it was isolated from the
2 serum of a patient with post-transfusion hepatitis.

3 In addition, Nishizawa reported finding viremia,
4 as finding the virus in the serum of three to five patients
5 with post-transfusion hepatitis that was not due to
6 hepatitis A through G, non-A-to-G post-transfusion
7 hepatitis.

8 It is very highly prevalent in hemophiliacs, and
9 in a study published by Naoumov, which since he was in Roger
10 Williams' group, I assume that these were patients in Roger
11 Williams' clinic, the study did not specify, but in these
12 patients at a British clinic, 63 percent of those with TT
13 virus had a history of transfusion or a history of IV drug
14 abuse.

15 However, as of the present time, no one has shown
16 that a patient who receives a transfusion of TT virus-
17 positive blood acquires TT virus infection.

18 [Slide.]

19 Dr. Peter Simmonds has conducted some very
20 impressive studies on TT virus. We are very, very fortunate
21 to have him here today, and he will be presenting this
22 morning, as well. He was here for our workshop yesterday.

23 Dr. Simmonds is in Edinburgh, Scotland, and he
24 reported finding TT virus in the serum of 17 out of 1,000
25 qualified blood donors. He examined the sequences and found

1 that the two reported genotypes were present, and he found
2 evidence that there might be a third new genotype.

3 When he looked at lots of anti-hemophilic factor,
4 Factor VIII and Factor IX, that had been made since viral
5 inactivation was put in place, TT virus sequences were found
6 in 36 percent of the lots, whereas, in those lots of AHF and
7 Factor IX made before viral inactivation, TT virus could be
8 found in 75 percent, and this at least suggests that the
9 virus is partially inactivated or partially destroyed by the
10 inactivation processes.

11 When he looked at the serums of hemophiliacs who
12 had been treated only with inactivated product, either mild
13 hemophiliacs or those who had been diagnosed since the
14 availability of inactivated product, TT virus was present in
15 the serum of only 5 percent, whereas, those who had been
16 treated before the availability of inactivated product, TT
17 virus was present in 27 percent.

18 He also found evidence that with increasingly
19 severe hemophilia, the prevalence of TT virus increased, and
20 this is, of course, presumably due to greater numbers of
21 transfusions and exposure to product.

22 [Slide.]

23 Well, TT virus has these characteristics. It is a
24 single-stranded DNA virus, it is non-enveloped, it has a
25 number of genotypes that differ from each other at the

1 nucleotide level by at least 30 percent.

2 It is thought at present to consist of more than
3 3,700 bases, and it has at least two open reading frames.

4 [Slide.]

5 Viremia, as I have described, has been reported.
6 It has been reported in individuals for as long as 21 weeks.
7 The longest reported period followed, but presumably there
8 are chronic infections because the virus is so prevalent in
9 the population studied, and it is more common in middle-aged
10 blood donors than in younger blood donors.

11 [Slide.]

12 TT virus has many similarities to parvoviruses,
13 and it is very possible that in the presentations that
14 follow, you will learn other information that may change
15 this picture, but the published data so far shows some
16 similarity between TT virus and parvoviruses.

17 First of all, it is a single-stranded DNA virus.
18 That is one similarity. It at least in reports so far has
19 appeared to be linear. When inverse PCR was applied, it
20 could not be amplified. It is very rich in adenine and has
21 many TATA sequences and polyadenylation signals.

22 These are sequences that are involved in RNA
23 polymerase and transcription of the gene, and there are many
24 similarities in the open reading frames.

25 [Slide.]

1 The one major difference that is apparent so far
2 is that parvovirus is not affected by inactivation
3 procedures, and as I described earlier, there is at least a
4 little evidence that TT virus may be affected by some of the
5 inactivation procedures.

6 Dr. Okamoto, et al., published the second report
7 from the same lab that Dr. Nishizawa is from about TT virus,
8 and they took some of the conserved regions of the virus and
9 did PCR on a number of patients at a number of different
10 populations, and they found the virus was present in 12
11 percent of asymptomatic blood donors in Japan, 12 percent of
12 the general population.

13 It was also present in 68 percent of hemophiliacs,
14 additional evidence suggesting that it could be transfusion
15 transmitted, and they did studies on patients with liver
16 disease that suggests that perhaps this virus could be
17 associated with liver disease.

18 They found that in 46 percent of patients with
19 chronic liver disease with no known viral cause and in 47
20 percent of fulminant hepatitis cases with no associated
21 hepatitis virus. This is, as you can see, higher than in
22 the general population in Japan.

23 [Slide.]

24 Similar studies with similar primers from the
25 conserved regions were published by Naoumov from the UK. He

1 reported that the virus could be obtained from the serum of
2 10 percent of what he called "healthy control." These were
3 not described clearly. We do not know what he means by
4 "healthy controls," and we do not know whether these
5 patients who were presumably in Roger Williams' clinic were
6 natives of the UK or were individuals who came to Roger
7 Williams from overseas.

8 He found the virus in 38 percent of those with
9 non-B non-C liver disease, in 25 percent of those who had
10 had liver transplantation for severe liver disease with no
11 known viral etiology, and in 24 percent of those with post-
12 transfusion hepatitis C virus infection.

13 [Slide.]

14 So, does TT virus cause liver disease? As you can
15 see from the clinical data, there is a somewhat higher
16 prevalence of the virus in those with liver disease than in
17 the general population both in the UK and in Japan.

18 The virus titer has been shown to be higher, from
19 10 to 100 times higher in the liver than in the serum of the
20 same patients, suggesting that it at least targets the
21 liver.

22 In the Naoumov study, Dr. Naoumov focused on the
23 number of individuals with TT virus infection who had normal
24 ALT levels and normal liver histology in their biopsies, 58
25 percent with normal ALTs and 71 percent with normal liver

1 histology, and he concluded from that, that this probably
2 did not cause liver disease.

3 I think at the present time and until we have more
4 data, one should also focus on the other people who are not
5 in this percentage. If there are 58 percent with normal
6 liver function tests, then, there are 42 percent who have
7 elevated ALTs and there are 29 percent with abnormal liver
8 histology.

9 [Slide.]

10 So, what can we conclude from what has been
11 published so far about this virus? There is no question the
12 virus is present in blood, and there is strong, but not yet
13 conclusive evidence that it is transmitted by transfusion.

14 It certainly appears to cause chronic infections,
15 and it has some similarities to the parvoviruses, but there
16 is a lot that we still do not know. We do not know whether
17 this virus causes disease.

18 Thank you.

19 DR. HOLLINGER: Thank you, Ed.

20 We are now going to look at some of the clinical
21 studies, particularly the virus in the blood in donors, and
22 so on. I would ask the speakers as they go through their
23 talk to remember what Dr. Tabor has shown, so we don't
24 reduplicate much of what he has said in their presentations
25 and really just get to the point.

1 The first speaker is Harvey Alter from the
2 Clinical Center, who is going to talk on clinical studies.

3 **Clinical Studies**

4 **Harvey Alter, M.D.**

5 DR. ALTER: Thank you, Blaine.

6 [Slide.]

7 Well, I have been coming to BPAC meetings for a
8 long time and the intensity and the nature of these meetings
9 has changed dramatically over the years. I particularly
10 enjoyed these meetings back in the late sixties, as shown
11 here. It was a much more relaxed, less intense situation.

12 [Laughter.]

13 [Slide.]

14 DR. ALTER: Actually, I was in attendance at the
15 very first BPAC meeting as shown here. At that time we were
16 addressing the issue of whether we should ask donors if they
17 had ever been cut by a starched ruffled collar that had been
18 shared by another individual. We never did resolve that
19 issue.

20 I didn't realize that Ed Tabor was going to give
21 such an extensive background. In my narcissistic viewpoint,
22 I thought he was just here to introduce me.

23 [Laughter.]

24 DR. ALTER: So, I will skip over my first 12
25 slides.

1 [Slide.]

2 Actually, a lot of the impetus to look for new
3 agents came out of our post-transfusion studies where we
4 showed that 12 percent of people followed prospectively had
5 what appeared to be a non-A-B-C hepatitis, and then the CDC,
6 in their community-acquired studies, showed that 18 percent
7 were classified as non-A-B-C, and this was actually the
8 starting point for looking for new viruses which wound up
9 with the hepatitis G virus.

10 I cut out my slides on G, I won't go over that
11 again, but just to reiterate that from our standpoint,
12 hepatitis G did not appear to be -- this is still
13 controversial -- but from our standpoint, hepatitis G did
14 not appear to be the agent of non-A-B-C because we found
15 basically the same frequency of G infection in those who did
16 and those who did not get hepatitis.

17 We found a dissociation between levels of G and
18 levels of ALT, and we found that the rate of G in the donors
19 was inconsistent with the diminishing rate of hepatitis in
20 recipients, so that we sort of then went into trying to do
21 the same type of analysis with TTV.

22 [Slide.]

23 Now, this was just the one thing I can show you
24 that Ed didn't have is a pretty slide of the actual first
25 description of the three cases. They found three out of

1 five patients with post-transfusion hepatitis.

2 This is from the Japanese Mushahwar study where
3 the ALT is shown here in the dashed line, and the RNA levels
4 in the yellow line, and what you can see is that all of
5 these cases were very mild hepatitis. Here is the peak ALT
6 is under 200 in all the cases, and that was true of all the
7 non-A-B-C cases that we have had. They have all been mild
8 with enzymes less than 200.

9 But also this slide shows some association between
10 the level of the virus and the appearance of ALT,
11 particularly in this first case, but also some dissociation
12 is shown in this case where the virus is still going up
13 while the ALT level is coming down, and that is what we had
14 found in the G situation fairly routinely.

15 I am going to skip over the slides that Ed has
16 already covered and get into our own data.

17 [Slide.]

18 Now, this is from our own donor population and
19 from our prospective study of transfusion recipients. So,
20 using the primer set that we have used, and this work done
21 in my lab my Dr. Aki Matsumoto, and I am going to
22 acknowledge him later, but we found the prevalence in donors
23 to be 7.5 percent. So, it was 12 percent as reported in
24 Japan, 7.5 percent in our laboratory, and this may be highly
25 dependent on the primer set that is used. The numbers could

1 be even higher, as Dr. Mushahwar will show you.

2 But interestingly, we found virtually the same
3 rate in blood recipients prior to the time they were
4 transfused, so basically, this is not a transfusion
5 occurrence, this is just the prevalence in a patient
6 population. These are open heart surgery patients. So,
7 this is high in everybody in the population. This is the
8 background rate.

9 [Slide.]

10 Now, when we actually looked at the recipients,
11 and we looked for acute, these are new infections where we
12 took away people who had pre-existing TT virus, and looked
13 at those who had acquired new infections after transfusion
14 or new infections after the surgery, and what we found is
15 that the rate of infection in the transfused population was
16 24.5 percent, so 25 percent of people virtually who were
17 getting blood transfusions were getting new TTV infections,
18 but very interestingly, here is a non-transfused population,
19 and 6.8 percent of the non-transfused population was getting
20 a new TTV infection while in the hospital. This is
21 unprecedented, I think, nosocomial spread of a virus.

22 So, this did show that the TTV was transfusion
23 transmitted. This difference was significant. But also a
24 very high rate of acquisition in a hospital setting for
25 reasons which are not clear, but one of the things that Ed

1 mentioned is that this virus has now been found by the
2 Japanese to be in bile and in stool, and this may be an
3 enterically transmitted virus, as well as a parenterally
4 transmitted virus.

5 [Slide.]

6 This just shows you more transfusion relationship
7 just as the number of units transfused increases, so does
8 the acquisition of TTV infection. So, this clearly is a
9 transfusion-transmitted disease, but the key is what happens
10 in the recipients, what is the relationship to hepatitis in
11 the recipients.

12 The numbers in this slide are a little bit smaller
13 than the number in the next slide, but basically, this is a
14 prevalence estimate of TTV virus in prospectively followed
15 transfusion recipients. Here is the group of 12 patients
16 who had non-A-B-C or non-A-to-G hepatitis.

17 We would love to increase this number, but that is
18 all we have is 12, and a quarter of those patients were TTV-
19 positive when tested post-transfusion. This is about a six-
20 to eight-week sample post-transfusion. But so, too, were a
21 quarter of the patients who were prospectively followed and
22 did not develop hepatitis, patients who had perfectly normal
23 ALT levels sequentially after transfusion.

24 So, too, in the prospectively followed patients
25 who were not transfused. These numbers are not

1 statistically different. So, it is just again a very
2 prevalent virus in all patients.

3 [Slide.]

4 But shown here now are the new infections again.
5 This is actual acquisition of TTV after transfusion, so
6 again 25 percent in the group who were classified as non-A-
7 to-G, 32 percent of the patients who were diagnosed as
8 hepatitis C had coexistent new infection with TTV.

9 Among the patients who had no hepatitis now, this
10 number was down to 14 percent as compared to the prevalence
11 estimate that I showed you on the previous slide, but still
12 these numbers were not statistically different or not even
13 close to statistically different, and here again is the non-
14 transfused population with 6 percent having new infections.

15 [Slide.]

16 This is the relationship of the TT virus to ALT
17 level. Among our patients who had non-A-B-C hepatitis, no
18 difference in the level of ALT in those who were TTV-
19 positive and those who were TTV-negative.

20 Similarly, in the patients who had hepatitis C, no
21 significant difference in the peak ALT level or the number
22 who became jaundiced between those who were not infected
23 with TTV and those who were coinfecting with TTV. This is
24 exactly the same thing we found with hepatitis G. There was
25 no impact of G or TTV on coexistent hepatitis C. It doesn't

1 make another liver virus worse.

2 [Slide.]

3 I don't know how well this will show up. I didn't
4 have time to make a better slide on this. But basically,
5 this is again to show the relationship of TTV DNA versus ALT
6 level. ALT is under this pinkish block, and these are the
7 RNA levels, and I think it shows again the dissociation.
8 Here is the RNA up high, ALT has barely peaked, RNA coming
9 down when ALT is going up. RNA level is level while ALT is
10 going up again.

11 The same thing here. Here is a pretty level RNA
12 level with ALT climbing. So, we found no association
13 between the DNA level and the ALT level.

14 [Slide.]

15 In conclusion, TTV is found in a high and equal
16 frequency in donors and in patients. It is transfusion
17 transmitted and the risk increases with increasing numbers
18 of transfusions. There is frequent nosocomial spread and
19 probable non-parenteral spread in the community accounting
20 for the very high levels. It may be fecal orally spread as
21 postulated by the Japanese.

22 [Slide.]

23 It can lead to persistent infection. I didn't
24 show you that data, but we have patients who have this virus
25 for up to a year after acquisition, but importantly, I think

1 this is the key to the study, that it is in the same
2 frequency in patients who develop non-A-to-G hepatitis or
3 non-A-B-C as those who do not develop hepatitis at all.

4 There is a generally poor correlation between the
5 ALT level and the TTV level, and it doesn't influence the
6 course of coexistent hepatitis C.

7 [Slide.]

8 So, to put this all together, what I think is that
9 this is deja-G all over again.

10 [Laughter.]

11 DR. ALTER: I want to acknowledge Aki Matsumoto
12 who not only did all the work, but who told me what it
13 meant. So, Aki, thank you very much.

14 DR. HOLLINGER: Thank you, Harvey.

15 The next speaker is Isa Mushahwar from Abbott
16 Laboratories.

17 **Isa Mushahwar, Ph.D.**

18 DR. MUSHAHWAR: I would like to thank Dr. Tabor
19 for inviting me to share with you some data we generated
20 recently. I haven't been to a BPAC meeting since 1984 when
21 I defended the need for an anti-delta test. I was correct
22 then and I hope I will be correct today.

23 The initial TT virus perhaps should be the
24 wandering nomad virus, or WN virus, and I will try to
25 explain what I mean by that. If you want to not listen to

1 what I have to say for the next 10 minutes, I will summarize
2 my presentation in three statements.

3 Number one. TT virus or WN virus is not a single-
4 stranded linear virus that belongs to the parvovirus family.
5 In our hands, TT virus is a double-stranded, circular virus
6 that belongs to the papova family of viruses, and those
7 viruses, as you know, they are the papilloma virus, the
8 vacuolating virus, and the papova virus. They are spread
9 all over the place like a nomad, hence, the name the
10 wandering nomad.

11 The second point I want to say that using current
12 primers and probes that have been described in the
13 literature significantly underestimate the prevalence of
14 this virus especially among blood donors.

15 The third statement was already touched on by Dr.
16 Alter, that most of those infections I think are not
17 parenterally acquired, but they are non-parenterally
18 acquired because we found a carrier chimpanzee with the
19 virus, and preliminary evidence shows that the stool of this
20 chimpanzee have the TT virus.

21 I would like to apologize for trying to prove my
22 point. I have to use a little molecular biology and some
23 repeat slides that Dr. Tabor showed.

24 [Slide.]

25 This is the summary of the Japanese Nishizawa's

1 paper that appeared in BBRC. The points I want to mention
2 in here, that in this paper, they mentioned the buoyant
3 density of the virus as 1.26 g/ml, and had one open reading
4 frame encoding 166 amino acids.

5 The method of detection, of course, was the PCR
6 method that Harvey described, and by RDA the isolated
7 sequence with 500 base pairs.

8 [Slide.]

9 In the second paper that was submitted to general
10 viral hepatitis on December 5 and accepted on December 5,
11 they claim that the virus in nonenveloped single-stranded
12 DNA virus have a genome of roughly around 4,000 bases, and
13 now they claim two open reading frames - 770 and 202 amino
14 acids, and has a high divergence for a DNA virus, 30
15 percent. Then, they have a new primer set that detects a
16 second genotype of the virus.

17 [Slide.]

18 This is the data for the prevalence of the virus,
19 and this was done by Dr. Tabor, but what I would like to
20 emphasize in here, this is the selected population, mostly
21 liver disease patients and hemophiliacs, and also they don't
22 have a randomly selected population. In our hands, randomly
23 selected population of Japanese in Okinawa and also in
24 Nagasaki, the random population, the prevalence of TTV is
25 something around 52 percent, and I will show you the data

1 later on.

2 Also, the blood donors here are highly
3 underestimated. In a meeting in California at the Hepatitis
4 Panel meeting between Japanese and the Americans, I have
5 been told that this percent in certain areas in Japan go as
6 high as 45 percent, and in certain areas in China, this
7 percent goes as high as 82 percent.

8 [Slide.]

9 Now, we at Abbott in the Virus Discovery Group
10 were interested in this virus, and we have sequenced to near
11 completion an isolate from Ghana, Africa, and it has similar
12 base pair sequence, and at least so far two open reading
13 frames, but no significant similarities to known sequences,
14 and we believe that the genome of this virus appears to be
15 circular.

16 [Slide.]

17 Why do we believe so, we took the 2,900 base pairs
18 known, 22 as described by the Japanese, and we have been
19 working upstream and downstream of the genome, and we
20 selected nested primers from the ends of this clone, and the
21 question we wanted to answer is this virus linear or is it
22 circular.

23 In order to do so, when we built those nested PCR,
24 you look for a PCR product. If it is there, then, you know
25 it is circular; if it is not there, then, it means it's

1 linear, and that is also the experiment plus other
2 experiments I don't want to bother you with, is that we
3 found the product, that it is 1,500 kilo base, therefore, we
4 believe that the virus is circular, and when this fragment
5 was cloned and sequenced, the sequence completely resembled
6 the sequences of the TTV virus.

7 We have done other experiments like cesium
8 chloride, the classification in the presence of
9 papillomavirus, and so on, and so forth. We have other
10 physical evidence to prove that this virus is double-
11 stranded DNA or circular virus.

12 [Slide.]

13 Now, these are the physical characteristics of the
14 virus and some chemical characteristics. As I said before,
15 this is the buoyant density in cesium chloride, and it did
16 pass through a 100 nanometer filter, but not through a 20
17 nanometer filter, so it is sized somewhere between 20 and
18 100, and I think most polyoma viruses are around 45 to 50
19 nanometers in size.

20 There has been reported by us and others a
21 sequence variability not consistent with human genomic DNA.
22 That means that the decoding region high rate of cell
23 position changes, and our data -- and some of it I didn't
24 show you -- suggests that the TT virus is a member of the
25 Polyomavirinae family, and not the Parvoviridae family.

1 [Slide.]

2 What is this family of viruses? As most of you
3 know, they are members of the Papovaviridae, a 45 nanometer
4 non-enveloped viral particles. Look at the buoyant density.
5 It is 1.34, like we found, and not 1.26, and it has 4.7 to
6 5.3 kilo base pair circular, double-strand DNA genome,
7 capable of latent and persistent infection.

8 I don't want to bother you anymore, it is mostly
9 tissue tropism, it is not in the liver. When you find more
10 TT virus in the liver than in the serum, that does not mean
11 this virus is hepatotropic. You have to do other studies
12 to prove so.

13 Anyhow, it is distributed mostly in lung and
14 kidney epithelium, and let me tell you about BK virus
15 infection in kids in the United States between the age of 5
16 and 10, around 50 percent, and more than half of them
17 acquire a BK virus persistent infection.

18 Children between the age of 6 and 14 acquire JC
19 virus infection, 50 percent again, acquire JC virus
20 infection and 15 to 20 percent have a persistent infection
21 of the virus after that acquisition.

22 So, there is no doubt in our mind that this virus
23 belongs to this family of viruses.

24 [Slide.]

25 We have infected those tamarins with the G virus,

1 but at that time we didn't know that the inoculum had also
2 TT virus, but regardless, we have followed this for one
3 year, and so far we have not seen any replication in either
4 the liver or the blood, although I told you about the chimp
5 that is persistently infected with TTV, the stool of that
6 chimp has the TTV virus.

7 [Slide.]

8 Now, with this introduction, I come to my second
9 point, is that we are underestimating the percentage of TTV
10 virus in the blood donors, not only in the United States,
11 but everywhere else.

12 These are the position of the three published
13 primers for the TTV detection. The pink, the genomic, the
14 sense, anti-sense primers as described by Nishizawa, the
15 green ones are as described by Okamoto, and the white ones
16 are described by Simmonds, and Dr. Simmonds will tell you
17 later, but that is a misprint in this anti-genomic primer in
18 the line position as published in Lancet. There is an extra
19 base. It shouldn't be there. But regardless, that doesn't
20 make too much difference.

21 [Slide.]

22 We confirmed by nested PCR and also by southern
23 blotting and sometimes by sequencing, everything is positive
24 that we find, so everything is positive that we find, we
25 confirm, at least by southern blot.

1 [Slide.]

2 This is the data for three global populations we
3 tested, from Ghana, West Africa, from Japan, and drug
4 abusers in the United States. Let me describe this Ghana
5 population. These are schoolchildren between the age 6 and
6 16 that have been surveyed for the presence of hepatitis B
7 and C viruses.

8 Hepatitis B markers were around 60 percent active
9 viremia, for B it was around 5 percent, hepatitis C virus,
10 prevalence was around 5 percent. They have been cleared as
11 drug abusers. They have not shared needles after
12 vaccinations, there are poor sanitary conditions. We find
13 around 38 percent of those children harbor this virus.

14 The Japan population I mentioned before, and here
15 is the number, 52 percent, and the drug abusers around the
16 Chicago area, around 21 percent.

17 [Slide.]

18 Now, what is the sensitivity of those primers that
19 I described? I did not use the second paper Okamoto primer
20 because in our hands it didn't work, so I used Nishizawa
21 primers and I used Dr. Simmonds' primers, and as I see in
22 this, you find that both primers, the Simmonds' primer and
23 the Nishizawa primer, detected 7, the Nishizawa primers
24 detected 1 that the Simmonds' primer didn't detect, and
25 Simmonds' primer detected 24 more than the Nishizawa primer.

1 All in all, you have 8 detected by the Nishizawa primer and
2 32 detected by the Simmonds' primer. This means roughly
3 there is 4-fold difference between those primers, meaning
4 that we still need primers to really come to the true
5 prevalence of TTV.

6 [Slide.]

7 How about the normal blood population? Here is a
8 population with high ALT, 165 donors, that have been
9 rejected donating blood in Eastern Maryland. Their ALT
10 level is 2.5 times above the limit of normal, and you find,
11 to my amazement, 9 percent, less than volunteer blood donors
12 in Southeast Wisconsin that are repeat donors, 13 percent,
13 and commercial plasma donors, 13 percent.

14 [Slide.]

15 This is a slide just to show you that perhaps
16 there are other transmission of GBV-C or G virus, as
17 mentioned by Dr. Alter, is completely different than other
18 transmission of TTV because you look at this, only one out
19 of those volunteer donors have both TTV and hepatitis G, and
20 only five out of the commercial donors have both TTV and
21 hepatitis G. This means to me evidence that the
22 transmission of G is similar to HCV is mostly parenterally,
23 however the transmission of TTV may be by other management,
24 parenteral products.

25 [Slide.]

1 The sensitivity of those primers in this
2 population, it looks like this, 11 positives were detected
3 by Simmonds and Nishizawa, 9 by Nishizawa and not by
4 Simmonds, and 28 by Simmonds, not by Nishizawa, and if you
5 add the numbers, 20 by Nishizawa, 39 by Simmonds, and the
6 difference is 2-fold.

7 [Slide.]

8 To summarize, all this data with the blood donors
9 and the other populations, I show you here we have 70
10 detected by Simmonds' primers, 28 by Nishizawa, so you have
11 2.5-fold difference in prevalence. Again, I emphasize the
12 need for better primers to detect the true value of this.

13 [Slide.]

14 In summary, then, the TTV characteristics are
15 little or no homology with other viruses, genome appears to
16 be circular, filtration and centrifugation data suggest a
17 small, non-enveloped DNA virus. Biophysical and genome
18 characteristics indicate TTV is most similar to
19 polyomaviruses, examples like JCV, BKV, and SV40 in
20 macaques.

21 [Slide.]

22 Current assays and epidemiology. Current PCR
23 assays grossly underestimate the true prevalence due to
24 extensive sequence heterogeneity. A standard PCR detection
25 method is needed using highly conserved region primers.

1 Serologic assay is necessary to determine the true
2 infection rate although I am satisfied with the PCR assay.
3 Routes of transmission may include transfusion, possibly
4 fecal-oral.

5 A paper is coming in October issue of General
6 Medical Virology from Dr. Mayumi's lab that show that 3 out
7 of 5 patients that are fulminant hepatitis patients that are
8 infected with either hepatitis B or C virus, and also
9 coinfecting with TTV, 3 out of those patients they found
10 excretion of the virus in high titers in the stool.

11 So, this data plus the chimp data plus the West
12 African data apparently agree with the polyomavirus spread
13 by non-parenteral route.

14 Of course, disease association requires further
15 study. I still believe that this virus is a wandering nomad
16 and I am waiting to be proven wrong.

17 Thank you very much.

18 DR. HOLLINGER: Thank you, Isa.

19 Our next speaker is Dr. Yuwen.

20 **Hao Yuwen, M.D.**

21 DR. YUWEN: I am going to talk about TT virus from
22 a narrow perspective from our laboratory, Division of
23 Transfusion and Transmitted Diseases, CBER, FDA.

24 [Slide.]

25 The work was supervised by Dr. Tabor and the work

1 was done by my coworker Dr. Sieya Momosaki. The topic of
2 this presentation is a population survey of a small
3 population in Japan of the prevalence of TT virus.

4 [Slide.]

5 First of all, I would like to mention a few
6 background, and Dr. Alter and Mushahwar also mentioned a lot
7 about this virus, and Dr. Tabor gave a good background
8 introduction of the virus.

9 This virus is relatively new, it is less than one
10 year since its publication end of last year, and we have a
11 lot of questions and answers, and you just heard Dr.
12 Mushahwar's presentation, and he kept raising questions
13 about whether it is a circular one or double-stranded, and
14 the way of transmission.

15 You may hear a lot of interesting topics today
16 after this presentation.

17 [Slide.]

18 We selected sera from a group of population in
19 Japan. The reason to do this is when work was started
20 almost a few months ago, there was no additional information
21 available outside of Japan except those two papers published
22 in Japanese groups. We are concerned with the fact that
23 regional factor may be contributing to the transfusion of
24 this virus, and so we selected people from Japan instead of
25 from other places.

1 Also, we used random selected 47 samples out of
2 2,000 from Miyazaki cohort study, which was conducted years
3 ago, and among those 47 samples, 24 of them are anti-HCV
4 positive and 23 are negative, and their age between 27 up to
5 80 and the female and the male almost equal numbers.

6 [Slide.]

7 We still use the primer described by Dr. Okamoto
8 in the second paper since the TT virus was introduced.
9 People who work with the TT virus will understand those
10 three. It is kind of semi-nested. That means there were
11 two upstream primers and one downstream primer. So, we run
12 the PCR by two round of amplification. In the first round
13 we use one upstream and one downstream. In the second
14 round, you use another upstream and the same downstream
15 primer.

16 [Slide.]

17 This work is continuous, still working on it. It
18 is just very preliminary. We got two positives out of the
19 47 samples by PCR, and we come from those two by DNA
20 sequencing, and it showed that those two samples differ each
21 other by as much as 13 percent DNA sequence-wise.

22 The first sample is 99 percent homology to the
23 published [TRs], subtype 1B as proposed by Dr. Okamoto, et
24 al., and second positive one showed 88 percent homology.
25 Those two individuals are both male, and they are HCV-

1 negative, and they are all anti-HTLV-I positive.

2 [Slide.]

3 This short work also has a lot of input from the
4 following individuals. Thank you.

5 **F. Blaine Hollinger, M.D.**

6 DR. HOLLINGER: I am going to take the chairman's
7 prerogative of also interjecting some donor studies also
8 with the Okamoto primer and some small study that we had
9 done at the Gulf Coast Regional Blood Center in which we
10 were also looking at donors.

11 [Slide.]

12 There were also seronegative donors who were also
13 tested for the TT virus, and the only thing in these donors
14 were their ALT levels that we were looking at. This is a
15 subset of about 6,000 donors that we have looked for
16 previously for HG virus, and so on.

17 In that, the percent reactivity was fairly
18 similar, was essentially similar regardless of the ALT
19 level. Those 112 patients who had an ALT level equal to or
20 less than 49, 4 of them were positive or 3.6 percent, pretty
21 evenly divided between the males and the females, and those
22 over 90, it was about 5.2 percent, again probably not a
23 statistically significant difference.

24 It did appear perhaps that females perhaps were a
25 little bit more likely to be positive than males, but again

1 these are very small numbers, so it is really difficult to
2 say.

3 Again, we didn't see -- we just happened to look
4 at the blood type -- didn't see a difference basically, a
5 difference in the distribution of the virus according to
6 blood type, again small numbers and regardless of what the
7 particular blood type was.

8 So, in a group of individuals, 308 individuals or
9 donors that were seronegative, the overall TT virus detected
10 in those donors was 3.6 percent in that group.

11 Thank you.

12 We talked about clinical aspects or donors
13 particularly, and we are going to look now at the TT virus
14 in plasma derivatives, and the first one to talk on that is
15 going to be Dr. Yu from the Division of Hematology.

16 **Detection in Plasma Derivatives**

17 DR. YU: The next slide, please.

18 [Slide.]

19 The goals of our study is to determine the
20 prevalence of TTV DNA in plasma derivatives currently
21 licensed in the U.S. These products include Factor VIII
22 concentrates - intravenous immune globulins, intramuscular
23 immune globulins, and albumin products.

24 Now, in Factor VIII concentrates, we include human
25 plasma-derived AHF, porcine AHF, and recombinant AHF

1 products.

2 Another goal of this study is to evaluate the
3 possible effect of various viral inactivation removal
4 procedures and the methods of purification on the prevalence
5 of TTV.

6 [Slide.]

7 For DNA extraction, for AHF, we reconstituted with
8 half of the specified volume. 0.4 to 2 mL were assayed.
9 For IGIV containing 5 to 10 percent IgG, we use 0.2 to 2 mL.
10 For IGIM containing 18 percent of IgG, we use 0.1 to 2 mL.
11 For albumin products containing 5 to 25 percent of proteins,
12 we use 0.1 to 0.4 mL to assay for DNA extraction.

13 Now, PCR procedures are similar to that described
14 by Okamoto in Hepatology Research, but with some
15 modifications in amplification and detection.

16 [Slide.]

17 We found TTV DNA is prevalent in AHF, I mean was
18 prevalent in AHF (human), but not in recombinant AHF or
19 porcine AHF. We assayed 32 lots of recombinant AHF and 4
20 lots of porcine AHF. No TTV DNA was detected.

21 We found all 16 lots of AHF (human) made in 1977
22 to 1983 positive for TTV DNA. These lots were not subjected
23 to any viral inactivation procedures, and they were lower
24 purity.

25 Among 6 lots of AHF made in 1987 and 1988 period,

1 we found 4 lots positive. They were subjected to some viral
2 inactivation removal procedure, but they are inefficient,
3 such as dry heating.

4 Among 160 lots of AHF (human) made in 1993 through
5 1998, we found 31 lots positive, so with a prevalence rate
6 of 19 percent. However, the prevalence rate are varied
7 among products made by different manufacturers.

8 [Slide.]

9 There are the five manufacturers, current
10 manufacturers of AHF (human). All I want to say is that TTV
11 DNA is least likely to be found in immunoaffinity purified
12 AHF products. In addition, TTV DNA is less likely to be
13 found in AHF product that are virally inactivated by wet
14 heating.

15 [Slide.]

16 TTV DNA was not found in IGIV or in albumin
17 products, however, we did find 1 of 45 lots of IGIM was
18 positive for TTV DNA.

19 [Slide.]

20 In conclusion, it remains unknown whether positive
21 TTV DNA equates with infectivity in recipients of AHF.

22 TTV DNA was in most AHF (human) lots made in the
23 1970s and 1980s from plasma not screened for antibodies to
24 HIV or HCV, and either not subjected to, or not treated
25 effectively, with viral reduction procedures.

1 TTV is least likely to be found in products
2 purified by immunoaffinity chromatography, and is less
3 likely to be found in products virally inactivated by wet
4 heat than by other methods.

5 [Slide.]

6 TTV DNA was not detected in any recombinant AHF,
7 porcine AHF, IGIV, or albumin.

8 Only 1 of 45 IGIM lots was positive for TTV. This
9 lot was made in 1991 and not subjected to viral inactivation
10 procedures.

11 [Slide.]

12 I would like to acknowledge others who contributed
13 to this work: Dr. De Tan, Dr Akihiro Matsumoto, Dr. Anthony
14 Yeo, Dr. James Shih, and Dr. Harvey Alter.

15 Thank you.

16 DR. HOLLINGER: Thank you, Dr. Yu.

17 The next speaker, Peter Simmonds from the
18 University of Edinburgh in Scotland.

19 **Peter Simmonds, M.D.**

20 DR. SIMMONDS: Good morning. Thank you very much
21 for the invitation to the BPAC meeting. I have certainly
22 learned a lot about TTV this morning, and I think it is
23 great to compare notes at this very early stage and see
24 which findings are consistent between groups. I think the
25 blood product data is very interesting taken in the light of

1 the preliminary studies we have done before.

2 [Slide.]

3 I also had a background slide which I will
4 probably skip because I think we have been through this
5 already. It is really just to show the genome of the
6 fragment that has been published so far from Okamoto with
7 the two open reading frames, and, in fact, the idea that it
8 was possibly a single-stranded DNA virus on the basis that
9 it could be digested among nuclease, where, in fact, the
10 evidence now might be that, in fact, it is a quite different
11 type of virus, and that is an intriguing observation, and
12 certainly I agree makes more sense in terms of what we now
13 know about the prevalence and epidemiology of virus.

14 [Slide.]

15 I am going to concentrate a bit on our own
16 investigations of TTV and, in particular, look at the impact
17 of the virus on blood transfusions in the UK. Foremost
18 among this was really an investigation of the prevalence of
19 viremia in blood donors and the extent to which that would
20 be then transmitted to recipients of blood, but also to
21 investigate whether some of the exposed risk groups, such as
22 hemophiliacs, showed a greater likelihood of infection that
23 may be attributable to blood products derived from these
24 blood donations.

25 [Slide.]

1 This is a basic summary of the findings so far.
2 We screened a total of 1,000 blood donations collected from
3 October to December 1996. These are screened in pools of 10
4 and then split to identify the individual component
5 donations. Our initial prevalence was 19 out of 1,000,
6 which is 1.9 percent.

7 I just want to highlight two or three
8 qualifications about this raw prevalence data. As Dr.
9 Mushahwar had mentioned, primers are not optimally designed
10 at this stage. This figure here was actually a composite of
11 our own primers and primers published by Okamoto. In fact,
12 we find samples that were positive with one set, and not
13 with the other, so this is really a composite figure, and an
14 implication might be that the true prevalence may be higher.

15 The second qualification is that levels of viremia
16 are quite low in TTV, and samples going all the way down to
17 the cutoff of sensitivity of the PCR exist in this and other
18 populations, and I think that we might find a higher
19 prevalence if we were able to screen larger volumes of
20 plasma from the donors. We were testing 100 microliters,
21 but we suspect that if we were testing larger volumes, we
22 might find a higher prevalence of infection.

23 Thirdly, this is only the frequency of active
24 infection, and as already shown, individuals infected at
25 least as adults with TTV can resolve, so, in fact, this does

1 not reflect the frequency of donors who have been infected
2 and resolved, and, in fact, the true frequency of exposure
3 to TTV may actually be higher than this.

4 Consistently, what has been said, we don't find an
5 association with hep G, so, for example, out of the
6 thousands that were screened, 23 were actually positive for
7 hepatitis G, but there were no cases of coinfection.

8 We noticed an interesting age stratification. So,
9 for example, among the TTV-positive donors, the mean age was
10 53, and this is quite unrepresentative of the blood donor
11 population generally, where I think our mean age is around
12 35. So, in fact, we do see an overrepresentation of
13 infection amongst older donors, and, in fact, many of the
14 donors in this study were actually 60 to 65.

15 [Slide.]

16 Again, underlying the problem of the primers, if
17 you sequence TTV amongst donors, you can actually show at
18 least with the initial passthrough types 1A and 1B. If you
19 use different primers, you can find examples of other
20 genotypes. This is type 2, which is around 30 percent
21 divergent from the original clone.

22 There is evidence for a third genotype here, which
23 isn't shown on this tree, but, in fact, we now know there is
24 a variance of TTV that may differ by up to 50 to 60 percent
25 in an immunoacid sequence from the sequence, and these

1 variants are almost impossible to detect with any of the
2 published primers, so we are clearly underestimating the
3 true prevalence of this infection.

4 [Slide.]

5 Despite the limitations of these type of PCR based
6 surveys, we can, nevertheless, show a marked geographical
7 difference in prevalence throughout the world, so if we just
8 review the data here, in Scotland, around 2 percent,
9 probably an underestimate, Japan 12 percent, Pakistan, these
10 are blood donors, 16 percent, but if you actually start
11 looking at African countries, for example, Nigeria, Gambia,
12 and Zaire, we can see prevalences ranging from 44 to 83
13 percent of that whole population. Similarly, in Papua, New
14 Guinea, we find 74 percent, and Ecuador, a very high
15 frequency of 60 percent.

16 These samples from wild-caught chimpanzees, we
17 find at least one of these to be infected with TTV, although
18 we really want to get the sequence of that to see how
19 divergent it is from those found in humans.

20 So, clearly, there are marked geographical
21 variations and accepting limitations of the PCR data,
22 perhaps we may see a universal exposure to TTV in certain
23 populations.

24 [Slide.]

25 We were quite interested to look at the sort of

1 risk factors associated with TTV infection, and we have
2 cohorts of different patient groups where, in fact, we can
3 look at the prevalence of different markers that may be
4 associated with sexual contact or contact in particular drug
5 use, and this, in fact, summarizes the results from testing
6 for three markers - hepatitis C, hepatitis G, and TTV. This
7 is blood donor prevalence data, it is quite low for
8 hepatitis C, hep G, 2.3 percent, TTV around 2 percent.

9 This is a control group of patients from the STD
10 clinic who have no real disclosed risk factors, they show a
11 low prevalence of hepatitis C and hep G, and, in fact, this
12 8 percent prevalence, 3 out of 36, seems to be roughly the
13 sort of population norm in this and other studies.

14 Maybe I should look at those who have a history of
15 sexual exposure, female prostitutes, gay men who are HIV-
16 positive or negative. We find higher frequencies of hep G
17 in these, but we do not see an increase in prevalence of TTV
18 in these groups.

19 If we look at drug users, we can again show a
20 higher frequency of hepatitis C, say, for example, among
21 HIV-positive drug users, hepatitis C is present in 93
22 percent. Hepatitis G is also increased in prevalence
23 compared with controls at 46 and 33 percent. When we look
24 at TTV, we actually find only 4, or 7 percent.

25 So, I am not saying it is parenterally

1 transmitted, but at least in this risk group we do not see
2 an excess of prevalence over controls, and, of course, there
3 are many possible explanations for this, one of which may be
4 that the amount of blood exchanged during drug abuse may be
5 too small to be infectious, and given the very low titers of
6 TTV in blood, it may also be that, in fact, individuals
7 exposed as adults may be able to resolve infection more
8 easily than those who are exposed later on, but anyway,
9 these do not seem to be classically associated with TTV in
10 the same way that they are with the other virus markers.

11 [Slide.]

12 This data was published in the Lancet, and the
13 numbers are much smaller, but they are very consistent with
14 what Mei-ying Yu just presented. If you look at blood
15 products that are not virally inactivated, you can show high
16 frequencies of contamination in Factor VIII and Factor IX,
17 but not in immunoglobulin.

18 If you look at virally inactivated concentrates,
19 you can still detect TTV, but the frequency is lower, and,
20 in particular, it is lower in some of the concentrates
21 prepared where the heat inactivation has been through heat
22 rather than by solvent detergent.

23 These are Scottish products which have been
24 inactivated by solvent detergent, and this seems to make no
25 difference to the frequency of detection in marked contrast

1 to the commercial products which largely were heat treated.

2 [Slide.]

3 This is the data that Dr. Tabor presented earlier
4 on. It was nice he showed percentages rather than numbers
5 because these numbers are rather small, but basically, what
6 you can show is an increased prevalence of TTV in
7 hemophiliacs who have been treated with non-inactivated
8 concentrates, and there does seem to be a stratification
9 based on Factor VIII usage.

10 So, for example, if you look at hemophiliac A
11 patients who have been treated before 1986, we find an
12 increasing prevalence with disease severity going up to 41
13 percent. Hemophilia B is similar, where moderate to severe
14 cases have 57 percent prevalence.

15 If we look at hemophilia A patients who have been
16 only treated with non-inactivated concentrates, we find only
17 1 out of 19 to be viremic, and that is significantly
18 different from the prevalence in the other hemophiliacs, but
19 we really need to have greater numbers to establish this
20 more clearly, because we know, for example, this group has
21 been infected with B19 from the same concentrates as have
22 been exposed to with TTV, so there may be a differential in
23 inactivation between the two viruses.

24 [Slide.]

25 Finally, I just want to show some very preliminary

1 data about dissociation or lack of association with
2 fulminant hepatitis. We have a well-characterized cohort of
3 21 cases of which 6 had hepatitis G, but, in fact, we
4 decided that this was not likely to be the etiological agent
5 of those cases.

6 We find TTV in a total of 4 of 21, of which 1 had
7 actually been mostly transfused, and we think that, in fact,
8 TTV is not associated with that. You will notice in the
9 other 3 patients that we do not have a pre-disease sample,
10 so all we can record is the fact that they were positive
11 when they presented with fulminant hepatitis, and I think
12 given that there are only 3 out of 24, this clearly makes
13 TTV not a major etiological agent of fulminant hepatitis,
14 and I think 3 out of 24 isn't too different from the general
15 population prevalence of the virus, so I think probably we
16 would not say this is evidence for a role in fulminant
17 hepatitis.

18 [Slide.]

19 The same conclusion. The virus is widely
20 distributed. It has got a DNA genome at least. I don't
21 know whether it is single or double stranded. It is
22 interesting, it may correspond to the chloroform resistant
23 agent that was found in early chimpanzee transmission
24 studies although then again it may not, and obviously, Dan
25 Bradley is very keen to get that material tested to see

1 whether, in fact, it was TTV in those samples.

2 [Slide.]

3 Frequency of infection in blood donors indicates
4 that there is going to be, first of all, exposure to users
5 of blood products, but also ongoing transmission through
6 blood transfusion, and that is clearly an issue, and
7 obviously, the more data we can accumulate that it is non-
8 pathogenic, the more justified we are in not attempting any
9 form of screening for the virus. What we urgently need is
10 some lookback and clinical follow-up of the recipients of
11 positive blood.

12 I should like to acknowledge the people working in
13 the group. This is the blood transfusion people, the group
14 in the university, Linda Prescott in particular, and also
15 collaborators with the clinical departments.

16 Thank you very much.

17 DR. HOLLINGER: Thank you, Peter.

18 The last group is going to be on the blood
19 transmission studies. The first speaker will be Kevin Brown
20 from the Hematology Branch.

21 **Blood Transmission Studies**

22 **Kevin Brown, M.D.**

23 DR. BROWN: Thank you very much, but in contrast
24 to what you have just heard, I am not going to talk on the
25 blood transmission studies, but I am going to show you some

1 of our other data, which is why we haven't done the blood
2 transmission studies.

3 [Slide.]

4 I have no background slides because I am sure you
5 know the background, but I am going to show you our data
6 using PCR to detect this virus. Before I start, I want to
7 just say how we are detecting virus because I think it is
8 probably significant.

9 We are using a modification of the method that was
10 described in the Okamoto paper, and we are using their
11 primers, but when we originally tried the primers and using
12 the serum that he had provided, we were unable to get very
13 convincing results, so we spent quite a lot of time
14 optimizing this, using different methods of DNA extraction,
15 altering the cycle, the enzymes, the conditions, and we also
16 designed an internal oligonucleotide probe to increase
17 sensitivity.

18 [Slide.]

19 This is just an example, so that you know what we
20 are looking at. This is what it looks like by ethidium.
21 This is our positive control that was provided for us, and
22 you can see quite clearly these 2 positives in this group of
23 18 sera, and you can see how nicely they light up with our
24 oligo probe.

25 [Slide.]

1 So, we have gone on and used our assay to look at
2 a variety of different serum groups, and the first lot of
3 data is in collaboration with our colleagues in Viet Nam.
4 These are from Ho Chi Minh City. We looked at blood donors
5 from Viet Nam. These are all volunteers, they are not paid
6 to donate blood, and they have all been screened. We also
7 looked at 18-year-old Army recruits and we also looked at
8 children.

9 This is the summary of the results. You can see
10 that in these blood donors, we were actually finding about
11 12 percent were positive, which is very similar to what has
12 been found in Japan and in some of the other countries that
13 have been looked at.

14 However, when we looked at 18-year-olds, we have
15 found a much higher percentage that were positive, and when
16 we looked at children, these were from a pediatric hospital
17 in Ho Chi Minh City, we also could find much higher rates
18 than in blood donors, suggesting that maybe this is not
19 necessarily a virus that is acquired by blood transfusions,
20 but is acquired by non-parenteral methods, which has been
21 talked about before.

22 This was strengthened when we broke down the
23 different ages of these children, and you can see even
24 though the numbers are small, quite clearly, the prevalence
25 of viremia is increasing with age, so that we had 11 out of

1 15, 11 to 15-year-olds that were positive.

2 [Slide.]

3 We have also looked at similar groups in the U.S.
4 We looked at blood donors. This is in collaboration with
5 the NHLBI REDS study. We used donors from five different
6 centers, 50 from each. We also looked at lab staff. That
7 is the individuals in the Hematology Branch at NIH, and I
8 should point out that we do not represent the American
9 population as a whole. We have a rather increased number of
10 Asian, Chinese, and Japanese workers. We also looked at
11 children who were less than 15 years old.

12 This was a special study that we are doing, in
13 fact, looking at HTV. These are children attending
14 Children's Hospital in Washington. What is special about
15 them is that they have been preselected or prescreened to
16 make sure that they have not received any blood products or
17 blood transfusions, so that we know that any that we find
18 are positive have not acquired these at least by those
19 routes.

20 [Slide.]

21 This is the results of looking at the different
22 sites of blood donors. There is no significant difference
23 between these, but you can see we are picking up about 9
24 percent of American blood donors have viremia.

25 [Slide.]

1 This shows the other groups that we looked at.
2 The lab staff is slightly higher, and most of these 7
3 positives were either Chinese or Japanese, well, 3 of them
4 were Caucasian.

5 The children less than 15 -- and we have not been
6 able to break down the ages yet to look at these -- but 20
7 percent were positive, so that is twice as high as what we
8 are finding in the blood donors.

9 [Slide.]

10 My lab has an interest in hepatitis, specifically
11 aplastic anemia preceded by seronegative hepatitis, and so
12 we did some preliminary studies to look in these
13 individuals. You can see in serum, 2 out of 17, 12 percent,
14 again not significantly different than our blood donors were
15 positive.

16 Looking at liver samples, we have only looked at 8
17 from each group. These are control livers. These are
18 seronegative fulminant hepatitis livers, and we are finding
19 exactly the same rates in the two different groups, again
20 suggesting that seronegative hepatitis is not associated
21 with this virus.

22 [Slide.]

23 I also give you this data. This was an accidental
24 finding. We were using tamarin inoculations as part of our
25 own studies to find the etiological agent of hepatitis-

1 associated aplastic anemia, and we had three tamarins, each
2 inoculated with pools of 10 acute hepatitis sera from Viet
3 Nam. They were followed for 12 weeks.

4 All the animals including the controls remained
5 well, but this monkey 3757, although had no hepatitis, no
6 increase in transaminases at all, no drop in hemoglobin,
7 when we did a retrospective analysis, although initially
8 negative up until week 5, week 7 and 9 clearly were positive
9 for this virus. By week 12, he was negative again. So
10 this, in contrast to what you have just seen, does suggest
11 that in our tamarins at least, we could inoculate them and
12 get evidence of TT viremia.

13 [Slide.]

14 This is a representative blot, ethidium and blot
15 of the donors that I have been describing, and I want you to
16 sort of note particularly this one here. You can see
17 clearly that there is a group here of positives. This one
18 clearly has a band of the right size, but when we use our
19 probe, it hardly probes at all, and you can see this one is
20 also similar. In contrast, this is much weak, but we get
21 quite a strong band.

22 [Slide.]

23 We have been sequencing quite a lot of the
24 products, and I don't expect you to be able to read any of
25 this, but certainly when we look at the sequence, we can

1 find again as has already been described, up to 50 percent
2 difference in the sequence of our PCR products compared to
3 the published sequence, and I have, in red, that you may
4 just be able to see, this is the G1A and G1B, and you can
5 see we have clusters.

6 These are our own sequences. The bulk of these
7 here are the blood donors, but we also have blood donors in
8 this group that matches with 2B, this one which matches with
9 2A, and we have again, as has been alluded to, what looks
10 like a third genotype which is complete different from these
11 others, and yet with our modified PCR, we can pick them up.

12 I also want to add, and you might just be able to
13 see that there is three of these that are in blue. This is
14 from the fulminant hepatitis, and these are from two of the
15 hepatitis aplastic anemia. All three of them cluster in
16 these ones that really, the original PCR primers are not
17 designed to pick up, and these give the relatively weak band
18 by PCR. So, I am not sure that we can be quite as
19 confident, as has already been said, that this virus is not
20 associated with hepatitis.

21 It may be that most of the positives that we are
22 finding so far are the ones that may be nonpathogenic and
23 more associated with TTV G1A and G1B, and I don't think that
24 we can say anything at all until we know more about the
25 conserved areas of this virus and have better PCR before we

1 can really answer whether this is associated with hepatitis
2 or not. Having said that, I don't think it is.

3 [Slide.]

4 So, these are my conclusions. The virus is very
5 common in both Vietnamese and U.S. blood donors, but it is
6 even higher rates in children and young people. So far we
7 have not found any increased prevalence in hepatitis
8 samples, but maybe our PCR primers are not correct, but
9 there is extremely high sequence variability which almost
10 certainly are underestimating the true prevalence of this
11 virus.

12 Thank you.

13 DR. HOLLINGER: Thank you very much.

14 The final speaker then on this topic is Ian
15 Williams from the Hepatitis Branch at the CDC.

16 **Ian Williams, Ph.D.**

17 DR. WILLIAMS: Thank you very much. It is my
18 great pleasure to be here today, but unfortunately, I am the
19 only thing separating you from lunch, so I will try to be
20 direct and to the point.

21 Again, I am listed as talking about blood
22 transfusion studies, but I am not going to be talking about
23 that. I will be talking about TTV and its role in
24 community-acquired viral hepatitis in the United States.

25 [Slide.]

1 Specifically, I am going to be talking about TTV
2 in the sentinel county study of viral hepatitis, and I would
3 like to begin by thanking a number of people, especially Dr.
4 Howie Fields, the chief of the Electroimmune Diagnostics Lab
5 at the Hepatitis Branch who did a tremendous lot of work, as
6 well as Yuri Khudakov, to make sure I had some data to date
7 to show to you guys, specifically, some of the PCR groups
8 being completed on Monday, so my talk should be
9 appropriately titled a research in progress, because the
10 analysis is very quick and dirty, and when we get to the
11 end, the data is so preliminary I hate to draw any
12 conclusions, but I will anyway.

13 [Slide.]

14 So, as we heard earlier, the method of PCR may be
15 quite vital to detecting this virus. Here is the method
16 that we used, basically, a 271 base pair were selected from
17 the open reading frame one of the TTV genome. Semi-nested
18 PCR was done to amplify the fragment. The sample was
19 prepared with a phenol chloroform extraction in 50
20 microliters of serum sample. There was an ethanol
21 precipitation. It was dissolved in 20 microliters of water
22 and then 2 microliters were used for PCR.

23 PCR conditions in the first and second round are
24 stated over here. So, these are the methods that we use to
25 detect TTV.

1 [Slide.]

2 For those of you who may not be familiar with the
3 sentinel county study, this is used for quite a number of
4 purposes within the Hepatitis Branch, and it basically
5 provides a backdrop for almost all we know about community-
6 acquired hepatitis in the United States today. It has been
7 quite vital for a number of functions specifically for the
8 detection of emerging infections. Most notably, it was key
9 in hepatitis C, it has been used to look at hepatitis G, and
10 today I am going to try to use it to talk about TTV.

11 [Slide.]

12 So, who are the patients in sentinel counties?
13 Well, specifically, these are patients with acute viral
14 hepatitis, and they reported to six counties throughout the
15 United States, although the analysis today is only going to
16 focus on four.

17 These are identified through stimulated passive
18 surveillance, and these are really acute clinical cases,
19 that is, they have to have signs and symptoms of viral
20 hepatitis, they have to have an ALT or AST more than two and
21 a half times the upper limit of normal, and they have to
22 exclude other causes of liver injury.

23 They undergo extensive serologic testing, both
24 locally by the health department and again by our lab. For
25 all the samples that are non-A, non-B hepatitis, PCR for

1 hepatitis C is also done. In conjunction with all the
2 serologic testing, there is an extensive epidemiologic
3 interview that takes about an hour to complete, to search
4 for risk factors for infection.

5 [Slide.]

6 The counties I am going to focus on today are the
7 following four counties: Pinellas County, which is St.
8 Petersburg, Jefferson County, which is Birmingham, Denver,
9 and Pierce County, which is Tacoma, about 30 miles south of
10 Seattle.

11 [Slide.]

12 In the sentinel counties between 1982 and 1995,
13 they have identified about 11,000 patients with acute viral
14 hepatitis. About half of these were hepatitis A, about a
15 third were hepatitis B, about 15 percent were hepatitis C,
16 and about 3 percent were non-A-through-E. The first part of
17 the presentation is going to focus on this part of the pie.

18 [Slide.]

19 The samples we looked at for the non-A/non-B
20 patients were actually patients selected in two study
21 periods from 1985 to 1986, and 1991 to 1995. These samples,
22 the reasons we used these, they have been previously tested
23 for hepatitis G. The paper was published in the New England
24 Journal by Miriam Alter, et al., and the citation didn't
25 make it, but it was New England Journal in March of 1997.

1 So, basically, these are samples that have been looked at
2 before for hepatitis G.

3 [Slide.]

4 So, let's get to the bottom line. What did we
5 find? Well, basically, we looked at 87 patients with acute
6 non-A/non-B hepatitis, and here is what we found. We found
7 1 patient who had TTV alone, which represented about 1
8 percent of the samples tested, 1 person had both TTV and HGV
9 infection, 7 people had TTV and hepatitis C infection as
10 compared to about half the sample which is HCV alone, and
11 about 16 percent of the sample which had HCV and HGV
12 infection as compared to about 17 people that were non-A
13 through G and TTV.

14 Based on these findings, if you sum up all those
15 that were non-A through G prior to starting the study, we
16 identified possibly 1 additional patient with non-A through
17 G hepatitis. If this acute viral hepatitis was caused by
18 TTV, that would mean roughly 5 percent acute viral hepatitis
19 in community-acquired settings caused by TTV if you want to
20 believe that TTV actually caused acute viral hepatitis.

21 [Slide.]

22 So, let's look at some of the demographic
23 characteristics of these patients with non-A/non-B hepatitis
24 that we looked at.

25 Basically, the 1 patient with TTV alone was 40

1 years of age, the 1 with TTV and hepatitis G was under 40
2 years of age, and if you look at the bulk of the group,
3 which is the TTV/HCV infected group, and compared their
4 distribution with that of hepatitis C alone and with
5 hepatitis C and HGV, and compare it against those who don't
6 have hepatitis A through G or TTV, you will find that the
7 age characteristics are very similar between the TTV and HCV
8 groups, as well as those with HCV alone and HCV and
9 hepatitis G.

10 [Slide.]

11 The same thing is seen for the distribution of
12 gender. Basically, the two TTV, the TTV alone,
13 TTV/hepatitis G person, the people were both males. If you
14 look at the distribution of TTV and hepatitis C, about 60
15 percent of them were males. Interestingly, and we don't
16 have a good explanation for this, there is this interesting
17 gender reversal where about 60 percent of our hepatitis C
18 patients were female.

19 We are not quite sure why this true, but if you
20 look at the hepatitis C and hepatitis G group, they are very
21 similar to the TTV and hepatitis C group, about 60 percent
22 are male, and if you look at the non-A through G and TTV
23 group, they are about 50-50.

24 [Slide.]

25 If we look at the racial breakdown, basically, you

1 see a similar thing. The distribution of TTV versus white
2 and non-white is very similar for those that have TTV and
3 HCV compared with those that have HCV alone, and actually,
4 if you do tests for statistical significance you don't see
5 any differences between these groups.

6 These groups look somewhat different than you see
7 with the people who have non-A through G and not TTV. There
8 is about a 50-50 breakdown here where it is closer to 80 or
9 90 percent of the people with hepatitis C or hepatitis C and
10 TTV have.

11 [Slide.]

12 Let's look at some of the clinical characteristics
13 of these patients. The TTV alone patient had moderately
14 elevated ALTs. The groups here, for those who can't read it
15 in the back, we looked at ALT times upper limit of normal,
16 and we grouped them into three groups - those who were
17 between 2.5 and 5.9 times upper limit of normal, those who
18 were between 6 and 15.9 times upper limit of normal, and
19 those who were 16 times the upper limit of normal.

20 The TTV alone had moderately elevated ALTs. Our
21 TTV and HGV person had significantly elevated ALTs. If you
22 look at the distribution of those who were coinfectd with
23 HCV and TTV, all of them had more than 16 times upper limit
24 of normal, and this is pretty similar to what we see among
25 the HCV-alone group, as well as those that are HCV and HGV

1 coinfectd.

2 This is somewhat different than what you see with
3 the people who aren't infected with any of those. We see
4 more equal distribution among ALT.

5 [Slide.]

6 One last look. Let's look at bilirubin. We broke
7 it again into three groups - bilis less than 1.5, 1.5 to
8 2.9, and greater than 3. Our TTV-alone was actually not
9 jaundiced, however, our TTV and HGV case had bilirubins of
10 greater than 3.

11 If you look at those that are coinfectd with TTV
12 and HCV, the bulk of them had bilirubins greater than 3, and
13 this is again what we see with HCV alone, as well as with
14 HCV and hepatitis G. But this is somewhat different than we
15 see with those who don't have any of the above.

16 [Slide.]

17 Let's get to the bottom line and look at risk
18 factors. Let's look at risk factors within the previous six
19 months prior to onset of illness in these cases.

20 Basically, we looked at these in mutually
21 exclusive groups going from left to right, and what does
22 that mean. Basically, we took everybody with blood
23 transfusions, if they had that risk factor, they didn't
24 appear in a subsequent group, and we worked our way down
25 here.

1 So, these are drug users in exclusion of people
2 who had blood transfusion, and these are health care workers
3 who aren't injecting drug users and haven't had a blood
4 transfusion.

5 With that said, our TTV-alone had none of these
6 risk factors, and actually when you look at this case, he
7 had no risk factors at all as far as we could discern. He
8 is a 55-year-old male who was very mildly ill. His blood
9 was drawn four days post-onset of symptoms, which were very
10 mild. He was found to be TTV-positive at that point and
11 HCV-negative based on HCV RNA. When he was tested six
12 months later, he was still HCV RNA negative, and TTV
13 negative at that point. So, it looked like a very mild
14 infection, if any.

15 When you look at his distribution of all the other
16 risk factors, he is not a gay man, he had one sex partner
17 who was his wife. The only thing interesting we could find
18 in terms of looking at his detailed interview, his wife was
19 a home health aide who said she had contact with human
20 blood. Her specimen hasn't been tested yet. So, this
21 raises the possibility of maybe sexual or household
22 transmission to him, if you believe that TTV was the source
23 of his infection.

24 When you look at the other risk factors for TTV,
25 of hepatitis G and TTV with hepatitis C, you basically see

1 what you would expect for hepatitis C, that is, the TTV and
2 hepatitis G person was a dialysis patient, had dialysis in
3 the previous six months.

4 Those with TTV and hepatitis C, one had a blood
5 transfusion. More than half of them were injecting blood
6 users, and two of them were previous injecting drug users,
7 which we believe is a proxy for people who don't admit to
8 current injection drug use.

9 Again, if you look at the distribution of these
10 risk factors and compare them against those who have
11 hepatitis C alone and those who have hepatitis C and HGV,
12 you basically see very similar distribution of risk factors.
13 Again, when you look at the distribution of these risk
14 factors compared to those who don't have any of the above,
15 they look quite different.

16 [Slide.]

17 Very quickly, let's turn and look at those
18 patients who have acute hepatitis A and acute hepatitis B in
19 this study. Basically, the idea here is to look at two
20 different comparison groups. Specifically, we picked
21 consecutive samples from the four sentinel counties from the
22 period of 1991 to 1995.

23 We purposely oversampled hepatitis A and hepatitis
24 B patients to basically match injection drug use, since
25 injection drug use is not a typical risk factor for

1 hepatitis A, and we had some suspicions that TTV might be
2 parenterally transmitted, we wanted to make sure we had
3 enough injecting blood users to look at that potential risk
4 factor.

5 [Slide.]

6 So, what did we find? Basically, of the 149
7 patients with acute hepatitis A, we found 8 of them, or
8 about 5 percent, were viremic for TTV. Among the 137 people
9 with acute hepatitis B, we found a seroprevalence of about 7
10 percent. Keep in mind that we oversampled purposely for
11 injecting drug users, so these seroprevalences may actually
12 overestimate what we would find in the general community
13 among community-acquired acute hepatitis A and acute
14 hepatitis B.

15 [Slide.]

16 I am not going to present to you the clinical and
17 demographic characteristics, but they looked very similar.
18 The bottom line is let's look at people with hepatitis A,
19 the 149 people with hepatitis A, and look at those who were
20 viremic for TTV compared to those weren't viremic for TTV.

21 I am going to present you the same risk factors we
22 saw for non-A/non-B hepatitis. What did we find?
23 Interestingly, half of these people that were viremic for
24 TTV were actually current injecting drug users, and that is
25 pretty much what you find among those who were TTV-negative.

1 When you look down here, about half these people
2 didn't have any of these risk factors. Keep in mind that
3 these aren't traditional risk factors for acute hepatitis A,
4 so I took the liberty of just sort of looking at some of the
5 other data to try to see where risk factors may have
6 clustered.

7 Basically, what we found is the bulk of the rest
8 of these people, all four of the other TTV cases reported
9 they had contact with a known case of hepatitis A in the two
10 to six weeks prior to their onset of illness. This is much
11 higher than you would find with those who are TTV-negative.

12 One of these patients had a household member who
13 had hepatitis A. One of them had a household member who was
14 an injecting drug user. One of them had a history of an
15 STD. What this exactly means, I am not sure. It suggests
16 that there may be a non-parenteral means of transmission,
17 however keep in mind that these are people with acute
18 hepatitis A, and we have no idea whether TTV occurred as a
19 coinfection or they had existing TTV viremia and hepatitis A
20 occurred as a superinfection.

21 [Slide.]

22 Let's quickly turn to hepatitis B people and do
23 the same thing. Basically, here are the 10 people among the
24 137 that we found with acute hepatitis B that had TTV.
25 Basically, we found that a similar thing that we saw in

hepatitis A. About 30 percent of them were current injecting drug users. However, about 70 percent of them had no other risk factors.

The hepatitis B group is a little more ill defined. It was sort of hard to find any sort of any common risk factor that caused them all to cluster together. One of them had contact with a hepatitis B case, which is about what we found for the TTV-negative group. One of them had a household member who had hepatitis B, and one of them had a history of STD.

My conclusions are -- I purposely left it blank because I think we have a lot more work until we can draw really firm conclusions based on this data, but I think we can probably draw three probable conclusions.

One is it doesn't look like TTV is associated with disease in community-acquired viral hepatitis in the United States.

Two, it seems likely that TTV is transmitted in about the same ways that hepatitis C is, and finally, it doesn't look like TTV is an important component of community-acquired non-A through G hepatitis in the United States based on our study.

Thank you very much.

DR. HOLLINGER: Thank you.

We now know all there is to know and maybe more

1 than we wanted to know about TT virus.

2 We have some time and so I want to open it up to
3 the committee. I will ask some of the presenters if they
4 will be around to respond to any question that might be
5 raised.

6 Is there anyone from the committee with questions?

7 Yes, John.

8 **Open Committee Discussion**

9 DR. BOYLE: I would like to ask this question of
10 Dr. Tabor. When we have a newly recognized disease like TT
11 virus, which can either be just newly recognized or newly
12 emergent, and the only way you are going to know is to go
13 back and look at blood 20 years earlier and see whether you
14 have got the same type of prevalence, does any public health
15 agency have a responsibility to do that, to determine
16 whether it is newly recognized or newly emergent, or is it
17 left to individual investigators to look at that?

18 DR. TABOR: There are times when this advisory
19 committee sounds more like a congressional hearing than a
20 scientific forum. I certainly prefer the latter.

21 There are a number of mechanisms set up for
22 looking at the situation you describe. Of course, for many
23 decades, probably throughout the existence of the Public
24 Health Service, people have followed up newly-recognized
25 diseases, whether they are infectious or not. This is in

1 the nature of the organization and in the nature of the
2 directive that they were given when they were founded. It
3 is also in the nature of the people who are working here.

4 Given those facts, there is a much greater
5 attention being paid to emerging infectious diseases today
6 with regard to the blood supply. The reason for this is
7 everyone wants to avoid "another AIDS" or at least if we
8 can't avoid it, be there with whatever tools we have before
9 things get out of hand.

10 To do this with regard to blood, we have a number
11 of forums. One of these is something called the PHS blood
12 conference call at which these topics are discussed in I
13 think it is a biweekly conference call involving the CDC,
14 NIH, FDA, and sometimes members of the other government
15 agencies, such as the Army, other interested parties.

16 In addition, at a recent BPAC meeting -- I don't
17 remember if it was the last one or the one before -- I gave
18 a presentation about something called the Emerging
19 Infectious Disease Committee. If you go back to your notes,
20 you will see that. This is a much smaller group that is co-
21 chaired by myself and Dr. Mary Chamberlin, CDC, who happens
22 to be here today. It also has representation from NIH.

23 The purpose of this group is several-fold. One is
24 we have a sort of algorithm, very formal written algorithm
25 for following up newly-recognized infections, but I will

1 have to say, frankly, I think even though we did that, and
2 wrote a very good one, it is gilding the lily because what
3 we would all do anyway, if we were properly trained
4 scientists and physicians, but nonetheless, we have a good
5 written plan for how to approach these things.

6 In addition, this committee maintains a database
7 of all infectious agents that we think could be a threat to
8 the blood supply with a kind of tabulated summary of what is
9 known about them with regard to blood infections, and that
10 was also handed out at BPAC.

11 Finally, the committee meets periodically,
12 generally by conference call, to discuss newly-recognized
13 agents, such as TT virus.

14 DR. HOLLINGER: Dr. Hamilton.

15 DR. HAMILTON: It is pretty obvious that the
16 measurement of nucleic acids has revolutionized the
17 diagnostic technologies applied to patient care issues, but
18 I would like to ask any of a number of the presenters,
19 probably all of whom know the answer, what the progress is
20 with respect to defining some antibody response to this new
21 agent. I think it has implications perhaps less for
22 diagnosis than for pathogenesis, but I would be very
23 interested to know what the progress is there.

24 In a related question of a series, if I may, I
25 wonder if someone could tell me, if they know, what the

1 typical duration of persistence of these coincidentally
2 found nucleic acid positive patients is.

3 Is there something that we can hang our hat on,
4 both good news and bad news, if there is a disease that is a
5 consequence here? Are there epidemiologic-linked clusters
6 that have been identified?

7 Those three issues, I would like to hear about.

8 DR. HOLLINGER: I think one of the issues
9 regarding the time, I think someone has mentioned, I think
10 Dr. Alter maybe mentioned they had seen it for up to a year
11 in a patient.

12 DR. WILLIAMS: I think I can answer questions 2
13 and 3. Those are questions we have the data to look at. I
14 didn't present it because we haven't really done a detailed
15 analysis, but based on the samples we have done so far, it
16 looks like viremia can be intermittent. Based on the one
17 sample that was TTV-positive alone, viremia persisted for
18 less than six months. We haven't gone on and looked. We
19 have serial follow-up on these people that go up to 16
20 years, haven't done a detailed analysis, but that will be
21 coming soon.

22 In terms of looking at epidemiologic clusters, we
23 also have that data within sentinel counties to look at. We
24 haven't really started doing that yet, but it is something
25 we can do.

1 I think Howie Fields can probably comment on the
2 antibody testing thing. I know that they are in the process
3 of developing one. Is Howie out there someplace? Do you
4 want to take a crack at it, Howie?

5 DR. FIELDS: At this time, there is not a lot to
6 report. We have cloned and expressed recombinant antigens
7 from the two open reading frames. We are evaluating the
8 expressed antigens as an antigenic target for antibody
9 development.

10 It is just much too early for me to report on
11 whether we have an antibody assay at this time. We are
12 hopeful that we will, and we will also apply the antibody
13 test to the same kinds of epidemiologically-defined
14 specimens as you heard this morning.

15 DR. HOLLINGER: Both of those responses came from
16 the CDC.

17 Harvey, did you use the Okamoto primers in your
18 group, do you know?

19 DR. ALTER: Basically, yes, but, Aki, are you
20 here? The primers we used, how close were they to the
21 Okamoto primers? The same as in the second paper from that
22 group.

23 DR. HOLLINGER: Also, just my comment about Dr.
24 Yu's talk, too. Although there were seemingly some
25 information that would suggest that certain inactivation

1 procedures or properties might lead to less virus, I guess
2 the real caveat with that would be you don't know the donor
3 population from which these bloods were drawn. They could
4 be lower levels, they could be different, probably the same,
5 but I think that is a caveat -- unless you know that from
6 that, so you are really looking at the end product, not
7 perhaps the donors that made up the first product.

8 DR. SIMMONDS: Could I answer question 2?

9 DR. HOLLINGER: Yes, please. Dr. Simmonds.

10 DR. SIMMONDS: I agree there isn't much data about
11 persistence, but I mean I think there are two things that
12 suggest that it can be. Firstly, you can't have a
13 population prevalence of 80 percent unless it is persistent,
14 unless something remarkable happens just before you sample
15 them. So, clearly, in that percent of the population it
16 will be persistent.

17 I think the other evidence would be if you accept
18 that there is an increased prevalence in hemophiliacs, and
19 also that virus inactivation procedures may be effective for
20 TTV, then, the fact that you can actually observe an
21 increased prevalence about 10 years after inactivation
22 started, suggests that at least in these hemophiliacs, it
23 has been persistent for at least 10 years.

24 DR. HOLLINGER: Thank you. Did you have something
25 else?

1 DR. ALTER: I wanted to make a separate comment.
2 One thing that I had meant to mention in my talk, and
3 actually has come up, but just the fact that this is a non-
4 envelope virus is relevant today. Even though I don't think
5 this is a hepatitis agent, but now you have G and TTV two,
6 quote, "new," although they are old agents probably, non-
7 enveloped, blood transmissible agents, it does speak that
8 solvent detergent could potentially not inactivate these
9 agents, and it gets into that dispute about inactivation.

10 We don't know. One advantage of being able to
11 measure antibody would be that there may be enough antibody
12 in the population since it is so prevalent to be protective,
13 or it may be gamma globulins that would have protective
14 levels since it is so common.

15 DR. HOLLINGER: Thank you.

16 Yes, please.

17 DR. OHENE-FREMPONG: You mentioned some patients
18 who seem to have acquired the infection either during their
19 hospitalization in which they were not infused, and then
20 those who were transfused. Were these previously tested
21 negative patients?

22 DR. ALTER: Those data were all acute infections.
23 We had pre-samples on all these people, so these were
24 infections that happened in the hospital at remarkable
25 rates.

1 DR. HOLLINGER: Yes, Dr. Koerper.

2 DR. KOERPER: I have a question for Dr. Brown.

3 The data that you showed for children -- it is
4 sort of a question/comment -- I believe I heard you say that
5 that was taken from children who were being seen at a
6 children's hospital, and so while it is of concern that they
7 had a 20 percent incidence as opposed to 10 percent
8 incidence in blood donors, I am not sure that that group of
9 children represents the majority of children in the United
10 States, because by virtue of being at a hospital, they
11 either had a disease that might be caused by this virus that
12 caused them to come in, or they were getting injections,
13 procedures, crawling around on the floor where blood had
14 spilled, et cetera, so I hope that doesn't represent an
15 increasing incidence in children in general.

16 DR. BROWN: You are right. Some of those facts
17 can occur for some of them, but the majority of the
18 individuals that we tested were trauma cases, and this was
19 blood that was taken, I would say actually came through
20 casualty, so that would suggest that this is more
21 representative of what is happening certainly in the
22 Washington population as opposed to in patients in the
23 hospital.

24 DR. KOERPER: Right. I wanted to clarify. These
25 were just children at D.C. Children's.

1 DR. BROWN: They weren't entirely, but the
2 majority were casualty patients.

3 DR. KOERPER: Thank you.

4 DR. HOLLINGER: Go ahead, Howie.

5 DR. FIELDS: Howard Fields from the CDC. I would
6 like to ask Dr. Mushahwar, maybe I missed it, Isa, you
7 presented evidence using inverse PCR that TTV is probably
8 single-stranded, but what is the evidence that you have that
9 it is double? I mean you presented using inverse PCR, you
10 presented evidence that it is circular. What is the
11 evidence that you have that it is double-stranded and
12 circular?

13 DR. MUSHAHWAR: The enzyme that Dr. Simmonds
14 mentioned is highly sensitive to zinc. The experiment that
15 was done in Japan is mostly questionable as to the
16 concentration of the zinc to activate that virus.

17 Our other experiments that we have done, we run
18 cesium chloride with papillomavirus N, which is a double-
19 stranded virus, and the TTV virus and the chemo together.

20 DR. HOLLINGER: Isa, speak into the microphone,
21 would you, please.

22 DR. MUSHAHWAR: Cesium chloride experiments, we
23 run one with papillomavirus and one with parvo B19. You
24 can't separate the parvo B19 from TTV, but you can separate
25 the TTV from the papillomavirus. So, that is another set of

1 experiments, plus the cyclization experiments I described.
2 I don't know if you were here, but we described that in
3 detail.

4 DR. HOLLINGER: Yes. Please state your name.

5 DR. GOLDING: I am Basil Golding from the Division
6 of Hematology in the Office of Blood.

7 Regarding what you said about the caveat related
8 to donor used data, I would like to get back to that because
9 I think there is an important point in the data, and the
10 important point is the potential for using certain steps in
11 manufacture which will reduce non-enveloped viruses. I know
12 this is early in the game, but that is what her data point
13 to and you can use TTV as a marker virus whether it causes
14 disease or not.

15 But your point as to looking at the donors and
16 perhaps there is a difference between the donors in the
17 populations, I know from other data that, Doctor, you have
18 generated looking at other viruses in product from those
19 companies which we are talking about, that there were high
20 incidences of HCV in the products from those donors in her
21 previous studies prior to viral inactivation, so I don't
22 think that the donors are really different, and that is not
23 the explanation for her finding. I think it is very likely
24 that her finding relates to the immunoaffinity columns, and
25 that is a potential thing that we should look into because

1 it might be a way of removing non-enveloped viruses from
2 these viruses.

3 DR. HOLLINGER: I agree. I did not mean to imply
4 that we shouldn't look into it. I am just stating -- and I
5 still stand by the point -- that unless you know what the
6 starting factor is, you can't really relate to the endpoint.

7 Yes, Dr. Brown.

8 DR. BROWN: I come back to this issue of the
9 nature of the virus. You show that it is a circular virus,
10 and you say you compared it to polyomavirus, but it is
11 possible this could be a circular, single-stranded virus,
12 such as chicken anemia virus, did you compare it with this,
13 or the data with the restriction enzyme I guess also
14 suggested it was single-stranded. Could you confirm that
15 data?

16 DR. MUSHAHWAR: No, we did not run that
17 experiment, but the most important thing, that it is not a
18 linear virus, so circular is not linear.

19 DR. DE TAN: Dr. De Tan from Mei-ying Yu's group.
20 I am answering Dr. Hollinger's last question. In fact, we
21 did make some preliminary analysis between the paid blood
22 donors and volunteer blood donors in one specific company,
23 and we could not find any difference from the Factor VIII
24 from these different populations.

25 Also, we did perform the logistic analysis among

1 all of the different kinds of manufacturing procedures and
2 inactivation procedure, and we found that the solvent
3 detergent is the least effective way to remove the TTV.

4 Also, the dry heat is not effective at all, and we
5 found, like she already mentioned, that the immunoaffinity
6 column is the most effective way to remove the TTV and also
7 followed by the pasteurization, and also chromatographic
8 methods are also effective, partly effective, to remove the
9 TTV. In fact, we have a lot of information regarding the
10 inactivation procedure and the manufacturing procedures.

11 DR. HOLLINGER: While you are still there, again,
12 give me some numbers. What was the prevalence of TT virus
13 in the immunoaffinity donors before the material was
14 manufactured? What was the prevalence of TT virus in the
15 plasma donors from the manufacturer that makes the
16 immunoaffinity product?

17 DR. DE TAN: We did not look into the original
18 blood donors, but we did find that the plasma product from
19 either paid blood donors or volunteer blood donors, the TTV
20 positive rate has no difference. We did not look into the
21 original blood donor samples.

22 DR. HOLLINGER: Thank you.

23 Yes, Dr. McCurdy.

24 DR. McCURDY: Isn't it likely true that the
25 derivatives made from volunteer donors, however, contain

1 close to two or three times as many donors because they were
2 made as a byproduct or the plasma came as a byproduct of
3 whole blood? I mean I don't want to condemn one or the
4 other, I just think it is no ballgame yet.

5 DR. HOLLINGER: Dr. Yu.

6 DR. YU: I really didn't want to say very much at
7 all for the data that I presented a while ago, but I just
8 want to say that, you know, the recovered plasma versus
9 source plasma, we are looking in one particular
10 manufacturer's product, and as Dr. De Tan just mentioned, he
11 said there is no difference. What happened is that that
12 particular manufacturer's product, we didn't find any. I
13 just want to straighten that point. So, there is no way we
14 can find the difference between recovered plasma donors
15 versus source plasma donors, so we cannot find the
16 difference in that aspect.

17 DR. HOLLINGER: Thank you, Dr. Yu.

18 Yes, Dr. Hamilton.

19 DR. HAMILTON: I am wondering if, in sort of a
20 reverse version of a scientific event that occurred over 32
21 years ago, at which Dr. Bloomberg discovered the cause of
22 hepatitis B in the course of investigating I think children
23 with leukemia, perhaps we are not looking at the right thing
24 as to what this virus is doing.

25 Dr. Mushahwar said that he doesn't even think it

1 is in the liver preferentially, but found it evidently
2 elsewhere, and I would be interested to know where.

3 Let me just pose the question what other diseases
4 are under consideration as a consequence of this virus, if
5 any, and what are the relative likelihoods there? I don't
6 know who to ask that question to.

7 DR. MUSHAHWAR: In order to prove that a virus is
8 a hepatotropic, you really need to do in situ hybridization
9 and immunohistochemical staining because of the
10 contamination of blood in the liver, you are not sure that
11 you are getting rid of all the blood when you do just
12 comparative study plasma to blood even though it is twice as
13 much as in the blood.

14 So, based on that, we don't think it is a
15 hepatotropic virus unless you do those experiments.

16 Secondly, we have done a lot of studies with the G
17 virus, and we could prove that is a hepatotropic, and even
18 so, it may be not an ideal virus.

19 As far as disease association, I have mentioned
20 that the polyomaviruses cause those type in the same type of
21 diseases among children between age of three and five, and
22 10 and 15. Fifty percent of those children are infected
23 with those harmless viruses and persistence of that around
24 15 percent, but a particular disease with this virus remain
25 to be seen.

1 I don't know if I answered your question.

2 DR. HOLLINGER: Isa, you were among the first ones
3 to develop also a good antibody test for the GBB-C agent.
4 Are you able to tell us whether you have been developing or
5 have developed anything?

6 DR. MUSHAHWAR: With this high a viremia, it is
7 really not the proper way to look for an antibody. It is
8 high viremia, that means there is no antibody. Dr. [Myome]
9 mentions around 10^4 , 10^5 . We have seen as high as 10^6 , as
10 low as 10^1 . When you have persistent viremia, this is not
11 an XCV type of virus, so there is no antibody. I would
12 doubt very much there will be an antibody capable of testing
13 the epidemiology of this virus as the PCR for the virus
14 itself. I may be wrong.

15 DR. HOLLINGER: Thank you.

16 Dr. Alter.

17 DR. ALTER: John, it is obviously almost
18 impossible to rule out that a virus doesn't cause anything.
19 That was the question that kept coming up for G. But what
20 we know is we have 25 percent of people who are getting
21 transfused who are getting this infection, and clearly, not
22 only is it not causing hepatitis, but there is nothing
23 apparent in this huge number of people. You can't say that
24 in 1 percent of those people it isn't doing something. I
25 doubt that it is, but when viruses are this common,

1 infection is this common, if it was doing something
2 relevant, you should be seeing it.

3 DR. WILLIAMS: I want to add that epidemiologic
4 studies are extremely difficult to do unless you know there
5 is a disease you are looking for. Otherwise, you are just
6 doing prevalent studies and trying to work backwards and
7 making some guesses, so this seems to be sensible place to
8 start.

9 I also want to echo that like hepatitis G, it is
10 important to look at cofactors and whether this is actually
11 a cofactor in causation of disease and something that needs
12 to be looked into. Hopefully, all of us will be trying to
13 evaluate that a little more closely in the future.

14 DR. HOLLINGER: Any other comments from the
15 committee? Yes, Dr. Mitchell.

16 DR. MITCHELL: I think that it was interesting to
17 bring this virus to us. It sounds like it is compelling
18 that there is not clear association between hepatitis and
19 this virus, and it looks from what we have heard that
20 although it can be transmitted through the blood, that it
21 can also be transmitted other ways.

22 So, I think that the conclusions are that it is
23 something that I would not recommend that the FDA spend a
24 lot of future time focusing on this as a source of disease,
25 blood-transfused disease. I mean it will be interesting to

1 see what happens, what information we have in a year or two
2 years, but it sounds like this is not going to be a major
3 problem for the blood supply.

4 DR. HOLLINGER: Dr. Smallwood has a comment to
5 make before we break for lunch.

6 DR. SMALLWOOD: Yes. I just wanted to announce
7 for the session after lunch, the open public hearing that I
8 have identified speakers, and I would just like to let you
9 know which order, so that you will be prepared. Just
10 quickly, the Sacramento Blood Center, DiaSorin, America's
11 Blood Centers, Blood Systems Laboratory, the Blood Bank of
12 Rotterdam, the Netherlands, the WP Blood Transfusion
13 Service, South Africa, and Organon-Teknika.

14 Now, these are the speakers that have been
15 identified to me, and if there is anyone else that would
16 like to speak, would you please come forward after we break
17 for lunch to speak with myself and the committee chair.

18 Thank you.

19 DR. HOLLINGER: We will reconvene at 2 o'clock.

20 [Whereupon, at 12:40 p.m., the proceedings were
21 recessed, to be resumed at 2:00 p.m.]

AFTERNOON SESSION

[2:00 p.m.]

1
2
3 DR. SMALLWOOD: Before we start I would just like
4 to again announce the order of speakers for the open public
5 hearing, and just to tell you that you are limited to five
6 to seven minutes, and if you don't hear what I am saying
7 because you are talking I will not repeat it again.

8 The order of speakers for the open public hearing:
9 the Sacramento Blood Center, DiaSorin, America's Blood
10 Centers, Blood Systems Laboratory, Blood Bank, Rotterdam, WP
11 Blood Transfusion Service, Organon-Teknika, and the AABB.

12 I would also like to announce for the record that
13 the committee members were given information from the
14 Immunodeficiency Foundation concerning additional
15 information regarding shortages in IGIV. It will not be
16 publicly presented during the open public hearing because it
17 was not a topic that was for discussion at this meeting, but
18 I am announcing that the advisory committee has been given
19 that information as a follow-up to a previous meeting.

20 Thank you.

21 Dr. Hollinger.

22 DR. HOLLINGER: Thank you, Dr. Smallwood.

23 This afternoon is devoted to the topic on the
24 Abbott Laboratories PRISM detection assay of HBsAg, Anti-
25 HCV, and Anti-HTLV-I/II.

1 We are going to start off with an introduction and
2 background by Janet Claggett from the Division of
3 Transfusion Transmitted Diseases.

4 **Abbott Laboratories PRISM Detection Assay**
5 **of HBsAg, Anti-HCV, and Anti-HTLV-I/II**
6 **Introduction and Background**

7 MS. CLAGGETT: Thank you, Dr. Hollinger. Thank
8 you and good afternoon.

9 The subject for discussion this afternoon is
10 Abbott's PRISM systems assay. I am going to begin with an
11 introduction, which will be followed by a presentation by
12 Abbott. Following Abbott's presentation, I will give a
13 brief summary of the Abbott PRISM HBsAg, and a summary of
14 PRISM's HCV assay will then be presented by Dr. Fashid, and
15 Dr. Cowan's summary of the PRISM HTLV/I, HTLV/II assay will
16 follow.

17 [Slide.]

18 Abbott Laboratories has submitted Product License
19 Applications to the Food and Drug Administration for the
20 Abbott PRISM systems assays for the detection of hepatitis B
21 surface antigen, antibodies to hepatitis C virus, antibodies
22 to the human T lymphotropic virus types I and II, and
23 antibodies to Hepatitis B core antigen.

24 Although all of these submissions are currently
25 under review, we will limit our presentations and discussion

1 today to the first three of these four, because the initial
2 reviews are nearing completion and the due dates for these
3 three fall before the next advisory committee.

4 [Slide.]

5 These products are in vitro chemiluminescent
6 immunoassays for the qualitative detection of viral marker
7 antigens or antibodies. All of these assays are designed to
8 be performed on the Abbott PRISM instrument, which is an
9 automated immunoassay analyzer.

10 [Slide.]

11 The PRISM instrument is designed to perform sample
12 identification and pipetting, reagent dispensing, tray
13 transport and incubation, sample reading, and result
14 reporting.

15 [Slide.]

16 The system design includes numerous sensors and
17 built-in redundant checks to ensure the integrity of the
18 testing process. In addition, the system can perform
19 continuous access, as well as immediate or STAT processing,
20 and monitors and records critical batch information
21 necessary for compliance with current good manufacturing
22 practices.

23 Because the instrument is reviewed as a device,
24 Abbott Laboratories has submitted a premarket notification
25 for the PRISM instrument in accordance with Section 510(k)

1 of the Federal Food, Drug and Cosmetic Act. This submission
2 is also currently under review.

3 A PRISM instrument has been installed in FDA's
4 Product Testing Laboratory for the purpose of testing kits
5 submitted in support of licensure and for lot release
6 testing.

7 [Slide.]

8 The reason we are bringing this to the advisory
9 committee for discussion is that the PRISM system is a novel
10 system for the screening of blood for viral markers and
11 comprises technology that has never been used in this way in
12 the U.S.

13 The manufacturer states that the PRISM system is
14 capable of high throughput and that it provides improved
15 detection in some cases, increased GMP compliance by having
16 process controls to reduce opportunity for errors,
17 breakthrough device safety features, and tamper-resistant
18 design to prevent overt tampering and human error.

19 Because of the technology involved and because of
20 the manufacturer's claims for advances in testing and
21 control, we seek the advice of the committee on whether the
22 safety and efficacy data under review support a decision to
23 approve the test for donor screening.

24 Thank you.

25 DR. HOLLINGER: The next presentation then is by

1 Dr. James Stewart from Abbott Laboratories.

2 **Presentation**

3 **James Stewart, Ph.D.**

4 DR. STEWART: Good afternoon. My name is Jim
5 Stewart, and I am the PRISM program director for the Abbott
6 Diagnostics Division. Today, I would like to provide the
7 committee with an overview of the Abbott PRISM system, its
8 performance characteristics, features, and benefits.

9 As an introduction to this new technology, I would
10 like to present a short video that highlights the basic
11 features of the instrument.

12 [Video played for committee members.]

13 DR. STEWART: Following that visual introduction,
14 I would like to share with you some more detailed
15 information and data on PRISM.

16 [Slide.]

17 The presentation today is divided into three
18 parts. First, I would like to supplement the video you just
19 watched with additional details on the PRISM system. Then,
20 I will focus on examples of the process control and GMP
21 features which make this device unique and which represent a
22 significant step forward for blood screening and laboratory
23 compliance.

24 Finally, I will briefly describe some of the
25 performance characteristics for the assays submitted to FDA.

1 Additional details on the performance of the PRISM HTLV-I,
2 HTLV-II, HCV, and HBsAg assays will be provided by the CBER
3 review scientists in the next session.

4 [Slide.]

5 Before I embark on the description of PRISM and
6 the associated data, I wanted to emphasize that our
7 experience with PRISM comes from two sources. Most of the
8 data today is derived from clinical evaluations conducted in
9 support of U.S. FDA submissions and approval. In addition,
10 however, we have the combined experience of a large number
11 of PRISM users outside the U.S.

12 PRISM was launched with a five-assay menu in early
13 1996. There are now 88 PRISM instruments installed in
14 customer sites and regulatory agencies in over 25 countries.
15 Sixty-two sites are now using PRISM as test of record with
16 cumulatively over 7 million donations per year being
17 screened using this technology.

18 Based on our rest-of-world clinicals and
19 submissions, we have received approval for PRISM in all of
20 the major regulated countries outside the U.S. including the
21 Paul Ehrlich Institute, the Agence du Medicament, the
22 National Blood Authority, and several others.

23 Our focus over the last two years has been
24 gathering and submitting the necessary data for U.S.
25 approval of the system.

1 [Slide.]

2 A picture of the PRISM is shown on the left side
3 of this slide. All of the functionality required to test a
4 blood sample for multiple infectious disease markers from
5 sample pipetting to assay processing to final result
6 determination is contained and controlled within this single
7 instrument. This is in contrast to the multiple pieces of
8 equipment which are required to process EIA technologies,
9 bead, and/or microtiter which are in use today in the U.S.

10 [Slide.]

11 This cross-sectional view shows the layout of
12 subsystems within the PRISM that manage various steps within
13 the testing process including automatic tray loading, on-
14 board refrigerated storage of reagents up to 5,000 tests per
15 assay, automatic sample bar code reading and pipetting,
16 fluidic pumps for reagent delivery, optics for
17 chemiluminescent detection, and computer controls and
18 interface.

19 PRISM processes multiple assays simultaneously
20 using six independent and dedicated immunoassay channels.

21 [Slide.]

22 This is a cross-sectional view of a three-step
23 assay channel on PRISM, such as would be used, for example,
24 to test for antibodies to HTLV-I and HTLV-II. The channel
25 is surrounded by a heater blanket for continuous temperature

1 control.

2 Once trays are loaded into the PRISM, they are
3 moved along the assay channel in carefully timed increments.
4 This allows for precise control of both incubation time and
5 temperature without relying on manual movement and
6 documentation of trays by the operator. In fact, once a
7 tray is loaded into the instrument, an operator does not
8 physically touch the tray until the test is completed and
9 the tray is dropped into the waste container.

10 As the tray moves along the channel, sample
11 reagent and wash additions are completed at a series of
12 fixed dispensed positions or stations. Meanwhile, the tray
13 is continuously incubating and processing. Viral markers
14 present in the sample are captured by microparticles which
15 are coated with specific antigens or antibodies for that
16 marker.

17 These particles are contained by a glass fiber
18 membrane matrix, and as shown in the video, a
19 photomultiplier tube reader is used to detect photons of
20 light emanating from the bound chemiluminescent conjugate.

21 Current technologies require that many of these
22 types of steps be performed or documented manually. Indeed,
23 processing current bead and microtiter assays is complex.
24 Each assay has its unique set of requirements. Some require
25 pre-dilution of samples, some require pre-mixing of

1 reagents. Sample volumes and incubation temperature
2 requirements can vary greatly.

3 Some incubations require shaking, others do not.
4 Calibrator and control strategies vary greatly, and the data
5 reduction and validity criteria present a maze of options.
6 PRISM, by its design, is intended to help simplify these
7 processes and reduce the reliance on manual processing and
8 documentation.

9 PRISM helps to simplify testing in two fundamental
10 ways.

11 [Slide.]

12 First, all of the PRISM assays are standardized
13 around a common technology. This technology, referred to as
14 chemiluminescent immunoassay, or ChLIA, is employed by all
15 of the PRISM assays. Each employs microparticle capture and
16 chemiluminescent detection through acridinium labeled
17 conjugates. Assays are formatted in either two-step or
18 three-step formats, but with similar throughput as you saw
19 in the video giving 160 samples per hour or up to 800 tests
20 per hour on five channels.

21 Once calibrators are processed, results can be
22 made available for a sample within 54 minutes of pipetting.

23 [Slide.]

24 Secondly, standardization in assays also allows
25 for simplification in the overall system architecture. Each

1 of the six channels functions similarly, creating an
2 immunoassay processing factory where repetitive steps like
3 reagent identification and tracking, reagent dispense and
4 verification, reaction tray transport and incubation, and
5 result reading determination are automated and controlled.

6 The sixth channel is capable of running any assay,
7 thereby providing backup capability. Common functions are
8 centralized, like sample pipetting, software control, and
9 host interface.

10 [Slide.]

11 PRISM includes detection for both hepatitis and
12 retrovirus markers that are currently tested in U.S. blood
13 banks. Assays for detection of hepatitis B surface antigen
14 including a specific neutralization assay, anti-HCV, anti-
15 HBC, and anti-HTLV-I/HTLV-II are currently submitted to FDA.

16 An assay for detection of anti-HIV-I/HIV-II,
17 including specific detection of group O, is currently in
18 clinical trials, and a test for HIV-I p24 antigen is well
19 into development.

20 The PRISM system outside the United States has an
21 HIV-I/HIV-II assay, which was included originally in the
22 U.S. clinical trials, but we have since modified for the
23 detection of group O, which explains why this particular
24 assay is just currently now in clinical evaluations.

25 [Slide.]

1 In the next few minutes, we will discuss ways in
2 which PRISM can positively impact each of the key
3 contributors to test integrity and blood safety.
4 Specifically, we will review elements of PRISM's process
5 control which can reduce the opportunity for errors, both
6 errors of omission and errors of commission.

7 We will see evidence of how PRISM reduces the
8 amount of manual manipulations and the level of operator
9 intervention in the testing process. We will also touch on
10 the performance of the system relative to sensitivity,
11 specificity, and reproducibility.

12 [Slide.]

13 The fundamental safety objective of PRISM's
14 automation and process controls is to reduce the opportunity
15 for errors. This is accomplished through automation which
16 eliminates numerous manual steps, provides standardization
17 to the test methodology, makes use of electronic monitoring
18 of test process steps, and maintains a complete electronic
19 batch record.

20 PRISM provides a range of reports to the user to
21 document the run including tracking of operator, instrument,
22 reagent lot numbers, expiration dates, detailed sample
23 results and retest reports, or if a sample result was not
24 provided, documentation of the specific error code
25 associated with the processing of that sample.

1 [Slide.]

2 An analysis of the extent to which PRISM can
3 reduce operator and instrument related steps has been
4 described by the blood bank Leuven in Belgium. This
5 laboratory runs three viral markers for a total of
6 approximately 250 samples per day.

7 During their evaluation of PRISM, they compared
8 the number of process steps required with their microtiter
9 technology, here utilizing a Summit processor and two T-cam
10 pipettors versus the steps required when using PRISM.
11 Although each of the detailed steps is obviously not
12 readable, the fundamental conclusion of this site was that
13 PRISM reduced the number of technician and instrument
14 related steps by about two thirds. Similar dramatic
15 reductions have been documented in numerous PRISM sites with
16 various test volumes around the world.

17 [Slide.]

18 To better understand the types of process controls
19 that are designed in the PRISM, we first must take a look at
20 the process that we are trying to control. This diagram
21 schematically illustrates the key steps in immunoassay test
22 processing. Each blood donation must go through this test
23 cycle at least once; multiple times if retesting is
24 required.

25 Sample identification relates to uniquely

1 identifying each blood donation and maintaining that
2 positive identification throughout the testing process.
3 Sample pipetting refers to the accurate delivery of serum or
4 plasma into the assay reaction.

5 Reagent management refers to the correct
6 identification of reagents used to do the various
7 immunoassays particularly with respect to using matched
8 components in a master lot and verifying expiration dating.
9 Reagent dispense involves the steps of delivering assay kit
10 reagents like microparticles, probes, and conjugates at the
11 appropriate times and in the appropriate volumes.

12 Reaction tray transport and incubation refers to
13 the physical movement of reaction trays to ensure that
14 incubation times and temperatures are met and to prevent any
15 physical interference with the trays.

16 Sample reading to result determination covers the
17 multiple steps required to measure the signal from a sample
18 compared to an established cutoff, determine a result, and
19 verify the validity of the run.

20 All of this leads to determination of whether
21 retesting is require which could lead to another cycle
22 through this process.

23 [Slide.]

24 Time today does not permit to cover all of these
25 steps in the various modes of control employed by PRISM. I

1 would, however, like to give you some brief examples of the
2 most unique and important process controls employed by the
3 instrument.

4 As you saw in the video, when an operator loads
5 samples onto the PRISM, bar codes on the tubes are
6 automatically scanned into the PRISM computer. The PRISM
7 verifies that there are tubes in the rack positions, that
8 these tubes have bar codes, and that the bar codes are
9 readable.

10 Once loaded into the system, sample tubes cannot
11 be switched or I.D.'s altered. Sample are simultaneously
12 pipetted into trays on each of the assay channels using
13 disposable tips to prevent cross-contamination. The PRISM
14 sample manager position is monitored and pressure sensors
15 are used to verify volume of delivery on both the aspiration
16 and dispensing of the sample. These pressure algorithms can
17 effectively detect clots, bubbles, or other sample
18 conditions that affect pipetting.

19 The operator loads reagents onto the PRISM by
20 scanning bar codes on both the reagent bottle and the
21 fluidics lines. The PRISM automatically verifies that the
22 lot numbers match those of the kit master lot being loaded,
23 so mismatched components cannot be used. It also verifies
24 expiration dating of the kit reagents.

25 There are multiple redundant checks on dispensing

1 of reagents. Pumps are continuously monitored to ensure
2 accurate delivery. Optical sensors are employed to check
3 reagent delivery at the point of dispense. The time it
4 takes fluid to drain through the membrane is also
5 electronically measured to verify proper dispensing and
6 transfer of sample and microparticles.

7 Reaction tray position and well positions are
8 monitored, as are incubation temperatures on the channel.
9 Any disruption to the timing or temperature of the channel
10 will take that channel off-line, effectively aborting the
11 run without allowing release of sample results for that
12 assay.

13 PRISM monitors the background counts and performs
14 a series of data reduction checks to ensure reagents perform
15 within expected limits. These checks are done
16 automatically. Invalid results do not require an operator's
17 decisionmaking, they are automatically invalidated and
18 thereby not released.

19 PRISM is also unique in that in addition to assay
20 calibrators, the system employs an end-of-batch release
21 control. This multi-constituent control is a low-level,
22 positive sample which is run as a system check at the end of
23 the run and must be detected or the run is validated.

24 Finally, while not mentioned on this slide, is the
25 manner in which PRISM controls retest management. Sample

1 results on PRISM are maintained in a database that is then
2 referenced the next time the system sees a sample.

3 If a sample which tested negative on PRISM is
4 inadvertently introduced again to the system, it will
5 automatically recommend that no further testing be done. If
6 an initially reactive sample is loaded, it will
7 automatically recommend that this sample be tested in
8 duplicate per the package insert. In this way, PRISM helps
9 to avoid errors in management of retest.

10 [Slide.]

11 In order to describe in more detail some of these
12 mechanisms, I will focus specifically on a couple of the
13 test process steps including reagent management, reagent
14 dispense, and tray transport.

15 Contrast the controls employed by PRISM, required
16 bar code entry, automatic verification, and documentation of
17 components and expiration dates, automatic monitoring of
18 reagent usage, on-board refrigeration of reagents. This
19 compares with current technologies where you see a heavy
20 reliance on visual checks, manual recording and monitoring,
21 and the daily movement of reagents back and forth to
22 refrigeration, leading to temperature stresses and the
23 potential for mixup.

24 [Slide.]

25 When PRISM detects an error condition, the system

1 reports an error, defines a response, and requires some
2 action by the operator. For example, in the circumstance
3 where a wrong kit component or fluidic line would be
4 scanned, the instrument would report a wrong product I.D.
5 error. The operator would not be allowed to proceed with
6 sample processing until they had installed the correct
7 reagent and rescanned.

8 [Slide.]

9 Similarly, modes of control have been established
10 for other test processing steps, like reagent dispensing.
11 PRISM forces priming of fluidics lines prior to processing
12 samples. The system automatically monitors pump operation
13 and provides direct verification of kit reagent dispense.
14 Current technologies do not uniformly ensure fluidics
15 priming and typically rely on qualitative or visual
16 verification of reagent dispensers.

17 Let me show you examples of some patented
18 mechanisms which have been incorporated into PRISM to
19 provide additional process control of reagent dispensing
20 steps.

21 [Slide.]

22 This schematic shows how optical dispense
23 monitoring works. A light path is created between an LED
24 source and a photo transistor receiver. As the fluid stream
25 is dispensed, this light path is broken and a characteristic

1 optical pattern is created.

2 [Slide.]

3 This graph shows examples of a nominal dispense
4 profile on top, in which the amount of light measured in
5 relative counts is disrupted for a fixed period of time.
6 The example at the bottom shows a short dispense profile
7 that might result, for example, from a reagent bottle
8 running dry. This disruption in the profile would cause a
9 dispense verification error, thereby invalidating the
10 results from that sample.

11 [Slide.]

12 Similarly, this slide shows how the monitoring of
13 drain times is accomplished. A beam of light is reflected
14 from a light source off the reaction well into a photo
15 transistor receiver. As fluid is transferred or added to
16 the reaction well, the light beam is scattered. This
17 continues for the time period it takes for the fluid to
18 drain through the membrane. As a result, again,
19 characteristic profiles can be measured.

20 [Slide.]

21 At top is a nominal profile in which the light
22 beam is disrupted for a defined period of time. The middle
23 panel shows an example of a slow drain time error condition
24 as might be caused by having no blotter in the tray or
25 perhaps a highly viscous sample.

1 The bottom panel is the opposite condition, a fast
2 drain time error. This might occur if no matrix were
3 present in the tray or if no sample microparticle mixture
4 were added.

5 [Slide.]

6 The error conditions created by these examples
7 lead to reported error codes and either a stop to processing
8 or invalidation and no reporting of the sample result.

9 [Slide.]

10 As a last set of examples, tray transport and
11 incubation is completely under the control of the system.
12 The operator does not have access to tamper in any way with
13 the tray once the assay has started. Times and temperatures
14 are automatically controlled and monitored.

15 In contrast, current technologies require that the
16 operator handle and move the tray many times during testing.
17 Incubation times and temperatures are frequently documented
18 manually, leading to thousands of hand entries per day, at
19 the same time that the technician is trying to maintain
20 continuous and accurate processing of the assays.

21 [Slide.]

22 Like the previous examples, PRISM is engineered to
23 not allow samples or assays to deliver results when error
24 conditions are detected. Some errors, like the heater
25 element malfunction or stalled transport, will invalidate an

1 entire channel or assay. Others, like improper loading of a
2 tray, will only affect the samples scheduled for that tray.

3 [Slide.]

4 As you look at the steps required for testing, and
5 reflect on the fact that this flow diagram is followed over
6 50,000 times per day in labs across the country, it is not
7 surprising that there is significant opportunity for errors
8 both in accurately completing and documenting the tests.

9 Evidence that this is the case comes from various
10 indicators of process control and compliance deficiencies
11 including reportable incidents and citations, as well as
12 recordable incidents, like quality incident reports within a
13 laboratory.

14 Combined, these represent thousands of events each
15 year investigated by the blood industry and FDA. Underlying
16 all of this is the very real potential that many more such
17 events each year go undetected and unrecorded.

18 [Slide.]

19 Let me share a few examples of citations available
20 through the Freedom of Information Act, which relate to the
21 process control steps just discussed.

22 This is an example of an error related to reagent
23 management. In this particular circumstance, kit components
24 from different master lots have been combined to complete
25 testing for hepatitis B surface antigen. While the testing

1 was subsequently considered invalid, some of the blood
2 products had been distributed prior to retest.

3 PRISM would control for this situation and that
4 kit components within a master lot are automatically
5 verified, tracked, and documented as master lot kit
6 information.

7 [Slide.]

8 This is an example of a reagent dispense citation
9 in which an inspector observed a laboratory technician
10 pipetting 200 microliters of conjugate for anti-HBC and
11 anti-HCV. While the volumes were obviously varying, the
12 supervisor retrieved the microplate and added 50 microliters
13 via single-channel pipette to the wells, using the same tip
14 for all of the wells, which was a violation of the package
15 insert.

16 When asked why 50 microliters was used, the
17 supervisor responded that by his experience he could tell
18 how much volume needed to be added. PRISM controls for this
19 situation in the manner in which it automatically pipettes
20 appropriate reagent volumes and incorporates multiple
21 redundant checks to ensure reagent dispensing occurs
22 appropriately.

23 [Slide.]

24 Finally, as an example of a reaction tray
25 transport citation, in this particular case, an HTLV-I test

1 batch, the technician accidentally jarred the tray, causing
2 liquid to splash out of some of the wells. The operator
3 reported the tests and the plate had been completely
4 processed, the run had met all of the manufacturer's
5 requirements and that repeat testing was not considered
6 necessary.

7 PRISM eliminates this situation in that the
8 reagent dispense and incubation steps occur entirely within
9 the enclosed channel. The reaction trays are not accessible
10 to the operator, thereby preventing interference with assay
11 processing.

12 [Slide.]

13 We further tried to measure the potential impact
14 of PRISM on these types of errors at sites involved in our
15 U.S. clinical trial. Three sites provided details relating
16 to the number and types of errors on test of record
17 including microtiter and bead assays.

18 During the two months of integrated PRISM testing,
19 there were 4,147 total test of record runs at the three
20 sites. Of these, 187 runs failed, or 4.5 percent. Of these
21 failures, 20 percent, or 38 runs, failed due to causes that
22 were categorized as preventable or technician errors.

23 In evaluating the causes for these common failure
24 modes, it became clear that PRISM process controls could
25 have prevented all 38 of these errors.

1 [Slide.]

2 This slide shows the details of these 38 failed
3 runs and the applicable process controls employed by PRISM.
4 In 8 runs, the technician erred in some aspect of planning
5 and calculating the tray or reagent requirements. PRISM
6 automatically controls tray usage and reagent management.

7 In 6 runs, the operator made an error related to
8 physically handling the tray during reading or processing.
9 On PRISM, the technicians never handles the tray once
10 processing begins. In 5 cases, clerical errors were made as
11 part of manual batch documentation. PRISM maintains
12 critical information internally and provides detailed batch
13 reports.

14 The largest category of error, 17 runs, involved
15 the addition of incorrect reagents or reagent volume. PRISM
16 manages both the order of dispense and correct volume of
17 reagents.

18 [Slide.]

19 Finally, there were two cases where the wrong lot
20 of reagent was used. PRISM automatically verifies that the
21 correct master lot reagents are utilized. Human error is a
22 common denominator in these examples, and the potential
23 impact of PRISM is clear from this comparison. These same
24 control features also make it significantly more difficult
25 to intentionally tamper with assay processing or results,

1 are less common but no less important issue for today's
2 blood bank laboratory.

3 [Slide.]

4 Let's briefly summarize the potential impact of
5 PRISM on the key contributors to blood safety. PRISM
6 provides an unprecedented level of process control and a
7 design that is resistant to tampering. Testing with PRISM
8 requires significantly fewer steps than current technology,
9 thereby minimizing the amount of manual intervention in the
10 test process.

11 [Slide.]

12 Now, we will turn our attention briefly to assay
13 performance. PRISM U.S. clinicals were conducted at 6 sites
14 including 4 U.S. blood centers, 1 Canadian blood center, and
15 1 U.S. plasmapheresis center. Each assay evaluation
16 included 18,000 to 28,000 samples against various licensed
17 comparator methods. These studies evaluated
18 reproducibility, specificity, and sensitivity with
19 individual and multiple assay channels running. I will show
20 a brief overview of performance testing.

21 [Slide.]

22 One hallmark of PRISM is the high level of
23 reproducibility which accompanies the process controls
24 inherent in the system. This slide shows the
25 reproducibility observed on low to moderate reactive samples

1 for each of the four submitted PRISM assays. CVs were
2 typically less than 6 percent, and in all cases, less than
3 10 percent.

4 [Slide.]

5 Specificity across the four assays ranged from
6 99.68 percent for anti-HBC to 99.99 percent for hepatitis B
7 surface antigen. In all cases, specificity was comparable
8 to or better than the comparator test of record assay.
9 Overall, sensitivity was improved relative to test of
10 record. There were two incremental pickups for PRISM
11 surface antigen, one for HCV and one for anti-HBC.

12 In the case of anti-HBC, there were three
13 discordants confirmed positive in favor of PRISM, two in
14 favor of test of record. The improved reproducibility of
15 PRISM is also evident in the cumulative specificity of the
16 four assays.

17 Unlike current technology, which frequently shows
18 a significant disparity between initial reactive rates and
19 repeat reactive rates, PRISM shows much better agreement.
20 The overall initial reactive rate for PRISM was 1.04
21 percent, significantly less than the 1.58 percent for test
22 of record. The repeat reactive rate was also slightly
23 lower, at 0.91 percent versus 1.05 percent.

24 [Slide.]

25 These differences, particularly for initial

1 reactives, become significant when you consider the impact
2 such a reduction can have across a large screening
3 population.

4 Projected across 14 million whole blood donations
5 collected in the U.S., PRISM would yield over 75,000 fewer
6 donations requiring retest. Likewise, a reduction of 0.14
7 percent in repeat reactive rate translates into over 19,000
8 fewer donations requiring supplemental testing.

9 Obviously, the magnitude of these improvements
10 depend on the specific assays employed by a laboratory
11 today, and the rates observed on those assays in a
12 particular lab.

13 [Slide.]

14 Sensitivity on pedigreed positive samples was 100
15 percent for surface antigen, HCV, and anti-HTLV-I, HTLV-II.
16 There was one incremental pickup for PRISM surface antigen
17 versus the test of record. Conversely, there was one
18 additional anti-HBC sample detected by test of record with
19 neither product showing 100 percent sensitivity.

20 [Slide.]

21 Seroconversion sensitivity was compared on
22 multiple panels for surface antigen and anti-HCV. PRISM
23 showed additional bleeds in earlier detection than test of
24 record in 10 of the 12 HBV panels and 5 of the 10 anti-HCV
25 panels. The most dramatic sensitivity improvement for PRISM

1 was seen with surface antigen, where every panel showed
2 additional bleeds detected. We will examine the surface
3 antigen sensitivity performance in greater detail in just a
4 moment.

5 [Slide.]

6 Since seroconversion panels for HTLV are not
7 widely available, we looked at the limits of detectability
8 for this assay via dilutional panels. PRISM HTLV detected 1
9 of 3 HTLV-I-positive samples at 2-fold greater dilution than
10 the HTLV-I/HTLV-II specific EIA.

11 On HTLV-II-positives, PRISM detected all 3 samples
12 at greater dilution by anywhere from 4- to 16-fold. The
13 PRISM ChLIA assays frequently show an improved dynamic range
14 in dilutional sensitivity than comparable enzyme
15 immunoassays.

16 [Slide.]

17 Coming back to surface antigen detection, the
18 PRISM assay show clear superiority and sensitivity to the
19 test of record. In our clinical trials, excluding
20 seroconversion panels, PRISM detected 28 incremental
21 positives. These included two blood donor samples, as well
22 as specimens from patient groups including acute, chronic,
23 and recovered HBV infection, individuals at increased risk
24 for infection with HBV and populations with other medical
25 conditions or interferences.

1 This enhanced clinical sensitivity was paralleled
2 by significantly improved analytical sensitivity as measured
3 by panels prepared from purified ad and ay antigens. PRISM
4 routinely achieved sensitivities of less than or equal to
5 0.1 ng/mL. This was compared with the test of record assays
6 which typically ran between 0.4 and 0.6 ng/mL.

7 [Slide.]

8 As I showed earlier, this enhanced sensitivity was
9 observed in increased detection of serial bleeds from
10 seroconverting donors infected with HBV. Separate from the
11 seroconversion data provided in our PLA submission, we have
12 now added to that data and evaluated a total of 25
13 commercially available seroconversion panels.

14 In conjunction with Dr. Glen Satten at Emory
15 University, these data were modeled using a five-stage
16 Markov model, using a 95 percent confidence interval. The
17 modeling calculations were based on 12 million U.S.
18 donations per year assuming an HBsAg incidence of 4.120
19 conversions per 100,000 persons per year.

20 The results of this modeling show that PRISM could
21 detect HBV infection a week earlier than the test of record
22 and continued to detect surface antigen for almost two weeks
23 on the back end of the window.

24 This projects to approximately 26 additional
25 surface antigen positive and potentially infectious units

1 which could be detected using the PRISM surface antigen
2 assay. This represents a significant reduction in the
3 window period for HBV, which is the highest residual risk
4 marker among the viruses currently being screened for.

5 [Slide.]

6 In addition to sensitive early detection of the
7 common wild-type hepatitis B virus, PRISM surface antigen
8 also shows strong performance in detecting the most common
9 of the emerging mutant HBV strains.

10 HBV escape mutants having altered sequences in the
11 "a" determinant of HBsAg have been identified in some
12 children born to HBV-infected mothers. These children
13 become infected with HBV despite active and passive
14 immunization at birth. The most common escape mutant is a
15 Gly to Arg substitution in amino acid position 145 in the
16 "a" determinant.

17 In a study by Oon, et al., looking at a large
18 number of infants born to infected mothers, this Gly/Arg
19 mutation represented 75 percent of the vaccine escape
20 mutants identified. From this information, we have produced
21 a surface antigen molecule matching the sequence and
22 utilized it as a panel to evaluate the mutant detectability
23 of the PRISM surface antigen assay. This testing was
24 performed at one of our U.S. clinical sites.

25 [Slide.]

1 The PRISM assay was capable of detecting the
2 Gly/Arg mutant at the same level of sensitivity as the wild-
3 type, that is, approximately 0.1 ng/mL. In contrast, a
4 competitor's licensed test was unable to detect this mutant
5 at any of the concentrations studied. In fact, the licensed
6 test remained negative even at a concentration of 100 ng/mL
7 of the Gly/Arg substituted antigen.

8 This reinforces the importance for us as
9 manufacturers to continuously evaluate the performance of
10 assays against these types of samples. At present, however,
11 from these data, the PRISM surface antigen assay shows
12 strong detectability for this particular HBV mutant.

13 [Slide.]

14 Let me briefly summarize. Our clinical and field
15 experience with PRISM supports that this technology offers
16 significant public health benefits. PRISM restricts access
17 to key processing steps and does not allow operator
18 intervention in failed runs. This level of tamper
19 resistance is unprecedented in blood screening and provides
20 for a significant advance in safety and effectiveness over
21 existing alternatives.

22 PRISM automation and process controls minimize the
23 number of manual steps, reduce the opportunity for errors
24 and enhanced laboratory compliance with GMP requirements.
25 These features, coupled with the potential to reduce the

1 number of false reactive samples, support both increased
2 effectiveness and reduced risk.

3 Finally, the PRISM also provides for earlier
4 diagnosis through improved detection of surface antigen and
5 HBV mutants.

6 While our clinical data provides primary support
7 for the points discussed today, these conclusion have also
8 been substantiated by user experiences and the demonstrated
9 performance of this technology around the world.

10 One such user, the Bavarian Red Cross in Munich,
11 informed us that they would be submitting a report to FDA
12 and the BPAC Committee describing their experiences with the
13 PRISM over the last two years. The BRK is one of the
14 largest sites utilizing PRISM and represents a site that has
15 historically performed both European and U.S. licensed
16 tests.

17 Professor Weise from the BRK could not be present
18 today, as he is chairing another meeting, so I would like to
19 conclude my presentation by sharing a brief summary from his
20 report.

21 The report is entitled, "The Safety of Blood and
22 Blood Products with Special Regard to the PRISM System," by
23 Professor Wilhelm Weise and his colleague, Dr. Uve Backer.

24 One paragraph summary reads as follows: "In
25 Germany, the PRISM assays hepatitis B surface antigen, anti-

1 HIV-I, HIV-II, anti-HCV, and anti-HBC are approved by the
2 Paul Ehrlich Institute and can be used for routine screening
3 of blood donations. These assays have proven to give
4 reliable results with both high sensitivity and specificity.
5 The PRISM system does give a high level of safety for
6 screening blood donations especially in regard to GMP and
7 GLP. We are now using PRISM routinely for more than two
8 years and therefore we are able to recommend the use of
9 PRISM in high-volume blood bank screening."

10 Thank you very much.

11 DR. HOLLINGER: Thank you, Dr. Stewart.

12 We now have the FDA critiques, first, by Janet
13 Claggett, Division of Transfusion Transmitted Diseases.

14 **FDA Critiques**

15 **HBsAg**

16 MS. CLAGGETT: Thank you.

17 Most of what I am going to say you have just
18 heard, but you will hear it again.

19 [Slide.]

20 As previously mentioned, Abbott Laboratories has
21 submitted a Product License Application for the Abbott PRISM
22 HBsAG, an in vitro chemiluminescent immunoassay for the
23 qualitative detection of hepatitis B surface antigen in
24 human serum or plasma, and the accompanying Abbott PRISM
25 HBsAG confirmatory assay. As mentioned in my introduction,

1 this assay is designed to be performed on the PRISM
2 instrument.

3 [Slide.]

4 The PRISM HBsAg assay is a two-step sandwich
5 chemiluminescent assay that detects HBsAg in human plasma or
6 serum. First, the microparticles coated with mouse
7 monoclonal anti-HBs are incubated with sample in the
8 incubation well of the reaction tray. The sample can be
9 serum, plasma, calibrator or control.

10 During incubation, HBsAg present in the sample
11 binds to the antibody on the microparticles. When this
12 incubation is complete, the reaction mixture is transferred
13 to the glass fiber matrix of the reaction tray using the
14 transfer wash.

15 The microparticles are captured by the matrix
16 while the remaining mixture flows through to the absorbent
17 blotter. Acridinium-labeled goat polyclonal anti-HBs
18 conjugate is added to the microparticles on the matrix and
19 incubated.

20 At the end of this incubation, the unbound
21 conjugate is washed into the blotter with a conjugate wash.
22 The chemiluminescent signal is generated by adding hydrogen
23 peroxide solution and the resultant photons are counted.
24 The number of photons in the sample is compared to a cutoff
25 value, which is determined from the calibrator, which must

1 be run with patient samples and controls. The number of
2 protons is proportional to the amount of HBsAg in the
3 sample.

4 [Slide.]

5 All specimens that are repeatedly reactive for
6 HBsAg must be further tested with a licensed neutralizing
7 confirmatory test. The PRISM HBsAg confirmatory assay
8 involves two steps. The neutralization consists of
9 combining the sample with confirmatory diluent and pipetting
10 this mixture into two sample cups.

11 The specimen transplant buffer is added to both
12 cups. Neutralizing reagent is added to the first sample
13 cup, and a blank is added to the second sample cup. After
14 the appropriate incubation period, the samples are processed
15 using the Abbott PRISM.

16 [Slide.]

17 The mouse monoclonal IgM anti-HBs in this product
18 is the same as used in the currently licensed Abbott
19 AUSZYME monoclonal assay and the Abbott IMx HBsAg assay.
20 Therefore, this is not a new component, but one with an
21 established reliable track record as used in the currently
22 licensed test kit.

23 [Slide.]

24 Clinical studies were conducted at four U.S. blood
25 centers, one Canadian blood center, and one U.S.

1 plasmapheresis center.

2 The test of record or comparator assay was
3 Abbott's currently licensed AUSZYME Monoclonal assay,
4 procedure C, which has the shortest incubation of 75 to 80
5 minutes, and is the least sensitive of the four procedures.

6 [Slide.]

7 A total of 25,238 serum and plasma specimens from
8 volunteer whole blood and plasmapheresis donors were
9 collected and tested at six donor centers. Based on an
10 assumed zero prevalence of HBV infection in voluntary blood
11 donors, Abbott claims the specificity of the PRISM HBsAg is
12 estimated to be 99.99 percent. The specificity of the
13 AUSZYME monoclonal assay is estimated to be 99.98 percent
14 according to Abbott. Further review will assess these
15 claims.

16 [Slide.]

17 Clinical sensitivity was assessed by testing
18 samples from the following selected populations with HBV
19 infection: individuals previously characterized as positive
20 for HBsAg, individuals with acute HBV infection, individuals
21 with chronic HB infections.

22 Also included were samples from individuals
23 recovered from HBV infection, as well as samples from
24 individuals at increased risk for HBV, such as intravenous
25 drug users, dialysis patients, hemophiliacs, and patients

1 with sexually transmitted diseases. The claimed sensitivity
2 is 100 percent and, as mentioned before, further review will
3 assess these claims, as well.

4 [Slide.]

5 In patients with acute HBV infection, HBsAg
6 appears temporarily. In order to study analytical
7 sensitivity, Abbott analyzed seroconversion panels composed
8 of 244 serial bleeds from 12 whole blood and plasmapheresis
9 donors with both the PRISM HBsAg assay and the comparator
10 assay, AUSZYME monoclonal assay procedure C.

11 The PRISM HBsAg detected antigen 3 to 13 days
12 earlier than the AUSZYME monoclonal in 10 of the 12
13 seroconversion panels. Both assays detected HBsAg in the
14 first available bleed in 2 of the 12 panels, and the PRISM
15 HBsAg detected 5 to 48 days longer than the AUSZYME
16 monoclonal assay in 4 of the 12 panels.

17 [Slide.]

18 Abbott Laboratories investigated the PRISM HBsAg
19 assay with regard to HBsAg mutant detectability by testing
20 dilutions of a sample containing the Glycine to Arginine 145
21 mutation diluted in HBsAg nonreactive human plasma to
22 prepare 1:5, 1:10, 1:100, and 1:1,000 dilutions.

23 Testing these dilutions with one lot of PRISM
24 HBsAg and one lot of AUSZYME monoclonal using procedure C
25 revealed that both assays detected the mutant at the 1:5,

1 1:10, and 1:100 dilutions. Neither assay detected the
2 mutant at 1:1,000.

3 [Slide.]

4 Detection of HBsAg ad and ay subtypes was
5 evaluated with an 11-member subtype panel. The PRISM HBsAg
6 could detect HBsAg in all panel members at least to about
7 0.25 ng/mL. The AUSZYME monoclonal assay, using procedure B
8 this time, the long incubation, the most sensitive
9 procedure, could detect all panel members at least to about
10 0.50 ng/mL.

11 In conclusion, the review of the Abbott PRISM
12 HBsAg is proceeding on schedule and is expected to be
13 completed by the managed review date.

14 Thank you.

15 DR. HOLLINGER: Thank you.

16 The next critique is going to be done by Dr.
17 Fashid from the Division of Transfusion Transmitted
18 Diseases.

19 **Anti-HCV**

20 DR. FASHID: Could I have the first slide, please.

21 [Slide.]

22 Basically, I would repeat what you already heard,
23 but here, I present application regarding PRISM HCV, which
24 is in vitro chemiluminescent immunoassay for qualitative
25 detection of antibody to hepatitis C virus in human serum or

1 plasma. As mentioned earlier by the manufacturer and Janet,
2 the assay is designed to be used by Abbott PRISM instrument.

3 [Slide.]

4 PRISM HCD is designed to detect antibodies to
5 recombinant antigen covering the portion of core, NS3, NS4,
6 and NS5 region of HCV genome. This includes C100, which is
7 constructed from portion of NS3, NS4, NS5, and HCr 43, which
8 is a fusion of NS3 and core. C100 and NS5, you are familiar
9 with. They are currently using the license assay.

10 HCr 43 is also actually part of the recombinant
11 that you see in current assay except the clonic structure
12 here is a little different, and is a fusion of the core and
13 S3, so you have here core and C33 combined as one single
14 recombinant antigen.

15 [Slide.]

16 Again, as mentioned earlier, the assay is a two-
17 step sandwich chemiluminescent assay. In the first step,
18 the sample will be incubated with solid phase microparticles
19 which are coated with the recombinant antigen that I just
20 mentioned.

21 After a period of incubation and wash, the second
22 step would be that the antigen antibody complex will be
23 incubated with a secondary antibody biotinylated anti-human
24 IgG with acridinium label. Then, after exposure of
25 acridinium to basic pH and hydrogen peroxide, photon will be

1 produced and the light will be proportioned to the amount of
2 the antibody in the serum.

3 [Slide.]

4 The Abbott PRISM system, the clinical evaluation
5 of the system, the assay was compared with also 3.0 and
6 Abbott HCV EIA 2.0. For the specificity studies, they used
7 25,595 specimens, out of which 8,252 were serum and 14,262
8 were plasma, and there were also 3,081 commercial
9 plasmapheresis specimens.

10 [Slide.]

11 This table summarizes the specificity studies. In
12 25,595, HCV PRISM initially detected 78 positives, and out
13 of this 78, 76 were repeat reactives, and 47 of those, or
14 59.49 percent, were supplementary tests positive, and the
15 remaining were indeterminant.

16 The supplementary test they used RIBA 2.0 or
17 Abbott matrix 2.0. In comparison, HCV 2.0 and 3.0, detected
18 86 initial reactive, and 70 of those, they were repeat
19 reactive, and 45, or 65.71 percent, of the repeat reactives
20 were supplementary test positive.

21 The specificity calculated by Abbott is 99.89
22 percent for PRISM HCV and 99.91 percent for the test of
23 records.

24 [Slide.]

25 The sensitivity studied 834 specimens, they were

1 tested. These include HCV antibody-positive specimens.
2 These are repository samples, and acute HCV infection and
3 chronic HCV infection and at risk for HCV infection. They
4 were including the I.V. drug users and also hemophiliacs.
5 The number is in the next slide.

6 [Slide.]

7 Anti-HCV-positive, 400 samples were used. The
8 result that we see here, there was 100 percent concordance
9 between the result of PRISM HCV and test of record, which
10 was HCV 2.0 or 3.0, and I put the result in the same table.

11 Anti-HCV-positive sample, 100 percent of those
12 they are repeat reactive, and all were confirmed by
13 supplementary test. The same goes for the acute infection,
14 which there were 20 samples, and all were confirmed, and
15 chronic were 154, and all 154 were repeat reactive and
16 confirmed by supplementary test.

17 At risk, there were 260, 210 of those, they were
18 I.V. drug users, and the remaining were hemophiliacs. 151
19 were repeat reactive, and 149 were supplementary test
20 positive. As I mentioned earlier, this result was for both
21 PRISM HCV and for the test of record.

22 [Slide.]

23 In conclusion, in comparing PRISM HCV with test of
24 records, which is licensed EIA 2.0 or also HCV 3.0, the
25 clinical evaluation indicate comparable specificity in donor

1 populations compared to licensed EIAs, and also comparable
2 sensitivity in positive specimen population compared to
3 EIAs, and improved detectability in HCV seroconversion
4 panels compared to licensed EIAs.

5 I did not have a table for the seroconversion, but
6 as mentioned by the manufacturer, that there were 10
7 seroconversion panels and PRISM HCV detected five of those
8 panels earlier than test of records. The other five, they
9 were detected at the same time.

10 As Janet mentioned earlier, the review is underway
11 and is expected to be completed by managed review deadline.

12 DR. HOLLINGER: Thank you.

13 The final critique on the anti-HTLV-I/II is by Dr.
14 Elliot Cowan, also from the Division of Transfusion
15 Transmitted Diseases.

16 **Anti-HTLV-I/II**

17 DR. COWAN: Thank you very much. Pardon me if I
18 am a little redundant.

19 [Slide.]

20 My purpose this afternoon is to provide the
21 committee with a status report on the review of the Product
22 License Application for the Abbott PRISM HTLV-I/HTLV-II.

23 This test, as you heard from the manufacturer, is
24 an in vitro chemiluminescent immunoassay for the qualitative
25 detection of antibodies to HTLV-I and/or HTLV-II in human

1 serum or plasma, and, of course, it is designed to be used
2 with the Abbott PRISM instrument.

3 [Slide.]

4 This is a three-step automated sandwich
5 chemiluminescent immunoassay. In step one, the sample is
6 mixed with microparticles coated with sonicated and
7 detergent inactivated HTLV-I and HTLV-II whole viral lysates
8 for each.

9 After incubation and washing, in step 2, a probe
10 is added, which consists of biotinylated HTLV-I and HTLV-II
11 proteins. This probe will bind to free-binding sites of
12 antibody bound to the antigen on the microparticles.

13 After another incubation and wash, an acridinium-
14 labeled anti-biotin conjugate is added in the third step,
15 following which the chemiluminescent signal is generated by
16 chemical treatment. The chemiluminescent signal is
17 proportional to the amount of antibody in the sample, in the
18 calibrator, or in the control.

19 [Slide.]

20 As you can see, this test uses yet another type of
21 probe compared to the other tests which have been discussed.
22 Whereas, the anti-HCV test makes use of biotinylated anti-
23 human IgG and the HBsAg test used an acridinium-labeled
24 anti-surface antigen conjugate, the anti-HTLV-I/HTLV-II test
25 uses biotinylated HTLV-I and HTLV-II proteins.

1 These proteins are made up of inactivated HTLV-I
2 and HTLV-II whole viral lysates, as well as an HTLV-I
3 envelope enriched viral lysate. According to the
4 manufacturer, this type of probe is designed to improve the
5 specificity of this screening test.

6 There are separate calibrators and controls in
7 this test for HTLV-I and HTLV-II, which have limited,
8 defined cross-reactivity. Consistent with CLIA
9 recommendations, calibrators are used to generate the cutoff
10 value for a given batch, whereas, controls are used to
11 determine run validity.

12 [Slide.]

13 To examine the specificity of the Abbott PRISM
14 HTLV-I/HTLV-II assay, 21,943 serum or plasma specimens from
15 volunteer whole blood donors were tested at a total of five
16 clinical test sites. The PRISM assay was compared to two
17 currently licensed HTLV screening tests, the Abbott HTLV-
18 I/HTLV-II EIA, and the Abbott HTLV-I 2.0 EIA.

19 The results of this clinical study showed an
20 increase in specificity with the PRISM assay relative to the
21 two comparator tests, a claimed specificity of 99.93 percent
22 for PRISM versus 99.74 percent for the HTLV-I/HTLV-II EIA,
23 and 99.9 percent for the HTLV-I 2.0 EIA according to Abbott,
24 based on an assumed zero prevalence for HTLV-I and HTLV-II
25 in this donor population.

1 Thus, it would be anticipated that use of the
2 PRISM HTLV-I/HTLV-II assay will result in a slightly reduced
3 rate of false positive reactions.

4 To evaluate the sensitivity of the PRISM assay, a
5 total of 1,856 specimens were analyzed from a number of
6 different populations using the PRISM HTLV-I/II assay, the
7 Abbott HTLV-I/II EIA, and the Abbott HTLV-I 2.0 EIA.

8 [Slide.]

9 I am showing you here the number of confirmed
10 positive specimens out of the total number that were
11 screened. Confirmation of infection was determined by
12 positive results on investigational blood and on RIBA.

13 The population studied included repository
14 specimens previously determined to be positive for
15 antibodies to HTLV-I or HTLV-II, and specimens from
16 individuals with HTLV-I and/or HTLV-II, associated diseases,
17 that is, ATL, adult T-cell leukemia and MTSP.

18 All of the preselected HTLV-I and HTLV-II
19 specimens were initially detected on the basis of repeat
20 reactivity using an HTLV-I-only test. In addition, a
21 prospective study was performed consisting of 341 specimens
22 from individuals at increased risk for HTLV-I and/or HTLV-II
23 infection, that is, intravenous drug users and patients with
24 sexually transmitted diseases, and 800 specimens from
25 individuals residing in areas endemic for HTLV-I or HTLV-II,

1 the Caribbean, West Africa, Japan, and Brazil.

2 [Slide.]

3 A total of 751 out of the 1,856 specimens were
4 determined to be positive for HTLV-I or HTLV-II by
5 investigational western blot and RIBA. All of these
6 supplemental test-positive samples were detected by all of
7 the test kits in this clinical study, that is, by the PRISM
8 HTLV-I/II, by the HTLV-I/II EIA, and the HTLV-I 2.0 EIA.

9 The sensitivity of the PRISM assay was based on
10 the 714 supplemental test-positive specimens from the
11 preselected anti-HTLV-I/HTLV-II-positive, and HTLV-I and/or
12 suspected HTLV-II associated disease categories.
13 Sensitivity was estimated by Abbott to be 100 percent with a
14 95 percent confidence interval of 99.51 percent to 100
15 percent. Claimed increases in analytical sensitivity using
16 the PRISM assay through the testing of dilution panels was
17 already discussed earlier by Dr. Stewart from Abbott.

18 [Slide.]

19 In conclusion, the status of the review of the PLA
20 for the PRISM HTLV-I/HTLV-II PLA is such that it is expected
21 to be completed by the managed due date of October 20th,
22 1998.

23 Thank you very much.

24 DR. HOLLINGER: Thank you.

25 That concludes the formal presentations, so at

1 this time we will go to the open public hearing. The first
2 speaker is from the Sacramento Blood, Mr. Ken Kuramoto.

3 We need to really stick to the time here on this,
4 so we will be a little harsh if you go over your time.

5 **Open Public Hearing**

6 **Ken Kuramoto**

7 MR. KURAMOTO: Thank you very much for letting me
8 speak here at the BPAC meeting. I am here because Dr. Paul
9 Holland was unfortunately unable to attend. He had other
10 conflicting things in China.

11 I am the research coordinator at the Sacramento
12 Blood Center, and I have been working with the PRISM since
13 September of 1996. We were one of the clinical sites, and I
14 am going to express some of my concerns or things with the
15 PRISM instrument.

16 What we did was we tested the PRISM on-line with
17 linked samples, so on my first slide what I am going to show
18 is some of the things that Jim Stewart had already covered
19 and some of the members of the FDA. What it is, is these
20 are concerns that we have in our blood center and we think
21 that the PRISM is going to improve on these facts.

22 [Slide.]

23 First of all, the PRISM controls everything from
24 beginning to end, and we feel that because of the process
25 control, this will eliminate most of the problems that we

1 have in our laboratory already, such as process control,
2 process control because most of the errors, as I said, are
3 caused by technical problems, people extending the
4 incubation too long, adding the wrong reagent, as Jim had
5 previously said.

6 So, therefore, the human mistakes are rare because
7 of the instrument, the instrument controls every process,
8 every step, and again, Jim didn't say this, but all reaction
9 trays are the same for all assays, so therefore, there is no
10 mistake of putting the wrong tray with the wrong assay, so
11 therefore, you don't have a problem with that.

12 [Slide.]

13 Now, what happens is we were able to run this
14 instrument, and we noticed right away many of the GMP
15 factors that are involved. As Jim said, it reads bar codes,
16 it reads codabars and it has ability to read ISBT 128, which
17 everyone is trying to strive for, but if there is problems
18 with your bar codes, you can double enter as a safeguard.
19 You enter it once, then, the instrument asks you to enter it
20 again, so therefore, you have to enter it twice.

21 [Slide.]

22 And, as Jim said again, the event log documents
23 every step of everything that the operator does on the
24 instrument. All the errors are documented. We found that
25 because the errors were documented on the instrument, that

1 we were able to find possible problems with the instrument,
2 such as one of the pumps may have a little bit of crusting
3 over of the dispense module. We reported that. It was a
4 rare event, it was like 1 in 500 samples or so, but it was
5 something that we reported it.

6 There was a little bit of crusting on one of the
7 dispense things. It made it so that one of the dispensers
8 was not quite proper in some of the cases, so therefore, we
9 reported, they found it. It was easy to fix. So,
10 therefore, due to the error logs, we were able to capture
11 and find this problem before it really became a major
12 problem.

13 [Slide.]

14 Although I don't have a slide for this, all of the
15 reagents were bar coded, as Jim said, and on the video, from
16 the reagent bottle and the line that you put it on, so there
17 was no mistakes. We did not have a problem. We had two
18 errors on the system the entire time we were running it, and
19 I have been running it for about a year and a half, two
20 errors because of control problems, and the CD was a little
21 bit off, or one of the pumps was acting up. We could have
22 kept running if we were able to use the backup channel, but
23 since we were in clinical trials, we were unable to continue
24 until the instrument was fixed, but like I said, we could
25 have continued.

1 We noticed that the precision and accuracy of
2 added reagents were very, very good. They were much better
3 than most clinical laboratories. Most clinical
4 laboratories, it is like 5 percent. The precision of 1.5
5 percent is generally better than what laboratories can do,
6 so therefore, the process, the controls, the actual running
7 of the instrument is at a much better proficient rate.
8 Since it works on a discrete channel system, it makes it so
9 that the whole system, all the reagents are added at the
10 exact same time. The process continues.

11 You do not have a problem of taking your tray, you
12 say your first well incubates actually longer than your last
13 well, well, in this instrument it does not happen because,
14 as the system moves through every 40 seconds, if you
15 calculate 40 seconds, you say, well, that doesn't come out
16 to 160 samples like Jim said, but it adds it in duplicate,
17 so therefore it adds 2 samples at a time. When it does
18 that, it goes through and every 40 seconds it moves and adds
19 the reagents at the same step for every assay. So,
20 therefore, it is well controlled, the process is controlled
21 by the instrument. We have no problems because the
22 incubation was too long or too short or whatever.

23 [Slide.]

24 Along with that, the instrument checks everything.
25 The instrument goes through its checks as you are starting

1 up the instrument, as Jim said, the reagent usage. What we
2 really liked was the fact that each reagent bottle can test
3 up to 5,000 samples from one bottle, which means for a
4 center of our size, we may have to only change the reagents
5 once every two weeks, which makes this much better for us.

6 The trays are checked by the instrument. If you
7 run low, it alarms you, it tells you that you are running
8 low. If you are running low on reagents, it will let you
9 know at the time that you are running low on reagents as you
10 start the instrument up, which makes it much better for us.

11 For CLIA regulations, we do external checks,
12 external checks to verify the volume, and I tell you that
13 volume was well within the accuracy of what they had stated.
14 In fact, they had to slightly open it up because we couldn't
15 hardly validate it sometimes because our pipettors from our
16 Central Laboratory, even though they were within CLIA
17 standards, was beyond the 3 percent, it may have been 4
18 percent, so therefore, they had to open it up a little bit
19 and now it is much easier to check. So, therefore, the
20 instrument is much better than what we had really
21 anticipated when we first began.

22 [Slide.]

23 This is very complicated here, but the members of
24 the Blood Products Advisory Committee do have this in their
25 packet, I believe. We do give the GMP concerns that we have

1 had, that I have discussed here.

2 Down here, we give specificity. The specificity
3 was equal to or better than the standard ELISA technique
4 that we were using at the present time. Upon further
5 investigations, we found that the sensitivity is also
6 slightly better, as previously discussed. We have been
7 working with the instrument on a research basis, analyzing
8 other samples that we have been able to obtain for analysis
9 on the instrument, so we have been finding that the
10 sensitivity is better.

11 So, in conclusion, we feel that this instrument is
12 going to be of big value to blood centers because of the
13 process control, because of the GMP issues, and we are
14 looking forward to the time that this instrument gets
15 licensed, so that we can implement it because of these tight
16 controls that the instrument has.

17 Also, it means that we will use less personnel --
18 not that we are going to fire anyone -- we will be utilizing
19 the people in other ways to be able to conform with more
20 compliance issues, being able to utilize them so that we can
21 meet standards within other departments other than just the
22 processing laboratory.

23 Thank you very much.

24 DR. HOLLINGER: Thank you.

25 The next speaker will be from DiaSorin, Dr. Anne

1 Bodner.

2 **Dr. Anne Bodner**

3 DR. BODNER: My purpose in presenting today is to
4 stimulate some interest, I hope, in looking at alternative
5 ways of confirming HIV antibodies.

6 [Slide.]

7 Right now western blot technology dominates
8 confirmatory assays for HIV. There is a licensed IFA assay,
9 but it is not widely used. There is no licensed
10 confirmatory assay for HIV-2, for type O HIV-1, for HTLV-I
11 and II, and there is no objective automated confirmation
12 assay. I want to present some data on an assay made with
13 the technology that could possibly meet all these
14 confirmatory needs.

15 [Slide.]

16 The instrument system is a flow particle analyzer
17 that uses light scattering to discriminate particle size.
18 The bottom chart here shows four particle sizes. The one on
19 the left in the top of the bottom chart is an internal
20 reference particular that checks optics and fluidics and
21 also sample specificity.

22 The three particles there can be separate assays
23 run simultaneously. There can be a fourth particle there.
24 You can see that is a latex agglutination test that is
25 automated, and you see on the bottom where there is a

1 positive sample that the histograms or the test go down
2 relative to the internal records particle.

3 [Slide.]

4 We put together a research HIV assay. We don't
5 have any plans to commercialize this now because right now
6 we don't have the rights to use the sequences, but we are
7 interested in developing such an assay. This is how the
8 test results I am going to talk about were configured.

9 The particle on the left is a reference particle,
10 and we have three particle sizes - one coated with HIV-1,
11 gp41, one with a peptide for type O, and one with gp35 for
12 HIV-2.

13 [Slide.]

14 We first looked at the results on the BBI HIV-1/2
15 panel. We were able to correctly identify each of the 15
16 samples, and we were able to discriminate HIV-1 and HIV-2.

17 [Slide.]

18 We then went on to test samples that we obtained
19 from Dr. Susan Stramer of the American Red Cross, and all of
20 the samples that we tested were HIV western blot confirmed
21 samples.

22 [Slide.]

23 We tested a subset of 472 samples that the Red
24 Cross had confirmed as HIV-positive during 1997 using
25 western blot. We used the research Copalis HIV assay, and

1 all the samples we used were archived, unlinked samples.

2 Because there is some interest in how specific
3 western blot assays are for p31-negative samples, we divided
4 the sample groups into two, p31-negative and p31-positive.
5 We tested 42 samples of the p31-positive, and the 42 samples
6 we tested were the ones with the lowest reactivity in the
7 screening assay, and the two very lowest samples in the
8 screening assay were RNA negative in a licensed competitive
9 HIV PCR assay.

10 We tested all 22 of the p31-negative samples, and
11 of those 22 samples, 11 were RNA-positive and 11 were RNA-
12 negative.

13 [Slide.]

14 The results on the 42 samples that were p31-
15 positive, we detected 41 out of 42, and the sample that the
16 Copalis assay missed was RNA-negative. On the 11 samples
17 that were p31-negative, but HIV RNA positive, we detected
18 all 11.

19 On the 11 samples that were negative for both p31
20 and RNA, 8 were negative and 3 were positive, and 1 of the 3
21 positive samples was right at the cutoff.

22 [Slide.]

23 This shows the 8 lowest of the 42 lowest screening
24 activity samples, and you will see that sample 24 and 30
25 were RNA-negative. Thirty is the one the Copalis assay

1 missed. The next highest reactivity was No. 24, which
2 Copalis did detect.

3 [Slide.]

4 Interpretation of these results. It looked as
5 though the Copalis HIV assay correlated better with RNA
6 presence than those of the western blot. If you believe
7 that in the 22 difficult-to-interpret samples, because they
8 were faint on the western blot and because they were p31-
9 negative, and some of them were HIV-negative, HIV RNA
10 negative, if you believe that the RNA results are more or
11 less a gold standard, then, the western blot correctly
12 identified 11 out of those 22 samples, while the Copalis
13 assay correctly identified 19 out of 22.

14 [Slide.]

15 So, I want to float a proposal that there could be
16 a place for alternative technology in the retrovirus
17 confirmation area. We could configure an assay with the
18 Copolis system that would be objective and automated, you
19 could assay for up to 4 antigens simultaneously. This could
20 be multiple antigens for a single virus or it could be
21 antigens for more than one virus. There could even be p24
22 antigen detection at the same time.

23 We could detect total antibodies for IgG, IgM, and
24 IgA. The sensitivity will be equal at least to western
25 blot, and it would be a rapid assay, less than 30 minutes.

1 Thank you very much.

2 DR. HOLLINGER: Thank you.

3 The next speaker will be Dr. Louis Katz from
4 America's Blood Center.

5 **Dr. Louis Katz**

6 DR. KATZ: I would like to thank the committee on
7 the part of ABC's 70 independent blood centers for the
8 opportunity to comment on the pending consideration of
9 licensure for the PRISM blood testing system developed by
10 Abbott Labs. This committee may know that last year, ABC
11 filed a brief with FDA urging expedited review of the
12 "prison" system -- PRISM system.

13 [Laughter.]

14 DR. KATZ: Freudian slip. Part of the reason for
15 our request is that PRISM offers improved specificity and
16 sensitivity over current tests on our review of the
17 clinicals that you have now seen. This will allow us to
18 catch more infected donors while rejecting far fewer safe
19 donors that are currently being deferred with available
20 testing.

21 The far more important reason for our request for
22 expedited review is that major improvement in total
23 automation and cGMP compliance featured by the PRISM system.
24 The tests in use today are already highly specific and
25 sensitive, but their performance remains operator-dependent,

1 an error means that at best we must invalidate many test
2 results, at worst we defer safe donors by the thousands,
3 throw out many safe blood components, and conceivably
4 release units that may be unsafe.

5 Further, today's tests are not tamper-proof and as
6 we know, related to prisons, two individuals have gone to
7 jail recently, and one major blood provider is under consent
8 decree for trying to speed production by falsifying test
9 results.

10 From our review of available data, PRISM is nearly
11 tamper-proof and for that reason alone, PRISM should be
12 considered as quickly as possible by the FDA. PRISM is an
13 example of technology developed in the United States that is
14 employed first overseas because of the regulatory
15 requirements of FDA's thorough approval process.

16 Over the last two years, PRISM has swept Europe
17 and Pacific rim countries as the next generation in blood
18 testing. Despite the pace of FDA's process, we appreciate
19 that FDA has also viewed PRISM as a priority. ABC looks
20 forward to the approval of the PRISM system early next year.

21 Thank you for your attention.

22 DR. HOLLINGER: Thank you, Louis. You tempered I
23 guess the letter of Jim's a little bit.

24 The next speaker is Sally Caglioti from the Blood
25 Systems Laboratory.

1 If you look here at the top, you can see the
2 number of manual documentation events that happen in one
3 day, over 11,000 events, also, over 11,000 manual reviews
4 that are required, over 3,000 test processes, things like
5 moving trays around, adding reagents, and over 37,000 visual
6 level checks, fluid level checks in our trays, totalling
7 about 62,000 manual events per day in comparison with our
8 estimate for PRISM for the same value, less than 60,
9 obviously, a couple of fold reduction in the number of
10 potential errors due to manual event.

11 [Slide.]

12 The second thing we looked at is the reduction in
13 complexity that we would see in our laboratory should we use
14 the PRISM analyzer. We looked at three critical process
15 control points here that we feel are very important in
16 quality laboratory testing, one being routine standard
17 operating procedures and training programs.

18 We currently have 12 that just give your
19 technicians the instructions for how to do the tests
20 themselves. With PRISM, we would estimate we would have
21 one. Each one of these routine SOPs and training programs
22 has to be developed, validated, and subject to change
23 control.

24 We currently have 143 instruments by which we
25 perform our infectious disease testing. We estimate that we

1 would need a total of four PRISMs to test our volume of
2 about 1.1 donations. Each one of these instruments has to
3 be calibrated, validated, subject to preventive maintenance
4 and quality control.

5 In addition, we have 38 technicians performing
6 this testing on a daily basis. We estimate -- this is a
7 conservative estimate -- that it would take about 16. Each
8 one of these technicians has to be trained and tested for
9 competency and proficiency.

10 All of this, of course, leads to a reduction in
11 error potential.

12 [Slide.]

13 My last slide shows what we project as deviations
14 for unannualized data with the use of the Abbott PRISM
15 analyzer in place of our current systems.

16 You can see here that we filed deviation reports
17 for all of these different things that happened in our
18 laboratory, one being failed runs. We see a failed run rate
19 of about 3.5 percent, most of which are due to control
20 failures, operator error, and equipment malfunction.

21 We feel that 90 percent of these failed runs would
22 be eliminated should we use the PRISM analyzer. We have
23 seen in one year's period, over 750 equipment malfunctions.
24 We have had over 230 equipment service calls by our vendors,
25 and have generated 237 quality assurance reports that could

1 be eliminated with the use of the PRISM, totaling over 2,400
2 deviation reports that we feel could be eliminated with the
3 use of a single analyzer.

4 [Slide.]

5 In conclusion, we highly support the licensure of
6 the Abbott PRISM. We feel that its use in a high-volume
7 testing laboratory, such as ours, will have a positive
8 impact on process control and ultimately the safety of the
9 blood supply by reducing potential for deviations, through
10 reduction in numbers of manual steps, instruments,
11 procedures, and technicians.

12 Thank you.

13 DR. HOLLINGER: Thank you.

14 DR. SMALLWOOD: Before the next two speakers are
15 called, I would just like to announce that these speakers,
16 their travel was supported by Abbott Laboratories to
17 participate at this advisory committee meeting. Thank you.

18 DR. HOLLINGER: The next speaker is Dr. Molijn
19 from the Blood Bank Rotterdam in the Netherlands.

20 **Dr. Hans J. Molijn**

21 DR. MOLIJN: Members of the Committee, ladies and
22 gentlemen, we use the PRISM now since June 1996, and I want
23 to show you in a very brief presentation what we did with
24 the system.

25 [Slide.]

1 We started off with a validation period of a few
2 months, and we were very happy to see that the system was a
3 complete system, fully automated, and took some of our
4 worries away, and one of the worries was the extended
5 incubations using disk plates, that could be either micro
6 disk plates or the Abbott plates, large plates.

7 We were very happy to see that there was a logging
8 system. Our technicians did write a lot during the day, and
9 it is totally eliminated at this moment. The only thing
10 they do is at the end of the run, they look up what was
11 printed, and they check and set their sign under it. As I
12 will show you later on, we have a minimum of staff at this
13 moment.

14 [Slide.]

15 What we wanted to do with the system, and we
16 stated that in our validation protocol, the system should be
17 at least as sensitive as the test we used at that moment.
18 For that, we bought some panels, Pedicheck panel coming from
19 the laboratory of [Nicolady] of the Central Laboratory in
20 Amsterdam, and we can show very easily that all the assays
21 used in our country are at least as sensitive as this agent
22 we used at that moment.

23 You can see there is no anticore assay, but that
24 is due to the fact that we do not test in our country for
25 anticore.

1 [Slide.]

2 Those are the results of our testing. We started
3 on routine in June 1996, and up to now we have tested almost
4 200,000 samples, and you can see that the repeat reactivity
5 of the assays is very low. We are very happy with it
6 because it means that we have very small number of
7 confirmation tests, and we do not test the confirmation
8 ourselves, it is all done by the Central Laboratory in
9 Amsterdam.

10 [Slide.]

11 Well, this was the situation up to June of this
12 year, and because like other countries in Europe, our
13 country is reorganizing, that means that our blood bank grew
14 twice as big. That means that up to June of this year, we
15 had one PRISM and one technician, and after June we had two
16 PRISMs and two technicians, and the second technician is
17 added, not because of the second PRISM, but of the enormous
18 load for syphilis testing, ABD testing, and all the other
19 things done. So, I think that two PRISMs can be operated by
20 one technician.

21 [Slide.]

22 A lot has changed in our laboratory. Introducing
23 the PRISM meant for us that because we decreased the number
24 of staff, we started to do our testing during night hours,
25 and that meant for our blood bank that when early in the

1 morning, the staff from our Blood Components Department came
2 in, the splits in [counting] products already could be made,
3 and that meant that the primary production in this
4 department could immediately be done, and they could make
5 any product out of the whole blood what they wanted.

6 [Slide.]

7 I have this last slide for you to show in time,
8 this is 500 controls started from June 1996. You can see
9 that the bands in measurements is very narrow, and you can
10 see that the two [subchannels] in the assay nicely cover
11 each other.

12 Thank you very much.

13 DR. HOLLINGER: The next speaker is Brian Gibbs
14 from the Blood Transfusion Service in South Africa.

15 **Brian Gibbs**

16 MR. GIBBS: Good afternoon, everyone.

17 [Slide.]

18 The South African Transfusion Services are
19 controlled by volunteer donors through an elected board, and
20 the services operate as nonprofit companies.

21 [Slide.]

22 Our service collects about 135,000 donations each
23 year from a large geographic area, and all of those
24 donations are transferred to Cape Town for processing.
25 Obviously, then, we are in a situation when an instrument

1 such as PRISM is of significant value.

2 [Slide.]

3 The instrument arrived in November 1995. We
4 carried out extensive evaluations that included
5 seroconversion panels, as well as parallel testing of
6 donations, and those seroconversion panel results are in the
7 handout that I have handed to the committee.

8 [Slide.]

9 We made a decision to adopt the instrument in
10 1996. We went live in June, and since that time, on one
11 occasion, we have resorted to using the Commander system. I
12 should add that we resorted to using the Commander because
13 of problems with our computer software, not due to problems
14 with the PRISM instrument or with the PRISM software.

15 [Slide.]

16 Our conclusions after two years regarding the
17 software are that it is very user-friendly, it works very
18 well. We have developed a reciprocal backup with another
19 service in the country who also have a PRISM. You can
20 imagine being at the southern tip of Africa, it is very hard
21 sometimes to get backups.

22 The slides I am going to show you now will show
23 the results of the two years of operation.

24 [Slide.]

25 First, perhaps that just demonstrates the extent

1 of the problem that we are faced with in that part of the
2 world. You can see there are huge increases over the last
3 12 years or so in the positivity, and perhaps even more
4 frightening, the last four years, a significant increase in
5 the rate of positivity amongst repeat donors.

6 [Slide.]

7 Hepatitis B statistics. We don't really have any
8 comment. We find it an excellent test. There is a peak
9 there which was due to some contamination in the system, not
10 in the PRISM system, probably in the tubes. We are very,
11 very happy with the reproducibility and the way the system
12 works.

13 [Slide.]

14 HCV, always a problem in our hands mainly due to
15 high levels of initial reactives. We believe that some of
16 the local African viruses may share some of the proteins
17 with the HCV, and consequently, we might be picking up some
18 forced reactives for those sort of reasons.

19 [Slide.]

20 HIV, well, we had a problem with tubes, which you
21 can see there, but the confirmed reactive rate, if you
22 exclude those few peaks towards the end, mirrors very nicely
23 the actual repeat reactive rate.

24 [Slide.]

25 So, our general comments. Once a test sequence

1 starts, interference invalidates the results, because the
2 control measures built into the system are violated. We
3 really like that feature, and I think everybody else you
4 have heard speak here today has echoed that feeling.

5 [Slide.]

6 We find that the system diminishes operator error,
7 it improves productivity. By making the people less likely
8 to make errors, it increases their confidence, and we
9 believe that these factors all combine to improve the
10 efficiency of the system.

11 [Slide.]

12 Really, simply to show you all, this is the sort
13 of response I got from the staff, and there are four of them
14 involved, and they don't all work all day, every day, in
15 fact, two of them would operate the machine on any one day.

16 A score out of 10 for how they felt about the
17 various aspects of the system, you can see that they really
18 like it, and it has taken away the opportunities for them to
19 make mistakes.

20 Thank you very much indeed.

21 DR. HOLLINGER: Thank you.

22 The next speaker is from Organon-Teknika, Tom
23 Clemons.

24 [No response.]

25 DR. HOLLINGER: The last speaker we have listed ...

1 for the open public hearing is Kay Gregory from the American
2 Association of Blood Banks.

3 **Kay Gregory**

4 MS. GREGORY: Thank you. I am pleased to be here
5 to speak on behalf of the AABB, which as you know is a
6 professional society for 2,200 institutional members
7 including the American Red Cross and community blood centers
8 and hospital blood banks, as well as 8,500 individual
9 members.

10 Our highest priority is the safety of the blood
11 supply. We have no personal experience to tell you about
12 dealing with the PRISM blood screening instrument, but the
13 AABB supports the application of cGMPs in blood screening
14 and the FDA's efforts to regulate using cGMPs.

15 Any technology advance that can be demonstrated to
16 automate and enhance compliance with cGMPs is of value and
17 is supported by the AABB, and we urge the FDA to consider
18 this aspect when reviewing applications involving new
19 technologies, such as the PRISM.

20 Thank you.

21 DR. HOLLINGER: Thank you, Kay.

22 Is there anyone else that did not get on the list?
23 I think that is everybody in the room now, but just in case.
24 If not, we are going to take a half-hour break until 4:30.
25 We will reconvene at 4:30 for the open committee discussion,

1 presentation of the questions to the committee, and
2 discussion.

3 Thank you.

4 [Recess.]

5 **Committee Discussion and Recommendations**

6 DR. HOLLINGER: If we could have the questions
7 presented or the questions presented to the committee, who
8 has the questions?

9 MS. CLAGGETT: We have two questions for the
10 committee. Does the committee agree that based on the
11 information reviewed to date and presented, PRISM technology
12 can be considered by the FDA for use in viral marker testing
13 of donor blood?

14 The other question is, on the basis of information
15 reviewed to date and presented, does the committee note any
16 additional concerns that need to be addressed before
17 licensure?

18 DR. HOLLINGER: With that in mind, I would like to
19 open this up for discussion among the committee members.

20 Yes, Norig.

21 DR. ELLISON: Firstly, the questions are sort of
22 backwards because if the answer to the second is yes, then,
23 you don't even have to answer No. 1, but all the information
24 that I heard presented was overwhelmingly positive. The
25 only possible negative is that it might create unemployment

1 among blood bank technicians, but I think that automation is
2 a great way to go forward for removing the human variability
3 in processing blood.

4 DR. HOLLINGER: Yes, Katherine.

5 MS. KNOWLES: I was wondering, I mean it really
6 sounded very wonderful, but was there anything that people,
7 the people that were in the clinical trials, was there one
8 there one thing, just one thing that was a problem?

9 MS. CAGLIOTI: We expected to find some things
10 that we didn't like about it, we thought it would be down a
11 lot. We did not find one thing that we didn't like about
12 it.

13 MS. KNOWLES : Good.

14 DR. HOLLINGER: You had the machine how long?

15 MS. CAGLIOTI: We had it a total of about seven
16 months. We still have it today.

17 DR. HOLLINGER: Dr. Verter.

18 DR. VERTER: Actually, all I would like to do is
19 expand the question that was asked and ask the Abbott
20 people, the implication or the data you presented were that
21 there were about 88 machines or people using this for
22 somewhere around two or more years. You have asked a number
23 of those to come here, less than 10 percent of the users.

24 Do you have any data on any adverse experiences
25 with the machine?

1 DR. HOLLINGER: Jim, I mean that would be an
2 important question for everyone here. Obviously, people are
3 going to call you and ask you questions about problems that
4 are coming up with the machine, and so on, and when you
5 have, like they have there, when they have four machines, if
6 you have 143 machines, I think you said initially, and four
7 go out, big deal. If you have got four machines, and one or
8 two go out, that is a real big deal if it is not working and
9 in terms of backups, and how quickly can you get backups to
10 companies and things like that.

11 DR. STEWART: Let me treat that in two parts.
12 First of all, we have pretty much annually, since PRISM was
13 introduced, had rest-of-world user meetings where we have
14 gotten input from customers and current PRISM users on what
15 they like about the instrument and the things that they want
16 to see improved.

17 I would characterize that the major feedback that
18 we have gotten has really been in the vein of how can you
19 take an instrument that they believe is solid and make it
20 better. An example of that, for example, I mentioned in
21 development was an HIV-I p24 antigen test. Clearly, antigen
22 testing is a very important part of the U.S. blood testing
23 scenario. They would like to see the PRISM instrument have
24 these assays added, and this is the type of thing that gives
25 us the input to know for future development efforts exactly

1 what we need to do.

2 Another example of that would be as you get
3 multiple PRISM instruments within a laboratory, can they
4 have the ability to communicate with one another, and if you
5 have a retest sample on one PRISM, another PRISM know that
6 that retest is being managed appropriately, so, we have
7 viewed these in the vein of improvements and ways we can
8 make the product better over time.

9 With respect to the chairman's question regarding
10 reliability and the feedback we have gotten there, I would
11 guess basically say that as the program director over two
12 years, a lot of that type of feedback from rest-of-world can
13 or would come back through in terms of a laboratory's
14 ability or inability to get test results out.

15 One of the metrics we track very carefully is
16 something that we call tests completed, that is, the ability
17 of the user to put a test on the PRISM instrument and know
18 that they will get a result on the other side, and to
19 benchmark that metric versus what current tests do today.

20 Basically, what our data tell us is that the PRISM
21 instrument is delivering the same level of test completed
22 results or slightly better than what the combined test of
23 records are doing.

24 So, issues that have resolved, and with any piece
25 of equipment you can have issues of pumps malfunctioning and

1 the like, for the large part are being handled by the
2 laboratories themselves, they take on a large amount of the
3 responsibility for doing preventive maintenance, as well as
4 interfacing then with the Abbott service staff, so there has
5 been no single issue or major issue that has dominated our
6 intention in terms of instrument reliability. Maybe the
7 clinical sites would care to comment on that, as well.

8 DR. HOLLINGER: Yes, Dr. McCurdy.

9 DR. McCURDY: I am not sure that the question was
10 really completely answered. I would phrase it that there is
11 nothing better than automated piece of equipment that works,
12 and there is nothing worse than one that doesn't, and the
13 only thing I saw that even dealt with that -- I may have
14 missed something -- but the users in South Africa had a note
15 on service which they rated very highly, but that didn't
16 tell us the frequency of service required, and so forth.

17 I have had experience some years ago as being the
18 only one in the country who had trouble with a particular
19 instrument, only to find out that a number of my friends had
20 also had similar trouble, so I think reliability is an
21 important issue that needs to be well addressed.

22 DR. STEWART: It is a very important issue and an
23 excellent question. One of the things I mentioned in my
24 presentation that the PRISM offers is the sixth channel
25 which has the capability of running any of the assays and is

1 capable to be used as a backup. So, in the event that a
2 particular assay channel has a problem, the laboratory has
3 an immediate opportunity to go to a backup channel for
4 completing their testing, so they are not stopped
5 immediately from proceeding. In that respect, I think that
6 helps with those types of issues.

7 Overall, when we look at reliability, on average,
8 for a piece of equipment that is this complex, we average
9 less than one service call to an account per month, and that
10 type of data has been very good for us to receive in showing
11 the high level of reliability that the instrument has had so
12 far.

13 So, again, my fundamental answer would be that the
14 users have been able to work through any issues that they
15 have had instrument problems that have come up as they have
16 gone through, sometimes with assistance of the Abbott
17 service staff.

18 DR. MOLIJN: Maybe I can comment on this issue.
19 As we started in 1996, we had a big concern what happens
20 when the complete PRISM stops, and it is a disaster. One of
21 the blood banks had an idea to keep a complete Commander
22 line and waiting until the PRISM would break down.

23 We thought that was not the solution because
24 before you start this whole thing, you clean it, you prime
25 it, you validate it, it's already repaired, so what we did,

1 we looked for another blood bank with a PRISM backup side,
2 and that was Leige in Belgium. Dr. Sontag, he was very
3 cooperative and we validated with all our tubes going to
4 Belgium, putting it there on the PRISM, testing it, and send
5 it on-line over to our blood bank system.

6 That was in July 1996 we agreed to do that. We
7 validated it, and we never needed it up to now.

8 DR. HOLLINGER: While you are still there, let me
9 just ask you a question. I mentioned this once before to
10 you, but in one of your sets of slides you showed, the anti-
11 HCV really did not look very good, and yet the IMX did very
12 well, which has the similar microparticles, monoclonal
13 antibody, and so on, and this is always an issue.

14 If you have, though, if you are set into one
15 company where you are having a whole bunch of different
16 assays and one of them is not doing very well, either
17 because of false positives or a variety of other things, you
18 are sort of stuck. You can't go out and get another
19 manufacturer's product to use in place of, say, all the
20 others.

21 Would you deal with that a little bit,
22 particularly with the anti-HCV, and then perhaps Jim or
23 somebody else can respond about the other issues I just
24 mentioned?

25 DR. MOLIJN: When we started, we thought, well,

1 how to deal with this problem, how do we see whether this is
2 sensitive enough or not. At that time, we had no
3 sensitivity panels, and seroconversion panels, you need them
4 really to see how sensitive the test is.

5 So, what we did again, we went to Frau Sontag and
6 we asked her whether she did it or not, and she had an
7 enormous amount of seroconversion panels, and it showed that
8 the HCV was equal on either Abbott's test in seroconversion.
9 There was even one seroconversion panel that was one step
10 better than the others.

11 So, then, we started with the Pedicheck and we saw
12 that it was almost the same as the ELISA in use at that
13 time. What we see now is we got once in the three months a
14 sample from the National Office, and we have to test it, and
15 it is a sample just above the cutoff, and we never, ever
16 tested negative, and compared with other companies and the
17 ELISA tests, it could differ from time to time very much,
18 and that is why we just went with the system.

19 DR. STEWART: I am sorry. Could you indicate the
20 second part of the question again?

21 DR. HOLLINGER: The issue is if one assay, say,
22 has more false positives than, say, some other assay that is
23 out on the market, you pretty much have to sit within all
24 the assays that Abbott has. I mean that would be correct
25 obviously, in the system, whereas, right now if I wanted to

1 use one assay, but then use a series of others for testing,
2 I could do that, I could choose.

3 DR. STEWART: Clearly, our goal in designing the
4 PRISM instrument was to have a complete menu of assays that,
5 in terms of sensitivity and specificity performance, match
6 or exceed the test of record. As you saw in the specificity
7 data, some represent a significant improvement, some were
8 comparable.

9 The choice that the laboratories face in that
10 situation is in terms of the cumulative repeat reactivities
11 that they get across a system in that standpoint, and, of
12 course, in the beginning, with PRISM as the assay menu will
13 be limited the first couple of tests, there will be multiple
14 technologies within the laboratories remaining as not all of
15 the markers that have to be tested would be approved on
16 PRISM initially.

17 DR. HOLLINGER: Dr. Tuazon.

18 DR. TUAZON: How do you envision the use of
19 implementation of this instrument? Are you just thinking
20 that the reference laboratories or blood banks will be using
21 this, and how much does the instrument cost, the equipment?

22 DR. STEWART: In terms of size, from a design
23 standpoint, PRISM was in general designed for laboratories
24 that were at or above about 50,000 donations per year. We
25 have laboratories that are smaller than that, that use

1 PRISM, use it effectively, but in terms of the design
2 concept and the amount of throughput and the efficiency you
3 would get from kits, it was with that idea in mind.

4 So blood bank laboratories or reference
5 laboratories that would be at or above that threshold could
6 very effectively use the PRISM instrument. At this point
7 because the pricing for the PRISM is not established in the
8 U.S., I will defer any discussion of that particular topic.

9 DR. HOLLINGER: Dr. Holmberg.

10 DR. HOLMBERG: Dr. Stewart, in your presentation
11 on page 30, you talk about the failed run analysis for the
12 U.S. clinical sites, but again going back to that
13 reliability question, how many failed runs can we attribute
14 to the PRISM?

15 DR. STEWART: Excellent question. During that
16 same time frame, we actually evaluated the PRISM performance
17 comparably, and if I may, I think we have a foil of that and
18 answer that directly.

19 DR. HOLMBERG: I have another question, too, that
20 maybe the gentleman from the Netherlands can answer. In
21 your evaluation from your technicians, I noticed that there
22 were I think two or three 7's up on the top. I think the
23 first reliability one was a 7. Now, that is evaluation from
24 your technical staff.

25 DR. MOLIJN: That is my colleague from South

1 Africa.

2 DR. HOLMBERG: I am sorry.

3 DR. MOLIJN: Almost in the neighborhood.

4 [Laughter.]

5 MR. GIBBS: I actually find it quite hard to
6 comment on this. I could have a look at the slide. I don't
7 suppose we could find it.

8 DR. KAGAN: I was wondering if any of the
9 supportive blood banking operations do testing for OPOs for
10 transplant cases where results are required on a STAT basis.
11 I know in Cincinnati that we have actually had to go to
12 outside laboratories because they like to batch everything
13 and it is very difficult to get tests run for tissue donors
14 or organ donors when you are pretty much down to just a few
15 minutes time or a few hours time.

16 I am wondering whether or not any of you have any
17 experience with this and whether or not it is easy to just
18 pop in a sample and run it immediately or whether there is
19 added expense and whether that is reasonable or not.

20 DR. MOLIJN: We normally do not test for organ
21 transplants, no, but in our cancer hospital in Rotterdam,
22 they ask for the testing in other laboratories within their
23 university, and I know for sure that I do it around about 10
24 to 20 times a year that he calls me and asks me to test for
25 him because I am very fast. When your equipment is running,

1 you tell it you have a STAT sample, you put it in, and with
2 in an hour you have gotten a result.

3 DR. KAGAN: Does anybody else have the experience
4 in the States?

5 MR. GIBBS: If I may comment on those two 7's, our
6 problem as I mentioned during my presentation is that the
7 software within our blood center gives us major problems,
8 and these results were given by the staff when they were
9 asked to comment on the overall reliability of the system
10 and the overall consistency of the system.

11 That would include the relationship between the
12 PRISM and our in-house computer, which in fact leaves a lot
13 to be desired and which is continually being upgraded. If
14 you look at the other marks on the other scores, you will
15 see that most of those scores relate specifically to PRISM,
16 and there are no outside influences, so those two relate to
17 the problem issues that we have been identifying with our
18 in-house computer.

19 DR. HOLLINGER: Mr. Dubin.

20 MR. DUBIN: Most of the discussion we have heard
21 is about the blood banking side of the equation. I am not
22 sure anyone is prepared to answer this, but do you see this
23 in the commercial plasma end of things, have you had
24 requests there, are you working in that area, as well?

25 DR. STEWART: One of our clinical sites was a U.S.

1 plasmapheresis center, and we actively see PRISM as being an
2 instrumentation or technology that would be utilized in that
3 industry.

4 DR. HOLLINGER: Dr. Nelson.

5 DR. NELSON: As you know, the agents that can be
6 transmitted by transfusion geographically vary somewhat, and
7 I wondered in areas, for instance, where Chagas disease was
8 important, could add that or in areas where HTLV-I and II
9 are not a problem, could you subtract, how flexible is it to
10 adding new antigens or not using the whole panel?

11 DR. STEWART: In terms of new markers, clearly, we
12 observe with great interest those markers that appear to be
13 future candidates as blood screening markers, and Chagas is,
14 in fact, a marker although I didn't indicate it on my slide,
15 that is funded for development as far as PRISM assay.
16 Abbott has other technologies that screen for Chagas, but
17 Chagas is funded for implementation into PRISM.

18 In terms of the flexibility of being able to
19 dissect, if you will, certain of the markers, PRISM doesn't
20 give us that immediate capability. The HTLV-I/HTLV-II test,
21 in fact, is formatted to screen for both markers. However,
22 we have had the experience in certain areas of the world,
23 taking Japan as an example, that there are unique markers
24 there that they were interested in with PRISM. Surface
25 antibody is obviously screened for there, and we developed a

1 specific procedure for surface antibody that is used only in
2 Japan.

3 So, clearly depending upon the need of an area of
4 the world, or if new variants or viruses came up, this is an
5 important part of how we look at working with PRISM in the
6 future.

7 DR. HOLLINGER: Again, Jim, I want to be sure.
8 Right now it can only do an X number of assays. You can't
9 add additional assays to it the way it is configured now, is
10 that correct?

11 DR. STEWART: You can run on a single PRISM
12 instrument, since there are six channels, you can run up to
13 six different assays, and if you had a second PRISM
14 instrument you could be running different markers on those.
15 The critical piece is that any single channel, once it is
16 dedicated to that assay, is for that assay.

17 So, if in the future we had eight or nine
18 different virological markers, they may be split across
19 different PRISM instruments, but you would be able to run on
20 dedicated channels doing that.

21 DR. HOLLINGER: Yes.

22 MR. DUBIN: Could you run two of them in series?

23 DR. STEWART: Could you be more specific on that?

24 MR. DUBIN: For instance -- and I am coming from a
25 sound background, so understand that I am looking at this

1 more like I would look at a studio -- if you had a whole
2 series of markers, would you want to just run different
3 machines or could you actually tie two of them together?

4 MS. KNOWLES: Like a network?

5 MR. DUBIN: Yes. Can you network them?

6 DR. STEWART: One of the improvements that I
7 mentioned at the start was the idea of being able to have
8 multiple PRISMs communicate to one another what test results
9 had been done, and that is a type of improvement that we are
10 looking at for the future for users, and particularly in the
11 U.S., that is an important element because of the number of
12 multi-PRISM sites that are more likely to be here.

13 MR. DUBIN: So, you guys are working on being able
14 to network them?

15 DR. STEWART: Being able to link them together,
16 yes.

17 MR. DUBIN: Yes, that is what I meant.

18 DR. HOLLINGER: Dr. Koerper.

19 DR. KOERPER: I assume that you have taken into
20 account the Y2K problems, and we don't have to discuss this,
21 but what I would like to know is does this machine interface
22 readily with various software systems that are already in
23 place in the various blood banks, or are the blood banks
24 going to have to rewrite software or buy new computer
25 systems, has that been a problem?

1 DR. STEWART: Obviously, given the range of
2 systems that are available in the marketplace, it is
3 something that we, as we went through our launch of PRISM
4 outside the United States, had to work specifically with
5 laboratories to understand what their unique needs were.

6 Even if there was a sort of common platform within
7 a laboratory that they were using, their particular
8 parameters might be unique, and it is part of the
9 implementation process that we would go through in going in
10 and checking out a laboratory in a facility for what they
11 would need to support PRISM in terms of size, electricity,
12 temperature, and everything.

13 A key part of that is the host interface issue and
14 the communication of data from the PRISM.

15 DR. OGAMDI: The gentleman from South Africa
16 indicated some outliers, possible cross-contamination or
17 something. Have you done anything to resolve that, we know
18 what that was?

19 DR. STEWART: I think the reference was
20 specifically to anti-HCV samples, and at this point the
21 exact reason behind the rates that they are seeing is
22 unknown. It is one possibility that they are looking at
23 that it could be related to something that gives a cross-
24 reactivity in the test, but that requires further
25 investigation.

1 DR. OGAMDI: Is anyone doing anything about it
2 now?

3 MR. GIBBS: The universities in our area are
4 working on those problem cases, and we are continuing
5 referring such problem cases to the Abbott organization, but
6 we believe it is related to the distribution of perhaps
7 normal viruses within the population which is very different
8 to the distribution of various viruses in the American
9 population.

10 We have seen in the initial report back in 1996, i
11 believe. There was some similarities between some of the
12 initial things that we were seeing and some of the initial
13 findings from the Spanish group, but as far as pinpointing
14 exactly what they are, no, we have not done that.

15 What we have shown is that the specificity of the
16 system in repeat testing mode with our population is not as
17 high as it is in other populations.

18 DR. HOLLINGER: Jim, can you tell me, how is the
19 calibration of the pipettes done? You showed some good data
20 about how it detects if a clot comes in the system or
21 something blocks it or there is too much flow going out, and
22 other things with dispensing and washing, and so forth, but
23 the calibration of the pipettes themselves to deliver X
24 amount, 200 microliters, whatever, how is that done?

25 DR. STEWART: Well, that functions at a number of

1 different levels. One is in the assembly of the instrument
2 or in the subassemblies demonstrating the precision at which
3 those various pumps and/or syringes are calibrated to, so
4 that is the first step in knowing what they are capable of
5 delivering.

6 And then on an ongoing basis, in addition to the
7 sensors that exist on the instrument, there is an
8 independent external tool that can be used to independently
9 validate what the volume dispensing is on the instrument as
10 it goes through. That is part of the GMP aspect of bringing
11 it forward separate from the instrument checks itself, an
12 external tool that can be used. The same type of thing
13 exists for temperature and the like, means to independently
14 validate the dispense volume.

15 DR. HOLLINGER: You also mentioned, and I was
16 impressed with the detection of the glycine to arginine
17 substitutions on the mutants, which was interesting, but
18 just as a matter of fact, though, were all of these anti-HBC
19 positive anyway?

20 DR. STEWART: I am sorry, say that again.

21 DR. HOLLINGER: Were all of these anti-HBC
22 positive?

23 DR. STEWART: In the case of the serological
24 samples that they originated from, I am not sure.

25 DR. HOLLINGER: I would assume they would have all

1 been picked up anyway by anti-H, but my point is that they
2 would all have been picked up by anti-HBC anyway as part of
3 the screening procedure.

4 DR. STEWART: This was more looking as you saw
5 from an analytical standpoint of what is the detectability
6 or limits of detectability.

7 DR. HOLLINGER: But it would resolve a lot of some
8 of the anti-HBC solitary responses that you sometimes see
9 maybe.

10 DR. STEWART: Coming back, if I could, just for a
11 moment to Dr. Holmberg's question, there is a foil I think
12 on the projector, if you could turn it on.

13 [Slide.]

14 This is the same format as what I showed in the
15 presentation, but it is looking at it from the other side.
16 These are the PRISM assays that were being run and
17 integrated. If you recall from the data on test of record,
18 it was an overall run failure percentage of 4.5 percent.

19 During that same time frame, there were a total of
20 490 PRISM runs, that are nine-tailed runs, for a total of
21 1.8 percent, so we have seen overall a reduction in failed
22 runs, which also translates into a reduction in failed tests
23 or an increase in the tests completed rate that you get.

24 So, despite the fact that PRISM has a large number
25 of these controls and specific types of statuses or error

1 conditions that it can identify, the overall throughput of
2 samples is remaining the same or maybe even slightly
3 improving as we move for NC screening on PRISM.

4 DR. HOLMBERG: So, when you say failed run, is
5 that one tray of samples or is it just one individual donor?

6 DR. STEWART: A failed run in this case would be
7 defined as an entire run failing. Can you put the second
8 foil up there? The detail behind those nine will give you a
9 sense of exactly how that happened.

10 I mentioned some of the errors that can occur on
11 PRISM that essentially stopped an entire run, and this will
12 give you an idea. These are the nine occurrences. Now,
13 there was one case where the calibrator was not successful
14 because bubbles were detected in the pipetting. Since it
15 could not adequately calibrate the entire run, it shut down.

16 There was a shroud failure. A shroud refers to
17 the device that is used to create the light seal that you
18 can then read the chemiluminescent result from. There was
19 one case of that. When the instrument detected that error,
20 it stopped the run entirely.

21 Calibration failures are probably a more common
22 mode that you would find, if something happens with
23 calibrators in the run or a run control, which was that end
24 of batch release control, those accounted for the other
25 seven instances.

1 DR. HOLMBERG: One other question in regards to
2 Sacramento. I noticed on your sheet there it gave
3 statistics for all six parameters. Are you using it for all
4 of your viral marker testing?

5 MR. KURAMOTO: That was for the clinical trials,
6 and during the initial clinical trials we did one assay at a
7 time and then at the very end, we performed five assays at a
8 time, and there are six assays. They want to evaluate or
9 compare one with reductant versus one without reductant, and
10 Jim Stewart could probably tell you more about that.

11 So, really, it is five assays.

12 DR. HOLLINGER: Dr. Boyle.

13 DR. BOYLE: I want to be sure if I understand
14 something. I believe you said that at the end of each run,
15 there is a test against a positive sample to make sure that
16 you are picking up positive samples. My question is, is
17 that positive sample across all the assays, or does it only
18 represent one?

19 DR. STEWART: It is a multi-constituent control,
20 so it is pipetted by the system across all the assays, and
21 for the five primary markers that I described it has HIV-I,
22 HTLV-I, anti-HBC, HCV surface antigen all titered in there
23 at a low level. It is not a master lot of kit component, it
24 is provided separately, so it functions much in the way that
25 a run control, independent run control would function, but

1 it has a special purpose here in that the system looks for
2 it, it must be there, it must be positive or the entire
3 batch of data is not released. No results are there for the
4 technician to interpret.

5 DR. OHENE-FREMPONG: Just a comment. I believe I
6 have seen in the literature something about its own backup
7 system.

8 DR. STEWART: Yes, I didn't allude to that on the
9 slide, but there is an uninterruptable power supply that is
10 provided with the instrument. This is for those occurrences
11 if the laboratory should experience a brownout or a blackout
12 condition, it can provide power to keep the instrument
13 running for up to 10 minutes, which based on the data that
14 we had seen, greater than 99 percent of the occurrence where
15 power is interrupted, it is for that short a time period, so
16 that is standard part of the PRISM.

17 DR. HOLLINGER: And it has a refrigerated
18 component, is that correct, for the reagents?

19 DR. STEWART: Yes, the biological reagents are
20 stored in an on-board refrigerator, and that is where the
21 bar codes for the bottles are matched up to the fluidics
22 lines, and they are maintained in there, so you don't have
23 to pull the reagents out until it is time to replenish a
24 kit.

25 DR. HOLLINGER: But in case of what was just

1 mentioned, they could take them out and put them in a
2 refrigerator, it is not something that is locked in that
3 they can't remove?

4 DR. STEWART: No, if it was going to be a
5 condition that the power was going to be down for a longer
6 period of time and the reagents needed to be removed, they
7 could be removed. When they put back on the PRISM, the
8 PRISM will remember how many test reagents were left and
9 pick up essentially where it left off. In fact, many
10 laboratories will do this if they have a 5,000 test kit and
11 test 4,500 or 4,800, they may pull that test kit out for
12 just doing retests, you know, at some point, but the number
13 of tests is part of what is managed by the system.

14 DR. HOLMBERG: How do you detect the end of the
15 bottle, is it a pressure, a light source?

16 DR. STEWART: In terms of pipetting?

17 DR. HOLMBERG: No, all the reagents that are
18 stored in the refrigerated section.

19 DR. STEWART: Actually, that is a test counting
20 mechanism, so the PRISM keeps track of the tests as they are
21 processed and factors in for when primes are purchased or
22 being done and extra fluid is being utilized.

23 DR. HOLLINGER: Dr. Hamilton.

24 DR. HAMILTON: I have a couple of comments and
25 questions that probably are best addressed by you, Dr.

1 Stewart, if I may.

2 They revolve around the handling of the specimens
3 or the nonhandling, I think as you like to phrase it, such
4 that there is a minimum level of contact of the technician
5 with the specimen itself, thus reducing the option for
6 error, but if we take this maybe from the start here, this
7 specimen gets collected somewhere, and this specimen gets
8 collected in some kind of tube that then arrives at your
9 facility wherever that might be for testing.

10 Is that tube the one from which the pipetting is
11 done, or does that tube then have to be re-entered in some
12 kind of log, some kind of something, thereby not failing to
13 avoid what we found in a survey done some years ago now that
14 the major reason for a false positive test for hepatitis
15 surface antigen was testing the wrong blood. It simply was
16 an error in documentation.

17 So, I have always wondered why those tubes can't
18 be the same thing, but they are not, are they, in your case?
19 Blood comes in a standard vacutainer.

20 DR. STEWART: In most cases, they should be the
21 same, a primary collection tube is what would be loaded onto
22 the instrument directly with an appropriate bar code.

23 Clearly, there are handling issues from the time a tube is
24 collected until it is brought into the laboratory and
25 whatever bar code label is assigned on it.

1 PRISM obviously is designed from the standpoint
2 once that tube is entered with a particular bar code to
3 control the process from there, that that tube and that bar
4 code, however they are matched up, then are carried through
5 the process.

6 DR. HAMILTON: So, the needle goes in the arm, the
7 blood comes out the tube into the container that goes in
8 that machine.

9 DR. STEWART: Typically, that is correct, yes.

10 DR. KATZ: Those systems are all developed in
11 essentially all blood centers now to maintain positive I.D.
12 throughout the process. The donor history card, which
13 documents answers to questions, the pilot tubes are
14 contained, those samples that will go directly into the
15 machine, and the blood bag containing the transfusable
16 components all have unique bar code identifiers that allow
17 positive I.D. through the entire system.

18 DR. HAMILTON: So, you are seeing my perspective
19 here. I don't work in a blood bank, I work in a hospital.
20 In the hospital you get a glass tube and a vacutainer, and
21 you have to do something with it, and between there and the
22 test, there is all kinds of opportunity for error, and if
23 this kind of thing were applied in a hospital that does,
24 let's say, 50,000 tests per year, it would be very helpful
25 if that potential lesion could be corrected. I don't know

1 if it can be, but it would be useful, I think.

2 DR. STRAMER: The analogy that you just made to
3 collection and the complexity from collection to the
4 initiation of testing is what we all face in the blood
5 centers during testing.

6 The whole process of testing including all the
7 documentation that all the proper reviews were performed,
8 the testing results that are transmitted to release the
9 blood components are all done properly, those include
10 multiple review steps and today, in any blood testing
11 environment, there are more manual review steps and more
12 manual documentation, in fact, than actual testing.

13 I would also like to remind the committee that
14 yesterday we had a workshop on HCV nucleic acid testing that
15 the blood centers will be forced, if you will, to initiate
16 in the beginning of 1999, and that testing in its first
17 phases will be very, very complex.

18 So, I think the issues revolving around lack of
19 employment for blood center staff will easily be compensated
20 by the reproduction of pooled testing and testing for RNA
21 for HCV and HIV. So, the complexity that we will introduce
22 into that system, it would be nice if we could include the
23 improved cGMP features, process control that automated
24 systems afford to us, so we can concentrate on doing things
25 that improve the safety of the blood supply rather than

1 redundant reviews, manually making errors on hand entry of
2 records, that then cause recalls and errors.

3 So, just again, the primary focus of the system is
4 the improved process control.

5 DR. HAMILTON: Could I just carry on with that?
6 Did you have a related question? I just want to carry on
7 this theme, if I can.

8 So, we now have vials that are definitely from so-
9 and-so, and they are marching down the line, and it is the
10 original specimen, and there is no other specimen, is that
11 also correct, or somebody says there is three other
12 specimens.

13 DR. STRAMER: We have three tubes currently. They
14 go to different areas in the blood center for different
15 testing. In fact, when we initiated the RNA testing I
16 referenced earlier, we will have yet a fourth tube, and each
17 tube will go to a dedicated area currently, and even in the
18 future, when automated assays come out. So, each tube has
19 its destined location of where to flow in the blood center.

20 DR. HOLLINGER: They have a bar code on those,
21 each tube has the exact same --

22 DR. STRAMER: Yes.

23 DR. HAMILTON: At the end of the line it gets
24 dumped someplace, it goes into a waste container.

25 DR. STRAMER: The tubes.

1 DR. HAMILTON: The tubes. So, my concern was it
2 has gone down the line, a test has been done, you are going
3 to get a report that it's invalid, and the test is in the
4 wastebasket, the test sample is in the wastebasket.

5 DR. STRAMER: No, we retain the tubes for a given
6 period of time, and we do that currently, so that processes
7 probably wouldn't change. We would just have less
8 documentation to perform, but all the tubes would be
9 retained, guaranteeing validity of test results and issuance
10 of components, retrospective reviews, so the tubes would be
11 there for a certain period of time if, God forbid, retesting
12 needed to occur.

13 DR. HAMILTON: My final point and then I will shut
14 up here. So, you didn't emphasize it, but I think it is not
15 irrelevant that there are additional benefits for having the
16 technician separated from this specimen in the form of that
17 technician's health. It is not nearly as prevalent these
18 days, but in times past, one of the major risk groups to
19 acquire any of these diseases in the hospital were
20 laboratory technicians, and the less they have to handle
21 specimens, the better. I would recommend you put that
22 somewhere on your prospectus as an advantage.

23 DR. OGAMDI: Just a little question for the small
24 laboratories, medium laboratory. Have you done any
25 evaluation on the reagent shelf life?

1 DR. STEWART: Reagent shelf life or stability is a
2 fundamental part of the data that we submit in our
3 regulatory submissions including in the U.S. Are you
4 referring to reagent shelf life more so within the
5 laboratory?

6 DR. OGAMDI: That is, how stable it would be if it
7 is not running 50,000 tests, how stable will it be for small
8 laboratories to continue to run?

9 DR. STEWART: As long as the kit reagents are
10 utilized within the dating period assigned and the PRISM
11 verifies that, then, the results identified from those are
12 accepted regardless of whether they were a high-volume site,
13 low-volume site, or the rate at which the tests in the kit
14 are being utilized.

15 In either circumstance, if they exceed the
16 expiration date, then, the instrument would not allow you to
17 proceed.

18 DR. HOLLINGER: I think we will go ahead and look
19 at the question and vote on the question.

20 The question posed by the FDA is does the
21 committee agree that based on the information reviewed to
22 date and presented, PRISM technology can be considered by
23 the FDA for use in viral marker testing of donor blood?

24 All those that agree with that statement, raise
25 your hand.

1 [Show of hands.]

2 DR. HOLLINGER: All those opposed?

3 [No response.]

4 DR. HOLLINGER: And we will ask the industry
5 representative, I saw you put your hand up anyway, but we
6 will ask the industry representative and consumer
7 representative.

8 DR. BUCHHOLZ: Yes.

9 MS. KNOWLES: Yes.

10 DR. SMALLWOOD: Results of voting, there are 16
11 voting members here. There were 16 yes votes. There were
12 no no votes, no abstentions. Both the consumer and industry
13 representatives agreed with the yes votes.

14 DR. HOLLINGER: Thank you. I think the second
15 part of that question has been answered, and I think it was
16 discussed. I don't think there needs to be a vote on it.
17 Is that correct, Jay? Okay.

18 I think this completes the session today. It has
19 been a long day. Tomorrow, the session is on leukocyte
20 reduction. That will start at 8:00 in the morning.


21 [Whereupon, the proceedings were recessed at 5:15
22 p.m., to be resumed at 8:00 a.m., Friday, September 18,
23 1998.]

24

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO