

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

62ND MEETING

DAY 2

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Pages 1 thru 170

Bethesda, Maryland
March 26, 1999

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE
62ND MEETING

Day 2

Friday, March 26, 1999

8:05 a.m.

Bethesda Ramada Inn
8400 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Blaine E. Hollinger, M.D., Chairperson
Linda Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Corey S. Dubin
Norig Ellison, M.D.
Rima F. Khabbaz, M.D.
Marion A. Koerper, M.D.
Jeanne V. Linden, M.D.
Gail B. Macik, M.D.
Mark A. Mitchell, MD.
Kenrad E. Nelson, M.D.
David F. Stroncek, M.D.
Joel I. Verter, Ph.D.

NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

TEMPORARY VOTING MEMBERS

Paul R. McCurdy, M.D.

CONSULTANT

Michael G. Fitzpatrick, Ph.D.

C O N T E N T S

	<u>Page</u>
Introductory Remarks: Linda Smallwood, Ph.D.	4
Committee Update	
Summary of FDA/CDC-sponsored Tick-borne Diseases Workshop	
Edward Tabor, M.D.	4
IV. CLINICAL TRIAL ENDPOINTS FOR IMMUNE GLOBULIN INTRAVENOUS (IGIV) -- INFORMATIONAL	
Introduction and Background	
Basil Golding, M.D.	13
Presentation by IDF	
Mr. Thomas Moran	28
Jerry Winkelstein, M.D.	34
Mr. E. Richard Stiehm	39
Open Public Hearing	
Ms. Judy Ranallo	51
Mr. Sam Ranallo	53
Mr. Jonathan Goldsmith	55
Committee Discussion	
V. INADVERTENT CONTAMINATION OF PLASMA POOLS FOR FRACTIONATION (HIV, HBV, HCV)	
Review of Algorithm	
Edward Tabor, M.D.	87
Open Public Hearing	
Mr. Jason Bablak, IPPIA	102
Open Committee Discussion	112

P R O C E E D I N G S

Introductory Remarks

DR. SMALLWOOD: Welcome to the second day of the meeting of the Blood Products Advisory Committee.

I am Linda Smallwood, the Executive Secretary. Yesterday, I read the conflict of interest statement that applies to this meeting. It also applies to today's session, as well.

If there are any declarations that anyone needs to make, committee members or participants, regarding their status with this meeting, please do so at this time.

If not, then, we will follow the agenda as printed. Dr. Blaine Hollinger, the Chairperson, will preside over this meeting.

Thank you.

DR. HOLLINGER: Thank you, Linda.

We are going to start off today first with a committee update on the Summary of the FDA/CDC-sponsored Tick-borne Diseases Workshop, and Dr. Tabor will give us that update.

Committee Update

**Summary of FDA/CDC-sponsored Tick-borne
Diseases Workshop**

DR. TABOR: Good morning. I am Dr. Edward Tabor from the Office of Blood Research and Review.

1 A workshop on the potential for transfusion
2 transmission of tick-borne agents was co-sponsored by the
3 Centers for Disease Control and Prevention, the Food and
4 Drug Administration, the National Heart, Lung, and Blood
5 Institute, and the Department of Defense in Decatur,
6 Georgia, on January 14th and 15th, 1999.

7 The purpose of the workshop was to review the
8 known and potential risks to the blood supply from tick-
9 borne agents and to determine whether additional donor
10 questions or policies regarding at-risk donors could be put
11 in place to prevent blood donations containing such agents.

12 At the present time, there are no specific donor
13 questions recommended by the Food and Drug Administration
14 for the purpose of identifying and excluding potential
15 donors who are at risk for having tick-borne infections.

16 Nevertheless, there is one specific question used
17 by most blood centers that ask if the prospective donor has
18 ever had babesiosis, and the American Association of Blood
19 Banks recommends that donors with a history of this disease
20 be indefinitely deferred.

21 Also, although there is no specific question for
22 *Borrelia burgdorferi*, the agent that causes Lyme disease,
23 the AABB recommends that donors with a history of Lyme
24 disease have completed a full course of antibiotic therapy
25 before being allowed to donate.

1 In addition, several nonspecific questions asked
2 if the donor is feeling well, has lost weight, has been
3 under a doctor's care in the past 12 months, or has taken
4 aspirin in the past three days. Furthermore, each donor's
5 temperature is taken at the time of donation.

6 The problem of regulating to prevent potential
7 donors with tick-borne infections from donating is
8 compounded by the existence of several different tick-borne
9 infections that could present a risk in the same donor
10 population.

11 These include ehrlichiosis, babesiosis, Rocky
12 Mountain spotted fever, and Lyme disease. An infected
13 individual could be infected with more than one of these
14 agents. Any policy ideally should apply to the prevention
15 of all four, however, even though the infections could occur
16 simultaneously, it is also true that the incubation periods
17 and geographic distributions vary.

18 The problem of potential transmission of tick-
19 borne agents by blood products became apparent during the
20 Public Health Service response to the threat of possible
21 transmission of ehrlichiosis by blood donations collected at
22 Fort Chaffee, Arkansas, in 1997.

23 In June of that year, National Guardsmen who had
24 donated blood in three blood drives that were conducted
25 among new arrivals over a four-week period at Fort Chaffee,

1 were recognized to have had symptoms of a tick-borne
2 infection upon their return to a nonendemic area.

3 A decision was made after consultations among the
4 FDA, the Centers for Disease Control and Prevention, and the
5 Department of Defense, to recall all blood and blood
6 components collected at Fort Chaffee since the onset of that
7 tick season in April, in other words, blood products from
8 blood drives collected during May and June.

9 Physicians of recipients of the products that had
10 already been transfused were notified of the relevant
11 symptoms and appropriate treatment. Guardsmen attending the
12 later training sessions that summer were advised not to
13 donate blood for four weeks after departure from the base.

14 Upon later investigation, nine probable cases of
15 Rocky Mountain spotted fever, four confirmed cases of
16 Ehrlichia chaffiense, and one possible case of dual
17 infection were established among 377 donors at the base.
18 Nevertheless, no cases of transfusion transmission were
19 identified among 10 recipients of units from infected
20 donors.

21 In the following summer at Fort Chaffee, 1998, a
22 Public Health Service interim recommendation was made to
23 allow new arrivals to donate blood if they had arrived less
24 than 48 hours before and to advise Guardsmen to refrain from
25 donating for four weeks after departure.

1 However, the Department of Defense decided to
2 discontinue collections at Ft. Chaffee all together in 1998.

3 The difficult questions that arose in connection
4 with this outbreak stimulated the CDC, FDA, NHLBI, and DoD
5 to organize a workshop on the subject. The following is a
6 summary of the data regarding the possible risk of these
7 infectious agents for transfusions, and the conclusions of
8 the workshop.

9 The discussion focused on the four tick-borne
10 agents that appeared to present a possible threat to
11 transfusions in the United States. The first of these
12 agents that I will discuss is *Rickettsia rickettsii*.
13 *Rickettsia rickettsii*, the agent of Rocky Mountain spotted
14 fever, has only been reported to be transmitted by blood
15 transfusion once, a case that occurred in 1977.

16 The transfusion consisted of whole blood that had
17 been donated during the incubation period of a documented
18 case of Rocky Mountain spotted fever. The donation occurred
19 three days before the onset of symptoms in the donor. The
20 incubation period in the recipient was six days after
21 transfusion.

22 Although *Rickettsia rickettsii* can be transmitted
23 by transfusion and the organism can survive in refrigerated
24 blood, both of these factors demonstrated by this case, the
25 brief period during which the agent is in the blood prior to

1 symptoms, up to three days, the full incubation period is
2 usually less than seven days, probably explains the rarity
3 of reported transfusion transmitted cases.

4 The fact that fever probably begins at the same
5 time that the agent first becomes present in blood, as seen
6 in experimental infections in nonhuman primates, would
7 prevent most infected persons from being accepted as blood
8 donors. Furthermore, persistent cases of Rocky Mountain
9 spotted fever are rare and even when they have occurred, the
10 persistence of the agent in the blood has not been
11 documented although it has been found in other tissues.

12 In fact, it can be isolated from the blood of
13 infected persons only from two to nine days after the onset
14 of symptoms.

15 The second agent discussed was Ehrlichia species.
16 Although Ehrlichia species could theoretically be
17 transmitted by transfusion, this has never been documented,
18 not even in three recipients of infected blood donated at
19 Fort Chaffee who were followed with clinical and serological
20 observations.

21 Nevertheless, transmission by transfusion is
22 theoretically possible. Ehrlichia can be cultured from
23 spiked blood even after storage at 4 degrees centigrade for
24 at least 27 days, however, because 91 percent of culture
25 positive individuals have fever, they would not be accepted

1 as blood donors.

2 In one controlled study in Connecticut, antibodies
3 to Ehrlichia, indicating past infection, were detected in
4 similar numbers of blood donors with and without histories
5 of tick bites in the preceding six months, about 3 percent
6 in each group. This indicated that asking about recent tick
7 bites would not be a useful screening question.

8 The third agent discussed was Babesia species.
9 Babesia microti has been documented to have been transmitted
10 by red blood cells or platelets, perhaps due to residual red
11 cells in the platelet concentrates in more than 20 cases.
12 In addition, one case has been documented that was caused by
13 a newly described related species known as WA-1. Most cases
14 occurred in splenectomized patients and more than half were
15 fatal.

16 The difficulty in screening donors for Babesia
17 infections is greater due to the fact that most infections
18 are asymptomatic and parasitemia can persist for greater
19 than three months. In a study of the donor who transmitted
20 the WA-1 strain, parasitemia was documented for at least
21 seven months and probably for as long as 13 months.

22 In a study of spiked blood stored at 4 degrees
23 centigrade, Babesia survived for at least 21 days. Two of
24 the reported transfusion transmitted cases, in fact,
25 involved red blood cells that had been stored for greater

1 than 21 days and one case involved a unit of frozen red
2 blood cells.

3 In the months since the time of the workshop, an
4 article appeared in the Journal of the American Medical
5 Association documenting Babesia microti infections in three
6 recipients of portions of a unit of red blood cells from a
7 single donation by an infected donor. Two of the three
8 infected recipients remained asymptomatic during their
9 infections.

10 The incubation periods from the time of
11 transfusion to a positive PCR or positive hamster
12 inoculation assay ranged from 12 to 28 days. Three other
13 recipients of portions of the same unit or its platelets
14 were not infected.

15 The fourth agent discussed was Borrelia
16 burgdorferi, the cause of Lyme disease. This agent could
17 theoretically be transmitted by transfusion, but there are
18 very few studies available. In general, no transmission by
19 transfusion was demonstrated. In studies of small numbers
20 of donors at high risk for infection, these were persons
21 with detectable antibodies or who resided in an endemic
22 area, but for whom there were no studies to document
23 parasitemia.

24 Ninety-seven percent of persons with positive
25 blood cultures for borrelia burgdorferi have symptoms, in

1 most cases either fever or the characteristic rash of
2 erythema migrans. The 33 percent with fever would be
3 rejected as blood donors. There is, however, a theoretical
4 risk.

5 The organism can be found in the blood of infected
6 patients or in acute Lyme disease, *Borrelia burgdorferi* can
7 survive under experimental conditions for at least 25 days
8 in whole blood at 4 degrees centigrade, and the agent can be
9 transmitted to experimental animals by I.V. inoculation.

10 Thus, further epidemiologic studies in human
11 transfusion recipients are needed before the transfusion
12 risk can be ascertained.

13 The meeting ended with a panel discussion, and the
14 panel discussion drew four conclusions.

15 The first was, of the four main tick-borne agents
16 that present a possible risk to the blood supply, the
17 *Babesia* species is the one that has by far the greatest
18 importance based on current epidemiologic evidence, and it
19 may pose an even greater risk than presently recognized.

20 The second conclusion was no improvements in donor
21 screening questions could be suggested, because so many of
22 the tick-borne agents cause asymptomatic infections and so
23 many are acquired in unremarkable locations near homes.

24 The third conclusion was that research is needed
25 to develop tests for screening. Currently available tests

1 for these agents are too expensive for use in screening
2 and/or detect antibodies that are so prevalent that too many
3 uninfected donors would be excluded if the tests were to be
4 used for screening.

5 The fourth conclusion was that experimental
6 methods to inactivate infectious agents in cellular
7 components of blood may be a fruitful area for research to
8 try to prevent transfusion transmission of tick-borne
9 agents.

10 Thank you.

11 DR. HOLLINGER: Thank you, Dr. Tabor.

12 That concludes the committee update. Any
13 questions of Dr. Tabor in regard to the workshop?

14 [No response.]

15 DR. HOLLINGER: We are going to then have our
16 beginning open session today. The first one is on Clinical
17 Trial Endpoints for Immune Globulin Intravenous (IGIV). I
18 am asking the presenters to stick to around 10 to 12 minutes
19 for each one to talk.

20 We will start out with Introduction and Background
21 by Dr. Golding.

22 **IV. Clinical Trial Endpoints for Immune**
23 **Globulin Intravenous (IGIV) - Informational**
24 **Introduction and Background**

25 DR. GOLDING: I am the Director of Plasma

1 Derivatives in the Division of Hematology at CBER in the
2 Office of Blood. I am combining my two talks into one talk.

3 [Slide.]

4 I am going to be talking about immune globulins
5 intravenous, manufacturing issues and clinical trial issues.
6 The people that have helped me over the years to learn about
7 these manufacturing issues are John Finlayson, John
8 Tankersly, and Mei-ying Yu, and the people that have been
9 involved with the clinical trial issues are Tony Lachenbruch
10 and Peter Bianchini.

11 [Slide.]

12 Just as a background, just to remind you, we still
13 have an IGIV shortage. I am not going to go through all the
14 different FDA actions regarding this shortage, but I would
15 like to mention that during this process, we have been
16 holding a dialogue with industry to try and get new IGIV
17 products to market.

18 We have been involved with them in pre-IND
19 studies, discussing ways of using IGIV that are used in
20 other parts of the world, and part of the dialogue has
21 included the Immune Deficiency Foundation. This has been a
22 very important part of the dialogue because the IDF has a
23 panel of experts who have been very helpful in discussing
24 these issues.

25 What I will be talking about today, a large part

1 of it was presented at the IDF workshop on March the 5th of
2 this year.

3 [Slide.]

4 The first thing I am going to be talking about is
5 manufacturing and to try to give you a basis why, at the
6 FDA, we think each product should be regarded as unique and
7 why immune globulin should not be treated as single generic
8 biologics.

9 [Slide.]

10 In terms of plasma fractionation and the
11 manufacture of these products, there are a large number of
12 variations, and if we start out at donor selection, you can
13 use either source plasma or recovered plasma, and this makes
14 a big difference because biomarkers and higher in the source
15 plasma donors.

16 If you look at the number of donors for each
17 product, these are different, and this can impact on viral
18 safety, on the efficacy of the immune globulins, and the
19 number of dimers is proportional to the number of donors. I
20 will tell you a little bit later about how we think dimers
21 may be important.

22 Also, the demographics are important, so
23 infections in a certain area may not necessarily be common
24 in another area, and this also relates to viral safety and
25 efficacy.

1 As you all know, plasma is a very complex protein
2 solution consisting of hundreds, maybe thousands of
3 different proteins, and we know that low concentrations of
4 some of these proteins in the final product may have far-
5 reaching effects. I cite two examples here of plasminogen
6 and prekallikrein activator. It has been shown that very
7 small changes in pH during one of the steps of fractionation
8 can result in plasminogen ending up in higher concentrations
9 in the product, and plasminogen can have a devastating
10 effect on the stability and efficacy of the immune
11 globulins.

12 Prekallikrein activator can be activated to a
13 cascade of producing vasoactive substances which can have
14 effects ranging from hypertension to shock. So, having a
15 very small concentration of these contaminants in the
16 product can make a difference to the safety and efficacy
17 profiles.

18 [Slide.]

19 Now, the manufacturing methods themselves, the
20 standard method is the Cohn-Oncley method. Most
21 manufacturers use a five-step method, but there are
22 different parameters at each step, the pH, the temperature,
23 ionic strength, alcohol, and protein concentration.

24 If you compare flow charts from different
25 manufacturers using the Cohn-Oncley, you will not find any

1 two flow charts that are identical, so there are many
2 differences between the way manufacturers use this
3 manufacturing process. In addition, manufacturers have
4 added chromatographic steps, particularly ion exchange, and
5 have added in recent years viral clearance steps, so in
6 addition to the viral clearance that you get through the
7 Cohn-Oncley, there are deliberate steps, such as solvent
8 detergent, heating, nanofiltration, and others, and these
9 steps also may impact the safety and efficacy profiles of
10 the product.

11 [Slide.]

12 Excipients. Different manufacturers use different
13 excipients in their products. Some use albumin. There was
14 an example where the albumin was added after the bulk IGIV
15 was manufactured, and then this was subjected to a chemical
16 treatment which led to changes in the albumin, which were
17 found to induce allergic reactions.

18 It has been recently appreciated by us that some
19 of these products which have high sucrose content are
20 associated with an increased incidence of renal failure.
21 So, depending on the excipients, again, you can influence
22 the safety profiles of these products.

23 The dimer content, this has been studied by Don
24 Tankersly, and he has shown that the increase in dimers is
25 proportional to the number of donors. We don't know for

1 sure, but these dimers may be associated with activation of
2 complement, and they may even have a beneficial property in
3 that they may protect against autoimmune disease.

4 In terms of class and subclass, we know that there
5 are some individuals who are selective IgA deficient and
6 also have immune deficiency for other immune globulins. If
7 those patients receive products that have some IgA, they may
8 develop anaphylaxis. This is rare, but a very serious side
9 effect of these products.

10 Some manufacturers remove IgA by a chromatographic
11 step, but when you remove the IgA, you end up also removing
12 the IgG-4, which is a subclass which may play a role in some
13 infectious diseases.

14 [Slide.]

15 So, what I have been telling you is that the
16 manufacturing is a multi-step process. It is different from
17 one manufacturer to the other, and, in fact, to me the most
18 striking example is that if one manufacturer tried to change
19 its manufacturing by just moving from one plant to another
20 plant, they were using the exact same process as far as they
21 could tell, but they were unable for many months at the new
22 plant to manufacture the product in the same way as that
23 manufacturer before.

24 This just gives you an idea how complex this
25 process is, and that is very difficult to replicate.

1 [Slide.]

2 As I have indicated, variations in the process can
3 have far-reaching effects on both safety and efficacy. So,
4 our conclusion is that each product should be regarded as
5 unique, and immune globulins should not be treated as a
6 single generic biologic. In fact, there are no biologics
7 that are considered generic.

8 [Slide.]

9 I am just going to go over the proposed trial.
10 This was put together mainly by Tony Lachenbruch. This is
11 an example of a trial. It takes into account what we know
12 about the limited number of patients that are available for
13 such a trial.

14 What we are talking about are patients with
15 primary immune deficiency who, in the most part, are
16 receiving immune globulin products, so the trial would be
17 comparing a new product to a product that is already
18 approved.

19 It would be a controlled, randomized, non-
20 inferiority study, and we would be looking at safety,
21 pharmacokinetics, and efficacy data.

22 [Slide.]

23 To establish safety, again, we would be using non-
24 inferiority compared to an approved IGIV. There are two
25 types of side effects here. There is a panel of side

1 effects that are referred to as common, which are mild or
2 moderate, and there are more rare side effects, for example,
3 the anaphylaxis to IgA.

4 The sample size should be sufficient to determine
5 that common ADRs are not more frequent than those in the
6 approved IGIV.

7 [Slide.]

8 For PK studies, the principles would be that you
9 have to have a washout period, so these patients are already
10 on a IGIV product. The half-life can vary from 30 to 40
11 days in these patients. You would need several half-lives
12 before you could perform the study, and these are the
13 parameters that are important - the maximum concentration
14 attained, the time to reach that maximum concentration, the
15 area under the curve, the half-life, and trough levels are
16 the lowest levels just prior to the next infusion, and this
17 is used by practicing physicians to determine how frequently
18 to give the product.

19 Again, for the assessment of the PK data, this
20 would be a non-inferiority study, and the difference would
21 not be greater than 0.2 compared to the control IGIV. In
22 other words, the new product should not have a profile which
23 is more than 20 percent than the approved product.

24 [Slide.]

25 In terms of efficacy, efficacy again would be

1 established on the basis of non-inferiority compared to
2 approved IGIV. The primary endpoints would be a clinical
3 outcome related to infections. The secondary endpoints
4 would be IgG levels, hospitalizations, and there are many
5 others.

6 [Slide.]

7 Sample size should be sufficient to determine
8 whether the new immune globulin is not less effective than
9 the approved immune globulin product.

10 This is the sample that Tony put together using a
11 one-sided test assuming 80 percent power and 95 percent
12 confidence level. If you looked at the number of
13 infections, assuming that one infection per patient would
14 occur in the control group, you would have no more than two
15 infections per patient in the experimental group, and this
16 would give a sample size of 28 patients in the control
17 group, 28 patients in the experimental group.

18 On the other hand, if you looked at the proportion
19 of patients with infections, assuming that the difference is
20 not more than 20 percent and that the difference is from
21 0.25 to 0.45, in other words, the proportion of infected
22 patients increases from 25 percent to 45 percent, the sample
23 size would be that the control group would have 80 patients,
24 and the experimental group would have 80 patients.

25 [Slide.]

1 In conclusion, safety and PK studies are
2 essential. In the efficacy trial, we would ask for a
3 primary endpoint with a clinical outcome, surrogate endpoint
4 such as immunoglobulin trough levels, and others should be
5 secondary endpoints, and may be validated during coming
6 trials.

7 The numbers required for non-inferiority trials
8 should be feasible based on the example that I provided to
9 you.

10 Thank you for your attention.

11 DR. HOLLINGER: Are there any questions for Dr.
12 Golding? Yes, Dr. Ellison.

13 DR. ELLISON: I have a couple. First, during the
14 washout period, would he be receiving the non-inferiority
15 product?

16 DR. GOLDING: You would have two arms, and you
17 would randomize to a control group and the new product.

18 DR. ELLISON: And the new product would start
19 immediately?

20 DR. GOLDING: Before you did the PK study, for
21 both arms, you would have to have several months to wash out
22 whatever other product they were getting before, so after
23 three or four months, you would then start your PK test.

24 DR. ELLISON: The patients who are receiving this
25 product, as well as the control group, who is going to be

1 paying for the product?

2 DR. GOLDING: Usually, the sponsor, the
3 manufacturer pays for the product.

4 DR. ELLISON: It goes back to the discussion we
5 had yesterday about patients paying for a research product
6 in an era of cost containment.

7 DR. GOLDING: Well, to my knowledge, only studies
8 that have been set up in the past, and I would think this
9 would continue that the sponsors provide the material.

10 I think Dr. Lachenbruch wanted to say something.

11 DR. LACHENBRUCH: Peter Lachenbruch, also known as
12 Tony.

13 Dr. Ellison was asking about the washout or I was
14 thinking more of it as a wash-in period where the subjects
15 would not go off the IGIV product. Whatever product they
16 got, they would be assigned to, they would be on that
17 product until there was stability, and the remaining
18 product, the old product was out of the system.

19 DR. HOLLINGER: Dr. McCurdy.

20 DR. McCURDY: At one of the meetings, the
21 workshops, that was involved in discussion of pool size for
22 various different plasma protein derivatives including
23 immunoglobulin, one of the manufacturers discussed for
24 safety reasons moving many of the plasmapheresis operations
25 from the coasts to the central part of America where there

1 is a difference in marker rates.

2 That undoubtedly influenced the composition of the
3 IgG preparations made by that manufacturer. Was there any
4 requirement for redoing clinical trials with that change in
5 the donor base?

6 DR. GOLDING: I am not absolutely sure that I know
7 if that really took place. Maybe somebody else knows that
8 that took place. I think that was an idea. I am not sure
9 that that really took place. We do know that there are
10 products out there that come from donors that are mainly in
11 the Midwest, and other products are mainly from donors on
12 either the East Coast or the West Coast.

13 There is a difference in viral markers, but
14 because of the viral clearance that is in place for all the
15 manufacturers, we don't think at this time that that makes
16 an impact on safety. Of course, there is always the
17 theoretical possibility that there are some viruses out
18 there that we haven't yet discovered that are more common in
19 people in areas where the general biomarkers are high. I
20 don't think we have an answer to that at this time.

21 DR. McCURDY: I wasn't thinking so much of safety
22 because I agree with you that the inactivation steps and
23 screening steps, and so forth, are likely to be very useful,
24 but I suspect that the immunoglobulin composition, the
25 antibodies present in people in different parts of the

1 United States may differ for that reason.

2 I think also there were problems that were both
3 predicted and occurred when hepatitis C antibody screening
4 took place, and perhaps some of the other antibody
5 screening. What I am saying or what I am trying to say is
6 that the donor composition for these immunoglobulin
7 preparations and perhaps for others is extremely complex,
8 and to require every change, every movement of a donor site
9 or every change is the donor population to undergo
10 additional clinical trials would be absurd, and the pool
11 size, which I think is now upwards of 50, 60,000 at least
12 would seem to take care of a large portion of the variation
13 in antibody composition.

14 DR. HOLLINGER: Dr. Epstein.

15 DR. EPSTEIN: The answer to your question, Paul,
16 is that yes, there was a manufacturer that shifted its donor
17 base by going to donor centers in the Midwest for the lower
18 marker rates. We did not demand revalidation of the
19 product.

20 Of course, the concept is that the marker
21 positives are removed from the pool, and that is true
22 wherever you donate.

23 DR. HOLLINGER: Does the FDA require for any
24 product like this, for IVIG, if it is going to be used for a
25 specific thing, certain concentrations in that product,

1 let's say, even hepatitis B, hepatitis C, or for some other
2 use, does it require certain amounts of antibodies into a
3 particular product?

4 DR. GOLDING: Yes, there are regulations which
5 require that they have antibodies to measles, diphtheria,
6 polio, and the newer anti-hepatitis B surface antigen, but
7 that is the limit of it. In other words, there are
8 obviously many infections out there that are important for
9 this patient group, that we don't ask testing for that, and
10 I think it would be an improvement if that was implicated.

11 One of the discussions that was going on between
12 us and the IDF is to try and include additional testing for
13 specific antigens and to validate that testing during the
14 coming clinical trials, so that that will also be in place.

15 A lot of the manufacturers are also looking at
16 anti-hepatitis A antibody, but that is much more important
17 in terms of IG intermuscular than the IGIV preparation.

18 DR. HOLLINGER: Also, I probably should know this,
19 but can you explain to me the non-inferiority trial? That
20 is a new term for me. What does it mean or what is a non-
21 inferiority trial?

22 DR. GOLDING: I will let the experts handle that.

23 DR. HOLLINGER: Joel, or anybody else here?

24 DR. LACHENBRUCH: The idea of a non-inferiority
25 trial is to show that a particular product is about the same

1 as the competitive product or standard licensed product, and
2 you just don't want it to be too much worse than the other
3 one.

4 So, what you would say is the number of infections
5 per year on a standard product might be one or two, say it's
6 two, and you would say that we will consider the new product
7 acceptable if we can demonstrate that its number of
8 infections per year is not worse than three. So, you are
9 saying we will take a little bit worse, but not a lot worse.

10 In fact, in order to show that typically, you
11 would have to have an observed rate substantially less than
12 one different from the other, so you might see if one showed
13 up as two, the other would have to be maybe two and a half.

14 DR. HOLLINGER: Thank you very much.

15 Yes, Joel.

16 DR. VERTER: Tony, is this similar to what the
17 literature calls equivalence trials?

18 DR. LACHENBRUCH: Typically, equivalence trials
19 have a lower bound also, so that is more commonly used in
20 generic drug approvals. They want to show that typically in
21 area under the curve is, say, within 80 percent to 125
22 percent of a standard. Does that sort of help you? It is
23 sort of a one-sided equivalent.

24 DR. VERTER: Fine.

25 DR. HOLLINGER: Any other questions? If not, we

1 will move on to the other presentations. These are
2 presentations from the Immune Deficiency Foundation. The
3 first presenter will be Mr. Thomas Moran.

4 **Presentations by Immune Deficiency Foundation**

5 [Slide.]

6 MR. MORAN: My role this morning is to restate for
7 this committee the obvious, so the service I can perform is
8 to do it quickly and then move on to people that have more
9 substance to offer.

10 [Slide.]

11 The Immune Deficiency Foundation, in short, is a
12 patient organization serving people with primary
13 immunodeficiency diseases, and our role basically is to help
14 people in their day-to-day living.

15 [Slide.]

16 This is six of the over 50 diseases which fall
17 into the category of primary immunodeficiency diseases.
18 Essentially, what they have in common are that people have
19 intrinsic defects in their immune system and can't mount an
20 effective immune response to common challenges.

21 Prior to the IGIV treatment, the prognosis for our
22 patients was frankly grim.

23 [Slide.]

24 There are about 20,000 primary immunodeficient
25 patients in the United States on IGIV therapy. We consume

1 approximately 6 million grams of IGIV annually.

2 [Slide.]

3 With respect to the effectiveness of this therapy,
4 in a study that was done, a survey of nearly 3,000 patients
5 in 1996, the patients reported excellent, very good, or good
6 health, nearly 70 percent of our patients reported that
7 status. In other words, IGIV perceived by patients as an
8 extraordinarily effective therapy and leads to a near normal
9 or healthy lifestyle.

10 [Slide.]

11 Supporting this contention, again remember that we
12 have a population that either have no or very weakened
13 immune response, and in that same survey in 1996, 74 percent
14 of our patients reported not a single hospital night in the
15 prior year, which is a phenomenal success story.

16 [Slide.]

17 In mid-December of 1997, the Immune Deficiency
18 Foundation, as well as industry and others, became aware of
19 a national shortage of IGIV. In the two-month period
20 between December 15th and February 15th, over 2,000 patients
21 individually contacted the Immune Deficiency Foundation
22 expressing the fact that they could not obtain IGIV therapy.
23 After February 15th, we simply stopped counting.

24 [Slide.]

25 In April and August of 1998, we conducted two

1 surveys of physicians to get some data behind the effects of
2 the shortage.

3 [Slide.]

4 First of all, between 90 and 94 percent of
5 physicians told us that they had trouble obtaining IGIV.

6 [Slide.]

7 And then in response to a question of how they
8 dealt with the shortage, they indicated that infusions were
9 being postponed, they were needing to switch patients to
10 different IGIV brands, they switched patients to less
11 preferred IGIV brands, increased the interval between
12 infusions, reduced dosages, and in some cases, couldn't get
13 product whatsoever.

14 [Slide.]

15 When asked whether this had a health effect on
16 their patients, in April and August, between 45 and 50
17 percent of physicians reported that these strategies for
18 coping with the shortage had a negative effect on the health
19 of their patients.

20 [Slide.]

21 We then did a survey in April of 1998 also of
22 patients to get their take on the shortage.

23 [Slide.]

24 Of 60 patients reporting adverse health effects in
25 that study, 31 reported more infections and malaise, 9

1 reported adverse reactions to new brand, 6 reported cases of
2 pneumonia, bronchitis, and lung infections, 7 stress and
3 anxiety, and 7, the adverse health effects were not
4 specified.

5 [Slide.]

6 In summary, 80 percent of our patients, similar to
7 our physician data, reported problems obtaining IGIV, and of
8 those patients who had reported problems getting IGIV, 56
9 percent reported those adverse health effects that I just
10 outlined.

11 [Slide.]

12 IGIV is used both off-label and on-label. I want
13 to point out that I think that IDF in its experience, we
14 would like to discuss medical necessity rather than on-label
15 and off-label usage. There are some off-label uses for whom
16 the IGIV therapy is extremely medically necessary, so the
17 distinction on-label and off-label, although helpful, is not
18 the only signpost with respect to the medical efficacy of
19 the therapy.

20 [Slide.]

21 Let's quantify the shortage for a moment. In
22 1996, the six U.S. IGIV brands released to the U.S. market,
23 there were about 17 million grams, 17,000 kilograms of IGIV
24 released to the U.S. marketplace. In 1998, that number was
25 down to 15.2 million, or a drop of nearly 2 million grams.

1 1997 was somewhere in the middle, but the point I would like
2 to make here is that the shortage occurred in 1997. We can
3 assume that there is somewhere in the neighborhood of 16
4 million grams release in the U.S. market in 1997. So, a
5 drop of 1 million grams from 17 million to 16 million cause,
6 in effect, a catastrophic shortage.

7 What that tells is that in 1996, when 17 million
8 grams were released, we basically had a situation where
9 supply equaled demand, and, in fact, we know the demand for
10 IGIV therapy, because of off-label usage, has been
11 increasing it is estimated about 8 to 10 percent a year, so
12 just the take away from this slide is that if we look at
13 1996, release of 17 million grams in the U.S. market, we
14 have a situation where supply equaled demand in 1996. We
15 are now 2 million grams below that level in 1998.

16 [Slide.]

17 Taking that a little bit further, if we assume
18 that supply equaled demand in 1996, the demand increases
19 annually at the rate of about 9 percent, which I think is a
20 conservative estimate, that tells us that in 1998, the
21 estimate for demand for IGIV would have been 20.2 million
22 grams.

23 [Slide.]

24 What that says is that we have a 5 million gram
25 shortfall during 1998 in the U.S. marketplace. I should

1 point out also that as of Wednesday of this week, two days
2 ago, the International Plasma Producers Industry Association
3 came out with a report on the IGIV supply on the U.S.
4 marketplace, and what we have is a nine-day supply in
5 inventory and in emergency supply programs in the U.S., and
6 it is not hard to imagine scenarios where a nine-day supply
7 could turn into a zero day supply, one manufacturer with a
8 recall or withdrawal or strike, in the trucking, Federal
9 Express strike, any other number of scenarios, so we are
10 down to a razor-thin margin of IGIV in the U.S. market.

11 Let me just simply finish by saying that IGIV
12 therapy is the difference between health, between life and
13 death really, for primary immunodeficient patients. We are
14 5 million grams short in the U.S. marketplace, and that
15 situation is getting worse. We have a nine-day margin of
16 supply in the U.S.

17 There is a substantial interest both with respect
18 to U.S. companies to bring new products to market, products
19 that would, for example, increase the yield of IGIV with the
20 same units of plasma. There are a minimum of eight
21 companies outside the United States who are interested in
22 bringing products to market. You can well imagine the
23 opportunity from the marketing or selling standpoint of
24 getting product to the U.S. market.

25 The IDF has been working closely with FDA and with

1 these companies in order to try to come up with some
2 strategies to make the kinds of trials and licensing
3 requirements manageable, both from the standpoint of patient
4 safety, but also from the standpoint of getting these
5 products to market as rapidly as possible.

6 You are going to hear in a moment from Dr. Jerry
7 Winkelstein and Dr. Richard Stiehm. The IDF sponsored a
8 workshop about three weeks ago to see whether or not the IDF
9 could assist in developing trial designs that would both
10 preserve the health and safety of folks taking these
11 products, but also get these products to license as quickly
12 as possible.

13 With that, I would like to ask Jerry Winkelstein
14 to come up to the stage.

15 DR. WINKELSTEIN: My name is Jerry Winkelstein.
16 One of the hats that I wear at least is that I am the
17 Chairman of the Medical Advisory Committee for the Immune
18 Deficiency Foundation and run some of their medical
19 programs.

20 [Slide.]

21 What I would like to discuss in the next six
22 slides are the factors which may influence the ability of
23 any group to perform clinical trials, looking at IVIG in
24 patients with primary immunodeficiency diseases, and these
25 will be factors that I think will, in fact, influence the

1 performance of these trials and their ability to be
2 performed well.

3 [Slide.]

4 Two years ago, the Immune Deficiency Foundation
5 received a contract from the National Institutes of Health
6 to assemble and maintain registries of eight of the primary
7 immune deficiency diseases to be used as a national
8 resource, to gather information about these diseases and
9 provide a resource to individuals who were going to do
10 clinical or basic science research on this group of
11 patients.

12 As a first step, we wrote to over 17,000
13 physicians who are members of specialty or subspecialty
14 groups whom we thought might have some connection with
15 patients with primary immune deficiency diseases, and had
16 them fill out a simple, one-page questionnaire asking them
17 how many patients they "cared for" with these eight
18 different diseases.

19 What I have done on this slide is show you that
20 these three disorders in which IV gamma globulin is used
21 were estimated by their physicians to occur as many as 5,000
22 patients in the United States for common variable immune
23 deficiency, the majority of whom are adults, 400 hyper-IgM
24 syndrome, and about 900 patients with the prototypic immune
25 deficiency disease X-linked agammaglobulinemia.

1 [Slide.]

2 This sounds like a generous estimate and that
3 there should be plenty of patients, but the reality is when
4 we then sent them a form to fill out to validate the
5 diagnosis in the clinical situation that occurred in their
6 patients, a simple, four-page form that can almost be filled
7 out by memory, and looked back at those physicians who had
8 registered those patients, we looked back at what they had
9 estimated they had versus how many patients they actually
10 did have, and that is summarized on this slide.

11 For instance, the first hundred physicians to
12 enter patients in the common variable immune deficiency
13 disease registry initially estimated they had 435 patients,
14 but have actually registered only 263.

15 With X-linked or other forms of the hyper IgM
16 syndrome, 23 physicians estimated that they had 402
17 patients, which was highly unrealistic because, in fact,
18 only 81 patients have been registered by those same 23
19 physicians, or about 20 percent of what they estimated that
20 they had, that they really have.

21 So, physicians, I would suggest, especially in
22 this field, tend to overestimate the number of patients that
23 they have.

24 [Slide.]

25 If you look at the distribution of physicians who

1 said that they had patients with common variable immune
2 deficiency disease, a relatively small number of physicians
3 out of the total, about 40, had the majority of the
4 patients.

5 The largest number of patients, as well as the
6 largest number of physicians, were in physician groups that
7 carried between 1 and 4 of these patients, which, of course,
8 would influence their ability to participate in large
9 clinical trials.

10 So, there is a maldistribution, if you will, or a
11 skewed distribution of patients.

12 [Slide.]

13 Another factor influencing the ability to do these
14 clinical trials is where the patients are receiving
15 intravenous gamma globulin. This is not from the NIH study,
16 but rather from the IDF patient survey, and the patients
17 sure should know where they are getting their gamma
18 globulin.

19 Less than half are receiving gamma globulin either
20 in a hospital setting or more commonly in a hospital clinic.
21 The majority, 60 percent, are receiving their therapy either
22 in a home setting, 40 percent, or in their private
23 physician's office, 1 to 2 patients per physician. So, the
24 majority of patients are not receiving IV gamma globulin
25 that I would feel is conducive to a geographic or clinical

1 situation that would allow clinical studies to be done
2 easily.

3 [Slide.]

4 Finally, the question of who would pay for this
5 always comes up, so I also looked at the IDF patient survey
6 for this, and as you would imagine, the great grand majority
7 of patients have third-party payers paying, they are not
8 self-payers, and the ability of them to convince or their
9 physicians to convince any third-party payer to pay for this
10 kind of activity could certainly be limited.

11 [Slide.]

12 In summary, there are at least four factors that I
13 think must be taken into account that would influence the
14 ability of clinical trials to be done in patients with
15 primary immune deficiency disease.

16 One is the total number of patients, in reality,
17 the total number of patients is limited. The distribution
18 of patients, the majority of patients are seen in centers or
19 by physicians who seen one or two of these patients, and not
20 too many more. The majority of patients receive their IV
21 gamma globulin in an out-of-hospital clinic setting,
22 usually, at home, and therefore would be inconvenienced if
23 they were asked to participate in this kind of study.

24 Last, but certainly not least, a consideration of
25 who would pay for this is not insignificant for most of the

1 patients.

2 I would be glad to answer questions if anyone has
3 any, if not, I could turn the podium over the Dr. Stiehm.

4 Dick.

5 DR. STIEHM: Thank you. I am Richard Stiehm,
6 Professor Pediatrics at UCLA, and I have been involved in
7 multiple IVIG trials including the first one that licensed
8 IVIG.

9 [Slide.]

10 Our problem is that we were asked to design an
11 IVIG efficacy study for multiple products, limited number of
12 patients, concurrent controls, i.e., the patient would be on
13 his own gamma globulin and limited time based on the fact
14 that there is this shortage, and we have to respond to it.

15 So, the Immune Deficiency Foundation sponsored a
16 workshop that was summarized by Dr. Golding a few weeks ago,
17 and since that time, we have had approximately 10 or 12
18 conference calls with members of the committee, with the IDF
19 staff, expert panel, the FDA, and industry, and we hope we
20 have come up with at least a start to a solution.

21 [Slide.]

22 The proposed study that we suggest may satisfy
23 both our patients and the FDA, would include patients on
24 primary antibody deficiency requiring IVIG. This basically
25 includes X-linked agammaglobulinemia patient, common

1 variable immunodeficiency, over age 3 on IVIG for at least
2 three months.

3 We think that this should be a double-blind study,
4 comparison with the present IVIG, which is the licensed IVIG
5 product, the duration would be 12 months. We suggest 30 in
6 each arm.

7 We suggest that the primary endpoint might be the
8 days of fever, and I will explain that in a bit, with a
9 secondary endpoint is the number of serious infections. In
10 addition, we plan to do IgG and IgG subclass levels, an
11 antibody titer which would include certain antibodies that
12 are not currently required, and pharmacokinetics, as Dr.
13 Golding mentioned.

14 [Slide.]

15 The possible efficacy markers that we considered
16 included the number of serious infections, less serious
17 infections like bronchitis, sinusitis, and otitis. The
18 problem with these are they are very hard to document what
19 is bronchitis. The day so hospitalization is good, days of
20 antibiotics isn't so good because of different prescribing
21 habits.

22 Work or school absence is also difficult because
23 some people keep their kids home all the time, and other
24 people go in with a raging fever, or the number of days of
25 fever.

1 [Slide.]

2 From this survey of the Immune Deficiency
3 Foundation, it might suggest that serious infections still
4 were pretty common even though patients on IVIG had markedly
5 less hospitalizations than previously. This has to be
6 discounted a little bit because these include all patients
7 with immune deficiency including some with T-cell or
8 cellular immunodeficiencies, so that the frequency of
9 hospitalization is much more common in those particular
10 patients.

11 [Slide.]

12 Using serious infections would be a great endpoint
13 because you can document it, and some possible definition of
14 a serious infection include pneumonia by x-ray--no one will
15 argue with that--sepsis or meningitis by culture, a visceral
16 abscess, liver abscess or osteomyelitis by imaging, or a
17 temperature of 102 requiring hospitalization. These are all
18 quite objective.

19 The disadvantage, the patients on IVIG at adequate
20 doses rarely will reach one of these side effects, and based
21 on a meta-analysis or a review of the literature, I estimate
22 that this is not going to occur more than 5 to 6 percent in
23 the patients per year.

24 [Slide.]

25 After much soul searching, this is a possible

1 alternative, using the days of fever. This has the
2 advantage it is a common event, and we estimate about three
3 to four febrile days per year, say, a temperature over 100.
4 It is objective, you can do it with an ear thermometer. It
5 is an accepted correlate of infection. You heard in the
6 first presentation you don't accept blood donors if they
7 have a fever.

8 Serious infections will count more than minor
9 infections because you are going to have more days of fever.
10 In addition, you can quantitate the degree of fever because,
11 in general, it correlates with the severity of infection.

12 [Slide.]

13 I based the number of days of fever on these two
14 studies. Bernatowska did a very nice study, a crossover
15 study in 1987, of 12 children receiving either high dose
16 IVIG or low dose IVIG, 100 mg/kg versus 400 mg/kg, and she
17 identified that there is about 10 days of fever per patient
18 per year on high dose IVIG, and low dose incidence was about
19 double that.

20 Another study done by Charlotte Cunningham Rundles
21 looked at adult patients and patients receiving adequate or
22 high dose IVIG, had about 13 days of sickness per year, and
23 on low dose an increase was about 4-fold.

24 So, based on a guesstimate that about one-third of
25 these patients were sick, might have a fever, I came up and

1 I think it is reasonable, about three or four fever days per
2 year.

3 [Slide.]

4 So that these are these estimates. The high dose
5 patients, the dose that would be used would be three to four
6 days per patient per year, low dose would be six to eight
7 patients per year, and, of course, if you don't get IVIG, it
8 is going to be about 6-fold that number of days of
9 infection.

10 [Slide.]

11 These would be a suggested efficacy endpoint of
12 this particular trial. The number of days of fever, and we
13 would include the total episodes, as well as the percent of
14 patients, and the secondary endpoint would certainly be
15 serious infections, but we don't think we are going to meet
16 many of these endpoints.

17 So if we have a trial using 30 patients, we are
18 going to have 90 to 120 days of fever or one or two serious
19 infections in this group, and if the patients were not
20 getting this product, although none of them will, this would
21 be about 600 days of fever, six to 12 serious infections a
22 year.

23 [Slide.]

24 The other assays that I will mention briefly that
25 we would suggest should be done as possible surrogates is

1 IgG levels. Currently, most of these products that have
2 been licensed have used an IgG level of a delta of 400 mg
3 percent as indicating a therapeutic efficacy. Indeed, we
4 would also like to do the distribution of the IgG
5 subclasses, at least IgG1, 2, and 3.

6 We also think the trough antibody levels are very
7 important because this will identify if these patients are
8 being protected against less serious infections like chicken
9 pox or like tetanus or diphtheria.

10 We think that, however, all these patients should
11 be studied for diphtheria intubate, measles, and polio, and
12 hepatitis B, the four currently recommended antibody titers,
13 and then since the main infections that these patients get
14 is pneumococcal infections, four antibodies to four of the
15 pneumococcal polysaccharide should be required.

16 [Slide.]

17 We would do pharmacokinetic studies after a six-
18 month period of the wash-in period, as someone mentioned,
19 and repeat these as necessary. We don't think that every
20 patient needs a pharmacokinetic study. I think a subgroup
21 of these, and if the pharmacokinetics look okay on six
22 patients, they are probably going to be okay for all 30, and
23 we would suggest as additional studies, although not as
24 primary points, a much more extended antibody titers done at
25 entry after six and 12 months, and these titers would be

1 compared to obviously the antibody content of the
2 intravenous gamma globulins, as well as that level achieved
3 in other patients doing well on standard IVIG.

4 This is a proposed solution, and it is a way to
5 continue the dialogue.

6 Thank you.

7 DR. HOLLINGER: Thank you, Richard.

8 Are there some questions for Dr. Stiehm or any of
9 the other people who presented this morning? Yes, Dr.
10 Linden.

11 DR. LINDEN: This is for Mr. Moran. I would like
12 to preface it by saying I am not disputing at all, that
13 clearly you have documented that there have been problems
14 with shortages.

15 My concern is about the data and the way they are
16 presented. My question is really what are the response rate
17 was on these surveys, because my recollection is the last
18 time we saw these data, the response rate was fairly low, so
19 that this is not representative because you are going to see
20 a significant non-responder bias I would expect, that is,
21 you would expect that the people who would bother to respond
22 to a survey like this would be most likely to be the ones
23 who had a problem, and those who did not have a problem
24 might not bother to respond.

25 So, unless you had an excellent response rate, I

1 would not think these would be representative.

2 MR. MORAN: I am going to ask the author of the
3 survey to respond to that.

4 DR. BOYLE: It depends upon which survey you are
5 talking about. As we indicated before, that patient survey
6 about what health problems are you having is not
7 representative. We got basically 100 or so back because we
8 put it out in a very quick period. We wanted it simply to
9 validate what we were seeing from the physician surveys.

10 The physician surveys, the 25-plus patients, the
11 response rate was about--actually, the completion rate was
12 about 70 percent, which is astonishing for a physician
13 survey particularly given the short time frame.

14 So, those are the two things we were talking
15 about. The physician survey, high response rate, very
16 definitely projectable. You can assume that that relatively
17 small group of non-responders may have had lower rates, and
18 if you want to, you can discount a bit, but basically, you
19 have got a higher rate than you would normally get in a
20 federally-sponsored physician survey. The patient survey
21 should only be used to answer the question that was posed to
22 us, which is what kinds of problems are the patients having.

23 DR. HOLLINGER: Dr. Verter.

24 DR. VERTER: Along the same lines, I wonder if you
25 could clarify something for me. The figures that you

1 presented suggested that between 1996 and 1997, there was a
2 decreased supply, and allowing for maybe even a 10 percent
3 increased need, I would calculate that the shortfall was
4 somewhere between 14 and 17 percent in the amount of grams
5 needed to supply the people needing it.

6 Yet, the survey suggests that 90 percent had
7 difficulty. Now, is that an issue of distribution? I mean
8 that is an overwhelmingly different difference.

9 MR. MORAN: No, and it was a shock to us. I mean
10 this is another piece of data which is we had 2,100 phone
11 calls in a two-month period of time, 2,100 individual
12 patients in a two-month period of time.

13 The analysis that we have come up with was that
14 during most of 1997, in fact, supply had exceeded demand,
15 that the pipeline was being emptied, and that at some point
16 in time, essentially, the cupboards were bare, and so that
17 that shortfall we had been--during 1997, when there was
18 approximately 16 million grams released, the 14 percent
19 shortfall that you mentioned, we were eating into the
20 inventory during the entire year, so that at some point in
21 time, December 15th, or somewhere in that ball park,
22 basically, not only were the cupboards bare in the hospital
23 pharmacies, but also in the pipeline, so we have been eating
24 interview available inventory.

25 So, it had that effect almost immediately,

1 dramatically. We sort of felt it was almost like a tidal
2 wave. It took three to four months for the market to
3 adjust, and so there were serious spot shortages throughout
4 the country.

5 For example, we had testimony of six months ago,
6 Texas Children's Hospital testified that they went somewhere
7 from an allocation of 2,500 grams a month of IGIV for their
8 normal use down to less than 200, and that occurred over a
9 brief, 30-day period.

10 So, I think at the point that the pipelines and
11 the cupboards were bare was the point at which it had that
12 effect.

13 DR. VERTER: A question for the Chair or the FDA.
14 Are we supposed to try to critique the design of the trial?
15 What is our function here? I mean it has been very
16 informative. I could probably take an hour critiquing the
17 design and making suggestions. I doubt that that is what
18 you want. What is the goal for the committee?

19 DR. GOLDING: It is just really mainly informative
20 to indicate to the committee what kind of actions are being
21 taken and what is in process. I think the trials that you
22 have had presented to you are samples.

23 What really needs to happen now is that a sponsor
24 needs to submit to the FDA a trial that will be along the
25 guidelines that have been discussed, and then the FDA can

1 deal with that in that situation. I don't think we are
2 asking the committee to say whether this trial design that
3 we have suggested or that the IDF suggested are appropriate
4 trial designs.

5 We just I think would appreciate some feedback
6 that, in general, we are on the right track and that these
7 kinds of trials in this kind of circumstance are reasonable
8 and are within what a proper clinical trial would require.

9 MR. MORAN: I think it is fair to say--let me just
10 quickly comment--that last summer and fall, there was an
11 enormous amount of interest, both domestic and foreign
12 companies, in terms of getting new IGIV products licensed in
13 the U.S. market.

14 I think it is fair to say that in discussions with
15 FDA over the kind of trial that was described by Dr. Golding
16 and Dr. Lachenbruch, that there were some practical problems
17 that were identified during those discussions between FDA
18 and most of these companies.

19 So, what IDF has done is sort of brought forward a
20 slim-downed version, so to speak, that still addresses
21 clinical endpoints. What we are trying to do is bridge a
22 gap between a more stringent style of study and the
23 realities with respect to the ability for companies to
24 perform these studies within reasonable cost and time
25 parameters.

1 So, this is just to put it in context what we are
2 trying to put forward a solution that deals with the reality
3 of the patient population, where they are seen, and those
4 kinds of things, as a means maybe of restimulating the
5 interest by many companies to get IGIV into the U.S.
6 marketplace, which seemed to have waned a little bit over
7 the last four or five months.

8 DR. LACHENBRUCH: Joel, I think we would be
9 delighted to talk with you on this. As you can imagine, it
10 has been three weeks since that conference, and as was
11 mentioned, there has been a lot of phone calls back and
12 forth, and we had about a two-hour conference call last
13 Friday on this, and I will be more than happy to talk with
14 you, and I am sure IDF and whoever they are working with
15 would be quite happy to work with you at the break.

16 DR. HOLLINGER: I think the issue is really
17 brought before you here to talk about the clinical trial
18 endpoints, informational, I think we could discuss it here,
19 too, at the same time, if you want to, Joel.

20 Before we do that, though I want to finish up with
21 the open public hearing, and don't go away because we will
22 be coming back to you all. There is one person to talk, and
23 then we will come back to the other.

24 In the open public hearing, one person has asked
25 to speak, Judy Ranallo from IDF, and if there are others, I

1 will entertain that, and then we come back to the comment.

2 **Open Public Hearing**

3 MS. RANALLO: Good morning. My name is Judy
4 Ranallo. I am President of the Ohio Chapter of the Immune
5 Deficiency Foundation. As you are aware, the chronic
6 shortage of IGIV has been going on since the fall of 1997, a
7 situation that as a mother of an immune deficient patient
8 has gone on far too long. For my son, Sam, IGIV is the
9 difference between life and death; this is also the case for
10 many thousands of patients and their families for whom IGIV
11 is life sustaining.

12 I am here today to address this Advisory Committee
13 because the current shortage should not be allowed to
14 continue. Solutions must be found. Therefore, the
15 licensure of new IGIV products is of great personal concern
16 to me.

17 My son, Sam, was born in February of 1983. Sam
18 was premature, diagnosed as developmentally delayed, and
19 placed on a series of medications to treat low blood sugar
20 and a seizure disorder. He did not respond well to the
21 medications prescribed and at the age of four began having a
22 series of serious infections.

23 In a two-year period, Sam had three surgeries,
24 tubes were placed in this ears, his tonsils and adenoids
25 were removed, and he had upper GI problems. As a result, he

1 suffered hearing loss and was not allowed to return to
2 school due to his severe health complications.

3 He was then diagnosed with asthma and epilepsy.
4 He has skin infections which would not heal, and his sinus
5 passages were destroyed due to infection. Sam averaged 12
6 to 15 serious infections per year, many that require
7 hospitalizations. His health was not improving and he
8 continued to deteriorate, making our life a nightmare.

9 Finally, at the age of seven, Sam was diagnosed as
10 a primary immune deficient patient and placed on IGIV. Sam
11 has continued to receive IGIV for the past nine years, every
12 three weeks. In the summer of 1998, we had his 100th
13 infusion party, which we celebrated with family and friends.

14 Since beginning IGIV therapy, Sam has not had any
15 serious infections and the rate of infection has dropped
16 from 12 to 15 a year to two to three minor infections per
17 year. Sam is here today and will tell you a little bit
18 about himself and his wonderful accomplishments.

19 As a mother, I know that Sam is at risk of
20 developing an infection that could serious debilitate him or
21 kill him if he doesn't receive his gamma every three weeks.
22 It is this fear that brings me here today on behalf of my
23 family and the thousands of people whose lives depend on
24 regular IGIV infusions. We often do not know if our
25 infusion will take place as scheduled until 24 hours in

1 advance. Imagine knowing that IGIV means a normal healthy
2 life for Sam and knowing that it may not be available for
3 him tomorrow.

4 Solving the current IGIV shortage and increasing
5 the supply in the U.S. marketplace is the responsibility of
6 manufacturers, government, and patient organizations. In
7 congressional hearings held in May of 1998, the FDA stated
8 that new products entering the marketplace could increase
9 supply.

10 To date, no new IGIV preparations have been
11 licensed, and only one significant licensing trial is
12 underway. I hold you responsible for finding ways to
13 expedite licensure and increase supply to ensure that the
14 thousands of patients for whom this product is life
15 sustaining are able to receive their treatment. For my son
16 and many others this is a situation where failure is not an
17 option.

18 Thank you. I would be happy to answer any
19 questions that you may have.

20 MR. SAM RANALLO: Good morning. My name is Sam
21 Ranallo. I want to thank you for giving me this opportunity
22 to speak to you today.

23 I am a 16-year-old freshman in high school. I
24 work part time during the week and during the school year.
25 I recently got my temporary driver's license which is making

1 my mom a little nervous. I am also starting to date, which
2 is also making her very nervous.

3 This past fall, I was the starting goalie for my
4 soccer team, my school team, and I recently completed my
5 wrestling season with a 21 and 2 record while wrestling for
6 a team that was ranked 18th in the nation. I am a Merit
7 Roll Student and admired by my teachers and my fellow
8 students. I am also immune deficient. IVIG therapy is a
9 part of my life and it has been for the past nine years. My
10 life is normal because, and only because, of IVIG.

11 I am very dependent on my 21-day, 25-gram infusion
12 schedule to keep in top shape. Like my mom mentioned, my
13 life before IVIG was a wreck with the chronic illnesses and
14 constant surgeries. By leading such a normal life now, I
15 can't imagine but do know what my life would be like without
16 it.

17 I managed to wrestle the entire wrestling season
18 without a single infection. Without my infusions I was
19 infected 12 months out of the year. My goal in life is to
20 become a middle school guidance counselor. I need IVIG
21 therapy to accomplish this and every other goal I have set
22 for me in my life.

23 I desire to grow up and guide the future
24 generations of children in the U.S. In order for PID
25 patients like myself to lead normal lives, it is imperative

1 that the concerns surrounding the shortage of IVIG be
2 addressed.

3 Thank you.

4 DR. HOLLINGER: Thank you, Sam, for coming here
5 and sharing that with us.

6 Are there any other comments from the public in
7 this portion of the public hearing? Yes, please. State
8 your name and organization.

9 MR. GOLDSMITH: Yes, my name is Jonathan Goldsmith
10 from Centeon. I would like to thank the BPAC for its
11 careful consideration today of the trial designs that have
12 been put forth, and I would also like to bring to their
13 attention that there are also alternative routes of
14 administration that might fall under these same kind of
15 research criteria, such as subcutaneous administration of
16 IGIV, that might also help eliminate this shortage.

17 Thank you.

18 DR. HOLLINGER: Thank you.

19 Are there any others?

20 DR. WINKELSTEIN: Mr. Chairman, would it be okay
21 if I added one additional comment? I don't want people to
22 be left with the wrong impression. The trial that has been
23 designed between the FDA and the IDF's "expert panel," at
24 least I personally do not want it to be perceived as a
25 substandard trial that has been designed to compromise or

1 deal with the shortage.

2 If there were no shortage, this trial, I believe,
3 would be medically still an appropriate trial. I do not
4 believe in any way that there has been a compromise in terms
5 of the proper trial design. I wanted to be sure that
6 everyone understood that point.

7 DR. HOLLINGER: I am going to close the public
8 hearing, and I am going to open it up to the committee for
9 comments and discussion. We have some time for this.

10 I am going to start with Mr. Dubin.

11 **Committee Discussion**

12 MR. DUBIN: Can we also ask questions of the
13 group?

14 DR. HOLLINGER: Yes, sure.

15 MR. DUBIN: Tom, I think clearly one of the things
16 that has presented IDF with the problem is kind of the
17 explosion of off-label use of this product in recent years,
18 and certainly one can look at the manufacturer's sales pitch
19 as part of that problem, and doctors working to find
20 different treatments with AIDS patients, peripheral
21 neuropathies, things of this nature.

22 Any ideas who we are going to solve this problem
23 in terms of how much product is going into off-label use
24 versus how much is going to on-label use?

25 DR. HOLLINGER: I think that is a good question,

1 Corey, but I did notice also you mentioned that there were 6
2 million grams used in primary immune deficiency, and there
3 is 20 million or 18 million that is manufactured. That means
4 more than twice the amount is used for off-label use. Is
5 that correct or incorrect?

6 MR. MORAN: I think the estimate is about over 50
7 percent use off-label. I have two points. One is off-label
8 doesn't necessarily mean not medically necessary, and you
9 are all more familiar than I am with the idea of drugs get
10 licensed for certain indications, and then they are used for
11 other indications, and they are as effective.

12 IDF, our role in this is not to sort of create a
13 hierarchy of misery or to create a competition among and
14 between patient groups, and so we kind of are perhaps even
15 less aggressive on that point than you would imagine.

16 I do think that what has happened behaviorally
17 speaking, is that throughout the United States, in hospital
18 pharmacies, to some extent in home care companies, and other
19 sites, rationing protocols have been developed all over the
20 U.S., which has benefited our patient population, perhaps
21 Kawasaki syndrome is another use, and Guillain-Barre
22 syndrome, which is an off-label use for which it is a highly
23 effective and necessary treatment.

24 So, what has happened is kind of collectively and
25 independently, institutions throughout the United States

1 have developed these kinds of rational protocols. I do
2 think that enormous restraint is needed in several areas.
3 For one thing, the more experimental uses or I would say
4 uses for which there is not substantial proof of benefit,
5 CDC several weeks ago published an MMWR, which reviewed
6 several of the FDA on-label and off-label use in an NIH
7 consensus conference on this subject, and all the University
8 Hospital Consortium study on this point, and I would refer
9 your committee members to that document for review on that.

10 But I think that it is unfortunate that the way
11 the situation needs to be handled now is by sort of
12 draconian kinds of rational protocols, and I think to some
13 extent it is creating spot shortages throughout the U.S.

14 It clearly is affecting our patient population.
15 Those are not seen at major university hospital centers are
16 more adversely affected it seems than those that are, and I
17 think we need to get the shortage behind us because,
18 frankly, this is a therapy that has a lot of positive use.

19 DR. HOLLINGER: Dr. Macik.

20 DR. MACIK: I just wanted to see if we could get
21 some idea, because it seems like we are saying on-label is
22 treatment primary, and off-label is everything else. There
23 are clearly other things that are on-label, and what is the
24 percentage of total product that is used?

25 We have three categories. We have the primary.

1 We have the other on-label like in ITP, which I have had
2 lots of problems getting product to treat in the last years,
3 and then the things like Guillain-Barre, which are kind of
4 new treatments in a way, but have had great success, so, or
5 as you said, medically indicated.

6 The other thing that becomes important, though, is
7 that your product has special needs, that treatment of some
8 of these other disorders don't. For example, you need
9 certain titers, various antibodies in your product to fight
10 off chicken pox and to fight off the various pneumococcal,
11 whereas, the way this product is used in other diseases, you
12 don't need those antibodies present.

13 So, if you design trials or require that new
14 manufacturers coming on-board must meet these minimal to get
15 their product on or limited to the treatment of a very small
16 group, although very necessary group of people, you are
17 going to really slow down getting product available for
18 everybody.

19 MR. MORAN: I am going to comment and then I am
20 going to ask Dr. Stiehm and Dr. Winkelstein to follow on,
21 but basically, one of the objectives of the trial that IDF
22 outlined is to validate, if you will, surrogate markers.

23 One of the issue confronting our population and
24 the companies that want to get to license is the business of
25 introducing the concept of infections as primary endpoints

1 in the study, and this has a lot of effect vis-a-vis the
2 number of patients involved in the study, the complexity,
3 and the time involved.

4 So, our starting position as a group was to say
5 there are good surrogates that are available that would make
6 these studies more expeditious, and they include IgG levels,
7 as well as antibody titers, and so forth.

8 We incorporated the FDA thinking vis-a-vis the
9 infections as primary endpoints. We have added elements to
10 the trial that would not be a requirement for licensure, for
11 example, the antibody, but as long as the blood is being
12 collected anyway, you know, begin to do some studies on, for
13 example, antibody titers that would down the road validate
14 these markers as surrogate endpoints as opposed to primary
15 endpoints, so we are not recommending, unless Dr. Stiehm or
16 Dr. Winkelstein have a different point of view, in which
17 case we are, just so you know who is in charge here.

18 We are adding those elements to the trial, not as
19 a criteria for licensure, but rather to validate surrogate
20 endpoints, so that several years down the road, it may even
21 get to more expeditious trials, but I would like Dr. Stiehm
22 to comment.

23 DR. STIEHM: I think your categorization of three
24 types should be increased to four types. There is primary
25 immunodeficiency, other on-label. Then, there is uses which

1 are proven, like Guillain-Barre, and then there is all these
2 others that people use, and, for example, there is four
3 illnesses that I think use about 20 to 30 percent of the
4 IVIG products - asthma, recurrent abortion, chronic fatigue
5 syndrome, and infantile autism.

6 Now, there is no information that these illnesses
7 are benefited by IVIG, however, a number of doctors use them
8 a lot, and they have to use very large doses or they do use
9 very large doses, so that the university hospitals have
10 effectively dealt with their gamma globulin shortage by
11 making a prioritization where on-label use gives a priority,
12 and you simply cannot get IVIG if you want to treat
13 infantile autism, which I think is useless anyway.

14 DR. WINKELSTEIN: . In terms of the content of
15 antibody restricting its use of availability for other
16 groups, I think that is probably not a practical concern
17 because most of the pools contain sufficient antibody, so
18 that the donors that are used, if you will, for the product
19 that might be used in immune deficient patients would not
20 necessarily have to be different than the donors used for
21 other indications in which antibody is not properly the
22 surrogate for clinical efficacy.

23 The danger, however, is that it is conceivable to
24 carry the antibody screening past the point of being
25 appropriate, that is to say, to take a ridiculous example,

1 if you said that antibody positivity for a viral infection
2 like hepatitis C was an appropriate criteria for exclusion,
3 one would not want to go to saying that antibody titers for
4 the pneumococcus, by analogy, would be an inappropriate
5 donor pool.

6 I know that sounds like a silly statement because
7 it is so obvious, but nevertheless, for some people, they
8 have made this transition to equating antibody titers, which
9 is really what we are really what we are looking for, with
10 surrogacy, if you will, for active infection, which is not
11 what we are looking for.

12 DR. HOLLINGER: It is my understanding that IVIG
13 does not contain anti-HCV antibodies, is that correct?
14 Since 1994 or 1995.

15 Dr. McCurdy.

16 DR. McCURDY: A statement was made that one of the
17 responses to the shortage was to shift the patient to a less
18 desirable brand. Do we know that the basis of less
19 desirability is?

20 DR. WINKELSTEIN: In terms of efficacy, I don't
21 think that is the proper use of the term, but what does
22 happen, though, is that patients tend to wind up on a brand
23 that they tolerate in terms of their comfort level both
24 during and for the two or three days after the infusion,
25 these are the mild to moderate side reactions. They are not

1 anaphylactic reactions or even anaphylactoid reactions, they
2 are not infectious presumably in origin.

3 But what does happen is there is a natural
4 selection that most of us go through. If brand A gives the
5 patients a day of fever after the infusion and some
6 myalgias, we will switch to brand B. That then gives us a
7 patient population who becomes comfortable finally on one or
8 another of the licensed preparations.

9 When there is a shortage, we used what we could
10 get, so that there was, in fact, a great deal of patient
11 discomfort in switching from the brand that they had self-
12 selected over a period of time to the brand that became
13 available, so I think that is the explanation.

14 DR. STIEHM: There is no evidence that I know of
15 that switching brands decreases the susceptibility to
16 infection, however, there are literature studies to show
17 that certain brands are more reactive than others.

18 DR. McCURDY: In this inventory that was taken,
19 and we have a nine-day supply as of two days ago, I guess,
20 where is that supply? Where is it physically located?

21 DR. HOLLINGER: We have representatives of the
22 companies here that may want to comment, but essentially, I
23 think it is on the loading dock or in inventory. You know,
24 you add the pallets that are ready to ship, and that is
25 where it is. I suspect that that is where most of that

1 inventory is.

2 DR. McCURDY: Several weeks ago I became aware
3 that some transfusion service directors and some major
4 hospitals appeared not to be particularly concerned about an
5 IgG, intravenous IgG shortage, and that raises the question
6 in my mind as to whether it is irregularly distributed
7 around the country.

8 In one of my former lives, I was responsible for a
9 major blood center, and when blood became short, such as in
10 the early part of January, the demand for blood went up by
11 30 to 50 percent, largely because apparently of over-
12 ordering and attempts to stockpile.

13 I am just wondering whether there are some places
14 that are more effective in stockpiling or getting what they
15 need than others, which may distort the picture a bit.

16 MR. MORAN: That certainly is a theoretical
17 possibility. I think the other thing is that the
18 marketplace has been accustomed to the shortage, and so that
19 there is perhaps less--first of all, there are rationing
20 protocols in place in many of the settings where IGIV is
21 dispensed, number one; and number two, I think that
22 patients, treating physicians, and pharmacists are
23 accustomed to being on the brink, so to speak, and perhaps
24 less panic reaction when the inventory gets low.

25 DR. KOERPER: I think it also depends on where the

1 physicians are practicing that you are surveying. At our
2 large university hospital, we have had relatively little
3 problem getting IVIG although it may not be the preferred
4 brand that each patient would rather get.

5 I used to be able to talk to pediatricians in
6 towns six hours away, way up in Northern California, through
7 treating a child with ITP with IV gamma globulin. Now,
8 those pediatricians way up in Northern California, their
9 small hospitals cannot get the IVIG, so all those children
10 now must come down to San Francisco in order to get this
11 treatment.

12 So, if you asked me, I would say, no, I am not
13 really having any trouble getting IVIG, it may not be the
14 preferred brand, but I can get it. If you ask the
15 pediatrician up in Eureka, he can't get the IVIG, so it
16 depends a little bit on the sample.

17 DR. WINKELSTEIN: The answer to that question also
18 is that 60 percent of my patients do not come to Hopkins for
19 their IV gamma globulin. They are in home health care
20 companies on the Eastern Shore, the Del Marva Peninsula,
21 Western Pennsylvania, et cetera. The shortage that I have
22 had to deal with for those patients has been through home
23 health care companies and small community hospitals,
24 whereas, Hopkins obviously has probably been given some
25 preferred treatment by the suppliers.

1 DR. HOLLINGER: State your name.

2 MR. JACKMAN: I am Dennis Jackman, Vice President
3 for North America for IPPIA, International Plasma Products
4 Industry Association.

5 Somebody was asking a question about where the
6 product is that is out there, and it is in the distribution
7 pipeline. Companies have made a number of efforts over the
8 past years to try to get that distribution as close as
9 possible to the provider and to the end user, to the
10 patient, so there are a lot of efforts in that regard, so it
11 is basically in the distribution pipeline going out as
12 rapidly as possible.

13 In terms of spot shortages, the gentleman referred
14 to maybe spot shortages. Our data show a consistent
15 shortage, and we provide monthly data to FDA, to some of the
16 patient groups, and to a number of other people that show
17 that our U.S. distribution versus inventory is at a low
18 level, it is well under one month's supply. It has
19 consistently been that way. So, it is a good indicator of
20 the balance of demand and supply, and it has been about a
21 few weeks of balance there, so we have a consistent
22 shortage, and we recognize that, and are making a lot of
23 efforts to try to address it.

24 DR. HOLLINGER: Thank you.

25 Dr. Epstein.

1 DR. EPSTEIN: The shortage question obviously has
2 great public health importance, but I just wonder if we
3 couldn't have a little bit more discussion about the
4 clinical trial design, which is really our topic for this
5 morning.

6 These are related, of course, because approving
7 new products is one of the strategies for dealing with the
8 shortage. I just wanted to focus on two particular points
9 and solicit comments from the committee.

10 One point is that the size of trials has to be
11 driven by two things. One is safety, and the other is
12 efficacy. I think that what has really been put in front of
13 us is the difficulty of doing a large trial. It has been
14 explained what that is so. That is a perceived obstacle, of
15 course, to completing trials, and the novel idea that has
16 been proposed is the use of number of febrile days as the
17 primary clinical endpoint.

18 I think that what has been shown here is that if
19 the agency were to accept that, that much smaller trials
20 could be done. Indeed, the size of the trial at that point
21 might be dictated by the denominators needed for safety
22 rather than the denominators needed for efficacy, which is
23 sort of astonishing.

24 But I think it would be helpful to the FDA if we
25 could get some feedback from the committee what members

1 things about febrile days. We don't have to come to closure
2 today, but I think that your comments would be useful.

3 DR. HOLLINGER: That is what we will do for the
4 time that we have remaining. I would like to start with
5 Richard for just a second. You mentioned fever as an
6 efficacy endpoint, and you presented two groups which looked
7 at fever, and which they talked about a 10 per patient per
8 year, and one was 13 per patient per year, and then in the
9 next slide, when you put fever as an efficacy endpoint for
10 the same high dose if IVIG, you dropped to three to four
11 days per patient per year, which is different than the two
12 studies which use fever as an efficacy endpoint.

13 Then, the other question is on the same line, for
14 people that use NSAIDs, or other things like this, how does
15 it alter using fever as an efficacy endpoint over a period
16 of time when they are taking things which will lower the
17 fever to perhaps normal in some patients? Could you deal
18 with those, Richard?

19 DR. STIEHM: We think that fever would be useful.
20 In terms of how we estimated that, one of these studies
21 showed actually the days of fever, the other was the days of
22 illness. I made estimates that about a third of those
23 patients had a febrile day.

24 Days of fever has the advantage in my thinking of
25 not only being a frequent event, three or four years per

1 year, but also that it would quantitate how severe these
2 infections were. For example, if you had bronchitis for
3 three days with a fever, each day that would count as three
4 episodes.

5 We did exclude or we would exclude the fever that
6 is associated with the product. So, we suggested that days
7 of fever for two days after IVIG would not count, and if you
8 are counting the days of febrile episodes, we probably would
9 require at least a week between one febrile episode and the
10 next to count it as a separate occasion

11 As far as I know, there has not been any studies
12 using days of fever except for this one study, and I think I
13 reviewed the literature pretty well, but I think that based
14 on my thinking, this would be a reasonable outcome.

15 DR. HOLLINGER: And the issue about most
16 individuals would take something for their fever, which
17 might drop it to normal, how is that going to affect that
18 kind of endpoint?

19 DR. STIEHM: I think it is going to normalize out
20 between the control group, the patients on regular IVIG, and
21 those that are on the new product, so I think that there is
22 going to be a built-in control by having concomitant
23 controls.

24 We think that the patients should be treated with
25 their own IVIG product because that is the one they are

1 comfortable with, and it will be very difficult to enroll
2 patients if you ask them to switch to either a new IVIG that
3 they have never had before, as well as experimental one.

4 DR. WINKELSTEIN: I might say that I think the
5 reality is that at least for most of the patients, if you
6 would use days of fever rather than hours or numbers of
7 medications that they take, the antipyretics will not
8 influence your outcome because the majority of patients take
9 antipyretics in response to fever rather than
10 prophylactically, so I think days of fever will not be
11 largely influenced by antipyretics.

12 DR. HOLLINGER: Dr. Nelson.

13 DR. NELSON: It seems to me that given the
14 shortage and the situation, that a trial in a small number
15 of patients like has been proposed with these biologic
16 endpoints is quite reasonable, but I wonder. One of the
17 issues that always comes up is after a product is licensed,
18 there really should be continued evaluation of its efficacy,
19 and that isn't required of all products, but in this
20 particular product, I would think that there would be
21 possibly an opportunity to do that.

22 In other words, a product might be licensed based
23 upon no significant difference in the days of fever, but in
24 the year or two after licensure, if there was a mechanism to
25 continue to collect data on that new product, there might be

1 something attached to the license or so that if it was found
2 to really be an inferior product later, that it could be re-
3 reviewed.

4 I don't know if that is feasible from the FDA
5 standpoint, but from the characteristics of these patients
6 and the patients that might be involved in such a study, I
7 would think that it would, given those that are under the
8 care of specialists that have fair numbers of patients,
9 there might be continued evaluation over a longer period.

10 DR. GOLDING: There have been some preliminary
11 discussions between myself and Tom Moran of the IDF, that
12 would address some of these issues. The sort of verbal
13 agreement that we have come to is that the IDF would
14 establish a post-marketing surveillance mechanism both to
15 look at efficacy and to look at adverse events.

16 That would provide the FDA with an additional
17 sense of assurance about these studies. In the past, Phase
18 IV studies have had a very dismal record, but what we are
19 talking about here is that the IDF, who will represent the
20 consumers, will be following up these patients and providing
21 us with that data, so I think there is a reasonable chance
22 that those data would be made available, and that would
23 answer those questions. It would be very helpful to us.

24 Regarding the fever endpoint, I would just like to
25 make a very quick comment, and that is, that any endpoint

1 that you use has to be validated, in other words, some of
2 the thinking of it could be very simple-minded, but you have
3 to know that a patient can measure, use whatever instruments
4 used in measuring temperature in a proper way, and you have
5 to test this.

6 You have to be sure that they can do this in a
7 reliable way, and you have to have a whole lot of additional
8 factors in place like when are they going to measure their
9 temperature, what temperatures are we talking about that are
10 significant, how is it going to be recorded, many different
11 factors, and there should be some plan for training and
12 validating that these patients can do this in a reliable
13 way, because if this is what the whole trial is going to
14 hinge upon, you need to be sure that this test is really a
15 valid test.

16 DR. LACHENBRUCH: I have one comment that wanted
17 to make regarding the use of their own or the IGIV that the
18 patient has been on. I think this is a very hazardous
19 course to go on, because the patient's own IGIV will be the
20 one that they are not reacting to, and so there is a very
21 real possibility of substantial unblinding of the study as
22 it goes on, and this could affect either efficacy or adverse
23 event reporting.

24 DR. HOLLINGER: Yes, Dr. Stroncek.

25 DR. STRONCEK: I think the endpoint of fever is

1 fine, and it should work out well as long as it is measured
2 appropriately. The issue on control, I don't quite
3 understand how this would be controlled. Would you study
4 the patients on their own products for a year or two? Would
5 their products be blinded, and would they cross over after a
6 year, so this would be a two-year study, one part of it they
7 get their own product blinded, and then the next year they
8 would get the test product? And, of course, that would be
9 randomized?

10 DR. STIEHM: I think that they should be randomly
11 assigned to either a standard IVIG or the tested IVIG. I
12 think it is feasible to use a standard IVIG that is already
13 licensed, I don't think that is an insurmountable problem.

14 I think patients would prefer to stay on their
15 own, but I understand Tony's reservations about the fact
16 that they could be unblinded, but I would propose either a
17 year of the new product or a year of the old product.

18 DR. HOLLINGER: I suppose two years would take too
19 long, but it would be nice if you had a crossover study
20 where they got their own product plus the test one.

21 DR. STIEHM: That really makes a two-year. The
22 problem with crossover is that the days of infection change
23 from season to season, and the patients that get the product
24 during the winter may have more infection.

25 DR. HOLLINGER: Dr. Verter had initially brought

1 up some questions or some thoughts about this. Could you
2 give us your comments, please.

3 DR. VERTER: I have a feeling that some of what I
4 am going to say was probably discussed between the FDA and
5 IDF, but I don't know, so I will say it anyhow.

6 First, let me comment on what Dr. Epstein said. I
7 agree with him, but I would change it slightly. There are
8 at least two things that will drive the trial's safety and
9 efficacy, but in particular, and in very particular in this
10 case, I think resource is another issue, that whereas in
11 cardiovascular disease you may have 500,000 MIs to shoot at
12 if you are going to do an MI trial here, it looks like the
13 maximum number that you can have is a cohort is 20,000, and,
14 of course, you will never get 20,000 to do this thing, and
15 they may not all be relevant for what you want to study.
16 So, that is a very big issue.

17 The other aspect of resources is, of course, as
18 has been mentioned before, who is going to fund the study.
19 I think here, if it has not already been discussed, maybe
20 there is an opportunity here for industry and NIH, perhaps
21 philanthropic organizations, and the IDF to come together to
22 do what I would consider somewhat of a better study.

23 I think for a number of reasons that have been
24 stated by the FDA and perhaps one or two of the committee
25 members, days of fever is a very difficult and clearly a

1 surrogate outcome. However, it may be the only outcome that
2 is practically feasible in this setting. I am not willing
3 to concede that yet, however, but I haven't been privy to
4 all the discussions.

5 In my mind, and this a little pie-in-the-sky type
6 stuff, I think what you do is decide--let me back up just
7 one second. It is a unique opportunity to do a trial that
8 won't come around again probably, and the idea of trying to
9 do post-marketing surveillance will never be as good with
10 respect to efficacy or safety as when you can do it in a
11 trial, because you have a head-to-head comparison.

12 I mean if that is all that you can do, that is all
13 you can do, but let's start with the gold standard, and I
14 would advise everyone who is interested in doing this to sit
15 down and say okay, what would be the gold standard study,
16 what is that we are really trying to look at, and is it at
17 all feasible to come with that study, fund that study, get
18 the patients and the community to cooperate in doing that
19 study, and go forward with it.

20 Now, if that is not possible, then, you can step
21 down, but I wouldn't start at the bottom and not worry about
22 the top. To me, you know, 30 patients in a group or even I
23 think Tony had 70 or 80 in a group, you are likely to miss
24 some serious problems, some serious side effects, and
25 perhaps even an increased rate of infection.

1 We all know that fever doesn't correlate 1 to 1
2 with serious infections that are treated either out of
3 hospital or in hospital with antibiotics, so it is truly a
4 surrogate, and the clinical trial literature is littered
5 with surrogates that didn't pan out when the better trials
6 are done.

7 So, it is kind of a cautionary word, but I realize
8 the limitations.

9 DR. HOLLINGER: Dr. Boyle.

10 DR. BOYLE: Let me clarify one of the things that
11 Joel is raising in terms of resources, because it is going
12 to give you a perspective on what we are talking about.

13 As we indicated earlier, there is a shortage, and
14 the shortage will grow because of the growing number of
15 persons who are using IVIG even among the immune deficient
16 patients.

17 As was indicated earlier, there may be eight or 10
18 companies that are willing to bring new products in if they
19 can get licensed. Each one of those products requires a
20 trial. If, in fact, you enroll all available immune
21 deficient patients in the gold standard trial, you would
22 successfully bring one new product into the market, and the
23 rest would be left on the outside.

24 So, one of the things in terms of thinking through
25 sample designs, looking at the fact that they will have to

1 be repeated trials over the next few years to address the
2 issue of the shortage in the marketplace.

3 In terms of the trials themselves, we know from
4 the data available, the data that has been presented in the
5 literature, that the difference between IVIG and no IVIG can
6 be demonstrated with a sample size of 10 or 15 per arm, the
7 difference is that extreme.

8 Some of the other things that were presented as
9 concerns, for instance, the issue of the ability to detect
10 increased renal failure is so rare that no single trial is
11 ever going to be able to identify that.

12 You will only be able to identify those types or
13 things with post-marketing surveillance. Consequently, what
14 we are basically saying is that even a small sample size
15 will demonstrate whether we have got effective IVIG or ice
16 water. No sample size that is feasible can detect the rare
17 events. That is going to have to be done with post-
18 marketing surveillance.

19 What we are trying to find is an intermediate
20 sample size where repeated trials are practical for
21 different products, so that hopefully, we can address the
22 issue of the shortage because to end with a metaphor, we are
23 talking about not just IVIG, or immune deficient patients,
24 we are talking about other medical necessities, and given
25 the situation, we are all starting to look like the Donner

1 party, you know, eyeing each other as meat for the winner,
2 and we would just as soon find our way out and back to
3 civilization.

4 DR. LACHENBRUCH: In response to Joel, the sample
5 sizes of 28 and 80, the 28 was based on the number of
6 infections per patient, being able to pick up a rise from 1
7 to 2 per year, basically a change, whereas, without it I
8 think we were talking about 18 to 20 per year. Dr. Stiehm
9 and others can tell me I am full of baloney. The 80
10 patients per arm was based on detecting a difference of 0.25
11 to 0.45 in the proportion of patients who had no infections.

12 So, the fever days was something that has
13 literally been developed quite, quite recently, so I would
14 just point out that a lot of these things are precisely what
15 you are worried about.

16 MR. MORAN: I would also like to say there have
17 been conversations between IDF and National Institutes of
18 Health and industry on the points that you raised. The
19 distinction I would make is that if one imagines several,
20 multiple trials going on simultaneously, there are
21 opportunities to do some science that needs to be done to
22 develop a gold standard that everyone would salute.

23 In fact, NIH has expressed interest, as has
24 industry, as have our investigators. The distinction is the
25 difference between requirements for licensure in a specific

1 trial and data that can be gathered and collected during in
2 the course of doing a trial that does not have an effect on
3 licensure per se, but gets to the goal that you just point
4 out, and there is a lot of interest in doing that in these
5 studies.

6 DR. VERTER: One follow up to Dr. Lachenbruch. I
7 figured that out, and I worked it out while you were sitting
8 there. But what I was referring to, I didn't refer to it
9 quite well, was the delta in your calculation is very large,
10 and I am a little concerned about that.

11 DR. HOLLINGER: Richard, just one question on the
12 fever. At what level are you looking at?

13 DR. STIEHM: I would suppose 100 degrees, and I
14 agree that fever by itself is a surrogate, but on the other
15 hand, fever with some sort of other manifestation of
16 infection, such as cough. The problem with immune
17 deficiency patients, many of them are chronically ill, they
18 have chronic sinusitis that is almost incurable, and so that
19 it is very difficult to document trivial infections.

20 So, my suggestion, as you heard, was to say days
21 of fever, and, of course, that would assume that you would
22 rule out other causes of fever, for example, if they had
23 taken a drug and got a drug reaction, so you could eliminate
24 those.

25 DR. HOLLINGER: Maybe 100 degrees is a little too

1 low, I mean for these patients. Maybe you would want to
2 look at something a little higher than that.

3 DR. STIEHM: Well, that needs to be negotiated.

4 MR. DUBIN: Just to step back for a second and
5 come at it from maybe a larger overview, I think obviously
6 one difference we see is in these patients the need is more
7 critical. In hemophilia, we can let a bleed go, we can
8 proportion resources a little differently, we can prioritize
9 factor for use in head bleeds, things of that nature.

10 I think in parts of this community, that is not
11 real, and yet at the same time, we are looking at a
12 marketplace that has been unable to deliver. Fifty percent,
13 almost 50 percent are off-line, at least two of the major
14 manufacturers are off-line, and the marketplace has been
15 unable to supply enough product.

16 At the same time, we as consumers demand enough
17 product to get the job done, but then we as consumers want
18 to beat the FDA over the head when something goes wrong. I
19 am trying to step back on this one and take an overview,
20 because I think something we have learned at the table is
21 this is consistently a cost-benefit analysis, and I don't
22 necessarily mean just an economic or an economic cost-
23 benefit, but when you start talking about fast-tracking or
24 moving quicker, people are going to get hurt.

25 The new diabetes drug is an example. I think we

1 don't always step back and look at this and think about it,
2 and I am not arguing against doing it at all, but I think
3 this analysis is out of the picture. We raised in a meeting
4 with the Commissioner recently the question of post-
5 marketing surveillance of AIDS drugs because we are getting
6 daily reports, our phones, from people in hemophilia with
7 AIDS who are seeing some pretty significant and dangerous
8 side effects to some parts of the cocktail.

9 But I think in some way we are saying to FDA fast-
10 track it, then, we are wanting to kill them when it doesn't
11 go right. Somehow I think we have to begin to balance this,
12 and I think somewhere in this equation, while we have the
13 specific discussions of designing trials and figuring out
14 how to do it, we also have to have some overview picture,
15 discussion that we don't always seem to have about what the
16 tradeoffs are and how we are going to address the larger
17 questions that we seem to keep running up to, the
18 marketplace being on one hand, being unable to deliver the
19 needs of clients and patients in a number of communities,
20 and I wanted to make sure we put that on the table.

21 This has been a learning experience for those of
22 us in hemophilia that have come to this table. We have
23 really had to look at this larger picture more and more, and
24 understand it more.

25 DR. HOLLINGER: Any other comments from the

1 committee? Questions? Responses? Dr. Mitchell.

2 DR. MITCHELL: I like the idea of having fever as
3 an endpoint, but then the question is how do you define
4 fever. The temperature, as you know, varies on the time of
5 the day that you take it, that you measure your temperature.
6 It is likely to be lower in the mornings than it is in the
7 evenings.

8 I just think that that needs to be taken into
9 account. There needs to be some kind of standardization of
10 what fever is, and 100 seems kind of low to me also. Those
11 are just my comments.

12 DR. HOLLINGER: Dr. Macik.

13 DR. MACIK: The concern I have, I think that I
14 don't have any trouble with fever, don't deal with pediatric
15 patients, but I do deal with an awful lot of patients that
16 we use an awful lot of immunoglobulin, and it seems like
17 what is happening is we are focusing on getting a trial
18 going for a small subset of people, so that we can get
19 products licensed, which is good because they need it.

20 But how do we know that, because we focused in,
21 you know, we know that once a product is available, it goes
22 wherever it is going to go. Do we know that there is some
23 protection that just because this group provided the study
24 that allowed a product to come on market, that this group
25 will have a product when it is all done, that it won't all

1 just be siphoned off to all the other indications.

2 It is nice because this group is small, it has an
3 easy-to-look-at endpoint relatively, fever, infection, so
4 from a company's standpoint or the FDA's standpoint here is
5 a nice group you can go in and do a reasonably fast study,
6 you know that they die without the product, and you know
7 that most products probably are going to be the same, you
8 know, it is easy to look at.

9 But when all this is said and done, and you bring
10 more product on, are they going to have product after all?
11 Is there any way that you can assure supply? We are
12 focusing in on supply here, but it is equally deadly for the
13 severe ITP patient that doesn't have their IVIG.

14 These are populations also, and this is a much
15 larger use population than the immune patient. I hate to
16 see something be too slow getting in new products, and also
17 to come in on one indication, and perhaps have a patient
18 turned away because the pharmacy--it doesn't surprise me, a
19 blood banker may not know there is an IVIG shortage because
20 most of the products are handled through pharmacy now--but
21 that they would say, well, we are going to send this to the
22 indicated use, and not give it to the non-indicated use.

23 This is part of the bigger picture that I think
24 Corey was bringing up. I think it is great to design
25 trials, but we also have to look at where we are going, what

1 are we going to accomplish when the trial is over, are the
2 group that sacrificed to get this trial done going to
3 benefit when the trial is all finished.

4 MR. MORAN: I would just like to make one quick
5 comment, and that is that the trial that is being proposed
6 or the sample protocol really, is to my knowledge--and FDA
7 is in the room--it is far more rigorous than any of the
8 trials for the currently six licensed brands.

9 So, we are not wanting to create the impression--
10 and I think Jerry reiterated it, but I will just repeat this
11 again--that this is kind of substandard trial. The one that
12 was proposed this morning by the IDF is far more rigorous
13 than any of the trials that licensed the current six brands
14 in the U.S market. Your other points I agree with
15 completely.

16 DR. MACIK: I didn't mean to--I don't think the
17 trial is a problem. I think it is a fine trial. I don't
18 have any problems saying it is a substandard trial. I am
19 just saying that what I fear is that your population of
20 patients are going to be used as the guinea pigs over and
21 over again, as the entryway for companies to get into the
22 market, and then your patients, when it is all said and
23 done, may not benefit because the market goes to the other
24 indications.

25 DR. WINKELSTEIN: You raise a very important

1 point, and we don't articulate this as often as we should.
2 We talk about off-label, on-label, efficacious versus non-
3 efficacious, and we should also include in the equation
4 whether there are substitute or alternative therapies short
5 term, long term.

6 For these patients, there literally is no
7 substitute or alternative therapy, whereas, for some of the
8 other indications, not all, but some of the other
9 indications, one can use an alternative therapy on occasion
10 because alternative therapies existed before the development
11 of IVIG.

12 How to control distribution is something that I
13 find very awkward to talk about because I am not sure that I
14 would know how to do it even if I had the control over the
15 distribution, and as Tom Moran said, the Foundation is not
16 especially interested in trying to prioritize use in that
17 way because we certainly want to compete for a limited
18 resource.

19 Having said that, I think that practicing
20 physicians have to remember that there is no alternative to
21 the use of this medication in these patients.

22 DR. MACIK: But it is not necessarily the
23 practicing physician that makes the rationing choice. That
24 is a problem. I guess my question is, is that we expending
25 a lot of energy about trying to get something quickly in to

1 this group, but why aren't we developing protocols for the
2 ITP as an entryway for companies to get IVIG on the market,
3 because this is a huge group that there is many more
4 patients available, and if part of the purpose of this is to
5 get product in the market, then, let's look at other ways in
6 addition to your trial that we can get product into the
7 product.

8 DR. HOLLINGER: I am going to end the discussion
9 at this point. We are at the break for this session. So,
10 we will begin again at 10:30. It is now 10:05.

11 [Recess.]

12 DR. SMALLWOOD: We are reconvening and we are
13 starting with our final topic for today.

14 Dr. Hollinger.

15 DR. HOLLINGER: Thank you.

16 The final topic for this meeting is a topic we
17 have visited before, and it was presented to us I guess it
18 was last time, and I think there were some issues regarding
19 an algorithm and putting it together again. This will be on
20 the Inadvertent Contamination of Plasma Pools for
21 Fractionation (HIV, HBV, HCV), and Review of Algorithm. Dr.
22 Tabor is going to be our only speaker today. You have three
23 hours, Ed.

24 **V. Inadvertent Contamination of Plasma Pools for**
25 **Fractionation (HIV, HBV, HCV): Review of Algorithm**

1 DR. TABOR: I always like people to get to their
2 planes on time.

3 [Slide.]

4 We have discussed inadvertent contamination at
5 BPAC three times before. In June of 1997, we discussed
6 those cases of inadvertent contamination in which a donor
7 who prior to donation tested negative for all the tests that
8 we require or recommend is discovered to, in fact, have had
9 a positive test after the plasma has been pooled.

10 At the June 1997 meeting of BPAC, the staff of
11 CBER presented data showing that the processes of
12 manufacture of plasma derivatives that includes steps to
13 remove and inactivate the viruses that were under
14 discussion, that is, HBV, HCV, and HIV, in fact, are more
15 than adequate to handle any amount of contamination, any
16 amount of virus that could be present due to an inadvertent
17 contamination, also documented that there had been no
18 transmission of any of these three viruses since 1987 except
19 for the so-called Gamma Gard incident in 1993 to 1994, and
20 no transmissions at all since 1994.

21 Then, in September of 1997, we dealt with another
22 type of inadvertent contamination, the situation in which a
23 donor who has answered in the negative to all of the donor
24 questions that are designed to eliminate donors who might be
25 infected with one of these viruses and whose plasma had

1 tested negative for all the tests that we recommend or
2 require for these three viruses, is discovered to, in fact,
3 to have answered the questionnaire incorrectly or is
4 otherwise discovered to be a member of a risk group after
5 this plasma has been pooled.

6 Again, we discussed all aspects of removal and
7 inactivation related to this type of inadvertent
8 contamination. That was in September 1997.

9 Then, in December 1998, we brought to BPAC a
10 proposed algorithm for the first type of inadvertent
11 contamination, that is, the type in which a test is
12 discovered to have been positive, and the discovery is made
13 after pooling.

14 In the discussion at BPAC in December 1998, the
15 committee made some recommendations for changes that would
16 improve the algorithm, and also in the discussion, some
17 members of the committee suggested that we modify the
18 algorithm to address the other type of inadvertent
19 contamination, the type in which a risk factor is discovered
20 after pooling.

21 Members of the committee suggested that, in fact,
22 the situation was not all that different, and in addition,
23 you have negative test results, and so today I am going to
24 be showing you some proposed algorithms for both of these
25 types of inadvertent contamination.

1 Now, as I said, we are talking only about
2 inadvertent contamination by hepatitis B virus, hepatitis C
3 virus, and human immunodeficiency virus 1 and 2, and we
4 throughout the past two years have limited our discussion to
5 these three viruses in order to be able to handle what is
6 really a very, very complex topic.

7 The reason we chose these three viruses is these
8 are viruses for which we have tests available, and they are
9 also viruses for which viral inactivation and removal
10 procedures are present in the manufacturing processes for
11 plasma derivatives.

12 As I have said, we have divided it at present into
13 two categories, those involving test issues where a test is
14 discovered to be positive, and those involving risk factors
15 or donor issues, and for these three viruses, risk factor
16 issues or donor issues are really window period issues
17 because we are talking about individuals who are negative
18 for very sensitive tests to detect hepatitis B virus,
19 hepatitis C virus, and human immunodeficiency virus.

20 So, if they have answered one of the donor
21 questions incorrectly, there is obviously in that situation
22 a small chance that they could be infected, but if the tests
23 are all negative, it means if they are infected, they are in
24 the window period.

25 [Slide.]

1 The June 1997 BPAC, the follow recommendations
2 were made by the committee. The first recommendation was
3 when notified of inadvertent contamination of a
4 fractionation pool, with units reactive for HBV, HCV, or
5 HIV, FDA should immediate and uniformly quarantine or recall
6 all products as a first step, and then determine regulatory
7 action based on an assessment of product risk, for instance,
8 the impact of virus removal or inactivation.

9 So, basically, what BPAC was recommending was that
10 we act on a case-by-case basis, and that we take into
11 account the degree to which the removal and inactivation
12 steps would impact on any virus that could be present.

13 [Slide.]

14 The second recommendation in June 1997 was in such
15 circumstances, FDA should not modify its actions on the
16 basis of product shortages.

17 [Slide.]

18 The third recommendation in June 1997 was that in
19 such circumstances, FDA should not make any distinction
20 between in-process and final products.

21 [Slide.]

22 In September 1997, again just to remind you, when
23 we were discussing the situation in which it is discovered
24 after pooling that one of the donors answered a donor
25 question incorrectly, BPAC recommended that in cases of

1 inadvertent contamination of a pool, consisting of units
2 negative for HIV, HBV, or HCV markers, containing unit from
3 a donor with a subsequently discovered risk factor, FDA
4 should determine regulatory action based on an assessment of
5 product risk.

6 [Slide.]

7 They further recommended that an assessment of
8 product risk should consider the maximum level of
9 contamination that could occur, and the capability for virus
10 removal and inactivation in the manufacturing processes for
11 the derivative.

12 [Slide.]

13 Also, in September 1997, BPAC recommended that
14 quarantine of distributed product cannot be dispensed with
15 even if there has been a record of GMP compliance by the
16 company.

17 And what they meant there--I guess I should say
18 what you meant there--was that just because the company has
19 always had a good GMP record does not mean we can assume
20 that the GMP record for this particular lot was also good,
21 that we have to look at GMPs.

22 You also recommended that a negative nucleic acid
23 test on the donor pool or subsequent test negative donations
24 by the donor could in some circumstances obviate the need to
25 destroy the product.

1 [Slide.]

2 I am going to show you some proposed algorithms,
3 and for these algorithms, we defined a positive test as a
4 repeatedly reactive screen accompanied by either a positive
5 supplemental or a situation in which the supplemental was
6 not done.

7 That would apply to tests for the following
8 serologic markers: HBsAg, Anti-HCV, Anti-HIV 1 and 2, or
9 HIV p24 antigen. We also are defining as positive, but
10 treated in a slightly different way, as you will see on the
11 algorithm, investigational tests, and at the present time we
12 are really talking about primarily NAT tests that are
13 positive on pool or minipool, or any of the serologic tests
14 applied to a pool or minipool.

15 [Slide.]

16 This is the first algorithm, and this algorithm
17 applies to whole blood, and to some extent--I realize some
18 of you in the back cannot see this--I assume that all of the
19 committee members received a copy of this with your packet.
20 Unfortunately, those of you in the back will either have to
21 move up or just listen. We are not permitted to distribute
22 this at this stage of its development.

23 A positive test is discovered, and there are two
24 footnotes there. The first footnote, Footnote A, states
25 that, "Anytime a confirmed positive test result is belatedly

1 found on an individual unit, the unit must be destroyed if
2 it has not yet been pooled." That makes sense obviously.

3 Footnote B is, "if the positive is a result from
4 testing a pool, the result should be repeated to verify that
5 it is correct."

6 Well, if this unit has not yet been transfused, it
7 should be destroyed and if it has already been shipped, the
8 consignee should be notified to destroy it. If it is the
9 result of a positive, using a licensed test, the donor
10 should be deferred, prior collections should be quarantined,
11 and if it involves HCV or HIV, a lookback should take place.

12 There is a footnote there, which is Footnote H,
13 and for the purposes of these algorithms, I am using the
14 word "lookback" to indicate both lookback and recipient
15 notification.

16 If the unit has already been transfused, the
17 recipient should be notified, the donor should be deferred
18 obviously if it involves a licensed test, prior collections
19 should be quarantined, lookback should occur if it involved
20 HCV or HIV, and if recovered plasma has been shipped, the
21 consignee should be notified and you should then follow the
22 second algorithm, which is the algorithm for plasma.

23 In a minute I am going to show you portions of
24 this to make it easier to follow, but I am showing you this
25 way.

1 Is it possible to focus that slightly?

2 DR. HOLLINGER: I don't think it matters, Ed.

3 [Laughter.]

4 DR. TABOR: At some level, we would all be happy
5 not to do algorithms, but the blood and plasma community
6 want them. I think we have got a workable algorithm here,
7 and those of you who have copies can follow the printed
8 copies.

9 [Slide.]

10 This is the algorithm for a positive test
11 discovered on plasma. I am going to divide it up into three
12 parts for discussion, the first part being the top portion,
13 and then I am going to show you the lower left 60 percent,
14 and then the lower right 60 percent.

15 Well, when a positive test is discovered on a pool
16 or a unit of plasma, again, the same footnotes A and B that
17 were on the previous algorithm, the most important being A,
18 that if the units has not yet been pooled, it should be
19 destroyed. That is also shown over here.

20 If it has not yet been pooled, it should be
21 destroyed. If it is a licensed test, the donor should be
22 deferred, prior collections quarantined, and lookback for
23 HCV and HIV.

24 If the unit has been pooled or processed, the pool
25 or the products should be quarantined, and if it has been

1 shipped, the consignee should be notified to quarantine the
2 products.

3 We are now looking at the lower lefthand portion
4 of the algorithm. If a sample of the original unit is
5 available, and a supplemental test has not been done, we are
6 suggesting that it is possible to perform a belated
7 supplemental test on the original unit.

8 Obviously, if the supplemental test has been done
9 and it is either positive or indeterminate, then, the donor
10 should be presumed to be infected for the purposes of this
11 algorithm, but if it has not been done, and a sample of the
12 original unit exists, a supplemental test can be done now.
13 If it is negative, it is assumed that the screening test was
14 false positive, and the pool or product can be released.

15 If the supplemental test is positive or
16 indeterminate, one must assume that for the purposes of this
17 process that the donor was infected. If it was a licensed
18 test, the donor should be deferred, prior collections
19 quarantined, and lookback should be done in the cases of HCV
20 and HIV.

21 At this point, a comprehensive GMP evaluation
22 should be done. Footnote D is there to define what we mean
23 by a comprehensive GMP evaluation. A comprehensive GMP
24 evaluation should be done by the fractionator to verify that
25 virus removal and inactivation occurred. GMP inspection by

1 FDA would be done as needed. Fractionators will send
2 reports to FDA listing all GMP evaluations that were
3 conducted because of inadvertent contamination.

4 If the GMPs were shown to have been followed and
5 virus inactivation and removal steps have been reliably
6 done, then, the pool or product can be released. This is
7 based on the data that I presented in the June 1997 BPAC and
8 updated in December 1998, showing that the virus removal and
9 inactivation steps that are currently in place for all
10 products licensed in the United States are adequate to
11 inactivate or remove more than the amount of virus that
12 could be present due to inadvertent contamination by any of
13 these three viruses.

14 If the GMPs are not adequate, in general, the pool
15 or product should be destroyed and a recall issued. There
16 is a footnote, footnote F. . There are some cases in which
17 reprocessing of the pool or product is permitted in
18 situations approved by FDA, so in those situations,
19 reprocessing would be permitted.

20 [Slide.]

21 I am now moving to the lower righthand portion.
22 This portion deals with a positive on an investigational
23 test or other situations in which the original samples was
24 not available for retesting.

25 If the positive was on an investigational test,

1 and footnote C there states that disposition of the unit and
2 the status of the donor should take place or should be
3 evaluated as defined in each IND.

4 So, what you have to do with the positive unit and
5 what you have to do with the donor would have been
6 determined in discussions with FDA at the time that the IND
7 was approved.

8 If there is a positive on an investigational test
9 or an indeterminate result, it should be in situations where
10 an indeterminate can exist, the donor should be presumed to
11 be infected and then you enter the GMP portion of the
12 algorithm again, you should quarantine prior collections and
13 lookbacks occur in cases where HCV or HIV are involved.

14 If a supplemental test had already been done,
15 obviously, you would consider the donor infected. If a
16 supplemental test had not been done on the original sample,
17 and no sample of the original was available, we are
18 suggesting that it is possible to test a later sample for
19 all appropriate tests for the virus in question.

20 I guess an example of this would be, for instance,
21 suppose you had a repeat reactive for anti-HCV, but no
22 supplemental test was done, and no original sample was
23 available, but the material from the donor was already in a
24 pool or final product, if you were able to locate the donor,
25 bring the donor in, and do all available tests, all tests

1 that were scientifically thought to be reasonable to
2 determine that this donor had never had that virus in
3 question, that we would agree to look at that as a possible
4 way to lead to further consideration of that pool.

5 If all of these tests on the later sample were
6 negative, and the virus in question was HCV or HIV, you
7 would assume that the original test was a false positive,
8 and the pool or product should be released.

9 However, if all the tests on the later sample were
10 negative, and the virus in question was HBV, we feel that
11 you would still have to enter the GMP evaluation cycle, and
12 the reason for that is given in footnote E, which states
13 that, "Regardless of the results of HBV testing of a later
14 sample, the remote possibility of a 'silent HBV infection'
15 makes it necessary to verify that GMPs were followed."

16 Those of you who are familiar with the literature
17 are aware that at least in certain cases, there have been
18 reports of the detection of HBV DNA in individuals who did
19 not have hepatitis B surface antigen. Most of those reports
20 have been in liver cancer patients, but there are some other
21 reports that suggest that in order to be certain that any
22 remote possibility of HBV being present was properly dealt
23 with, that you should enter the GMP evaluation cycle to make
24 sure that any virus that could have been present was
25 properly inactivated or removed.

1 So, again, in situations where you have a positive
2 investigational test, a positive supplemental test, or if no
3 supplemental test is present, but no later samples available
4 for testing, or were later sampled, was being tested and all
5 results were negative, but the virus in question was HBV,
6 you would then do a comprehensive GMP evaluation of the same
7 type I described before, that is, comprehensive GMP
8 evaluation by the fractionator to verify the virus removal
9 and inactivation steps, and in some cases where felt
10 necessary, FDA would do a GMP inspection, and the reports
11 would be sent to FDA by the fractionators for GMP
12 evaluations done for this purpose.

13 If the GMPs are adequate, the pool or product can
14 be released; if not adequate, they should be destroyed
15 except where reprocessing is permitted, and a recall should
16 be issued.

17 Now, I am going to go ahead to the next algorithms
18 and we can come back to these for discussion.

19 [Slide.]

20 The algorithms for risk factors or risk factor
21 type inadvertent contamination are a little simpler. This
22 is the algorithm for dealing with the discovery of a
23 positive risk factor on a unit of whole blood which could
24 involve recovered plasma, and when a risk factor is
25 discovered, if the unit has not yet been transfused, the

1 unit should be destroyed or any consignee should be notified
2 to destroy it. The donor should be deferred, and there is a
3 footnote there, footnote G.

4 "The donor must be deferred. In addition, if the
5 donor can be located, all licensed tests for markers of HCV
6 and HIV should be done on a newly obtained serum sample. If
7 any tests for HCV or HIV are positive or indeterminate,
8 lookback should be conducted, and all prior collections for
9 this donor should be quarantined."

10 If the unit has already been transfused, the
11 recipient should be notified, the donor deferred, and in
12 circumstances that I just described, under footnote G, there
13 are some situations where lookback should be done,
14 quarantine of prior collections should take place, and any
15 consignees of recovered plasma should be notified.

16 [Slide.]

17 Then the algorithm for dealing with plasma should
18 be addressed. For source plasma units or recovered plasma
19 units in which it is discovered that a risk factor is
20 present that was not picked up during an initial questioning
21 of the donor, as I said, if it has not yet been pooled, the
22 unit should be destroyed, and other appropriate steps taken.

23 But if the unit has been pooled or processed, the
24 pool or product should be quarantined, and if the final
25 products have been shipped, the consignee should be notified

1 to quarantine the products.

2 The donor should be deferred, and if he can be
3 brought back for obtaining a later sample, further testing
4 should be done and if the tests are positive for HCV or HIV,
5 lookback should take place. Quarantine of prior collections
6 should occur, a comprehensive GMP evaluation should be done
7 by the fractionator with inspections by FDA as needed. If
8 the GMPs are adequate, the pool or product can be released.
9 If the GMPs are not adequate, the pool or product should be
10 destroyed and a recall issued.

11 [Slide.]

12 Now, we are in a very rapidly moving field here,
13 as you heard yesterday. The situation is changing more
14 rapidly than at any previous time in the history of
15 regulation of blood products, and we now have nucleic acid
16 testing of minipools being done under IND. I think at some
17 point in the future we are going to have nucleic acid
18 testing of individual units when the technology is available
19 and affordable.

20 When nucleic acid testing of minipools becomes
21 licensed, and when nucleic acid testing of individual units
22 is possible and licensed, we are going to have to reevaluate
23 these paradigms. We are going to have to have modifications
24 made to the algorithms.

25 I think that the use of nucleic acid testing of

1 minipools that is going on today and will increase during
2 the course of 1999 is going to greatly reduce the incidence
3 of cases of inadvertent contamination, and we will modify
4 these algorithms as needed in the future.

5 [Slide.]

6 I have the questions for the committee here, but
7 basically, we are asking the committee in two questions
8 whether these algorithms are appropriate. So, rather than
9 my reading the questions at the moment, let me just go back
10 to the algorithms and beginning with the algorithm for the
11 situations where there is a positive test discovered after
12 pooling, and ask the committee if there is any discussion
13 you would like to offer and any suggestions you would like
14 to make for further modification of the proposed algorithm.

15 DR. HOLLINGER: Ed, before we could do that, we
16 have one person in the open public hearing who wants to
17 speak, and then we can go through that, and then I think we
18 can come back. Is that all right with you?

19 DR. TABOR: That is fine.

20 DR. HOLLINGER: There is one person who asked to
21 speak from the IPPIA, Jason Bablak.

22 **Open Public Hearing**

23 MR. BABLAK: Good morning. My name is Jason
24 Bablak. I am Director of Regulatory Affairs for the
25 International Plasma Products Industry Association.

1 Our members produce approximately 80 percent of
2 the plasma derivatives for the U.S. market and approximately
3 60 percent worldwide.

4 I would like to briefly address the subject of
5 inadvertent contamination and respond to at least the second
6 half of the FDA proposed algorithm.

7 The subject of inadvertent contamination has been
8 discussed by this committee several times. Today, I would
9 like to limit my comments to the second part of Dr. Tabor's
10 discussion.

11 I would like to talk today about instances when a
12 fractionation pool contains a unit from a donor with a
13 subsequently discovered risk factor that would have
14 disqualified him had it been known at the time of donation.

15 A couple of things I would like to point out about
16 these. First of all, it is important to keep in mind that
17 all these units have passed all the serological screening
18 tests in full compliance with FDA regulations, and these
19 tests include hepatitis B surface antigen, antibodies to
20 HIV-1 and 2, the HIV p24 antigen test, and antibodies to
21 hepatitis C.

22 I would like to also say that our members are
23 implementing nucleic acid testing for these viruses under
24 the FDA's IND procedure, and also that it is important to
25 point out that each manufacturer has robust procedures in

1 place that are designed to ensure that documentation for all
2 released lots has been thoroughly reviewed to assure quality
3 control and adherence to good manufacturing processes.

4 As part of the safety process in plasma
5 manufacturing, all donors are asked a series of questions
6 and must pass a brief physical exam prior to donating in an
7 effort to assure the collection of high quality plasma and
8 to screen for any potential risk factors.

9 Donor screening is the first of many safety layers
10 throughout the manufacturing process and works to limit
11 potential viral contamination by eliminating donors who
12 theoretically could be in the early stages of infection as a
13 result of certain risk factors.

14 Our industry has further enhanced this safety
15 layer by introducing the qualified donor standard which
16 ensures that every donor has tested negative at least two
17 times before his plasma is used for further manufacturing.

18 Occasionally, these risk factors are not
19 discovered until after a donor has already donated. The
20 discovery of these risk factors after a donation, also known
21 as post-donation information, can happen in many ways.
22 These can include instances where the donor answers a
23 screening question differently or otherwise identifies a
24 risk factor during a later donation that would have excluded
25 him from making the previous donation had that information

1 been known at the time.

2 Once this information becomes available, the firm
3 will perform a lookback to identify units affected by this
4 information. FDA regulations require that firms perform a
5 lookback of up to 12 months depending on that particular
6 situation, and that is used to identify all units with that
7 particular post-donation information report.

8 For source plasma donors who are permitted to
9 donate up to two times per week, this can equate to a large
10 number of units that need to be traced even though all these
11 units have already tested negative.

12 All the units and inventory are captured and
13 removed from further processing before they are pooled. The
14 introduction of our voluntary 60-day hold allows us to
15 capture many more units than we did before this was
16 instituted.

17 Additionally, the commitment of our industry to
18 perform nucleic acid testing further reduces the potential
19 of infectious but undetectable window period units from
20 entering the manufacturing process.

21 The processing of plasma derivatives includes
22 steps that remove or inactivate certain viruses that may be
23 serologically undetectable. These virus inactivation
24 procedures are so robust that the epidemiological data shows
25 no plasma derivative produced by a U.S. manufacturer,

1 licensed manufacturer, has transmitted HIV, hepatitis B, or
2 hepatitis C since the introduction of the current procedures
3 in use by our members.

4 Our members also follow strict procedures for
5 release of finished products including a comprehensive
6 quality review of the entire record for each lot. A typical
7 batch release will involve the review of thousands of data
8 points collected throughout the manufacturing process.

9 The final product review encompasses all process
10 parameters, in process and final specifications, testing and
11 compliance with validation and FDA licensing requirements
12 including all the data related to the viral inactivation
13 processes that I just described.

14 After that, all products are subject to release by
15 CBER, as well. Only products that meet all final
16 requirements are distributed by a company.

17 Dr. Tabor just presented an algorithm describing
18 actions that he believes need to be taken when instances of
19 post-donation information are discovered including
20 quarantining lots affected by a post-donation information
21 report pending another GMP investigation.

22 In an effort to understand how this recommendation
23 would affect the supply of these products, our members
24 collected data on the frequency of post-donation information
25 reports and the number of units, manufacturing pools, and

1 final products that would be affected by this policy.

2 The total number of post-donation information
3 units is small relative to the total number of units
4 processed by our members. It is somewhere around 2700ths of
5 a percent of the total units collected and processed.

6 As everyone knows, it is necessary to pool plasma
7 units in order to manufacture plasma derivatives. Our data
8 shows that through this process, one post-donation
9 information unit could easily be linked to many
10 manufacturing pools, leading to nearly 100 percent of final
11 lots being affected at some point during the life of that
12 particular product.

13 Under the plan for quarantining these lots,
14 virtually all the plasma derivatives manufactured by our
15 members will be placed on quarantine and unavailable for
16 patient use pending the result of the GMP inspection.

17 As I stated earlier, each company reviews the
18 batch records for each lot as part of a comprehensive
19 quality assurance program. Firms also monitor quality
20 through its lifetime by stability testing program and by
21 investigating product technical complaints and reported
22 adverse clinical experience. The FDA also releases each lot
23 before it is distributed to patients.

24 These release procedures employed by our members,
25 when combined with the viral clearance procedures included

1 in the manufacturing process, provide concrete assurance of
2 product safety as the epidemiological data presented in the
3 past has shown.

4 The additional measures discussed here will not
5 add to the safety of these products. They will, however,
6 have a significant impact on the availability of these
7 products for patients.

8 Thank you and I would be happy to answer any
9 questions the committee may have.

10 DR. TABOR: Blaine, could I add something? The
11 information supplied by IPPIA is very interesting and I
12 think will come into your discussion, but I want to
13 emphasize one semantic point.

14 We are not introducing really any new procedures
15 here. Inadvertent contamination is an ongoing problem that
16 we have had to deal with throughout several decades at FDA,
17 and what we are trying to do is have some kind of organized
18 way of dealing with it that is acceptable to the Advisory
19 Committee and to the public.

20 So, what we have got here is a proposed algorithm,
21 but it is a proposed algorithm. We are not really proposing
22 new procedures as such. The algorithm is really there for
23 discussion.

24 DR. HOLLINGER: Mr. Bablak, does your organization
25 feel that there is something different than what Dr. Tabor

1 has just said, because I think that is correct, what he has
2 proposed is just trying to look at an algorithm to do this.

3 Do you see anything different?

4 MR. BABLAK: I think one of the major differences
5 that we are concerned about, and maybe it hasn't been
6 discussed completely, is the issue of putting the products
7 or the pools on quarantine until such additional actions are
8 taken.

9 If you are talking about products that are
10 released, putting them on quarantine, I think, one, equates
11 to a recall, and secondly, if you are putting them on
12 quarantine before they are released pending some additional
13 inspection of either GMP or viral clearance procedures,
14 then, there will be an additional delay in releasing these
15 products.

16 DR. HOLLINGER: Just a question and then Dr.
17 Epstein wants to say a note here. You don't put products on
18 quarantine, then, if you get post-donation information
19 anyway?

20 MR. BABLAK: I think what happens right now is
21 that each is done on a case-by-case basis, and certainly
22 products that have been released are not recalled at this
23 time.

24 DR. HOLLINGER: I think he is talking about
25 quarantine, not recall right now.

1 MR. BABLAK: There is no mechanism for
2 quarantining already released products.

3 DR. HOLLINGER: Okay. Understood.

4 DR. EPSTEIN: I think that the algorithm that is
5 being proposed does suggest an extension of current policy
6 because it is lumping all risk factors. I think that what
7 Dr. Tabor said is correct, that there have been occasions
8 where we have taken these kinds of actions based on risk
9 factors.

10 It is just that the algorithm lumps them all. So,
11 for example, we had a situation in which there was post-
12 donation information that a donor who was screened negative
13 had donated red cells, platelets, and plasma, and his red
14 cells and platelets transmitted HIV.

15 At that point, we had risk information about the
16 plasma even though it was a marker-negative donation, we
17 knew for a fact that that was a window period donation. So,
18 although it was not marker-positive and would fall in the
19 risk factor category, we felt that we should be taking all
20 of the stringent steps that are outlined in the algorithm.

21 On the other hand, I think what is disturbing
22 IPPIA and as stated by Mr. Bablak, is that if we lump all
23 risk factors into that category, then, you have a different
24 scenario because of the probability that the donor is in the
25 window may, in fact, be very low based on some of the risk

1 factor information compared to other risk factor
2 information.

3 So, I think that the answer is that everybody is
4 right. What Mr. Bablak is saying is, well, aren't we
5 applying case-by-case decisionmaking, and that is true, and
6 what Ed is saying is aren't we applying this algorithm to
7 risk factor, and sometimes that is also true.

8 So, I think that the trick here is that we need to
9 have a little bit of clarity about when is a risk factor a
10 trigger for this, is it always a trigger for retrieving all
11 prior components and notifying the donor and quarantining
12 the products, and doing recalls, et cetera. That may be
13 overkill even though it is sometimes exactly what we want.

14 DR. HOLLINGER: I suppose it would be similar to
15 the fact if somebody called up and said three days later or
16 four days later that he had eaten at a restaurant where now
17 everybody has got hepatitis A, and he ate the same thing
18 everybody else did. That is a little different than if he
19 said I had hepatitis, I forgot to tell you, but I had
20 hepatitis back in 1965 or 1970 possibly. I mean those would
21 be a comparable type thing where you would have to look at
22 it individually.

23 DR. TABOR: I would suggest that for the
24 discussion that we take up the algorithms dealing with
25 positive tests first because you have seen those, and it

1 ought to be possible to dispense with those more rapidly
2 than the ones for risk factors which you have not seen
3 before and which are really being presented for the kind of
4 discussion that Mr. Bablak is bringing us.

5 DR. HOLLINGER: We can close the public hearing.
6 Is there anyone else in the audience who wishes to speak
7 during the time that is open for public hearing? If so,
8 please identify yourself. If not, we are going to close the
9 public hearing and then we will get started with the
10 discussion.

11 DR. MITCHELL: I have a question for Mr. Bablak.

12 DR. HOLLINGER: We can go back and ask the
13 questions. I just want to make sure that there is not
14 someone else here from another organization who wants to
15 speak.

16 If not, then, I am going to close the public
17 hearing portion of this, and we can still ask questions of
18 everyone as we have done in the past.

19 **Committee Discussion**

20 DR. HOLLINGER: I guess the question is, Ed, you
21 would like to at least go over the algorithms first and deal
22 with those initially, and then come back and open it up?

23 DR. TABOR: I think anything is okay, but I do
24 think if we divide them into the ones you have seen before
25 and the ones you haven't seen before, maybe we can be more

1 likely to cross one of them off our list.

2 DR. HOLLINGER: Burning questions here with a
3 couple of committee members, or actually there is three,
4 three burning questions here among this group.

5 Go ahead, John.

6 DR. BOYLE: I just wanted to clarify we are only
7 talking about risk factors for HBV, HCV, and HIV, we are not
8 talking about, for instance, a risk factor for CJD that
9 comes up later?

10 DR. TABOR: That is correct. The topic is just
11 too broad and complex to deal with that. If you approve
12 these algorithms as written, unfortunately, that will mean
13 that we will probably come back to you with the other
14 category next time.

15 DR. HOLLINGER: So, again, the risk factor you
16 have dealt with here is just hepatitis and HIV risk factors.

17 DR. TABOR: Just hepatitis B and C and HIV.

18 DR. HOLLINGER: Post-donational risk factors
19 information.

20 DR. TABOR: That is correct, and hepatitis A would
21 be in the other category.

22 DR. HOLLINGER: Dr. Nelson.

23 DR. NELSON: What do you regard as a supplemental
24 test for hepatitis C? Is it RIBA and PCR or the cutoff,
25 signal to cutoff?

1 DR. TABOR: What was intended was a licensed
2 supplemental test, not talking about cutoffs which are
3 really only supplemental for the purposes of the HCV
4 lookback. We are talking about a licensed supplemental
5 test.

6 DR. NELSON: The last time I tried to check on the
7 RIBA-II, it was over \$200. It is useless.

8 DR. TABOR: The RIBA-II kit, the price charged by
9 a manufacturer for the RIBA-II kit to people who do not get
10 a government or university discount, I think is something
11 like \$4,000 for a kit that tests 28 samples and has a couple
12 of controls.

13 DR. NELSON: I took it out of the grant at that
14 point.

15 DR. TABOR: Nevertheless, these kits are being
16 used by blood banks and plasma collectors. I mean they are
17 already being used, and they should be used when you have a
18 repeat positive screening test in order for the donor to
19 know whether they are infected or not.

20 DR. HOLLINGER: Dr. Stroncek.

21 DR. STRONCEK: On your algorithm, you have some of
22 these arms where you won't do an inspection to look at
23 CGMPs, but will the FDA know somehow when a manufacturer has
24 released product based on this algorithm through the error
25 and accident report?

1 The second question is how broad is the intent to
2 use this? I am not sure if this happens anymore, but in the
3 old days the issues were an FDA inspector would come out and
4 look at records and say for various reasons, well, the blood
5 center had a run for infectious disease testing that they
6 didn't think was valid, so basically, then, those test
7 results are considered positive and you have to recall the
8 plasma.

9 Could you use this algorithm to bring those donors
10 back? To me, that is a different situation.

11 DR. TABOR: First of all, let me answer and then
12 maybe ask Dr. Epstein to comment, as well.

13 In the discussion at BPAC in December, we touched
14 on the GMP issue a little bit, and in our discussions in
15 FDA, we came to the realization that to have actual GMP
16 inspections by FDA in each and every case would just be
17 completely impossible. We are in a situation with markedly
18 reduced resources in FDA.

19 We have had a couple of years running now with 25
20 to 33 percent budget cuts. We do not have the inspectors to
21 do that, and it would prevent all the other inspections, and
22 everything would come to a total standstill.

23 So, as a result, we added in the footnote that we
24 expected the fractionator or whoever was involved to look at
25 their own records to make sure that there were no GMP

1 violations in steps that would affect virus removal or
2 inactivation.

3 They would report to us, and they would have a
4 very short time frame on the reporting as they do for error
5 and accident reporting, and it would be up to us whether we
6 required an inspection.

7 DR. HOLLINGER: This is a real travesty. As Ed
8 has said, you know, I mean we are asking the FDA to do more
9 and more things, and giving them less and less money. I
10 mean this is really ridiculous, and we sit here at these
11 meetings all the time talking about the importance of these
12 things, like GMP, and stuff like this, and then cut the
13 budget for the FDA without cutting budgets for other
14 organizations. It's crazy.

15 Mark, did you have something?

16 DR. MITCHELL: Yes, I had a couple of things. One
17 is to follow up on that. How often now do you do an
18 inspection for GMP, and are they regular or are they
19 irregular?

20 DR. TABOR: I would like to get someone else to
21 answer that. I don't know if anyone from Compliance in the
22 audience, probably not. Can I ask Dr. Epstein to answer?

23 DR. EPSTEIN: The requirement under statute is
24 every two years, biannually, however the compliance program
25 that we have in place does adjust the frequency according to

1 the compliance history of the firm. I am just talking about
2 routine periodic inspecting. There are also for-cause
3 inspections that are done as needed.

4 Also, in the last several years, we have been
5 doing more frequent inspecting of the fractionators because
6 of the recognition that there was an industrywide problem
7 with GMP compliance. So, the general answer is that
8 certainly prospectively, it would be no less than every
9 other year, however, the reality is that they have been a
10 lot more frequent in the last couple of years, and that will
11 go on until the GMP problems are resolved.

12 DR. HOLLINGER: Dr. Khabbaz.

13 DR. KHABBAZ: I have a couple of questions
14 relating to the risk factor algorithm.

15 DR. TABOR: It would be nicer to discuss the
16 positive test algorithms first, if that is possible.

17 DR. HOLLINGER: Dr. Bianco?

18 DR. BIANCO: I had a question that is a
19 contribution, it is not part of a public statement, or an
20 attempt to make a contribution.

21 I think that a concern that all of us have is on
22 how you translate an algorithm to a compliance action. What
23 I was going to suggest is that as the discussion goes on, we
24 turn the charts that Dr. Tabor has created upside down.

25 Essentially, the most important step in all that

1 is not to go through indeterminate or positive test results,
2 or this and that, it is the statement that he made that if
3 you achieve compliance, it's okay, that the product is okay
4 in most of those situations.

5 So, that probably should be the first step, and
6 then if it is documented to the satisfaction of the agency,
7 and obviously under the eyes of the public, everything else
8 becomes much smaller, and all the running around with
9 quarantines and product recalls, and all that becomes much
10 more reduced.

11 This is probably that is where the resources
12 should be if there is a need for the agency to make those
13 inspections, but I am sure that the fractionators and the
14 other organizations that provide, for instance, the
15 recovered plasma in the blood centers have the
16 responsibility to have their own staff and their own quality
17 programs to do those CGMPs to the satisfaction of the
18 agency.

19 DR. HOLLINGER: I would tend to agree with you if
20 one could have the personnel at the FDA to do the GMP
21 testing, and as Ed has mentioned in one of his slides, the
22 fact that someone has been in compliance before doesn't
23 necessarily mean that they are complying at the present
24 time, so if you could start something initially and
25 eliminate it even before you get to which I would say more

1 labor intensive efforts, then, I think it would be a better
2 approach.

3 DR. BIANCO: The fractionators certainly could
4 contribute to that effort.

5 DR. HOLLINGER: Dr. Koerper.

6 DR. KOERPER: I just wanted a clarification on the
7 HBV part of it where--

8 DR. TABOR: Do you want me to put on the slide?

9 DR. KOERPER: Yes, please.

10 DR. TABOR: On this part?

11 DR. KOERPER: Right, over there where it says
12 "HBV. Evaluate GMPs." So, this is a situation where a
13 positive HB surface antigen test--

14 DR. TABOR: Essentially, yes. Confirmatory tests
15 had not been run.

16 DR. KOERPER: But what you are saying is,
17 following that line, all tests are negative. So,
18 subsequently you retest.

19 DR. TABOR: Let's say you had someone--and we do
20 see these kinds of reports where presumably to save money at
21 a blood bank, and there is a positive HbsAg, but a
22 confirmatory test was not run, and there is no remaining
23 samples, what we are saying is you can get a later sample
24 that is negative for every hepatitis B marker, it is
25 negative for surface antigen, anticore, anti-Hbs, nucleic

1 acid testing is negative, it is really unlikely that that
2 person ever had hepatitis B, however, because it is
3 hepatitis B, and there are now some reports of silent
4 infections with hepatitis B, we feel that we still need to
5 look at GMPs in the case of hepatitis B, whereas, with
6 hepatitis C virus, if you had a repeat reactive anti-HCV by
7 EIA, but a RIBA test was not run, you could take a later
8 sample from the same individual and if they were nucleic
9 acid test negative and anti-HCV negative, you could assume
10 that they had never had hepatitis C virus and that you had a
11 false positive.

12 DR. KOERPER: But I haven't had a chance to ask my
13 question. My question is, could someone who not only was
14 missing all markers for hepatitis B infection, also the
15 nucleic acid test was negative? That was my question. If
16 the NAT test is also negative, can you still call that a
17 silent carrier? Where is the virus?

18 DR. HOLLINGER: I would agree with what you are
19 saying. I think, Ed, to me if somebody had a positive test,
20 but you couldn't confirm it, and you came down and got a
21 later specimen, and they were not anti-HBC-positive--

22 DR. TABOR: Or anti-HBs-positive.

23 DR. HOLLINGER: Or anti-HBs-positive, well, anti-
24 Hbs, I really wouldn't mind, but if they weren't anti-HBC
25 positive, I don't know of any patient in that--I have not

1 seen any data that would suggest that that patient is at
2 risk for being infected with hepatitis B at the time. Then,
3 you would say you are talking about somebody--and I know
4 about the silent infections that you are talking about.
5 There is a lot of controversy about that issue. As Dr.
6 Koerper said, if you did HBV DNA, I mean you would have to
7 establish it. I don't know of any transmission in that
8 group.

9 DR. TABOR: What you are saying is--and I think it
10 is probably a good point--you have someone who was HBsAg
11 positive before on the donation without a confirmatory test
12 being done, you bring them back in, and they are negative
13 for HBsAg, they are negative for anticore and anti-HBs,
14 showing that they have never seen the virus before, and in
15 addition, their nucleic acid test negative, you should be
16 able to release the unit or the product.

17 DR. KOERPER: Why wouldn't that go over with the
18 HCV and HIV as a false positive?

19 DR. TABOR: It should.

20 DR. HOLLINGER: On this first one, the positive
21 test, plasma, are there any questions about the algorithm on
22 that particular algorithm? Dr. Fitzgerald.

23 DR. FITZPATRICK: I just had a question on the GMP
24 evaluation. Dr. Epstein just said there is a widespread GMP
25 problem in the industry, but because of lack of resources,

1 FDA has to rely on the industry to do their own evaluation.
2 It seems to be a big conflict.

3 DR. EPSTEIN: That isn't what I meant. We have
4 been intensively inspecting the plasma fractionators and
5 have been taking compliance actions, as appropriate, and
6 have been reinspecting in follow-up to warning and
7 injunctions, and have been rather intensive in our
8 oversight.

9 What I think has been said and correctly by Ed is
10 that we do not have the resources to do a for-cause
11 inspection each and every time there is going to be a report
12 of a positive result or a risk factor. However, we have
13 done those without hesitation anytime we have felt that
14 there was a threshold of seriousness, for example, HIV
15 positivity reported in a fractionation pool. So, I think
16 there is some gap of understanding here. What we are
17 talking about is that we don't have the resources to inspect
18 every single case of a report, however, we do have the
19 resources, and have applied the resourced, to address the
20 overall GMP status in the industry.

21 DR. HOLLINGER: Dr. Buchholz.

22 DR. BUCHHOLZ: I wonder if we could have an idea
23 of the number of events which occur in a given time period,
24 say, a year, in terms of a unit being found to be positive
25 or a donor found to be associated with a risk factor. Is

1 this 1,000 times a year, is it 10 times a year?

2 DR. TABOR: It is a small number of times a year,
3 I can't give you an exact number. I think we may have
4 discussed that in December, but I don't remember the details
5 with regard to positive tests. The information with regard
6 to risk factors, I know Mr. Bablak has. Maybe he has the
7 answer with regard to positive tests also.

8 MR. BABLAK: Actually, I don't have it for
9 positive tests. Certainly that is something we can figure
10 out. I think it is significantly lower. But for the risk
11 factors, and it is important I think here to point out that
12 the data that we collected, and the way it is being talked
13 about right now includes all risk factors for the hepatitis
14 viruses or HIV, which include anything from getting a
15 tattoo, getting your ear pierced or any other part of your
16 body pierced to more possibly concerning types of risk
17 factors.

18 But for us the data was there were about--and I
19 don't have the reports, but I have the units that were
20 involved in this, and for our four member companies, it was
21 about 19,000 units over a calendar year.

22 DR. TABOR: First of all, I want to thank Mr.
23 Bablak for bringing that data because that is the sort of
24 information that is very useful in a discussion like this
25 especially when we get to the risk factor algorithms, but

1 there is no question that the number of situations where a
2 positive test is discovered is far lower than that.

3 MR. BABLAK: I would imagine it is magnitudes of
4 10 lower.

5 DR. TABOR: Certainly, they should be reported to
6 FDA, and we certainly see a very small number each year, but
7 nevertheless, it is something that we have to deal with
8 repeatedly.

9 Dr. Epstein, would you agree with that?

10 DR. EPSTEIN: Yes. Also, the numbers have gone
11 down. There was a period in time when we were finding on
12 inspection various compliance problems that led us to
13 conclude that marker reactive unit had been pooled, and
14 these were things, for example, that we would call testing
15 into compliance where there was a reactive screen, but
16 additional testing, and the center decided the unit was okay
17 based on multiple negative tests after the initial screen,
18 and we would have regarded that as marker positive donation.

19 But I think that the bottom line is that true
20 events are infrequent, that it is a handful in a year's
21 time, certainly less than a dozen, perhaps less than six,
22 and it has also been less frequent in recent years.

23 DR. STRONCEK: Jay, would this algorithm be
24 appropriate for these--my understanding is everything has
25 got to be in compliance, so if you had someone "testing into

1 compliance," you couldn't use this algorithm then or you are
2 avoiding this question, and not really sure yet?

3 DR. EPSTEIN: Well, I think the subtlety always
4 comes in trying to figure out what you are dealing with. I
5 think the bottom line is that if we think that a marker
6 positive unit was pooled, we would apply the algorithm.

7 Now, when does that come in play, you know, we
8 deal with myriad variations of testing errors and accidents,
9 you know, wrong volume pipetted, problem with a reagent,
10 scheme not followed, no supplemental test, I mean there are
11 myriad variations on this.

12 DR. STRONCEK: Now, you said that. This isn't
13 just window period contamination, this could be a unit then
14 that got through that--

15 DR. TABOR: The issue of window period
16 contamination is the risk factor, the risk factor algorithm.
17 Here, we are talking about positive tests.

18 DR. STRONCEK: If it is a positive test on a unit,
19 and then you are recalling a unit that was collected six
20 weeks ago, and maybe this would apply, but this algorithm is
21 for--

22 DR. TABOR: No, this is not for a recall of a
23 prior collection necessarily. I guess I hadn't thought
24 about that.

25 DR. EPSTEIN: I would say that a lookback unit

1 would be a risk factor unit, because that is not a unit that
2 had a positive test.

3 DR. STRONCEK: I am confused then. If you get a
4 real positive unit through, then, there has been a violation
5 of CGMP, so if there has been a violation of GMP, then, are
6 you saying that there would be no other violation of GMP?

7 DR. TABOR: What we are talking about is a kind of
8 event that does occur, and it isn't always because people
9 are doing something wrong. There are, as you know, a
10 certain percentage of human errors in every large number of
11 laboratory evaluations. There are also situations that Dr.
12 Epstein was referring to where I guess those are GMP
13 violations, but it may have been one test run where there
14 was a violation.

15 DR. EPSTEIN: I think that what you are pointing
16 out is that pooling of a positive unit shouldn't happen
17 according to the schemes we have in place, and you are
18 correct, but the GMP error or deviation may have happened at
19 a very different level of the system than the fractionator,
20 so, you know, you might have had an error at the level of
21 the collector, and yes, there was a GMP breach, and yes, we
22 want to find it and correct it, nonetheless, the
23 fractionator is now in the situation of having processed or
24 fully manufactured a positive unit.

25 So, I think it is not such a helpful distinction

1 to ask whether we should separate GMP deviations as a set
2 from positive units as a set. Yes, if a positive unit gets
3 in, generally, there was a GMP breach somewhere, but still
4 you have the situation to deal with.

5 Now, sometimes what happens is new discovery. For
6 example, we had a situation in which a European control
7 authority introduced a novel test on the plasma pool and
8 made a discovery of antibody positivity. The fractionator
9 was testing both their units and their pools for HIV
10 antibody, however, it turned out that there was disparate
11 sensitivity of the assays, and we never did find the
12 contaminating unit, but we were able to confirm that there
13 was antibody in the fractionation pool just by looking at a
14 different assay.

15 So, you know, you can get into situations where it
16 is seemingly no one's fault, but still it happened, and then
17 there will be situations in which it implies that there was
18 a breach somewhere, but you can't always find the breach.
19 You know, the sample mixup, for example, would be another
20 example where the correct unit got released, but it got
21 released as negative because it was some other segment that
22 got tested. That kind of error can be very hard to trace.

23 DR. TABOR: And so the GMPs that we are talking
24 about verifying are the GMPs at the manufacturing level.

25 I wonder whether we could address the issue. I

1 realize that Mr. Bablak was talking about risk factor issues
2 in inadvertent contamination, but he raised the question of
3 whether quarantining materials was going to create too much
4 of a shortage of materials if products or pool were
5 quarantined for a period of time long enough for the
6 fractionator to evaluate whether GMPs had been followed in
7 the removal and inactivation steps.

8 I think that is something we ought to address.
9 Blaine, do you want to get a discussion going on that?

10 DR. HOLLINGER: Yes. Dr. Khabbaz.

11 DR. KHABBAZ: Actually, one of my questions
12 related to that, and I think what he addressed is the
13 infrequency of post-donation risk factors, but I don't
14 understand why would these units affect what you said was
15 all pools, does it have to do with the way the units are
16 pooled, I mean is one collected unit--how many pools does it
17 go into?

18 MR. BABLAK: Probably the easiest way would be to
19 go through a hypothetical example of how a typical
20 manufacturer could pool a particular product through the
21 manufacturing.

22 For example, say, you had a manufacturer who
23 started out initial pooling with between 3,000 and 5,000
24 liters to start the initial manufacturing pool, and assume
25 then that you do perhaps three of those runs a week. So,

1 then you would end up with three different starting pools of
2 anywhere from 3,000 to 5,000 liters.

3 If you then figure out that you probably make--
4 once those pools are initially fractionated, they are
5 processed in intermediates, and then sometimes during the
6 processing, those intermediates are grouped together, so
7 that if you have three starting pools, then, you may end up
8 with one pool of intermediates from those three starting
9 pools, so any one of those original pools that would have
10 had one of these units would affect all three, then, of
11 those initial starting pools.

12 If you figured out that if you are doing perhaps
13 anywhere from 150 to 175 initial pools each year, then, you
14 would only need 50 to 100 of these units, not reports, but
15 units to affect your entire manufacturing process.

16 A second part to that then is because you are not
17 dealing with just a report, one donor could have many units
18 involved in that, so if you have one report, it could be as
19 many as 50, maybe even 100, probably on the outside, but you
20 could have many, many individual plasma units from that one
21 particular report.

22 DR. KHABBAZ: A suggestion had been made, I think,
23 in the past, as we discuss pools, about pooling units from
24 the same donor, I mean grouping them together, and would
25 that not alleviate that problem?

1 MR. BABLAK: With the way that source plasma
2 donors donate, they donate over a typical period of maybe
3 one to two times a week, so there is no way you could get
4 one person's plasma in one particular pool, because it is an
5 ongoing process, so as the units come in from inventory,
6 they are held for the 60 days, then they are initiated in
7 the pooling process. It is an ongoing process, so you can't
8 just have one person's or several people's plasma, because
9 it is collected over a time period.

10 DR. NELSON: Maybe you could outline or I am not
11 clear on what is involved in a GMP evaluation, does that
12 mean shutting down the plant for a month, or does that mean
13 just reviewing records for a few hours, or when you say the
14 action that has to be taken is an evaluation of the GMP by
15 the manufacturer or by FDA depending on the situation, what
16 does that imply, what does that mean?

17 DR. TABOR: Let me begin the answer and then I
18 will have to get some help on the second part of it possibly
19 from people who have been through a GMP inspection.

20 What we intended was for the manufacturer to go
21 back and check their own records to ascertain that all
22 records were complete and that all of the appropriate steps
23 that were important for virus removal and inactivation had
24 been followed, and to submit that to FDA.

25 Now, I assume that in an emergency situation

1 working around the clock they could do that pretty fast. If
2 it required an actual inspection by FDA, which might be the
3 case, for instance, if there were a manufacturer that had
4 had multiple GMP violations in the past, and we wanted the
5 assurance of an FDA inspection, that would obviously take
6 much longer and actually can take quite a while.

7 Is there anyone else who would like to comment on
8 that?

9 DR. THOMAS: There were actually three components
10 to the assurance that we have that a viral inactivation step
11 is effective time and time again. One is the laboratory
12 measurements of how many logs of reduction the step can
13 achieve for a particular virus, and that has been discussed
14 here before.

15 The second component is the validation of that
16 step as it applies to the manufacturing process. That is a
17 detailed and very comprehensive study that speaks to the
18 application of the process in a manufacturing plant. The
19 third component is a set of very detailed manufacturing
20 records that is kept for each and every lot that records
21 that the critical controls and process parameters were
22 observed during the manufacturing.

23 In the context of an investigation, therefore,
24 assuming that the effectiveness was demonstrated in the
25 first instance, and the method was validated correctly in

1 the second, what would be needed was a record review of the
2 manufacturer of the implicated lots to ensure that they
3 followed written procedures.

4 DR. TABOR: Assuring that the right temperatures
5 and pH's were reached, and so forth.

6 DR. THOMAS: Exactly.

7 DR. HOLLINGER: How much time does that take
8 generally?

9 DR. THOMAS: That would depend on the step itself,
10 but I would imagine that the examination of the records
11 themselves would probably take an hour or so, and then a
12 review of that review by the quality organization would add
13 some additional time, but we are not talking about weeks and
14 weeks.

15 DR. WEINSTEIN: Mark Weinstein, FDA.

16 I just want to add a comment to that. Sometimes,
17 in fact, the company does not have the plasma tree well
18 worked out for a particular unit, in other words, they have
19 to trace where the unit went, what products were affected by
20 it in order for the batch records to be reviewed, and we
21 have had occurrences where this has taken weeks for a
22 particular manufacturer to find out what the plasma tree is
23 and where the units went, so there can be a significant
24 delay.

25 DR. HOLLINGER: Mr. Bablak.

1 MR. BABLAK: Just addressing certainly on the risk
2 factor side, I don't want to say that this is for the
3 positive test side, but all of the lots that are released
4 have already gone through a significant comprehensive review
5 of all of these procedures, so then to go back and do it
6 again for particular lots creates some redundancy, and then
7 certainly if you are talking about all lots, then it is
8 almost like you are releasing it and then you are saying,
9 well, wait, are we sure that the data we released is
10 actually the right data.

11 So, you are getting into how many times do you
12 need to look at this before you are sure that the data is
13 actually what it says it is.

14 DR. HOLLINGER: Are you saying, then, every lot
15 that is released, actually, the temperature, time, all these
16 things that we talked about, has actually been looked at by
17 the FDA or by the company?

18 MR. BABLAK: It's by the company. The company
19 does a comprehensive batch review for every lot that is
20 released, so this data is already being looked at. It is
21 not that it is there and only looked at under certain
22 circumstances. It is looked at for each individual lot that
23 is released, it is part of the lot release protocol.

24 DR. HOLLINGER: Have there been times when the
25 company has said that the GMP looks all right, and somebody

1 else comes in and say it hasn't looked okay?

2 MR. BABLAK: I can't speak to that. That would be
3 an individual company--

4 DR. EPSTEIN: You know, you have just put your
5 finger on the issue, Blaine. When we look at the batch
6 record and there are no deviations, you can be done in an
7 hour. When you look at the batch record and the batch
8 record is incomplete, or there are documented deviations,
9 the deviations might have been investigated, on the other
10 hand, they might not have been adequately investigated or
11 they were adequately investigated and then the right steps
12 weren't taken, or the deviation was recognized, but nobody
13 put together that the bounds of the excursion were outside
14 the validated range of the process, nobody considered the
15 implications, et cetera, so that is where it all gets
16 complicated.

17 So, let me make this simple. Let's say that the
18 viral inactivation step requires a certain temperature and
19 there was an excursion when that batch was manufactured, and
20 it was at the wrong temperature or it was at the correct
21 temperature but not for a sufficient time.

22 Well, at that point you have a scientific question
23 to resolve, is that a safe batch or isn't that a safe batch,
24 and this is where it all gets complicated. So, what Mr.
25 Bablak states is correct, that the systems that are designed

1 and are in place do require checking the batch record as
2 part of batch release, but the trouble is that when you get
3 into the details of were there any deviations in that
4 manufacturing record, what you find out is that deviations
5 are not uncommon, this can't be helped, it is human
6 activity, but, you know, you need to know that they were all
7 adequately addressed and all the time, and all we are saying
8 is that if there was a threat to that product lot, we want
9 to be very, very sure that all potential problems were
10 addressed and buttoned down, and that is what this is all
11 about.

12 DR. TABOR: I think that is particularly true. We
13 can come to the risk factor type contaminations later, which
14 are obviously more complicated, also because in the window
15 period, for at least one of the viruses you may be dealing
16 with somewhat higher titers of the virus, but for positive
17 test inadvertent contaminations, if all the inactivation
18 steps had been done correctly, I think we all feel confident
19 that the material will not transmit infection.

20 On the other hand, I don't think any of us would
21 want to see material manufactured with incomplete
22 inactivation steps infused if it contained what turned out
23 to be a test positive unit. In terms of the time it takes
24 for a company to ascertain that, I think if they go to the
25 records, and they find that there has been a deviation,

1 well, they could stop there and just decide that they were
2 going to throw out the products from that pool.

3 DR. HOLLINGER: Other responses from the
4 committee? Discussion? Yes, John.

5 DR. BOYLE: We are just dealing with the first
6 question now, the one related to the positive test, correct?

7 DR. HOLLINGER: I don't know if we are dealing
8 with any question, we haven't even had the questions, but
9 basically, we could put the questions up.

10 I am going to ask if the committee would want to
11 do this. We are supposed to take a break here and come
12 back, but personally, I would rather move on through here.
13 I think we can get this done in a reasonable period of time,
14 and then we will be finished, if that is all right.

15 Why don't we have the questions.

16 DR. TABOR: I will read it for you. The first
17 question states, "For inadvertent contaminations of plasma
18 in which the contaminating unit was found to have a positive
19 test for HBV, HCV, or HIV that was not recognized at the
20 time of donation, does the committee agree that the
21 algorithm provides suitable responses for FDA to take?"

22 I guess I would modify that just to say the
23 algorithm with the changes suggested by the committee today.
24 I think after you spoke about the issue of HBV and silent
25 HBV, I think we can take that box out if we specify in a

1 higher level box that NAT testing is one of the tests that
2 has to be done. And then also the comment made by Dr.
3 Hollinger about supplemental tests.

4 DR. HOLLINGER: Ed, this question is not tied in
5 with the second question, so we can deal with this one
6 first?

7 DR. TABOR: We can deal with this one.

8 DR. HOLLINGER: Are there any specific comments on
9 this particular question? Yes, David.

10 DR. STRONCEK: You will use judgment to make sure
11 on the number of units? Almost always, I am sure these are
12 one unit contaminating 10,000, but if there were some event
13 where there were more than one unit, you would use your
14 judgment, I assume.

15 DR. TABOR: I think if there were more than one
16 unit in the pool, and we knew about it, the alarms would go
17 off, but I think the answer to that is yes.

18 DR. HOLLINGER: The question has been read. With
19 the comments and revisions that Dr. Tabor has described
20 before, so I won't re-read it, I will ask the committee to
21 vote on the question.

22 All those in favor or vote yes on the question,
23 let's raise your hand.

24 [Show of hands.]

25 DR. HOLLINGER: All those opposed or voting no?

1 [No response.]

2 DR. HOLLINGER: All those abstaining?

3 [No response.]

4 DR. HOLLINGER: Dr. Smallwood.

5 DR. SMALLWOOD: Results of voting. There were 14

6 yes votes, there were no "no" votes, no abstention votes.

7 The industry representative indicated that he agreed with

8 the yes votes.

9 DR. KHABBAZ: I have a question on the algorithm,
10 the risk factor. I was kind of wondering, based on what we
11 heard from FDA in terms of the frequency and the limitation
12 or difficulty of FDA conducting GMP review of these, whether
13 there may be room for NAT testing of pools when we are
14 talking about risk factors.

15 You are talking about testing donor if you can
16 find him, but if not, would NAT testing of the pool, and if
17 it is negative, alleviate--

18 DR. TABOR: Can I reword your question to make
19 sure I understand it? You are saying could this algorithm
20 be modified to use NAT testing of the pool to help determine
21 whether there is any reason for concern?

22 DR. KHABBAZ: Right. In the box where you say
23 "Defer donor, quarantine, 5 collection."

24 DR. TABOR: Are you proposing that this could be
25 used to eliminate the possibility of having to look at GMPs?

1 DR. KHABBAZ: I am wondering whether it has room
2 as an option in there, yes.

3 DR. TABOR: Maybe that is something that the
4 committee should discuss.

5 DR. HOLLINGER: Do you think it should be done? I
6 mean where would you put it in, at what point? We are
7 talking about the two sections on risk factor, aren't we,
8 two algorithms on risk factor here?

9 DR. TABOR: Right. I mean we can focus on the one
10 about plasma. If you are suggesting using that as a way to
11 get off this track, you could put NAT testing here, if you
12 have a negative NAT test, would that stop you proceeding
13 further? Or if you put it here, after deferring the donor?

14 You are going to defer the donor because the donor
15 had a history of hepatitis or whatever. You have to defer
16 the donor. You have to go look for the prior collection.
17 But then if you can get the donor back or on that sample, it
18 is test the individual sample from that donor.

19 DR. HOLLINGER: If the donor was negative, I
20 presume obviously the donor was negative for all these
21 markers, just didn't mention that they had this risk factor,
22 but all the markers have been tested, so they are
23 serologically negative, is that correct, Ed, at this point?

24 DR. TABOR: Let's say you have a donor who calls
25 up and says, by the way, I have had X, Y, or Z sexual

1 practice, and I forgot to tell you, that puts a donor at
2 risk for these three or at least two of the three
3 infections.

4 You defer the donor because they are now a
5 deferrable donor, you quarantine the prior collections, and
6 you bring that--you either have the original sample or you
7 bring him in for another sample, and you run all the tests
8 on them, the same way you did on the lower righthand part of
9 the other algorithm, and everything is negative including
10 NAT, you are saying basically then that you do not have an
11 infectious donor because you have a single unit NAT test and
12 no antibodies or any kind.

13 DR. HOLLINGER: If you are limiting this to the
14 risk factors only for hepatitis and HIV--and we are not
15 talking about babesiosis and other risk factors or something
16 else that they might call about, hepatitis A or other
17 things, and I think these are individual things you would
18 deal with, for me it would be all right, then, if they were
19 tested with NAT, because they could have been in the window
20 period at the time.

21 It depends on the circumstances obviously of what
22 they are calling you about, but in essence, yes, I think you
23 would want to do that.

24 DR. KHABBAZ: If you have the information, but you
25 can't get the donor back to be tested, whether--and I raise

1 this as a question for discussion--whether you could NAT
2 test the pool, and if the pool is negative, and I raise that
3 because of the discussion of FDA conducting GMP regulations
4 and the frequency of these risk factors, et cetera, could
5 you then stop?

6 DR. HOLLINGER: One of the problems, I think maybe
7 as you heard here, is that sometimes these pools are very
8 large, like 3,000 or 5,000, and that really moves it perhaps
9 out of the potential for detecting somebody in the window
10 period in some of these tests, so you would almost have to
11 go back to the donor. At least I would feel more
12 comfortable if the donor could be test ed.

13 DR. KHABBAZ: So, if it is not there in the pool,
14 you can't detect it, if it is there, but not to a level that
15 you can detect by NAT, you would still be concerned?

16 DR. HOLLINGER: If it were negative.

17 MR. DUBIN: But let's be clear, we are talking
18 about pools that are about 60,000. We are not talking about
19 5,000 or 6,000, we are talking about 60,000.

20 DR. HOLLINGER: Mr. Bablak.

21 MR. BABLAK: The 60,000 limit is based on
22 exposures in the final product. Any of the manufacturing
23 pools are significantly smaller than that. So, if you are
24 talking about an initial manufacturing pool or where you
25 would be doing your testing, the volume there is somewhere

1 between 3,000 and 5,000 liters.

2 DR. TABOR: If we did add this to the algorithm,
3 it would provide a very strong incentive for fractionators
4 to have a retention sample of each individual donor, because
5 if they knew they could avoid having to look at GMPs by
6 doing NAT and other testing on a single donor sample, they
7 would keep a donor sample, you know, half an mL or an mL.

8 MR. BABLAK: A couple other things I would like to
9 address around this whole discussion on NAT testing is,
10 first of all, I think if you are going to talk about
11 retesting the donor, some time period needs to be discussed
12 because, for instance, with source plasma donors, the next
13 one may actually have already been tested if this person is
14 coming in on a regular basis. So, in that case, is that
15 sufficient to show that that person is not carrying any
16 viruses?

17 The second thing is the manufacturers are all
18 implementing PCR testing for the three viruses, and so under
19 the INDs that they are all doing, they are not testing
20 individual units, but doing a matrix of minipools, and would
21 that be sufficient then to show that there is no
22 contamination of that particular unit with a non-reactive
23 response from the particular minipool.

24 DR. TABOR: I would like to reword what you said
25 because I think it is an important point. What is going on

1 now is minipool testing, and if a donor had come back in the
2 next week and that sample would have been subjected to all
3 licensed tests on the individual donor sample, but the NAT
4 testing would have been done on a minipool, so it is not the
5 same thing as testing NAT on an individual unit.

6 I don't want to at this point offer an opinion on
7 the relative merits, but I think that distinction has to be
8 made. It is not the same thing.

9 DR. HOLLINGER: Dr. McCurdy.

10 DR. MCCURDY: I think there are experimental data
11 that either have been published or are about to be published
12 that would suggest that HIV RNA negative individual units
13 may be non-infectious. So, I think that an individual donor
14 unit that is tested for HIV using NAT procedures would
15 probably be all right. I am not so sure about a pool
16 although for HIV, that is probably reasonable.

17 The studies for HCV and for HBV I think have yet
18 to be done to demonstrate that NAT is a very good predictor
19 of infectivity, that is, NAT negativity is a good predictor
20 of non-infectivity, I think is probably a better way to put
21 it.

22 So, I think for individual donor testing on a
23 retained sample, I think NAT testing for HIV would probably
24 be sufficient. I think I could accept that. For the
25 others, I think we need more data.

1 DR. HOLLINGER: Mr. Dubin.

2 MR. DUBIN: (a), I want to agree with Dr. McCurdy
3 because I think there are still some questions to be
4 answered; (b), I want to remind the committee that 50
5 percent almost of the manufacturers are under consent decree
6 for GMP violations, so I am not very comfortable when I
7 start hearing about putting in a step that drops the GMP
8 evaluation because of that, and I think that is important.

9 I want to keep putting that on the table because
10 we seem to miss that in our discussions regularly. It is
11 not an FDA problem, it's a manufacturer's problem. The FDA
12 has done its job by going to consent decree, but I don't
13 want to set this discussion up as if we have got a clean GMP
14 record out there and enough test results to justify this
15 kind of step because I think, as Dr. McCurdy said, on
16 hepatitis C, the data is not in, and on PCR testing HIV in
17 terms of individual donor, the probability is higher, but in
18 terms of a pool test, not clear.

19 DR. EPSTEIN: I think the problem that we are
20 butting up against right now is that what all of this is
21 really about is belt and suspenders, and let me explain why.

22 It is a statistical certainty that window period
23 units enter fractionation pools. Now, the frequency at
24 which that occurs goes down the better our screening
25 procedures are, both donor deferral and donor testing, and

1 we have seen, you know, yesterday in great deal just how low
2 that is going to go with even just minipool testing of the
3 donor.

4 But still the bottom line is it is a statistical
5 certainty that window period units will continue to enter
6 fractionation pools. Now, when you really reflect on this,
7 what does it mean?

8 It means no products are safe if there isn't GMP
9 adequacy, whether you know about a risk factor or marker in
10 the donor or you don't. So, first of all, you have that
11 paradox that the myth that the product is safe when you
12 don't have a history of a risk factor or having pooled
13 depositive tests is correct, is not a right way to think
14 about the problem.

15 So, then, what happens? Well, some of the time
16 you learn that through some presumed error, a positive unit
17 or a risk factor unit was pooled. The difference in that
18 scenario is that you know it happened, but probably the
19 number of times that it happens and that you don't know far
20 exceeds the number where it happened and you do know.

21 Then, the question is, all right, so are the
22 products any different because you happen to know that you
23 pooled a high risk unit. The reality is the products aren't
24 any different when you happen to know that you pooled a high
25 risk unit. It is just that the expectation is that in the

1 cases where you know, you have an obligation to be very
2 thorough and make sure nothing went wrong.

3 But the reality is that the products that were
4 made from units where there may have been a high risk unit,
5 and you didn't know, are subject to the very same concern.

6 So, what we are really talking about is when, in
7 the case of additional information is it appropriate to take
8 additional steps, and I guess the history of the subject has
9 been that it is important to do that because in the cases
10 that have been investigated because of some precipitating
11 event, we have discovered that the validation or the process
12 validation or the handling of manufacturing deviations
13 wasn't always optimal, and then we have made safety
14 decisions about the products.

15 But the reality is that those same conditions
16 affected pools and product lots when we didn't know there
17 was a precipitating event and that is the dilemma here. I
18 can very well see the argument that nothing additional needs
19 to be done when you have a unit where you learned about
20 particular risk because what is at issue is whether GMP is
21 adequate generally.

22 Conversely, I can see the argument that, well,
23 when you know, you have a higher duty, and so you should do
24 something more, but at that point it becomes quite unclear
25 what is more that is necessary, because the things that you

1 are thinking of that are more that are necessary really
2 ought to be the things that are being done anyway all the
3 time.

4 That is the dilemma and that is the circularity,
5 and I think that is the point that we have finally come up
6 against. So, to make the matter simple, if NAT testing
7 ought to be done on every donation, being as a minipool, and
8 on every fractionation pool as a final quality test on the
9 pool, then, theoretically, it shouldn't matter whether you
10 have a known incident or you don't have a known incident,
11 because you have a negative pool.

12 So, you know, my dilemma is I can see this going
13 either way, and that is why it is in front of you.

14 DR. HOLLINGER: Thanks for that task, and thanks
15 for bringing us back to reality a little bit. I think that
16 is a very important point, that these products are safe if
17 everything else is going on, and for myself, I can see one
18 thing, of going down through an algorithm and if information
19 comes back, the simplest thing would be to test the sample,
20 test it completely including that on another sample from the
21 donor, and then if that is positive, then, you have to go
22 and do everything else that goes on down through the
23 algorithm. If it is negative, then, you don't have to go
24 through the GMP and everything else. I would feel
25 comfortable with that personally.

1 DR. BUCHHOLZ: I would like to echo concurrent
2 with that thought. I can appreciate the idea of wanting to
3 quarantine, but I do think the point that was made from the
4 audience relative to the impact of that on the
5 manufacturer's process, I don't know as I have heard anyone
6 who in fact can reliably estimate in what way that would
7 impact the manufacturing process, and I think that is, on a
8 practical level, a very real concern.

9 Dealing with what Dr. Epstein said, that positive
10 units have undoubtedly gone into pools in the window period,
11 I think if you look in the recent era, there is an enviable
12 safety record even though that must have been happening time
13 and time again.

14 So, I would suggest the idea that you have
15 proposed in terms of NAT testing of the donor implicated
16 either in an existing sample or a procured sample might be a
17 very nice way to address the concerns of all parties.

18 DR. HOLLINGER: John.

19 DR. BOYLE: As I understand it, one of the
20 differences that we are talking about here is that all risk
21 factors would be equal, that is, any risk factor would
22 trigger either the NAT testing or the quarantine, and so on.

23 My question is what is the distribution of
24 callbacks on risk factors? I mean are 75 percent of them
25 tattoos, you know, are 75 percent of them IV drug use? Can

1 anybody tell us?

2 DR. TABOR: I think Mr. Bablak is the only one who
3 might have that data, but I doubt if he does at present.

4 MR. BABLAK: No, we didn't collect that
5 specifically. Given the information that we had about this
6 particular meeting, it was going to be all risk factors, so
7 we didn't go through the tremendous effort of trying to
8 break down what the different post-donation information
9 reports would be.

10 One thing I would also like to bring up when we
11 are talking about NAT testing, if you are talking about
12 testing samples rather than the minipools, you are talking
13 about needing to store many millions of individual samples
14 over the life of a particular product, because for post-
15 donation information, you may not get that until six months
16 down the road when you certainly no longer have anything
17 left of that particular unit.

18 So, if you are going to need to store millions and
19 millions of samples over a particular time, you need to
20 catalog that, have storage for that. There is a lot of
21 extra process. I think with all the manufacturers going to
22 minipool testing, certainly, if that will be good enough to
23 release a product initially, that should also be good enough
24 to release a product or a pool based on any kind of
25 additional information that you might have on that

1 particular unit.

2 DR. HOLLINGER: Dr. McCurdy.

3 DR. McCURDY: I think one probably should
4 distinguish between viruses when one talks about minipool
5 testing because I believe yesterday there was some
6 discussion, and certainly in other fora there has been
7 discussion, that minipool testing for hepatitis B virus is
8 much less likely to add much safety because the viral load
9 levels in individual units are relatively small. For HIV
10 and HCV, the minipool testing may be better.

11 I think even with that, it depends on how you
12 define minipools. Some of the minipools that comprise more
13 than 500 or 1,000 specimens are likely not to be as
14 sensitive even for HCV and HIV.

15 DR. TABOR: If I could just sort of try to draw
16 some of these things into perspective, I think in the
17 discussion so far, three questions have been brought up, and
18 I think you are going to have a hard time answering two of
19 them.

20 One of them is whether quarantine can be tolerated
21 in this algorithm because of supply issues and the impact on
22 supply.

23 Number two, whether NAT testing can be inserted as
24 another branch or step in this algorithm, and, number three,
25 whether that NAT testing has to be broken down by virus and

1 whether it can be done on minipools rather than on
2 individual samples.

3 I think you are going to have trouble answering a
4 couple of those.

5 DR. HOLLINGER: Dr. Koerper.

6 DR. KOERPER: Once again, I want to be sure we are
7 clear on our parameters here. This is a donation that has
8 passed all the testing before it got pooled.

9 DR. TABOR: Correct.

10 DR. KOERPER: and what I am hearing is that
11 minipool NAT testing is being done already.

12 DR. TABOR: That is correct, for HCV and at least
13 soon for HIV, not for HBV immediately in any case.

14 DR. KOERPER: So, to my thinking it still boils
15 down to was the GMP followed or not, because what is the
16 likelihood if the minipool is negative for NAT, that then
17 when you then locate the individual donor, that donor is
18 going to be positive on the NAT testing?

19 DR. HOLLINGER: Ed, these are small minipools like
20 100 or 20? I mean the ones that you are talking about that
21 are currently being done for The European regulatory?

22 DR. TABOR: I think it would be best if the
23 manufacturers gave that information.

24 DR. HOLLINGER: So, there could be 2- or 3- or
25 4,000?

1 DR. TABOR: No, no. Since it is under IND, it
2 would actually be better if the manufacturers stated it.

3 MR. BABLAK: The minipool testing, each
4 manufacturer has their own procedure where they go anywhere
5 from around 100 to a little over 1,000 units, but what is
6 important to remember here it is really not the number of
7 units, but it is the sensitivity of that particular test.
8 So, it has less to do with whether there is 1,000 or 100 and
9 how many units you are actually detecting.

10 DR. HOLLINGER: Well, there are very few tests
11 that will detect 5--I mean if they are using a 5,000 cutoff--
12 --there are very few tests that are going to detect five
13 copies per mL at 100 percent efficiency, maybe 50 percent or
14 something, but not 100 percent.

15 Dr. Boyle.

16 DR. BOYLE: I am just remembering something from
17 yesterday when we were talking about the screening test, and
18 the different areas can add their different questions to the
19 screening test.

20 Does that mean that if Baltimore added an
21 additional measure of risk factor for HCV, something that
22 they thought was sufficient for testing, that that would be
23 something that would trigger a lookback or whatever
24 industrywide if it popped up? I mean at some level I guess
25 the question is if you are not dealing with three or four or

1 six or whatever are nationally recognized, because we have
2 got a decentralized screening mechanism, are we setting up a
3 situation where almost anything could trigger this?

4 DR. TABOR: That is a good point. I think as you
5 know, the screening questions, as was mentioned yesterday,
6 although they are FDA-approved, they are not set up by FDA.
7 We certainly did not intend this to apply to new questions
8 put in place by one particular center. Perhaps we need a
9 footnote to clarify that.

10 DR. HOLLINGER: Dr. Nelson.

11 DR. NELSON: Given the historical problems with
12 the European IVIG and hep C, I am not opposed to looking at
13 manufacturing processes from time from time given what Jay
14 said, that this is the reason that the product is safe, not
15 because we are testing donors or asking questions or
16 anything else.

17 It is even debatable how important the questions
18 are with regard to the safety of this pooled product, but I
19 think somewhere there needs to be some compromise between
20 quarantining the product, at least for any long period of
21 time and looking at the manufacturing processes, which I
22 think should be done pretty regularly and having an outside
23 agency like FDA being involved I don't think is a bad thing.
24 In fact, I think that that may help ensure the safety of the
25 product.

1 Given that, I am not sure what the NAT testing
2 will contribute in the pool. It might help a little bit,
3 but I am not sure.

4 DR. HOLLINGER: There are really a lot of issues,
5 aren't there, Ken, I mean with all of these, not only that,
6 but as was mentioned, you know, how far back to you go.
7 Theoretically, you just say, you know, if the person calls
8 up, he gives a unit of blood, then, he calls up in two or
9 three days before he gives the next unit, and he says, you
10 know, by the way, and, you know, you could say well, we will
11 just go back and look at that unit again, you know, it has
12 been tested, or get another sample. I mean that is one way
13 of doing it, but some of this is window dressing, and I am
14 not sure which way you would go on it, but that is one
15 approach to move forward with this.

16 David.

17 DR. STRONCEK: This algorithm doesn't ask the
18 manufacturers to quarantine anything they wouldn't already
19 quarantine, that is my understanding. If these issues came
20 up without this algorithm, they would have to quarantine the
21 products and destroy them, right?

22 So, this algorithm isn't any more onerous than the
23 current practices.

24 DR. HOLLINGER: Ed, could you answer that? For
25 example, if the risk factor is discovered, what is not in

1 place now? I mean do they have to do anything right now?
2 Could you take each one of those and show me what is
3 currently done or required?

4 DR. TABOR: I think that happens now is that they
5 notify FDA and it is dealt with on a case-by-case basis. I
6 would assume that they do not quarantine anything now in
7 this situation.

8 Is there anyone else who would like to comment on
9 that? Am I wrong? Dr. Lynch.

10 DR. LYNCH: Well, it has been my experience that a
11 significant risk factor would give one a concern about
12 actual infectivity going into the manufacturing pool. If
13 that event was discovered while the product was still within
14 the control of the manufacturer, they would hold that
15 product until they completed the investigation to evaluate
16 whether or not that posed an undue risk to the product.

17 With respect to products that are out in
18 distribution, if there is a significant concern over the
19 safety of that product, then, the manufacturer and perhaps
20 FDA would take appropriate action to address that risk.

21 But as Dr. Tabor said, it is a case-by-case basis
22 very much these days.

23 MR. DUBIN: Any chance we can vote on the original
24 question?

25 DR. HOLLINGER: We haven't seen the second

1 question, if you could put it up. Why don't you go ahead
2 and read the question, if you would, please.

3 DR. TABOR: For inadvertent contamination of
4 plasma in which the contaminating unit was found to have
5 come from a donor who despite having answered in the
6 negative all questions about risk factors for infectious
7 diseases and despite having had negative assays for HBV,
8 HCV, and HIV and the time of the donation, was later found
9 to have answered the donor questionnaire incorrectly or
10 otherwise to be at risk, does the committee agree that the
11 algorithm provides suitable responses for FDA to take?

12 DR. HOLLINGER: That would be one aspect of it,
13 but, yes, John.

14 DR. BOYLE: The hard thing in terms of voting on
15 this is that what I don't understand is whether this would
16 put us in a position of sort of perpetual revolution, that
17 there is enough of these that it would be a constant process
18 on the industry as opposed to there aren't that many, but it
19 is good trigger to force them to look back.

20 If we could have some sense of the frequency with
21 which this would occur, it would be easier to vote on.

22 DR. HOLLINGER: Dr. Verter.

23 DR. VERTER: Besides echoing what John said, I
24 would just like to reiterate, you know, the often made
25 statement around here is show me the data, and it is very

1 often that we are put in this position of trying to make a
2 recommendation which, on the surface, appears to merit the
3 consideration of our main concern, the safety of the blood
4 supply.

5 But if this were to occur once a year, I think it
6 would be a lot different than 10 or 100. I would also like
7 to indicate one of my other concerns, which is another "show
8 me the data" issue, and someone else mentioned it I believe.

9 All risk factors are being lumped together, and
10 clearly, I think we would agree that if it is based on
11 someone getting a tattoo, it is a lot different than someone
12 saying, oh, and by the way, I am probably at increased risk
13 of HIV because I did this.

14 DR. HOLLINGER: Mark.

15 DR. MITCHELL: I agree that risk factors are
16 different and that this whole issue is very, very complex,
17 and I think that it really needs to be looked at on an
18 individual basis because there are many things that have to
19 be taken into account that you can't really do in this kind
20 of a proposal.

21 DR. HOLLINGER: Gail.

22 DR. MACIK: I would say the same thing. What is
23 wrong with the individual look at each risk factor as what
24 is happening now opposed to automatically? I think if you
25 tried to use quarantine as often as you do on this risk

1 factor protocol, you really are going to start getting into
2 some problems. It is not easy to quarantine, and it has
3 already been stated that if it is out of manufacturer's
4 hand, yes, they might notify the person they sent it to, but
5 there is no way that person they sent it to has procedures
6 in place to quarantine it.

7 So, it is saying to notify them so they can
8 quarantine it is one thing, but is that really something
9 that can happen? So, I think we need a real idea of how
10 often it happens. There needs to be some level that the
11 first thing that happens is to say, okay, what is the level
12 of the this risk, and then go back if that risk is very
13 high, then, you might want to implement this, but it is
14 going to be a level where there is going to have to be
15 interaction and discussion on a case-by-case basis.

16 DR. HOLLINGER: Paul.

17 DR. McCURDY: Am I confused or doesn't this
18 regularize pretty much what is now happening?

19 I mean this reduces to an algorithm things that
20 are now going on, does it not?

21 DR. TABOR: Not entirely. I would like to ask the
22 assistance of my colleagues. I think as Dr. Lynch said, and
23 as I said before, the risk factor issues, inadvertent
24 contamination, are really being handled on a case-by-case
25 basis at present.

1 Would you agree with that?

2 DR. HOLLINGER: What is the problem with
3 continuing to act on a case--I mean there are so many
4 variables that are here, variables of what really the risk
5 factor is. We don't even know that, but what it is and what
6 it might mean and how it might impact on things, and I
7 presume that you have the right to quarantine a product if
8 you think if you think that there is a risk here anyway, the
9 FDA does.

10 I agree, I think there is really a great many
11 variables here. Yes, Dr. Buchholz.

12 DR. BUCHHOLZ: I am a little confused when we talk
13 about quarantining a product. Let us assume a donor comes
14 in, admits to some risk behavior, and that is a regular
15 donor that has, in fact, donated 2 unit of plasma every week
16 for many weeks.

17 When that person is looked at in terms of the
18 impact on all the products that may have gone into many
19 pools, many products--let me just think a minute, and I will
20 come back.

21 DR. HOLLINGER: Ed, these are excellent
22 algorithms, and I think it does take a lot of effort to go
23 through algorithms, but the fact is that you can't be good
24 doctors and just take care of patients on an algorithm.

25 You can do 90 percent of the patients on an

1 algorithm, but there are about 5 or 10 percent of time you
2 have got to be a doctor, and you have got to make decisions
3 based upon individual points and individual complications
4 and problems that come up.

5 DR. BUCHHOLZ: The issue relates to the
6 quarantine. I think as a manufacturer, you have product
7 holds or quarantines or whatever you want to call them for
8 product that is under your immediate control in your
9 environment in a plasma center, in a manufacturing center.

10 I am unclear, though, in terms of the effect of
11 quarantine as it goes outside of the manufacturer's control,
12 and at least in my environment, in Fenwal, which is blood
13 collection equipment, not coag concentrates, product that
14 falls in that category that requires some action, if it is
15 entirely within our company, we deal with it on a hold or a
16 quarantine basis.

17 If it is product that is out in the field, and we
18 have to deal with it, that becomes a recall situation, and
19 perhaps--I am not sure how it works with plasma co-ag
20 factors, but the quarantine aspect of this to me, as it is
21 described, has the potential to imply product recall, and is
22 that, in fact, what is meant?

23 DR. TABOR: I understand your comment, and it is
24 really very similar to what Mr. Bablak was saying. I think
25 the word "recall" sometimes means something different to

1 industry than it does to FDA, but you are making the same
2 point Mr. Bablak did, that if you are quarantining something
3 that has already been shipped, you are effectively going to
4 have to issue a recall is what you are saying in order to do
5 that successfully.

6 I don't know the answer to that question.

7 DR. BUCHHOLZ: Because the concern is, as the
8 point of was made earlier, for many of these donors who are
9 repetitive donors, and where do you draw the line. Somebody
10 says, oh, by the way, I did this. At what point in time do
11 you say, well, it is just the last two donations since you
12 did this, or is that person potentially suspect of riskier
13 than normal behavior, how far back do you go because you
14 have the potential--and I think it is pertinent in terms of
15 some of the staff we talked about earlier this morning in
16 terms of product availability.

17 You have the potential to tie up tremendous
18 amounts of product in situations that, you know, someone had
19 a tattoo. Well, that is a risk behavior, I suppose, but it
20 is a little different than if someone admitted to IV drug
21 use.

22 DR. HOLLINGER: Just one other thing. It is sort
23 of like it, that testing right now in which you are telling
24 the recipients you are not getting any less safe blood than
25 you were getting in the first place, and this is a similar

1 issue here.

2 These donors have been tested for all these tests,
3 and they have been found to be negative, and the product we
4 know from what Dr. Tabor has shown us, from everything else,
5 at least has been very safe, and except for a problem with
6 GMP, which is another issue, you know, maybe there is not a
7 problem to sit and deal with at that point in terms of
8 quarantine.

9 Mark.

10 DR. MITCHELL: I still want to emphasize the
11 importance of the risk factors. The blood that is most at
12 risk right now for transmitting disease is whole blood, and
13 people with sickle cell, for example, who get multiple units
14 are most at risk. Perhaps we should be looking at ways to
15 make whole blood safer, and again looking at the risk
16 factors on an individual basis.

17 DR. HOLLINGER: Well, I just don't know what to do
18 here. I am not sure we are going to get much--well, we are
19 probably going to have to vote on this, and we will see.

20 Dr. Lynch.

21 DR. LYNCH: Just a fast comment on the quarantine
22 issue. It may be simpler than we realize. If you ask
23 yourself what is to be accomplished by a quarantine, which
24 is to prevent the use of product during the course of an
25 investigation that will determine whether or not the product

1 is safe, then, what becomes important is how quickly you can
2 resolve that question, and if that can be done, as I have
3 suggested, in a matter of hours, then, the need for a
4 quarantine in that situation may not exist.

5 However, there are two situations where that
6 question might not be answered so quickly. Dr. Weinstein
7 alluded to one where you can't trace the unit to the
8 products quickly. That is a matter of recordkeeping, and
9 that may vary from a company-to-company basis, but until you
10 have made that trace, you don't know what products to
11 quarantine, so that question is a bit moot.

12 The second instance is when you don't have a clear
13 manufacturing record, where there may be questions that need
14 to be resolved, and there it may well be appropriate to
15 quarantine the product and hold it until you resolve those
16 outstanding issues, but I would expect that those would be
17 the vast minority of situations where one does an
18 investigation and comes up with additional questions.

19 DR. HOLLINGER: Joel.

20 DR. VERTER: I would just like to ask Dr. Tabor a
21 question. Could you tell us what the consequences would be
22 for both a yes and a no vote on this question?

23 DR. TABOR: Well, I think the consequences of a
24 yes vote would be that we would start to address these types
25 of inadvertent contaminations the way the algorithm

1 recommends. My guess is that in the majority of cases, as
2 Dr. Lynch says, that this would mean a delay of a matter of
3 hours or a day for most instances of risk factor and
4 inadvertent contaminations.

5 Nevertheless, before I leave the issue of the yes
6 vote, I think there are some things on this algorithm that I
7 don't hear a unanimous opinion about from the committee, and
8 those are really the questions I mentioned before.

9 The result of a no vote means that we go back to
10 the drawing board. I guess if you really mean no, and if
11 you mean, quote "no," we want you to do it on a case-by-case
12 basis, then, I guess I would recommend that you state that
13 in your vote or that you do a subsequent vote on that issue,
14 because if you recommend doing it on a case-by-case basis,
15 that is a lot easier for us than having to try to smooth out
16 this algorithm to be acceptable to the committee.

17 On the other hand, if you want us to work on this
18 algorithm, we certainly will do so.

19 DR. HOLLINGER: I guess the other issue is one
20 could table it, and that would be another issue which would
21 suggest that you wanted to work on it rather than on a "no"
22 vote which would mean that you don't want to work on it.

23 DR. STRONCEK: The last question. What about new
24 variant CJ disease?

25 DR. TABOR: I have had some dealing with the

1 concept of inadvertent contamination for many, many, many
2 years, long before starting to deal with it for the purposes
3 of BPAC. When I started to deal with it for BPAC in late
4 '96 or early '97, I realized it was a broad topic it had to
5 be divided.

6 We have only dealt with these three viruses, and I
7 explained the reasons earlier, but that is only so far. I
8 think it is very possible that we would try to address, not
9 only new variant CJD, but other agents that are not
10 addressed by these two aspects of inadvertent contamination
11 we discussed.

12 There are the non-envelope viruses. There are
13 other unknown contaminants that haven't been discovered yet,
14 how should we deal with situations like that. It may be
15 impossible to deal with that with one rule or one
16 recommendation or one algorithm, but it is very likely that
17 you will hear about that in the future.

18 DR. STRONCEK: That wasn't clear to me. So, you
19 are saying that this question we would be voting on only
20 involves risk factors for HBV, HCV, and HIV.

21 DR. TABOR: Correct.

22 DR. HOLLINGER: Joel.

23 DR. VERTER: Having listened to Dr. Tabor's
24 answer, I appreciate it. I am not quite sure how it helped
25 me though.

1 Let me tell you what I want to do, what I am going
2 to do. I want to vote yes, but I am going to vote no, and
3 the reason I am going to vote no is--I would almost prefer
4 that we not vote and that we ask the FDA to work with
5 industry to get back and answer some of the questions that
6 were posed and bring it back. That is what I prefer doing.

7 DR. HOLLINGER: John.

8 DR. BOYLE: In explaining in advance where my vote
9 would go, as written--let me do it differently--if the word
10 significant risk factor was added, and then we worked toward
11 a definition of which of these things are significant risk
12 factors, I could vote in favor of this.

13 The problem is as written, the idea that--and I
14 don't know this to be true--but the idea that we would tie
15 up a lot of product because people suddenly decided that the
16 tattoo that they had last week or the pierced ears they had,
17 you know, three weeks ago, you know, that, I can't vote for,
18 and if you add the word "significant" and then work towards
19 a definition of significant, then, as a sensitive person, as
20 well as somebody concerned about the blood supply, that, I
21 can live with.

22 DR. HOLLINGER: Dr. McCurdy

23 DR. McCURDY: If I remember correctly, we gave the
24 FDA in some of our previous votes, including the one today,
25 the option of assessing the degree of risk as they went down

1 the algorithm. Perhaps in the risk factor discovered, if
2 you added "and assessed," and permit some leeway to the
3 agency in determining exactly how they should proceed, that
4 might make it easier for many of the group.

5 DR. HOLLINGER: Yes.

6 MR. DUBIN: I would agree with John and suggest we
7 add the word "significant" which cuts out some of the
8 problems.

9 DR. HOLLINGER: Yes, Norig.

10 DR. ELLISON: I guess I am going to abstain
11 because I wish we would bring it back. I think
12 parliamentary procedure permits us to table, so I am going
13 to move that we table this.

14 DR. HOLLINGER: Is there a second to that?

15 DR. BUCHHOLZ: Second.

16 DR. HOLLINGER: All in favor of having this issue
17 tabled I presume for the idea of bringing it back with more
18 specifics, much of which will have just been mentioned here
19 at the end about questions, and wording, and so on.

20 All those in favor of having this motion tabled,
21 raise your hand.

22 [Show of hands.]

23 DR. HOLLINGER: All those Opposed?

24 [Show of hands.]

25 DR. HOLLINGER: Abstaining?

1 [No response.]

2 DR. SMALLWOOD: The results of voting for tabling
3 action on Question No. 2, there were 10 yes votes, 4 no
4 votes, no abstentions. The industry rep agreed with the yes
5 vote.

6 DR. HOLLINGER: Ed, I know how hard--I will
7 probably be off the committee before you get this resolved,
8 but I think the issues are, as we see it, is what do you do
9 about the length of time, I mean is there going to be a time
10 limit on this when somebody gives some donor information,
11 what do you do about the worry about "significant risk," the
12 issues about NAT testing, the issues about recall that were
13 brought up once the final product is sent out versus just
14 quarantined in-house, if that is, issues about whether it
15 can be done on a case-by-case basis I think are all probably
16 critical.

17 That is sort of what I hear from all this
18 discussion today.

19 DR. TABOR: Thank you very much for your comment
20 and input. It is a very difficult question.

21 DR. HOLLINGER: Mr. Bablak.

22 MR. BABLAK: I just wanted to say briefly that
23 certainly we would be happy to work with the FDA to answer
24 many of the questions that were brought up today. I think
25 going forward in the future, so that we could have a better

1 discussion at this table at the time the questions are
2 brought, we would certainly appreciate getting the types of
3 information that were displayed today beforehand, so that we
4 can address them the first time rather than having to come
5 around a second or third time.

6 As you know, this particular algorithm was not
7 released to the public, and I think that kind of hindered
8 the discussion because we can only take guesses at where it
9 was going even though we did get some information. I think
10 if we would have had a little bit more, we could have
11 provided a lot of the information today and maybe answered
12 the question.

13 Certainly, I think under the FDA Modernization
14 Act, it is envisioned that more public input will be given
15 to these types of decisions, and we would certainly like to
16 help with that.

17 DR. HOLLINGER: We would like you to be proactive,
18 then, about this, and then getting the information to them.


19 I want to thank the committee, by the way. I
20 think this has been a very good meeting, as it usually
21 always is, and the next meeting is June 17 and 18 of this
22 year, and we will see you all then.

23 Thanks very much and for all the participants.

24 [Whereupon, at 12:50 p.m., the hearing was
25 adjourned.]

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written above a horizontal line.

ALICE TOIGO