

1 DR. TEMPLE: I'm asking the
2 ethicists.

3 DR. GOLDKIND: Well, since I
4 repeatedly talked about minimization of risk
5 versus the ability to maximize scientific
6 validity. I think that before you enroll a
7 population of that sort, you'd need to ask the
8 question of how do you come to know that they
9 truly are antibiotic resistant? Are you
10 enrolling populations that are pathogen --
11 that has a pathogen-directed diagnosis?

12 And you cannot, I don't really
13 think you could ethically support a trial
14 where you were putting a population -- a
15 portion of the study population at increased
16 risk in the trial. So you'd have to answer a
17 lot of other questions that would be based on
18 the information you have that's either
19 clinically relevant information or supportive
20 information from the preclinical setting
21 before you could do that.

22 DR. TEMPLE: suppose you didn't

1 know at the time of randomization that they
2 were going to have a problem organism? It is
3 I guess practice in many antibiotic trials to
4 exclude people who are resistant to the drug
5 being tested. Maybe that's not always
6 necessary if you randomize them appropriately
7 and ethically, because you don't know they
8 have a resistant organism, but simply discover
9 along the way that the people who have
10 resistant organisms don't do as well on the
11 previous therapy and leave them in the trial.

12 DR. NELSON: I guess two thoughts,
13 Bob. One would be, to some extent you could
14 figure out a way to include that as an
15 endpoint, and similar to a randomized
16 withdrawal design, or some design where that
17 would become a treatment failure.

18 I'm not sure you would want to
19 continue someone who you know it's resistant
20 on, a drug that doesn't work. But how you as
21 a statistician or a trialist would combine
22 that data I think would be the question.

1 I might just add one thing in
2 thinking about it which impacts on pediatrics
3 to a large extent more perhaps than adults is
4 the issue of standard of care that lacks
5 sufficient evidence to justify it other than
6 expert opinion. You heard some of that in the
7 previous presentation.

8 One of the big questions is the
9 extent to which there is an evidence base for
10 the comparator arm, and at least in
11 pediatrics, often that is a challenge if
12 pediatricians are using a drug that may not
13 have good evidence, based on -- other than
14 their expert opinion, but it's being done in
15 an off-label setting. And then what do you
16 then choose as your comparator I think is a
17 challenge. And that challenge was raised I
18 think in the presentation about the guidelines
19 that are being used.

20 ACTING CHAIR TOWNSEND: One last
21 question from. Dr. Follmann.

22 DR. FOLLMANN: Yes, I'd like to

1 talk a little more about the delayed start
2 design issue you alluded to and I think was
3 brought up over there.

4 So I had some in thinking that
5 there were attractive features to that design
6 if we looked at some kind of time to event at
7 a point like defervescence or something, and
8 that maybe with a couple of hundred or 600
9 patients you could do a superiority trial
10 using defervescence as an outcome if you
11 delayed therapy by four hours or perhaps eight
12 hours or something like that.

13 So I was very interested in your
14 comment about the study of 14,000 patients
15 where they looked at the rate of mortality of
16 patients who had been delayed four hours.

17 And I had a couple of specific
18 questions on that. One is, for the people who
19 had been delayed more than four hours -- I
20 guess it was people who got therapy within
21 four hours, they showed a decreased mortality
22 rate compared to who. Would that include

1 people who didn't therapy and died before they
2 go therapy? So that's one question.

3 And a related question is, what
4 severity of CAP did those patients have where
5 that difference in mortality was observed?
6 Because it seems to me that if you're looking
7 at a population which has less than a one in
8 a 100 rate of death, a delayed start option of
9 four hours or so might still be something to
10 consider.

11 DR. GOLDKIND: I would open this
12 also to the folks who are representing the
13 ATC, and IDSA if they'd like to comment
14 further as well.

15 I encourage you to go to JAMA as
16 well as the Archives of Internal Medicine,
17 which is where I pulled those articles. And
18 I'd have to actually go back and look at them
19 very carefully to answer your questions.

20 But it was a multicenter
21 retrospective cohort study of 14,000
22 hospitalized elderly patients with pneumonia.

1 So there is a certain -- they're
2 not -- you know it was a retrospective study
3 of a cohort of Medicare database information
4 across 14,000 subjects.

5 DR. GILBERT: Dr. Gilbert, just to
6 respond as far as time -- is it okay? IDSA
7 representative.

8 ACTING CHAIR TOWNSEND: Actually,
9 can we save your comments for later?

10 DR. GILBERT: Oh, I thought she
11 asked for a comment?

12 ACTING CHAIR TOWNSEND: We're
13 running a little bit later right now. Thank
14 you.

15 Thanks, Dr. Gilbert.

16 Dr. Fleming will talk about
17 noninferiority issues in trials of community-
18 acquired pneumonia.

19 DR. FLEMING: Thank you. So what
20 I'd like to do is really two parts. I'd like
21 to revisit some of what we've already touched
22 on today at some length, and that is the

1 complexities and the criteria that we need to
2 address to do valid noninferiority.

3 And then to move on and talk about
4 the application of those principles to the
5 setting of CAP.

6 And I'd like to return the thanks
7 to my cochair Dave Gilbert for his efforts in
8 the workshop recently.

9 So pressing ahead, the classical
10 setting for noninferiority is where we have an
11 effective standard intervention, and we are
12 interested in an alternative experimental that
13 would have some improvement in profile, and if
14 the experimental then gave comparable results
15 to the standard, this improvement in profile
16 would make it an attractive alternative
17 option.

18 So invasive Aspergillosis
19 Voriconazole providing better side effect
20 profile than Amphotericin B would be of
21 interest, and CAP, a new Quinolone would be a
22 more convenient administration than

1 penicillin. In mother-to-child transmission
2 of HIV where intensive therapies do reduce the
3 risk fo mother-to-child transmission.

4 Unfortunately because of cost and convenience,
5 they are not available where we need them most
6 in developing country settings, and we find
7 more cost and convenient alternatives.

8 So I'll focus going forward in the
9 example here, in the CAP setting, where let's
10 say we are comparing a new Quinolone to
11 penicillin.

12 So there are dual goals in
13 noninferiority. The first is to have a direct
14 evaluation of the new Quinolone against the
15 penicillin, and also to be able to establish
16 the new Quinolone is effective against
17 placebo. But there is no placebo.

18 And so we can only get indirect
19 insight about the new Quinolone against
20 placebo by looking at the noninferiority
21 comparison against penicillin, and then the
22 historical evidence for penicillin's effect

1 against the active comparator, or against
2 placebo.

3 And herein lies the challenges to
4 being able to do noninferiority. ICHE-9 says
5 the standard, penicillin in our example,
6 should have efficacy that is of substantial
7 magnitude, precisely estimated. And where
8 those estimates from those historical trials
9 of penicillin need to be relevant to the
10 setting of our noninferiority trial of the new
11 Quinolone against penicillin.

12 And to just provide a little more
13 insight into the importance of this constancy
14 assumption, suppose we are comparing an
15 experimental against Vancomycin, and
16 Vancoymcin-resistant enterococci patients, if
17 this experimental is similar to Vancomycin,
18 the question is, is that similar effective, or
19 similar ineffective? And you would say,
20 Fleming, it's similar effect, because we know
21 in pristine patients historically Vancomycin
22 was shown to be highly effective.

1 Ah, but if in fact Vancomycin is
2 much less effective in VRE patients, then
3 comparable effectiveness could be relatively
4 ineffective, unless we are confident, unless
5 we can reassure that Vancomycin in the setting
6 of a noninferiority trial is as effective as
7 it originally was in the historical trials in
8 pristine patients.

9 So if we are in fact looking at a
10 noninferiority trial to compare the new
11 Quinolone against penicillin, and we have
12 historical estimates from historical trials
13 about the effect of penicillin, what are the
14 factors that could lead to penicillin having
15 a different effect in this noninferiority
16 trial than it had historically?

17 Well, first in a noninferiority
18 trial disease could be caused by pathogens
19 that are resistant to penicillin.

20 Secondly, in the noninferiority
21 trial there could be enhanced and competent
22 treatment that attenuates the effect of

1 penicillin. Or in the noninferiority trial
2 penicillin might be given with a dose schedule
3 where there would be less adherence, or there
4 could be different endpoints.

5 So the essence of why this is
6 important is if we have historical data that
7 does in fact establish an effective standard,
8 an effective penicillin, and if in fact the
9 experimental truly is ineffective, a result
10 where the experimental could actually look
11 similar to the standard in a setting where the
12 constancy assumption fails.

13 And similarity here, even though
14 it's an ineffective intervention, would be
15 interpreted as similar effective, because we
16 are falsely assuming the validity of constancy
17 assumption.

18 This issue of the constancy
19 assumption is not a new concept. Those who
20 have been involved in lab experiments have
21 long known the importance of maintaining
22 laboratory conditions in order to extend or

1 extrapolate beyond previous laboratory
2 experiments.

3 All right, so let's talk about a
4 hypothetical illustration of how we might be
5 looking at community-acquired pneumonia in a
6 noninferiority trial. And as we've been
7 discussing at some length today, what is
8 integral is to be able to come up with a
9 margin, so that if we can rule out that we are
10 worse by more than this margin, we can
11 conclude reasonably reliably that we truly
12 have a beneficial intervention.

13 So let's talk in a setting where
14 it's pneumococcal pneumonia. The standard
15 again let's say is penicillin against a new
16 Quinolone. Let's say the endpoint is failure
17 in mild disease. This could be a composite
18 that would include death, persistence of
19 symptoms, or worsening of symptoms or
20 breakthrough infections.

21 In a more severe patient it might
22 be mortality. So for sake of illustration

1 let's suppose the endpoint is mortality.

2 And let's suppose in our
3 noninferiority trial, the new Quinolone has a
4 5 percent higher mortality than penicillin,
5 with 150 per arm, two standard errors, or plus
6 or minus 10 percent.

7 So let's graphically look at this.
8 We are plotting along this axis. The failure
9 rate, in this example, the relative mortality
10 on the new Quinolone against the standard
11 penicillin. So you'd like to be over here to
12 the left, where the new Quinolone has a lower
13 mortality.

14 You certainly don't want to be
15 here to the right where it would have a higher
16 mortality than the standard or penicillin
17 regimen.

18 Well, in our example it is
19 slightly to the right of zero at 5 percent
20 higher mortality. That could be as much as 15
21 percent higher.

22 Is this upper limit sufficiently

1 low to allow us to conclude that we have
2 adequate efficacy of the new Quinolone? One
3 of the issues is, we have to know where does
4 placebo reside. So what is the actual
5 efficacy, or what is the effect of the
6 standard, the penicillin, against a placebo.

7 And let's suppose we in fact did
8 have a comparison here that looked at no
9 specific treatment, or placebo against
10 penicillin, and let's suppose that the placebo
11 had a 30 percent higher mortality in 350
12 patients, that would also be estimated at plus
13 or minus 10 percent.

14 With that estimate then we would
15 know that placebo lies here at 30 percent
16 higher risk of mortality, or rate of
17 mortality, than the standard penicillin
18 intervention.

19 But this isn't a known fact. This
20 is estimated with random variability, with
21 variability. And there is also uncertainty
22 about the validity of the constancy

1 assumption. Is this historical data telling
2 us that the placebo has a 30 percent higher
3 mortality rate than standard, relative to what
4 the effect of the standard is in the
5 noninferiority trial.

6 And so a traditional approach here
7 is to use the lower limit of the 95 percent
8 confidence interval, as where we would place -
9 - where we would place placebo in this
10 scenario.

11 And in fact in this example,
12 because the upper limit of the confidence
13 interval for the new Quinolone against
14 placebo, against penicillin, does rule out 20
15 percent, we'd conclude that this is evidence
16 of efficacy.

17 It is better than a placebo.

18 But the issue is, if in fact you
19 have a very effective standard in penicillin,
20 why is it good to introduce something else
21 that is just better than placebo?

22 And so the tradition is, we need

1 to preserve an important fraction of the
2 effect of the active comparator, a fraction
3 that is often taken as 50 percent.

4 So if we want to preserve 50
5 percent of the effect of penicillin, the
6 margin then that we would be using is 10
7 percent.

8 Now there is also another
9 important criterion that must be considered.
10 The margin must be sufficiently small that we
11 can accept that it'd be clinically relevant
12 for the experimental therapy to be worse than
13 penicillin or the standard by at least 10
14 percent.

15 We're inclined to say, sure, okay,
16 it's all right if we can rule out that
17 mortality is not higher or greater than 10
18 percent. We want a margin that's as big as we
19 can make it to keep trial sizes small to make
20 it more likely to get a positive result.

21 On the other hand, we have to be
22 able to justify the patients would be

1 comfortable using this new intervention simply
2 by knowing that we're ruling out that it has
3 more than a 10 percent higher mortality.

4 So to justify that, I always turn
5 it around and say, suppose you had with an
6 experimental intervention the ability to
7 reduce 30 percent mortality to 20 percent;
8 that's a 10 percent improvement. Would you be
9 marching off claiming that's a major advance,
10 even if there were inconveniences with that
11 new therapy? Absolutely.

12 Well, if a 10 percent improvement
13 would be highly clinically important on
14 mortality, why are we willing to give up 10
15 percent mortality in the other direction?

16 So in essence the clinical
17 relevance of the reduction in efficacy has a
18 major component in defining what the
19 appropriate margin is, and if we are going to
20 allow a 10 percent increase in mortality,
21 there needs to be substantial other benefits
22 that counterbalance that from the perspective

1 of the patient.

2 So in essence then the margin that
3 we arrive at has to be sufficiently rigorous
4 that it allows us to establish efficacy; to in
5 particular allow us to know that we are
6 preserving a substantial fraction of the
7 effect of an active comparator, an active
8 comparator that we're saying is so effective
9 it would be unethical to use a placebo.

10 Well, if it's unethical to use a
11 placebo, it's unethical to lose an important
12 fraction of that active comparator effect.
13 And also it has to be sufficiently small that
14 we can justify that it is acceptable to have
15 loss of efficacy of up to that amount.

16 Well, if you do a noninferiority
17 trial, and the new Quinolone is in fact
18 established to be noninferior to penicillin,
19 what can we say? It's at least as good as
20 penicillin? Or it's not worse than
21 penicillin?

22 Well, let's suppose that the

1 noninferiority trial was actually 10 times
2 larger than what I showed in the previous
3 example. But you are still estimating it has
4 a 5 percent higher mortality. Now with 3,000
5 people that's 5 percent plus or minus only 3
6 percent.

7 So now when we look on the plot,
8 in this particular scenario, we still see that
9 the mortality rate on the new Quinolone is 5
10 percent higher than it is in penicillin, but
11 with an upper limit of 8 percent that rules
12 out our margin, so we've successfully
13 established noninferiority.

14 On the other hand, the other limit
15 of the confidence interval rules out equality,
16 approving inferiority. So in this
17 noninferiority trial we have established that
18 the new Quinolone is noninferior to
19 penicillin, while proving its inferior.

20 All right? Actually I'm okay with
21 that paradox. I'm okay with the paradox
22 because noninferiority doesn't mean that I've

1 established that the experimental therapy is
2 not worse than. Noninferiority simply says
3 that I've ruled out that it's unacceptably
4 worse than. It may be worse; I've just ruled
5 out that it's unacceptably worse. That's all
6 we conclude with noninferiority.

7 As a result this 10 percent margin
8 better be ruling out anything that is, in
9 fact, unacceptable. So if you tried to use a
10 15 or 20 percent margin you'd have to be
11 justifying that any increase in mortality less
12 than 15 or 20 percent wouldn't be clinically
13 important.

14 So no, those aren't the
15 conclusions of -- noninferiority simply allows
16 you to conclude that the new Quinolone is not
17 meaningfully worse than the standard, in this
18 case penicillin.

19 All right, what I'd like to do in
20 the second part here, then, is to go forward
21 with these principles to apply them in the
22 setting of CAP trials.

1 And we have seen, we have had much
2 discussion about the fact that standard
3 antibiotics in CAP are effective. The
4 question we have to address isn't a simple one
5 as that. The question is, what is the effect?
6 What is the magnitude of the effect? On what
7 clinically important outcome measures, in what
8 population, and in what experimental
9 conditions?

10 Because we need the answers to all
11 these questions that Ed Cox laid out at the
12 beginning today in order to be able to define
13 noninferiority margins, and to design
14 noninferiority trials.

15 So choice of endpoint. There is a
16 temptation to use our biological understanding
17 to say, we intend to achieve, we hope to
18 achieve, clinical benefit on mortality, on
19 symptoms, on breakthrough infections, mediated
20 through biological effect, such as effects on
21 microbiological endpoints.

22 So in bacteremic patients, one

1 such endpoint might be getting clearance or
2 negative blood cultures. Brad Finland showed
3 that in a considerable number of bacteremic
4 patients, that we had deaths occurring with
5 purulent focal complications in spite of
6 repeatedly negative blood cultures.

7 And so this is the classic example
8 of a false positive conclusion that we often
9 get with surrogates. And the reasons that
10 surrogates are often misleading isn't that we
11 aren't partially right about how it is we are
12 trying to achieve benefit. But the totality
13 of the mechanisms through which the disease
14 process influences outcome, and the mechanisms
15 through which the treatment affects those
16 processes, is highly multidimensional. And
17 the ultimate effect on clinical measures is
18 often not adequately captured by just looking
19 at the effect on one specific biomarker.

20 We talked about defervescence.
21 The argonaut reported an experience in
22 patients where temperature became normal at

1 three days in half the treated patients. It
2 did become normal in a substantial number of
3 the controls, about 25 percent, but this
4 represented a doubling, a substantial
5 improvement with therapy on defervescence.
6 And yet, secondary pyrexia was fairly common.
7 And he reports the overall average duration of
8 pyrexia in the hospital was little affected.

9 So simply getting clearance or
10 normalization of the temperature isn't
11 capturing the totality of the effect on
12 pyrexia, because even a more significant issue
13 with defervescence, is defervescence in
14 essence capturing the essence of the benefit
15 and risk of what we're hoping to achieve in a
16 CAP setting?

17 If so, if lowering the body
18 temperature is the goal, why not just use
19 antipyretics, if that's the goal. But in
20 fact it's not the goal. In fact if that were
21 the goal we could do placebo control trials.
22 Because what serious harm would be induced if

1 the worst scenario here is that we would have
2 a slight delay.

3 It is a clinically relevant
4 measure, but it's not capturing nearly the
5 totality of what we really most care about in
6 terms of mortality and symptoms.

7 So while defervescence, if that
8 was the essence of what we're trying to do
9 would be a proper endpoint. If we're actually
10 thinking of it as a surrogate for a more
11 comprehensive benefit, for what really matters
12 to a greater extent to patients, it's actually
13 a very bad surrogate.

14 Because antipyretics do lower body
15 temperature, but don't affect mortality and
16 symptoms. And yet serum therapy that induces
17 febrile reactions in a third of the patients
18 decreases mortality. We get the wrong answer
19 when we compare antipyretics to serum therapy
20 when we are using defervescence when in
21 reality it's the serum therapy that provides
22 the more global benefit.

1 Here is a listing, kind of a
2 prioritization of what the endpoints could be,
3 from the most clinically compelling, to what
4 could also be used as measures if we have
5 proper instruments.

6 If we have properly validated
7 patient reported outcomes, PRO measures, we
8 could be using these types of measures to
9 establish benefit.

10 However, as we know from the broad
11 science of measuring such outcomes, the devil
12 is in the details to really validating PROs.
13 The outcomes can depend very significantly on
14 the outcome measures. Metley referred to the
15 fact that in treated patients, median time to
16 resolution of cough is 14 days. Twenty
17 percent of patients reported substantial
18 fatigue three months after the initial time to
19 diagnosis in contrast to the single article by
20 Kingston that says you have resolution of
21 fatigue.

22 These data have to be taken with

1 great caution looking at the totality of
2 evidence on such measures, and looking at
3 whether or not we have reliable instruments to
4 assess the effects on such measures.

5 Metley pointed out that there are
6 no well controlled studies. We understand
7 that; we have no randomized trials. But his
8 quote, it's nicely pointing out what
9 observational studies -- and that's we have,
10 we have historical observational cohorts --
11 what are they highly suited to do? And what
12 are they weakly suited to do?

13 Observational cohorts are well
14 suited to defining what is the expected rate
15 of complications of certain kinds of events,
16 describing how patients are managed.

17 What they are poorly suited to do
18 is to estimate the impact of an intervention.

19 In essence where we have our best
20 opportunity from the historical literature to
21 look at what the effects are of interventions
22 and CAP would be for mortality endpoints.

1 Dowling, this is one of the
2 overall summary papers that Dowling presents,
3 and it points out that we have a significant
4 amount of literature looking at no specific
5 therapy against serum therapy, sulfonamides
6 and penicillin on overall mortality.

7 Two limitations or two issues:
8 these columns provided the most substantive
9 evidence used in the IDSA report. The
10 sulfonamide report, though, certainly the
11 sulfonamide column shows lesser efficacy, or
12 at least in these data, a higher level of
13 mortality than on penicillin.

14 The other significant issue here
15 is this is only allowing us -- it's important
16 to assess effects by age, and to adjust for
17 confounding by age, but it only allows us to
18 look at age.

19 So how do we address the
20 confounding that exists in the lack of
21 randomization? Finland and Brown noted, any
22 presentation concerning mortality from

1 pneumonia is incomplete if it fails to take
2 into account bacteremia age and presence of
3 systemic complications.

4 And in a significant overview
5 article by Finland, Finland is looking
6 specifically in these white bars, in those
7 patients that had no specific therapy.

8 And when you look in bacteremia
9 patients by age, you see age is strongly
10 predictive of mortality. And in non-
11 bacteremia patients by age, age is strongly
12 predictive of mortality.

13 Even more predictive of mortality
14 is bacteremic status, when you compare age
15 groups across bacteremic-nonbacteremic.
16 Bacteremic status is highly predictive of
17 mortality.

18 Why is this important? Well,
19 Finland and Brown in their 1939 article show
20 two groups, one group that had nine deaths in
21 18 patients, one group that had one death in
22 18 patients. Of course we don't know, there

1 wasn't randomization, so there is probably a
2 lack of comparability.

3 But you say, come on, Fleming.

4 Yes, there is no randomization here. But this
5 is a 44 percent difference, P of .003. This
6 is pretty compelling.

7 Well, this is sulfanilimide that
8 had the 50 percent, and no specific treatment
9 that had the 6 percent.

10 So before we take the conclusion
11 that sulfanilimide is harmful, we looked at
12 the data to see that in fact in the
13 sulfanilimide category more than half the
14 patients were bacteremic; more than half were
15 multi-lobar, and almost three-quarters were
16 age greater than 30, whereas in the no
17 specific treatment there were no bacteremic
18 patients, and no patients that were over the
19 age of 30. High confounding. Therefore, it's
20 critical to sort out treatment effects from
21 these highly predictive prognostic factors.

22 So essentially what I'd like to

1 talk about is an independent literature review
2 to derive margins in the noninferiority
3 setting on a mortality endpoint that addresses
4 as best possible this confounding.

5 So essentially what we did was, an
6 extensive literature review of original source
7 articles. We did not use the review articles
8 for two reasons. The review articles led to
9 double counting, because review articles, two
10 separate review articles, often had the same
11 source articles.

12 Secondly, when you went to the
13 source articles you found more data on the
14 characteristics of patients, particularly age
15 and bacteremia.

16 We also looked predominantly at
17 the experience on sulfamide derivatives and
18 penicillin, and didn't include the data on
19 serum therapy because we wanted to have
20 mechanisms of action here that were actually
21 similar to Beta-lactams and Quinolones that
22 would be used today.

1 And the majority of subjects had
2 pneumococcal pneumonia, and had
3 microbiological confirmation of disease.

4 It would be difficult then to
5 extrapolate to some of the other types of
6 pneumonia.

7 We were able to find, among many
8 many articles, 16 that did characterize
9 results by age and by bacteremia, and eight in
10 particular that characterized by age and by
11 bacteremia, in a search led by John Powers and
12 assisted by me.

13 And this extensive effort, I'm
14 going to summarize them in a single slide. So
15 in this single slide, what we characterized
16 then is the death rate, mortality over number
17 of patients on no specific treatment versus
18 antibiotics in a bacteremic setting; and no
19 specific treatment against antibiotics in a
20 nonbacteremic setting, subdivided again by the
21 same age categories that Finland had used,
22 less than 30, 30 to 49, and greater than 50.

1 So we have six separate cells in
2 which to make the comparison.

3 The interesting phenomenon, the
4 interesting results that we can see is that
5 age is highly predictive of mortality. As you
6 go down the column, as you go down the rows,
7 you have more - much higher mortality, and
8 that's also certainly the case in bacteremic
9 patients.

10 And bacteremia is highly
11 predictive of mortality as well. So
12 bacteremia and age are highly predictive of
13 outcome. As a result they are confounders.
14 This is the aspect that is fundamentally
15 different, as you well know. If we had
16 randomized trials, we would have randomization
17 to account for this high predictiveness of
18 these covariants.

19 Separately, in addition to
20 bacteremia and age being predictors of
21 outcome, they have a completely separate
22 property: they are also effect modifiers. And

1 effect modifiers are the critical issue that
2 deal with the issue of constancy assumption.

3 So when you have covariants like
4 bacteremia and age, if you had randomization,
5 you don't have to worry so much about them
6 being predictors; you have to worry about them
7 being effect modifiers. But here we have to
8 worry about both. We have to worry about the
9 fact that they are predictors, confounding our
10 analysis, and they are effect modifiers. The
11 conclusions can be specific to the category.

12 And what we find here is the
13 estimated differences here are very different
14 across these cells. There certainly is effect
15 modification that is occurring here.

16 And what we also find is that
17 while bacteremia status is an effect modifier,
18 even when you are adjusting for age, it's not
19 so much an effect modifier in the older
20 patients. It's much more profoundly an effect
21 modifier in younger patients.

22 Conclusion: if you want to develop

1 a noninferiority margin in younger people, you
2 must take into account bacteremia status in
3 addition to age. Age is absolutely critical;
4 so is bacteremia status.

5 So what we have done is just a
6 partial adjustment for the lack of
7 randomization. We have looked at age and
8 bacteremia status. There are many other --
9 there are at least five other key predictors
10 and potential effect modifiers, as identified
11 in the literature.

12 The PORT scoring certainly allows
13 for and takes into account age and comorbid
14 illness. It doesn't take into account
15 bacteremia status. And in fact Musher did a
16 very nice summary here that points out that
17 when you look at the PORT scores in
18 nonbacteremic patients versus bacteremic
19 patients, much of the predictiveness of
20 bacteremia status is not captured in the PORT
21 score.

22 Bottom line is, you need to look

1 at both. When you look at both, what you find
2 are 10 percent margins that can be justified
3 in any of these bacteremia patients, even in
4 younger patients.

5 So this in fact is a higher margin
6 than IDSA was talking about, if you look at
7 younger people who are bacteremic. And if
8 you're not bacteremic, if you are age greater
9 than 50, PORT 4 or 5, you also have a
10 substantial margin.

11 But when you are non-bacteremic
12 and younger, we are not saying that
13 antibiotics don't work, but it's a much more
14 complicated issue to drill down on exactly
15 what that level of efficacy is.

16 And in all likelihood, even if you
17 could refine the amount of information you
18 have in antibiotic treated patients who are
19 non-bacteremic in these other categories, you
20 are probably looking at margins that could be
21 as high as 2 percent to 5 percent, in this
22 range; much less than the 10 percent margins

1 in the other categories.

2 So conclusions: when we do a
3 noninferiority trial, it doesn't establish
4 that the new Quinolone is as effective as the
5 standard. It establishes that the new
6 Quinolone is not unacceptably worse, and that
7 puts significant restrictions on how rigorous
8 we need to have that margin.

9 It's not adequate to do a simple
10 statistical calculation working backwards,
11 saying, I'd like a trial of 300 people. My
12 success rate in the control arm is 80 percent.
13 Therefore what is the margin I can rule out
14 with 90 percent probability? The answer is 15
15 percent. That doesn't justify the margin.

16 You justify the margin first based
17 on clinical criteria as to what is a small
18 enough excess that if it occurred would be
19 clinically acceptable.

20 But that's not it, that's not the
21 end of the story. The margin isn't based
22 simply on a consensus and clinical judgment.

1 It also must be evidence based, and the
2 evidence-based aspect of the margin is that it
3 must be sufficiently rigorous that we know we
4 are preserving a substantial fraction of the
5 effect of the active comparator, if for no
6 other reason because we can't deprive people
7 of that active comparator by doing a placebo-
8 controlled trial. So how can we deprive the
9 clinical community of the effects of that
10 active comparator if the alternative therapies
11 that we'd be approving are in fact
12 meaningfully less effective?

13 So the bottom line here is,
14 indeed, antibiotics are effective, and they
15 are effective on mortality, but that's not the
16 end of the story. To come up with margins, we
17 have to understand as clearly as possible the
18 magnitude of the effect of our comparator
19 antibiotics, and that magnitude, and hence the
20 margin is specific to the endpoint; you have
21 a different margin for every endpoint. It's
22 specific to the population. You have a

1 different margin for each population.

2 And it's specific to the
3 experimental conditions, including the exact
4 intervention that you are using. You could
5 have a different margin for each specific
6 intervention that you are using as the active
7 comparator.

8 So in conclusion, as has already
9 been stated, noninferiority trial designs have
10 generally been recognized as a necessary
11 approach in certain settings, but to be
12 avoided whenever possible. They share many of
13 the inherent dangers of historically
14 controlled trials.

15 In our setting, though, they have
16 the complication that we not only have these
17 highly predictive factors that are effect
18 modifiers, but they are also predictors, and
19 we don't have randomized controlled trials to
20 look at the estimate of the effect of those
21 historical interventions.

22 Interesting, when we talk about

1 ethics, there is a recent article in Lancet
2 that says that noninferiority themselves are
3 unethical. And the argument that's given is,
4 if you have a highly effective standard
5 therapy, and you do a noninferiority trial,
6 you randomize half the patients to that highly
7 effective standard therapy and half of them to
8 an intervention that you hope is as effective
9 but could be clinically meaningfully less
10 effective, why is it in the patient's best
11 interest to go into such a trial and have half
12 a chance to get something that you hope is
13 effective but could be clinically less
14 effective?

15 Hence the ethical issue raised by
16 the Lancet article.

17 So in conclusion we believe that
18 valid noninferiority trials can be done in
19 CAP. They can be done specifically using
20 standard control regimen. So regimens that
21 would - for which we could argue that the
22 effect of sulfanomides and penicillin

1 historically would apply.

2 They should be done in populations
3 that would have significant mortality. In
4 particular, patients who are either bacteremic
5 or PORT 4 or 5, there is substantial evidence
6 of efficacy in those settings. With
7 microbiological confirmation of pneumococcal
8 like disease in those settings, we could then
9 be using all cause mortality on a seven-day or
10 a 14-day mortality endpoint, using setting-
11 specific margins that could be as large as 10
12 percent.

13 In closing I'd like to thank my
14 colleague John Powers for his significant
15 efforts in the literature review and for
16 coauthoring the manuscript that was the source
17 of these comments.

18 Thanks.

19 ACTING CHAIR TOWNSEND: Thank you,
20 Dr. Fleming.

21 We're running just a little bit
22 late. So what I'd like to do is if there are

1 any questions for Dr. Fleming to take a couple
2 for them. Dr. Gilbert, if you are still
3 willing to discuss Dr. Goodkind's question,
4 and then Dr. Rex, you had a question from the
5 last speaker. So if you still have that.
6 Okay. All right.

7 So a couple of questions for Dr.
8 Fleming if you have any, and then we'll go to
9 Dr. Gilbert.

10 DR. GILBERT: Well, I would like to
11 comment, Dr. Gilbert, I'd like to comment on
12 the question that was answered in the previous
13 discussion, and then ask Tom three simple
14 questions.

15 Very simple. First of all, as far
16 as timing of starting therapy and effect on
17 mortality, probably the best data albeit
18 imperfect is the Austrian and gold data
19 showing that the earlier you started the
20 antibiotic the higher the survivorship rate,
21 and that data has been widely quoted, and we
22 can provide the reference if needed. And it's

1 in our position paper.

2 I appreciate Dr. Fleming's
3 eloquence and expertise. I want him to run
4 for political office. But I have three very
5 simple questions.

6 You've answered the first one: you
7 agree that there is a drug effect in the
8 treatment of community-acquired pneumonia.

9 DR. FLEMING: Yes.

10 DR. GILBERT: Do pneumonia patients
11 become afebrile and normalize their white
12 count?

13 DR. FLEMING: Do pneumonia patients
14 become afebrile and normalize their white
15 count on their own?

16 DR. GILBERT: If they survive
17 either - if they survive and/or they are in a
18 treatment.

19 DR. FLEMING: The argonaut data was
20 referencing a cohort that was treated and had
21 no specific treatment, and in terms of febrile
22 status, in terms of defervescence, 25 percent

1 were achieving normalization of temperature by
2 three days.

3 DR. GILBERT: Well, I'm sharing
4 with the audience a discussion that Tom and I
5 have had now over the last four or five months
6 trying to get clinical reality into valid and
7 acceptable clinical trial designs. Because
8 all of us use the responsive or lack of
9 responsive to fever, normalization of the
10 white count, as an endpoint, as well as
11 whether the patients are feeling better or
12 not, which are the patient reported
13 observations.

14 So I love the analysis that was
15 done, but somehow it has to be comprised with
16 clinical reality.

17 DR. FLEMING: So in many disease
18 settings, we use biomarkers for purposes of
19 directing clinical therapy, and that's
20 completely appropriate.

21 The biomarkers have five distinct
22 purposes. And while they are appropriate for

1 some purposes, it doesn't mean they are
2 appropriate for others. So they are useful
3 for assessing prognosis. They are useful for
4 detecting disease. They are useful as you
5 just said for defining how to change regimens,
6 how to change clinical care. They are useful
7 for enrichment of the patient population as we
8 just saw here; bacteremic patients enriches
9 your population, and they are useful as
10 biomarkers.

11 And because a measure is useful in
12 clinical care to direct how you are
13 administering a therapy does not at all
14 establish that it is useful as a biomarker for
15 enrichment or a biomarker to be used as a
16 surrogate endpoint.

17 And the argument that is given
18 here is, if the goal of therapy is
19 defervescence, it's the right endpoint If the
20 goal however, and this is what I believe I'm
21 hearing consistently is, no, we can't do
22 placebo-controlled trials because the risk to

1 these patients is far more than a delay in
2 defervescence.

3 The risks are these much more
4 significant mortality and symptom
5 consequences. What I'm saying is,
6 defervescence, then, could be a very bad
7 measure for discerning far less appropriate
8 versus more appropriate strategies.

9 ACTING CHAIR TOWNSEND: Dr. Rex.

10 DR. REX: My comment is not
11 specifically for Dr. Fleming. It's really for
12 Dr. Temple who asked the question earlier
13 about enrolling groups of patients who have
14 resistant isolates.

15 And my observation is that
16 resistance is not a binary observation. You
17 get a range of MICs. And the very interesting
18 and instructive area under the curve ratio to
19 the MIC analysis is actually a form of
20 exploring that question in a setting where it
21 is not really resistant. It just has a little
22 bit higher MIC.

1 So I would observe that that kind
2 of a result is actually an internal measure of
3 assay sensitivity. If you've done a study in
4 which even though I understand, MICs are not
5 randomly distributed, nor are AUCs. Patients
6 have them because that's their isolate, and
7 that's their pharmacokinetics. But if you
8 take, in large aggregates, the patients who
9 have differing exposure MIC ratios, that
10 begins to point at that question.

11 It's not a complete answer, I will
12 observe, but it certainly does point in the
13 direction.

14 I just wanted to offer that as a
15 bit more of a closing of the circle on your
16 question.

17 ACTING CHAIR TOWNSEND: Dr.
18 Patterson.

19 DR. PATTERSON: Well, I saw that
20 Dr. Spellberg had got up to make a comment on
21 this, and he wasn't allowed to speak. So if
22 he is not going to speak to the group, I'd

1 like to either hear him after lunch and talk
2 to him during the break, and have permission
3 to do that. Because I'd like to hear what he
4 had to say.

5 ACTING CHAIR TOWNSEND: Dr. Temple.

6 DR. TEMPLE: My assumption is if
7 you could find a somewhat resistant population
8 and show that the new drug was better than the
9 other one, we wouldn't have to have this
10 problem, because superiority is usually
11 interpretable.

12 Tom, suppose there were well
13 documented effects of effective therapy on
14 fever, and people decided that defervescence
15 was in fact a valuable thing, even if it
16 wasn't mortality. But it was still valuable.

17 If such data existed, that could
18 be an endpoint, couldn't it? I'm not sure
19 that the evidence is that there is such data,
20 but if there were --

21 DR. FLEMING: It is. It is an
22 endpoint, and it is a valid clinical

1 consequence to patients.

2 If however we said, this is the
3 essence of what we're doing is, we're treating
4 fever, we are trying to achieve defervescence,
5 then I think a lot of us would say it's not
6 such a problem to do a placebo controlled
7 trial with proper informed consent.

8 The problem is, that's not my
9 position. I agree with people who are saying
10 it is a problem to be doing placebo controlled
11 trials in such settings where even if the
12 mortality rate is low, if you are reducing
13 that mortality rate then the effect on
14 mortality, the effect on preventing
15 significant breakthrough infections and in
16 achieving benefit more globally on symptoms
17 are more globally what I'm hoping to achieve,
18 and what this example is saying, you may get
19 the wrong picture of the better choice by
20 simply looking at defervescence alone.

21 DR. TEMPLE: Well, let's suppose
22 you establish that the drug in question is not

1 an anti-fever drug. It's not acetaminofen.
2 That's easy enough to find out.

3 And I'm not sure what the reasons
4 might be why you'd want to pick an endpoint;
5 maybe more people have it or something. There
6 are more endpoints.

7 We -- it's common for example when
8 your goal is to reduce cardiovascular
9 mortality to live with reducing heart attacks
10 which are a surrogate after all.

11 DR. FLEMING: Well, I would find
12 that no problem as well. Heart attacks are
13 themselves a very significant component of the
14 mortality effect and morbidity effects.

15 DR. TEMPLE: Actually not the heart
16 attacks we measure. We measure minor enzyme
17 elevations. People don't recognize that
18 surrogate.

19 DR. FLEMING: I would even take
20 that measure as you refer to as MI even on
21 enzyme elevation as a more profound clinical
22 event than defervescence at day three versus

1 day five.

2 DR. TEMPLE: Okay, just as a
3 thought, I'm not sure a major consequence of
4 being infected like fever couldn't conceivably
5 be an endpoint. I don't think there is any
6 reason to think about that, at least in the
7 severely ill people, because you have a clear
8 mortality effect for the untreated people; you
9 don't have to get there.

10 But I do wonder about the less
11 severe people, the under 30s or something,
12 where you've displayed quite clearly that you
13 are not going to be able to do that.

14 So maybe you want to include
15 everybody in the trial because you like to get
16 information on younger people too. And that
17 could be an endpoint for that population. I
18 wouldn't rule that out yet.

19 DR. FLEMING: So if you could find
20 a cohort sufficiently young, sufficient low
21 risk, non-bacteremic, don't have any of these
22 other complications that put them at a

1 detectible risk of mortality, then I
2 understand your point.

3 But in that scenario, you could
4 take it to the next step and say, I can do
5 superiority, because you are saying, I'm
6 finding a scenario where defervescence is the
7 essence of what I'm trying to do.

8 DR. TEMPLE: No, I don't think
9 that's true. What I hear people - one last
10 thing, what I hear people saying is, we don't
11 want to accept any risk of mortality here.

12 So if the risk is 1 in 500 or
13 something, you are not going to be able to
14 study that. But nobody thinks leaving people
15 with bad pneumonia untreated, we'll accept
16 that risk.

17 So you are not going to have
18 mortality endpoints in that population, but
19 maybe you can have a relevant endpoint in that
20 population. I just wouldn't discard it too
21 soon.

22 DR. FLEMING: And that's what we

1 have to discuss.

2 ACTING CHAIR TOWNSEND: What I'd
3 like to do is, since we didn't have time for
4 questions for the speakers who spoke before
5 the break, is to have any other questions
6 directed to those if possible, before we go to
7 lunch.

8 DR. WEIDERMANN: Let me -- and this
9 may come up later too, but since I see myself
10 apparently as the token pediatrician on the
11 panel here -- oh, we have one more, thanks.
12 I hope we understand -- I mean we are talking
13 about age under 30, and I don't, Tom, in that
14 collection of studies how many were truly in
15 the pediatric age range.

16 But I think the numbers we're
17 talking about apply to adults with pneumonia,
18 and the biologic variability, once you --
19 under 30 compared to 30 to 49 is tremendous
20 variability.

21 So I hope, especially when we're
22 talking about specific margins or

1 categorization of risk factors or things like
2 that, it starts to break down if we really
3 include children.

4 ACTING CHAIR TOWNSEND: Dr.
5 Patterson?

6 DR. PATTERSON: Could we hear Dr.
7 Spellberg's comments now?

8 ACTING CHAIR TOWNSEND: If you
9 could keep them brief, Dr. Spellberg. Thank
10 you.

11 DR. SPELLBERG: Well, first let me
12 respond to the pediatric issue.

13 As I mentioned in my talk, there
14 are multiple historical studies done on the
15 pediatric population. And when I say,
16 pediatric population, under 12.

17 And some of the studies break them
18 apart to under two and between two and 12.
19 Bradley and McCracken have summarized that
20 data and that manuscript will be coming out.
21 And the effect size is very similar.

22 My comments with respect to

1 endpoints are, one, as somebody who takes care
2 of patients I profoundly disagree that fever
3 is not a useful endpoint.

4 We always rate PRN Tylenol, and we
5 - the nurses are always flooding the patients
6 with Tylenol. They can't give them the
7 Tylenol fast enough.

8 Nevertheless, it is PRN. And when
9 the Tylenol effect wears off, if the patient's
10 pneumonia is not responding, they become
11 febrile again. If the patient's pneumonia is
12 responding they defervesce. And there are
13 clinical data in multiple studies that show,
14 and Rich Wunderink alluded to these clinical
15 composites, that if you are clinical
16 responding, and fever is a component of that,
17 your risk of bad outcomes or relapse is
18 extremely low.

19 So we could use that data to help
20 guide us to know that we are effectively
21 treating the pneumonia.

22 The second issue is with respect

1 to bacteremia. There are two problems with
2 bacteremia in the modern era, trying to link
3 them to the historical data sets.

4 One is, there is a much smaller
5 incidence of bacteremia in patients with
6 pneumonia nowadays as compared to historical
7 datasets. And there are probably a variety of
8 reasons for that.

9 But unlike age, which is constant
10 - a 30-year-old is a 30-year-old - there is
11 just a much smaller fraction of patients
12 nowadays that are bacteremic, so it's harder
13 to make a constancy assumption argument.

14 And the second issue is that there
15 are data in the modern era that if you are
16 bacteremic, you don't have a higher mortality
17 if you get treated with antibiotics than if
18 you're non-bacteremic.

19 That was an effect of the
20 historical pre-antibiotic era, and the very
21 early antibiotic era with sulfa drugs which
22 are not very effective at treating bacteremia.

1 In the modern era the clinical
2 data show that whether you are bacteremic or
3 not the mortality is minimally effective as
4 long as you are on effective antibiotic
5 therapy.

6 If you are not on effective
7 antibiotic therapy your mortality is much
8 higher.

9 ACTING CHAIR TOWNSEND: Thank you.

10 DR. FLEMING: I'll take that as a
11 question. Just very quickly in response, we
12 are using the historical data in which
13 bacteremia is very prevalent to arrive at the
14 margins. And therefore we have to take into
15 account that confounding or that property, how
16 predictive bacteremia is.

17 And the second issue is, the issue
18 on the table is not, is defervescence of
19 clinical relevance. The issue is, when you
20 look at an effect on defervescence, are you
21 capturing adequately what the totality of the
22 intended benefit is to patients?

1 DR. MUSHER: And that is exactly
2 the point, Dr. Fleming, that I don't
3 understand. The totality of the benefit is
4 not what was under discussion. There are a
5 number of different things that you can look
6 at. So it wasn't a totality.

7 And in your response to Dr. Temple
8 I didn't understand it. Because it sounded as
9 if he says we should use temperature as an
10 endpoint, and you went back to the placebo
11 argument, and it really just didn't follow.
12 And just before your comment, I would like to
13 add, as was just pointed out, we clinicians
14 use multiple endpoints. And if you are taking
15 a disease that is not so serious, where you
16 don't really expect mortality, and there is
17 not going to be enough mortality that you are
18 going to be able to tell from it, unless you
19 got a huge study, then you have to use
20 multiple clinical endpoints. And they would
21 include the time it takes until you feel
22 better, and the time it takes until you stop

1 coughing, and the time you defervesce, which
2 of course is all the need for a placebo
3 control for a controlled study with
4 comparator, and to be double blinded.

5 DR. FLEMING: Yes, indeed. And
6 there is concurrence on the fact that you need
7 to take into account the multidimensional
8 aspect of what we're trying to do. And the
9 strongest arguments that are being put forward
10 about the reason we have to use effective
11 active controls is in particular because some
12 of those elements of what we're providing are
13 of profound irreversible morbidity and
14 mortality.

15 Some others are also important.
16 Persistence of symptoms, breakthrough
17 infections, et cetera. And then symptoms such
18 as defervescence. They are all part of the
19 whole story.

20 If we're however putting forward a
21 criterion for registrational trials, is
22 defervescence as the primary endpoint

1 adequately comprehensive to the totality of
2 what I agree with you, you have to think about
3 clinically.

4 DR. MUSHER: So it's multiple
5 endpoints is what's being proposed, not a
6 primary endpoint?

7 DR. FLEMING: Well, the strategies
8 that could go forward certainly could be based
9 on, for example, a mortality endpoint. If you
10 looked in a severe patient at a mortality
11 endpoint, and you are seeing benefit there,
12 that is such a profound measure that even
13 though you are not looking at some of the
14 other elements, we would be persuaded of the
15 benefit.

16 So the opposite extreme, when you
17 take something at the lower end of the
18 spectrum of relative clinical importance and
19 rely on that alone, then there is the
20 uncertainty as to how effects on that measure
21 are predictive of effects on other measures.
22 And being a predictor doesn't validate a

1 surrogate endpoint. Simply saying that
2 historical data says that when you have lower
3 temperatures -- in fact I think there is
4 evidence to say when you have particularly low
5 temperatures you could be at higher risk.

6 But just being a predictor
7 doesn't validate getting a change on that
8 measure will achieve the intended clinical
9 benefit on the clinical endpoint.

10 DR. MUSHER: Could I just one more
11 very quick comment.

12 Again, it seems to me, and you
13 realize I'm struggling to understand the
14 statistics, although I think you presented
15 them very clearly -- it's just hard for me.
16 But if the patient -- if the patients do
17 better in five or six clinical regards, but
18 somehow there is a much higher mortality among
19 the ones who did better, then we're going to
20 say that mortality trumps all those things,
21 and that's not going to make a superior drug.

22 But if the patients do better in

1 all those other regards, and there is no
2 mortality difference, then you would say you
3 have very good drug, a better drug, whatever
4 it is. I think you can't dismiss all the --
5 and I think that may be what you were saying.

6 I've already asked several
7 questions, and the guy said, that's exactly
8 what they were saying. So I'm just sort of
9 dense.

10 But I am trying -- I always figure
11 if I'm the only one in the room, I doubt I'm
12 the only one in the room who doesn't
13 understand something.

14 ACTING CHAIR TOWNSEND: Real quick.

15 DR. FLEMING: So real quick, the
16 goal in any therapy is benefit to risk. And
17 favorable benefit to risk. And we would
18 ideally like to have our measure of benefit be
19 as representative of what it is you as a care
20 giver and as patient truly want to achieve.

21 And we hope there are concordances
22 in how those effects occur. In the literature

1 there is in clinical practice innumerable
2 examples of where if you take a COX-2 and you
3 can achieve lower GI ulceration than a
4 nonselective end set, and have analgesic
5 effects, you still may be achieving increased
6 risk of cardiovascular death, stroke and MI.
7 And there are just numerous examples of this.

8 If you knew that, yes, it would
9 trump the symptom relief, if you were causing
10 deaths. We hope that's not the case here.
11 But the bottom line is, we need to make an
12 adequately reliable assessment of what we are
13 doing, that you as a care giver are trying to
14 achieve when you treat your patient. And is
15 defervescence an adequate measure of that
16 totality.

17 And I'm giving some examples where
18 I think it's very -- it ought to be concerning
19 if that's all we're measuring.

20 So I like your idea of saying, I
21 want to see something more comprehensively, so
22 that I have a more reliable sense of what I'm

1 doing in totality.

2 ACTING CHAIR TOWNSEND: Thank you.
3 We'll have time to discuss this more. Thank
4 you very much.

5 I think people are probably people
6 are getting antsy for lunch. I know I'm
7 getting hungry.

8 Dr. Patterson, I know you had a
9 question. If we can wait until later that
10 would be great. Thank you.

11 So we'll now take a break for
12 lunch. We'll reconvene in this room again in
13 an hour. So at 1:25, if you have any personal
14 belongings please take them with you. Panel
15 members, please remember, what happened in
16 this room stays in this room. Don't discuss
17 this out there.

18 Thank you.

19 (Whereupon at 12:25 p.m.
20 the proceeding in the above-entitled matter
21 went off the record to return on the record at
22 1:28 p.m.)

1 (Whereupon at 12:25 p.m. the
2 proceeding in the above-entitled matter went
3 off the record to return on the record at 1:28
4 p.m.)

5 ACTING CHAIR TOWNSEND: We are
6 going to go ahead and start without them.

7 So we are going to start off this
8 afternoon with a presentation by Dr. Mary
9 Singer about the treatment effect of anti-
10 bacterial drugs, and community-acquired
11 pneumonia, historical perspective.

12 TREATMENT EFFECT OF ANTIBACTERIAL DRUGS IN
13 CAP: A HISTORICAL PERSPECTIVE

14 DR. SINGER: Good afternoon,
15 everybody.

16 Today I'm going to discuss what we
17 know about the treatment effect of
18 antibacterial drugs in CAP from the historical
19 data.

20 My objectives are to review
21 evidence for treatment effect of antibacterial
22 drugs in CAP, and to estimate the magnitude of

1 that treatment effect.

2 Usually generally treatment effect
3 is determined from placebo-controlled studies.
4 But there have been no placebo controlled
5 studies, or studies with no specific therapy
6 as a control since the late 1930s for CAP.

7 So we searched through the
8 literature for data which might allow for an
9 estimation of the treatment effect, looking
10 for information on natural history of
11 untreated pneumonia, and we focused on
12 published studies performed in the pre-
13 antibiotic era, and shortly after antibiotics
14 were introduced.

15 Most were studies of either
16 pneumococcal or lobar pneumonia, which were
17 considered synonymous at the time. And most
18 were in hospitalized patients.

19 We found a number of observational
20 studies which looked at patients treated with
21 antibacterials, or those who received no
22 specific therapy except for symptomatic

1 treatment.

2 They all use mortality as an
3 endpoint. We also found a number of - a few
4 controlled trials of antibacterial drugs
5 versus untreated controls. Again most were in
6 pneumococcal or lobar pneumonia, and we also
7 looked at a couple of studies that studied
8 mycoplasma pneumonia.

9 Dr. Nambiar is going to talk some
10 more about some alternative approaches we also
11 took to looking for an antibacterial effect.

12 This slide shows a brief summary,
13 excuse me, a brief history of effective
14 treatment for pneumococcal pneumonia.

15 Strep pneumoniae, or Diplococcus
16 pneumoniae was first identified as the cause
17 of pneumonia in around 1881. At the same time
18 by Sternberg in the U.S. and Pasteur in
19 France.

20 Serum therapy was first used for
21 pneumonia, treatment of pneumococcal
22 pneumonia, starting around 1913 with some

1 success. And sulfapyridine was introduced
2 into clinical practice around 1938 and 1939.
3 Sulfapyridine is a sulfaminide derivative, and
4 was shown in a few early clinical studies to
5 have possible efficacy in pneumonia, and was
6 very quickly introduced into clinical
7 practice.

8 It wasn't until the '40s that
9 penicillin and other true antibiotics were
10 introduced into practice.

11 Before I show you the data I'd
12 like to show a quote from Sir William Osler,
13 who himself died of Haemophilus influenza
14 pneumonia in 1919.

15 He described the natural history
16 of CAP before antibiotics. Recovery followed
17 the crisis, an abrupt decrease in temperature
18 over 12 hours accompanied by a passage from a
19 condition of extreme distress and anxiety to
20 one of comparative comfort, and occurred in a
21 large proportion of cases. A fatal outcome
22 was noted in 20 - 35 percent.

1 He went on to say that worst
2 prognosis was evident in drunkards, in the
3 elderly, with fatality increasing to 50 - 65
4 percent in the elderly and those in their
5 sixth and seventh decades.

6 Other than a few observational
7 studies and anecdotes like I just showed you,
8 we know really very little about the natural
9 history of untreated community-acquired
10 pneumonia.

11 This figure was taken from a
12 chapter by Bullowa in 1937 in his textbook on
13 the management of pneumonia for medical
14 students and physicians.

15 He described the proportion of
16 payments with untreated pneumococcal pneumonia
17 who recovered, or terminated by recovery,
18 describing the proportion of patients who
19 recovered by day for different pneumococcal
20 types.

21 So there was a total of 662 cases
22 here of eight different pneumococcal types,

1 each shown by a different pattern in the bar,
2 over a period of days from day one to day 19,
3 for the percent of patients ranging from zero
4 to 20 percent here.

5 So he first described a bell-
6 shaped curve for - and this was fever
7 resolution. Note that most patients had
8 resolution of fever by days seven and eight,
9 while only a small percentage had a resolution
10 of fever in the first few days.

11 Bullowa also described mortality
12 in untreated pneumococcal pneumonia in infants
13 and children, and this table shows mortality
14 by age, in those under two years old, and
15 those who were over two, depending on whether
16 or not they had bacteremia or not.

17 Overall mortality was highest in
18 children under two years old, so for all
19 cases, whether they were bacteremic or not, 20
20 percent, compared to 4 percent in those who
21 were over two years old.

22 In both cases mortality was higher

1 in bacteremic patients than those who had
2 negative blood cultures.

3 First I'll discuss the data from
4 the observational studies. This was a study
5 from Tilghman and Finland in 1937 who reported
6 a percent mortality as a function of age and
7 the presence of bacteremia in this prospective
8 study of over 1,500 patients with pneumococcal
9 pneumonia at Boston City Hospital between 1929
10 and 1935.

11 So most of -- the large majority,
12 82 percent of the cases, of the 1,500 cases,
13 receive no specific therapy. So that was 82
14 percent who received no therapy here; 18
15 percent did receive pneumococcal antiserum.

16 So this figure shows, is combined
17 for both, those who received treatment and
18 those who did not.

19 It shows mortality on the Y axis
20 by age group, and for all cases, whether they
21 were bacteremic or not, mortality increased
22 with age.

1 Mortality was higher in those who
2 were bacteremic than those who were not; and
3 the proportion of patients who were bacteremic
4 also increased with age, except for the oldest
5 group in this study.

6 Note that the proportion of those
7 who were bacteremic in this particular study
8 far exceeds what we would see in clinical
9 trials today. Also note that in bacteremic
10 patients mortality was almost 30 percent in
11 the youngest group, increased to about 100
12 percent in the oldest group, while in those
13 who were non-bacteremic, mortality was still
14 in the range of 10 percent in the youngest
15 group, and quickly increased to about 80
16 percent.

17 Tilghman and Finland in this study
18 also looked at outcomes, that is mortality
19 depending on treatment. So whether they were
20 treated with serum or no serum. So mortality
21 was highest in bacteremic patients who did not
22 receive any serum treatment compared to those

1 who did, 84 versus 45 percent. And in non-
2 bacteremic patients, untreated, mortality was
3 32 percent compared to 12 percent for treated.

4 In the same study the authors also
5 looked at duration of acute illness by
6 presence of bacteremia, age - excuse me, not
7 age - and whether or not they were treated
8 with serum. So this slide is a little bit
9 difficult to read, so I'll take some time to
10 explain it.

11 The upper chart refers to those
12 who received no specific therapy. So that was
13 really the bulk of the patients in the study.
14 The lower chart refers to those who received
15 serum treatment. The X axis is duration of
16 acute illness and days, and the Y axis is the
17 number of cases.

18 You'll see that for each time
19 period here there are two bars, the first one
20 represents bacteremic cases; the second one
21 represents non-bacteremic cases. And each bar
22 is divided into two showing survivors at the

1 top and those who died at the bottom.

2 So in patients who received no
3 specific therapy duration of the acute illness
4 was most often about seven or eight days in
5 comparison to those who received serum
6 treatment duration of illness was shortened to
7 about four to six days.

8 You've seen this slide before.

9 This is a summary slide from Max Finland
10 published in 1943, and then again in 1960. He
11 reported mortality rates in patients with
12 pneumococcal pneumonia at Boston City
13 Hospital, comparing those who received no
14 specific therapy to those treated with serum
15 or sulfonamide derivatives.

16 So percent mortality is on the Y
17 axis. Age group is on the X axis. The top
18 left shows all cases, while the other two, the
19 bottom left and right, show bacteremic subset
20 and non-bacteremic cases.

21 So for all patients, okay, one
22 other thing, the white bars or open bars

1 represent those who receive no specific
2 therapy. Striped bars show those who received
3 serum treatment, and black bars represent
4 those who received sulfonamide derivatives.
5 A small proportion of those also received
6 serum.

7 And you probably can't read these
8 numbers. There were about 2,800 who received
9 no specific therapy; over 1,000 with serum
10 alone; and about 1,200 cases who received
11 sulfonamides.

12 So overall mortality was 41
13 percent in those who were not treated; so
14 that's for all ages. And about 17 or 18
15 percent in those who received sulfonamides.

16 As already pointed out, the
17 mortality increased with age regardless of
18 treatment. Mortality in bacteremic patients
19 was much higher overall, about 78 percent
20 compared to about 30 percent in those who
21 received sulfonamides; again, increased with
22 age.

1 In the non-bacteremic group,
2 mortality was considerably lower, but there
3 was still a small treatment difference. For
4 the untreated group mortality was about 28
5 percent compared to about 10 percent or 11
6 percent in those who received sulfonamides;
7 much larger in those that were over 50 years
8 old.

9 So this was an observational study
10 by Max Finland's group at Boston City Hospital
11 in 1944 in which patients had moderate to
12 severe pneumococcal pneumonia. The study
13 doesn't really provide any direct information
14 about treatment effect over placebo, but does
15 provide some other useful information.

16 In contrast to other studies of
17 the time period, patients were classified by
18 severity at baseline. They were characterized
19 as grade two, or moderate; grade three,
20 meaning that they were acutely ill or
21 irrational; grade four meaning they had shock
22 and/or heart failure.

1 So grades three and four would be
2 considered severe even by today's standards.
3 And you can see that the treatment arms, which
4 were penicillin alone or penicillin after
5 failing or developing intolerance to sulfa
6 treatment.

7 The treatment arms are not
8 balanced by severity. In this case 16 out of
9 17, and in the second treatment group to be
10 considered to have severe pneumonia, compared
11 to 21 out of 37 in the penicillin alone group.

12 Outcomes were not reported by
13 severity in this study, but we can see that
14 overall mortality was 18 to 19 percent in both
15 groups.

16 Another important point about the
17 study was that they looked at other endpoints
18 other than death, including relapse,
19 complications, bacteremia, duration of acute
20 symptoms and fever.

21 So for example in the penicillin
22 group there were two relapses; no evidence of

1 bacteremia in the patients who did have blood
2 cultures once they started penicillin; and in
3 the large majority of patients, 80 to 90
4 percent, there was resolution of fever and/or
5 acute symptoms in under 48 hours in those who
6 received penicillin.

7 So there are some differences
8 between the two groups, but the point of the
9 slide was really not not show those
10 differences but what we might expect if we
11 were looking at other alternative endpoints to
12 mortality.

13 Dowling and Lepper in 1951
14 compared case fatality rates in patients with
15 pneumococcal pneumonia who received no
16 specific treatment, which is the solid line,
17 to those who received serum therapy,
18 sulfonamides, or penicillin and other
19 antibiotics.

20 So the case fatality rate is shown
21 on the Y axis; age and years is shown on the
22 X axis. And important points in this study

1 were that mortality increased with age in each
2 of the treatment groups. There appeared to be
3 a lower mortality in the serum treatment
4 group, but especially noticeable in younger
5 patients rather than older.

6 Mortality was reduced even further
7 in those who received sulfonamides, but the
8 largest treatment difference between treatment
9 and untreated control was seen in the
10 penicillin and other antibiotic-treatment
11 group.

12 In 1964 Austrian and Gold
13 described a prospective observational study of
14 patients with pneumococcal pneumonia with
15 bacteremia. They followed patients who were
16 hospitalized between 1952 and 1962, so a total
17 of 455 patients, with bacteremic pneumococcal
18 pneumonia without extra-pulmonary
19 complications, for example, meningitis.

20 In this study about half were
21 under 50 years old, and 55 percent had
22 preexisting comorbidity, such as cardiac

1 disease.

2 Most were treated with penicillin
3 or other antibacterial agents, while a small
4 proportion received no specific treatment
5 during this time period. So this could be
6 considered a concurrent control.

7 This table shows case fatality
8 rate by treatment in Austrian study. So
9 penicillin, those who received penicillin were
10 in the largest group. Mortality was 17
11 percent. And similar mortalities or case
12 fatality rates were seen in those who received
13 tetracycline or antibacterials.

14 And if you combine all those
15 groups, overall mortality for any anti-
16 bacterial treatment was 17 percent.

17 Among the few patients who
18 received no specific treatment, 14 out of 17
19 died for a case fatality rate of 82 percent.

20 They also, Austrian and Gold also
21 looked at survival in patients with bacteremic
22 pneumococcal pneumonia over a 21-day time

1 course in patients treated with penicillin --
2 this is from their prospective study --
3 compared to historical controls treated with
4 serum or those who received no specific
5 therapy.

6 So there were let's see, 298
7 patients in the penicillin treatment group; 93
8 with serum; and 384 in the untreated group.

9 So at day 21 the treatment between
10 penicillin as far as survival in those who
11 received penicillin versus no specific therapy
12 was about 65 percent.

13 Just to summarize the microbiology
14 from these observational studies I just
15 described, all of these studied *S. pneumoniae*
16 almost exclusively.

17 And this figure summarizes the
18 treatment effect based on mortality in
19 pneumococcal pneumonia from those
20 observational studies. The blue bars show
21 percent mortality in the untreated group,
22 while the lavender and gold represent

1 mortality in those who received sulfonamides
2 or penicillin.

3 So in the Finland study the
4 treatment difference would be considered to be
5 41 minus 17, or 24 percent, while in those
6 that were bacteremic in that study, 78 minus
7 30 would be the treatment difference, or 48;
8 in the Dowling and Lepper study, treatment
9 difference for sulfonamides was about let's
10 see 18 percent I believe, compared to 26
11 percent with penicillin.

12 The Austrian study, which looked
13 only at bacteremic patients, the treatment
14 difference was much larger, about 65 percent.

15 So this table summarizes both the
16 point estimates which I just pointed out, as
17 well as the 95 percent confidence intervals
18 among the observational studies.

19 So the treatment differences, that
20 is, the difference in mortality between
21 treated versus untreated groups ranged from 19
22 to 25 percent in the Dowling study; 24 percent

1 in the Finland paper; and was much higher in
2 bacteremic patients, 48 to 65 percent.

3 Recognizing potential for bias in
4 this type of post hoc subset analysis, we
5 looked at mortality by age in treated and
6 untreated patients from these observational
7 studies. From Finland's summary we could not
8 determine the number of patients in each of
9 the subgroups. But for the other two studies
10 mortality was higher in patients who were at
11 least 50 years, compared to younger patients.

12 For example in the Dowling study
13 mortality in treated patients was 20 percent
14 if patients were over 50; 6 percent if they
15 were under 50. In the untreated group
16 mortality was 53 percent, compared to 19
17 percent in the under 50 group; and similar
18 results were shown in the Austrian study.

19 Now I'd like to focus on some of
20 the early clinical trials of anti-bacterial
21 drugs for treatment of pneumonia. Note that
22 really none of these studies would be

1 considered adequate and well controlled by
2 today's standards for a number of reasons.

3 In this study by Park and
4 colleagues in 1928 alternate patients with
5 lobar pneumonia who were admitted from Harlem
6 Hospital from 1927 to 1928 were treated with
7 either pneumococcal antiserum or standard
8 treatment.

9 And standard treatment at that
10 time was fluids, pain relief with an elastic
11 adhesive plaster, restriction of opiates, no
12 drastic catharsis, oxygen for cyanosis or
13 rapid breathing, and digitalization for heart
14 rate greater than 120.

15 This table shows case fatality
16 rate for the subset of patients with Type I
17 pneumococcal pneumonia. And in this group the
18 authors classified patients condition at
19 baseline.

20 And this was really the only study
21 that we found that directly reported outcome
22 by severity at baseline. So condition was

1 considered good, fair or poor depending on a
2 number of points. But it wasn't described in
3 the paper in any further detail how that was
4 done.

5 Also the numbers of patients in
6 each of these subgroups was not reported.

7 But for some perspective here,
8 this shows that regardless of condition
9 overall mortality among those treated with
10 standard therapy was 34 percent compared to 20
11 percent in those who received serum, for a
12 treatment difference of 14 percent.

13 Mortality increased with severity
14 across these groups, and the treatment
15 difference increased with severity.

16 Note that in those who were in
17 good condition at baseline, those mortality
18 was 13 percent in those who received standard
19 therapy, with a slight reduction to 9 percent
20 in those who received serum.

21 This study by Evans and Gaisford
22 in 1938 evaluated case fatality rates in

1 alternate patients with lobar pneumonia,
2 treated with sulfapyridine, also called MMB
3 693 at that time.

4 And the untreated versus untreated
5 controls. So these were all hospitalized
6 patients with lobar pneumonia, eight to 68
7 years old; 86 percent of the population was
8 under 50. And the proportion of bacteremic
9 patients was not reported.

10 Specific pneumococcal serotypes
11 were reported in only 22 percent, but among
12 the other 78 percent there was no description
13 of the organisms that were present. So they
14 could have been pneumococcus, and they could
15 have been other organisms.

16 Treatment was determined by
17 enrollment on alternate day, and patients who
18 died within 24 hours were excluded.

19 So for all patients case fatality
20 rate was 27 percent in those who received no
21 specific therapy, compared to 8 percent in
22 those who received sulfapyridine.

1 That treatment difference was
2 larger in the subset of patients over 50.

3 The same authors also describe the
4 effect of sulfapyridine on resolution of
5 fever. Among those treated with
6 sulfapyridine, 60 percent had resolution of
7 fever within 48 hours, compared to 34 percent
8 of the controls.

9 In the following year, Gaisford
10 published a follow up case study or case
11 series of 400 cases of lobar pneumonia in
12 hospitalized patients, including 100 cases
13 that were described in the previous paper that
14 I just discussed.

15 Microbiology was not described at
16 baseline, and the proportion of bacteremic
17 patients was not reported. Again, he excluded
18 deaths within 24 hours of admission.

19 He compared case fatality rates in
20 those treated with sulfapyridine to those now
21 with historical controls in the preceding
22 years at the same hospital.

1 So this table shows the outcome in
2 400 patients treated with sulfapyridine
3 between 1938 and 1939. If you look at the age
4 distribution, about half of the patients
5 overall were between 20 and 50; about 30
6 percent were in the five to 19 age range,
7 whereas only 20 percent were over 50 years
8 old.

9 So regardless of treatment group,
10 case fatality rates increased with age.

11 Treatment differences were about
12 4-1/2 percent in the youngest group; 21
13 percent in the 20 to 50 year old group, and 26
14 percent in the oldest group here.

15 Okay, in another small study by
16 Graham and colleagues in 1939, alternate
17 patients with pneumococcal pneumonia were
18 treated with sulfapyridine or no specific
19 therapy. Note that 14 percent had other non-
20 pneumococcal pathogens which were not
21 identified.

22 Patients were hospitalized; 70

1 percent were under 50 years old; 29 percent
2 overall had bacteremia. There was some
3 imbalance between the treatment arms as far as
4 proportion of bacteremic; 20 percent in the
5 control group, 34 percent in the sulfapyridine
6 group.

7 Case fatality rate was 23 percent
8 in the control group compared to 6 percent in
9 those who received sulfapyridine. And if you
10 look at the subset of bacteremic patients, and
11 there's quite small numbers here, you can see
12 that there is a larger treatment difference,
13 50 percent minus 18 percent.

14 In another early controlled trial,
15 Agranat and colleagues evaluated sulfapyridine
16 for treatment of lobar pneumonia.

17 Microbiology at baseline was not described,
18 but at that time it was assumed that, as I
19 mentioned before, lobar pneumonia was
20 synonymous with pneumococcal pneumonia.

21 In this study there were several
22 study sites in South Africa. The results from

1 each site were recorded separately.

2 Patients were treated with either
3 sulfapyridine or no specific treatment. The
4 method of treatment allocation was by
5 admission to separate wards.

6 I'm going to talk about the
7 results from the first site, Johannesburg
8 Hospital, because that was the best described.

9 And actually results were reported
10 by subsets from this site as well, and those
11 were European versus non-European patients,
12 because they were on different wards and
13 reported separately.

14 In both groups the large majority
15 of patients was under 50 years old.

16 Patients were classified as having
17 mild, moderate or severe disease at baseline.
18 But there is really no details about how
19 exactly that was done.

20 There is a little bit of imbalance
21 as far as baseline severity. About 33 percent
22 were severe in the treated groups compared to

1 about a quarter in the untreated groups.

2 Proportion of bacteremic patients
3 was not recorded.

4 So for both of these subsets there
5 was a treatment difference. In the European
6 subset treatment difference was about 15
7 percent; in the non-European group about 10
8 percent.

9 And these investigators also
10 reported the number of days of hospitalization
11 with fever after receipt of sulfa or standard
12 therapy.

13 In the subset of non-European
14 patients 52 percent had resolution of fever by
15 day three, compared to 27 percent of control
16 patients.

17 And in the European subset 70
18 percent had resolution of fever by day three
19 compared to 10 percent of the controls.

20 And this slide just summarizes the
21 microbiology at baseline in these studies;
22 what type of pneumonia were we looking at.

1 In Evans and Gaisford, as I
2 mentioned, 22 percent - in 22 percent of cases
3 pneumococcal types I, II, III and IV were
4 identified, while organism was not reported at
5 all in 78 percent.

6 So we don't know whether they were
7 pneumococcal or not.

8 In the Graham study 86 percent of
9 patients had strep pneumonia; 14 percent had
10 non-pneumococcal isolates.

11 In the Agranat study there was no
12 baseline microbiology reported. We only know
13 microbiology from two of the deaths, strep
14 pneumoniae in one, and staphylococcus in
15 another.

16 So this table - excuse me, this
17 figure summarizes the anti-bacterial treatment
18 effect from the controlled clinical trials of
19 CAP which I just described. So the treatment
20 difference ranged from 10 to 15 percent in the
21 Agranat study; to 19 percent in the Evans
22 study; and 17 percent in the Graham study.

1 And those were the point estimates I just
2 mentioned, ranging, with a treatment
3 difference or treatment effect, ranging from
4 10 to 15 percent up to about 19 percent,
5 higher in the subset of bacteremic patients in
6 the one study here that reported bacteremia.

7 And Dr. Valappil is going to speak
8 a little bit more about the statistical
9 implications of these data. You can see that
10 the confidence intervals for some of these
11 studies are pretty wide.

12 Now I'm going to talk a little bit
13 about the two mycoplasma studies which you've
14 heard mentioned previously.

15 There were two randomized double
16 blind placebo controlled trials of mycoplasma
17 pneumonia. The first study, Kingston and
18 colleagues, in 1961, studied military
19 recruits, all or predominantly male, aged 17
20 through 22. There was a total of 300 patients
21 enrolled, and a subgroup of 103 had
22 mycoplasma, which was previously called the

1 Eaton agent.

2 And that was diagnosed
3 serologically.

4 Additionally nose and throat
5 cultures were done and shown to be negative
6 for pneumococcus and streptococcus if a
7 patient was to be included.

8 These patients were randomized to
9 either a tetracycline called demeclocycline or
10 placebo.

11 And this figure from Kingston's
12 study shows duration of fever in days by
13 cumulative percentage of patients, in those
14 who had mycoplasma pneumonia.

15 So by day three, about 70 percent
16 of those who were treated with the
17 tetracycline had resolution of fever, compared
18 to only about 5 percent in the placebo group.

19 So a large treatment difference is
20 demonstrated, but we have to be a little bit
21 careful about interpreting these data. This
22 is not really a - this is not a true analysis

1 but rather cumulative percentage over time.
2 And the treatment difference might be
3 magnified somewhat.

4 Kingston also looked at mean
5 duration of fever and other parameters
6 including cough, fatigue, abnormal chest X-
7 ray, and so forth. In the subset of patients
8 with mycoplasma alone that was 109 patients.

9 So they showed a treatment
10 difference in days, for example for
11 temperature the treatment difference was six
12 days between treated and placebo groups;
13 resolution of chest X-ray abnormalities,
14 difference was 10-1/2 days for cough, 12.3
15 days and so forth.

16 In the second randomized
17 controlled trial of mycoplasma pneumonia, this
18 was also placebo controlled and double blind,
19 again, patients were hospitalized military
20 personnel, mostly male, aged 17 to 23; 130
21 were enrolled, and only 32 were confirmed to
22 have mycoplasma by serologic methods, and

1 found to be bacterial pathogen negative by
2 culture.

3 There were three treatment groups:
4 clindamycin, tetracycline and placebo, with
5 nine, 15 and eight patients. It's not clear
6 why the differences in - patients - in the
7 number of patients in each of the groups if
8 this was truly randomized.

9 And antipyretic use was reported
10 in eight of the patients, one in the
11 clindomycin group, four in the tetracycline
12 group, and three in the placebo group.

13 And this slide shows the results
14 on looking at duration of fever, which is
15 shown in hours on the Y axis for each of the
16 treatment groups, tetracycline, clindomycin
17 and placebo.

18 And what you are looking at here,
19 the individual circles, closed circles, are
20 individual patients. The heavy horizontal
21 line is the mean. So the mean duration of
22 fever in the tetracycline group was 39,

1 compared to 72 in the clindomycin group and 76
2 in the placebo group.

3 So the authors concluded from this
4 that there was a significant difference in
5 duration of fever between tetracycline and
6 placebo but no difference between clindomycin
7 and placebo.

8 So to summarize the data from the
9 studies on mycoplasma pneumonia, a treatment
10 effect of anti-bacterials was shown in young
11 healthy patients on decreased duration of
12 fever and other signs and symptoms,
13 hospitalizations, chest X-ray abnormalities
14 and so forth, with the caveat that reporting
15 of antipyretic use was inconsistent among
16 studies, and there remains considerable
17 uncertainty in these data.

18 This leaves us with a question,
19 can these data be extrapolated to all
20 outpatients with CAP? And can they be used to
21 determine a noninferiority margin for
22 outpatient CAP.

1 There are many limitations to
2 using the data from the studies, all the
3 studies which I described today, in estimating
4 the treatment difference between anti-
5 bacterial drug and placebo, including
6 differences in patient populations, for
7 example, comorbidities, immune status,
8 pneumococcal vaccination status, and so forth.

9 There are clearly differences in
10 organism and disease between the early studies
11 and those today. The older studies looked
12 mostly at hospitalized patients with
13 pneumococcal pneumonia; severity was not well
14 characterized. While today most CAP is
15 treated in the outpatient setting, and strep
16 pneumonia is isolated less frequently.

17 We know that in mild CAP now a
18 typical organism such as mycoplasma are
19 common. Clearly there are differences in
20 standard of care between today and the 1930s-
21 1940s. And there are obviously differences in
22 study designs. A number of the studies I

1 presented are observational data. And the
2 controlled studies that I did present were not
3 randomized in the way that we would expect
4 today, and were not blinded.

5 Endpoints are different, mortality
6 was used as the endpoint in virtually all of
7 the studies except for the mycoplasma studies
8 we looked at, versus clinical response is the
9 typical endpoint in clinical trials today.

10 And Dr. Nambiar is going to talk a little bit
11 more in the next presentation about why
12 mortality might be difficult to use as an
13 endpoint.

14 And then of course there's
15 differences in study drugs, the ones we have
16 information for, from the historical data are
17 penicillin, sulfonamides, and tetracyclines.

18 So to conclude, we do have
19 evidence for a treatment effect of anti-
20 bacterial drugs in hospitalized patients with
21 CAP, based primarily on a mortality benefit in
22 those with pneumococcal or lobar pneumonia.

1 There is also limited data on
2 treatment effect for other endpoints such as
3 time to resolution of signs and symptoms of
4 CAP.

5 There is additionally some
6 evidence although limited for a treatment
7 effect on resolution of signs and symptoms in
8 mycoplasma pneumonia.

9 Thank you very much.

10 ACTING CHAIR TOWNSEND: Thank you
11 Dr. Singer.

12 We have time for one or two
13 questions. Dr. Calhoun?

14 DR. CALHOUN: Thanks. I had
15 actually kind of a biostatistical
16 clarification question. In many of your
17 treatment difference slides you were looking
18 at the arithmetic difference in mortality
19 rate, treated versus nontreated, and many of
20 your slides showed an age-dependent increase
21 in the treatment effect.

22 DR. SINGER: Right.

1 DR. CALHOUN: But I'm wondering
2 whether that is the right metric, because if
3 you look proportionately, the reduction in
4 mortality was more like two thirds in all age
5 groups.

6 And the reason I'm asking is that
7 there is actually kind of a public health
8 issue here. If you consider this to be really
9 a disease that is of old people, then that has
10 one set of public health implications. But in
11 fact if you see a treatment effect of
12 antibiotics across the age spectrum, as I
13 think the data that you showed do show, then
14 it has implications for pediatrics as well.

15 So what are your thoughts about
16 that?

17 DR. SINGER: I think this data has
18 implications for all the age groups. At least
19 in our analysis we can show treatment
20 differences across all ages, including
21 pediatric patients.

22 DR. CALHOUN: But the magnitude of