

1 CHAIRMAN GLASSMAN: Dr. D'Orsi?

2 DR. D'ORSI: I just want to ask a question
3 to the gentleman from, I guess, Siemens.

4 How did you arrive at two false positives,
5 which seems unbelievable with the territory you cover,
6 and were there any false negatives, and how did you
7 validate each?

8 DR. GUPTA: Absolutely. It is unbelievable
9 in some sense that a study that comprises hundreds of
10 individual image slices -- The technology has matured to
11 the point that you have two FDA approved products in the
12 U.S. today, where lung CT CAD performs at that level.

13 DR. D'ORSI: What was your false negatives
14 with that two false positives? How many, just an idea?

15 DR. GUPTA: The lung CT study is carried out
16 a little bit differently. I think you have a synopsis of
17 the study in your material as well, and Dr. Naidich
18 referred to that MRMC study. So it is actually study
19 false positive rate and the positives.

20 CHAIRMAN GLASSMAN: Any other questions for
21 our speakers? No? Then we will thank you all very much.

22 We will now move on to the general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion portion of the Panel's deliberations, general
2 discussion of lung CAD.

3 I think if I may begin just to say that --
4 to reiterate what I said briefly before, number one, a
5 lung biopsy is not a breast biopsy. It is a much more
6 serious procedure. So as has been suggested by the
7 Panel, false positives are really a critical issue here.

8 The other critical issue that I see is the
9 satisfaction of search issue that is evident in some of
10 the data for both chest X-ray and CT, and that if we miss
11 a clinically relevant disease because a CAD mark
12 distracted us, that that is a problem that may have to do
13 with the concurrent reader versus second reader, and the
14 issue for the agency of significant risk that I think, at
15 least in my mind, is there.

16 Are there other comments about my comment or
17 general comments? Dr. Steier?

18 DR. STEIER: Yes, comments from a pulmonary
19 clinician or viewpoint. I was making an inventory of
20 what I think are the positive and the negative features
21 of CAD for CT scan and chest X-ray.

22 I think, as has been pointed out, since the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 jury is still out on screening for lung cancer in
2 asymptomatic individuals, it is different than
3 mammography and colon and some of the other things we've
4 talked about. I don't know if it is quite the Hatfields
5 and the McCoys, but maybe so.

6 The number of false positives is concerning,
7 whether it's 10 per CT scan or two per CT scan or
8 somewhere in the middle but in clinical practice, that
9 always leads to a great deal of cirrus, as they say,
10 trying to track down all kinds of spots on chest X-ray or
11 a CT scan, most of which will turn out to be nothing, but
12 can go as far as lobectomy, you know, biopsy, open lung
13 procedures, et cetera.

14 So definitely there is an invasive component
15 that has to be considered, and it sounds to me like the
16 more CADs we do, the more of these types of nodules we
17 are going to find and have to deal with.

18 Also, the effect on the reader has been
19 mentioned, and once we start highlighting certain areas,
20 it does distract us away from other areas, and things
21 could be mixed.

22 I think there are some positives, though we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will definitely pick up more nodules and in the unusual
2 case where there is a significant more than 8 millimeter
3 nodule that does have to be dealt with and may turn out
4 to be early lung cancer, which may be in that very small
5 percent of the population where that is curable, that
6 would be helpful.

7 It is also interesting that there is some
8 data to support both concurrent and second reader
9 algorithms which is helpful to know as well in terms of
10 the proper labeling.

11 So those would be some of the positive and
12 negatives that I see.

13 CHAIRMAN GLASSMAN: Other comments about
14 lung CAD in general before we move on to the questions?

15 Well, let's move on then to the questions,
16 and I am sure other issues will come up as we go through.

17 We are going to begin our focused discussion
18 of the FDA questions. Copies of the questions are in the
19 meeting handout and on the tables outside the conference
20 room.

21 We are going to start with question L1. Can
22 we make that any bigger, Sunder, so everybody can see it?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There we go. Actually, it was so I can see it.

2 Establishing ground truth, whether disease
3 is present and, if so, its location and extent, is
4 crucial for the evaluation of performance of a CAD
5 device. Please provide your recommendations for defining
6 ground truth for lung CAD devices.

7 Doctors Carrino and Garra, do you want to
8 start off this discussion? Which one of you wants to go
9 first? Dr. Garra?

10 DR. GARRA: First, I was thinking, oh, well,
11 you might have to have biopsies, but I don't know. We
12 are just looking for detection of lung nodules here, and
13 we are not trying to necessarily characterize the nodules
14 as to what pathology they have.

15 So I don't want to trivialize this, but I
16 think that we could establish ground truth by just using
17 an imaging modality and looking very carefully at that
18 area. I'm pretty comfortable with that level.

19 So I would say, for instance, if it was a
20 chest X-ray and it had flagged a nodule, you could
21 establish the ground truth by using a CT, for instance.
22 In the case of a CT device, I think a consensus panel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with a high resolution CT would be sufficient, for
2 instance. But I would be interested in hearing other
3 comments on that.

4 DR. CARRINO: I agree with those, and for CT
5 you could also extend it to a follow-up examination.

6 DR. GARRA: Yes, follow-up is also useful.

7 DR. CARRINO: So expert panel and follow-up
8 examination.

9 CHAIRMAN GLASSMAN: Would you or any other
10 Panel members include for the expert panel the knowledge
11 of the findings from the CAD when they review the CT? Is
12 it a blinded CT reading or a reading where the marks are
13 known?

14 DR. GARRA: I personally would say that a
15 panel has the information of what the CAD found, and they
16 are looking specifically at that area in great detail.
17 Specifically, maybe like with the modern scanners, you
18 have the 0.9 millimeter thick slices, and you look at
19 that original data and make very thin slices to really go
20 through the area in detail.

21 DR. CARRINO: Yes, marks are known.

22 CHAIRMAN GLASSMAN: Any other comments about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the definition of ground truth? Yes, Dr. Tourassi?

2 DR. TOURASSI: I think we both have the same
3 comment, but there are enough studies out there on CAD
4 development where a panel consensus or follow-up CT
5 studies were more than sufficient. I don't think we need
6 to put the CAD as part of the truth in. But I don't
7 think we should put the CAD marks in the loop as part of
8 the truthing.

9 Actually, that was the case with a LIDC
10 study that Dr. Clark mentioned before that was done from
11 NCI where expert panel -- painful, but it was more than
12 sufficient for that.

13 CHAIRMAN GLASSMAN: What do other members
14 think? We've now got a two to one split on whether the
15 experts should know the results of the CT scan or not.

16 DR. SAHINER: I think LIDC established some
17 methods for providing ground truth, and in their method
18 what the radiologist first said was to do an unblinded --
19 sorry, a blinded read where they were blinded to the
20 readings of the other radiologist. So they marked each
21 of the nodules that they found, and then in a second
22 unblinded read, then the findings of other radiologists

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were shown to them, and then they would either agree or
2 disagree with that.

3 So in that unblinded mode, I think you could
4 include the CAD marks and see if the radiologists agree
5 or not, because there is -- also, I want to mention that
6 there is a large variability between radiologists, even
7 when they are reading in the unblinded mode when they
8 look at other radiologists' findings, whether they define
9 what some other radiologists found as a nodule or
10 something else.

11 So there is a huge variability. I think it
12 is somewhat problematic then if this paradigm is used,
13 blinded and then an unblinded read. At the end, how do
14 you merge the readings from multiple radiologists?

15 So another option could be to then have them
16 sit together and do a consensus read, but again, I see
17 that it is not very easy to do because the number of
18 radiologists needed to do such a truthing also needs to
19 be, I think, more than two.

20 CHAIRMAN GLASSMAN: Dr. Dodd and then Dr.
21 D'Orsi.

22 DR. DODD: I just wanted to point out,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 though, that if you include the CAD marks in the truth
2 definition, you are going to bias yourself in favor of
3 the CAD markings. So in general, from a pure statistical
4 standpoint, the gold standard or ground truth should be
5 totally independent of the test under study.

6 I understand there are practicalities that
7 need to be addressed here, but I would be in support of
8 Dr. Tourassi's comment.

9 CHAIRMAN GLASSMAN: Dr. D'Orsi?

10 DR. D'ORSI: Well, if the task is detection,
11 I can understand that, but the task is validation. I
12 think, with that task, to prove whether there is
13 something there or not, I think, you reduce bias of a
14 reader by directing him and just asking the question, is
15 this a real mass or not. It's not a whole detection
16 process again.

17 I think that if you do have a detection
18 problem, you have the possibility of bias. They may see
19 something other than what is marked and said oh, my stuff
20 is really true, that's garbage.

21 So I think, if you focus them on what the
22 task is, I think you would get a better result.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: But isn't -- oh, Ms.
2 Brogdon?

3 MS. BROGDON: Thank you. I just wanted to
4 point out that the Panel seems to be assuming that you
5 are talking only about a computer assisted detection
6 device, and you may also need to include computer
7 assisted diagnostic device in here where the endpoint may
8 be -- or the claim may be malignancy rather than a
9 nodule. So you might want to broaden your discussion.

10 CHAIRMAN GLASSMAN: Thank you. We will do
11 that right now. One comment, though, about Dr. D'Orsi's
12 comment is, really, we are dealing here with both
13 detection and validation.

14 If the CAD devices misses lesions, that is
15 just as important as finding them for here. So I think
16 it is not just validation. Dr. Rosenberg?

17 DR. ROSENBERG: I was wondering whether
18 validation would also include size criteria, because
19 clearly, nodules smaller than certain thresholds are not
20 important, and how does that validate it in terms of the
21 size measurement?

22 DR. MITTAL: One of the issues about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 validation and using a grounding truth for nodules for CT
2 scan is probably a PET scan which is a physiological,
3 obviously, examination.

4 It will be interesting to have Panel's
5 opinion in patients that are found to have nodules on the
6 CT scan and use PET scan as a validation.

7 DR. STEIER: I think you can use several
8 different modalities to look at how well the technology
9 is working. Occasionally, we have biopsies which, where
10 possible, is the most meaningful information, of course,
11 PET scans, which can be used to sort out, in most cases,
12 malignancy from benign, as well as the panels and serial
13 CT scans, et cetera.

14 So I think we can use whatever tools we have
15 available to evaluate the new technology.

16 CHAIRMAN GLASSMAN: So let me just make one
17 comment, and then we will come back to Dr. Tourassi.

18 So we've got two issues here that we are
19 discussing. One is detection, and the other is
20 diagnosis. For diagnosis, which in many ways may be the
21 simpler of the two, ground truth, to me, is either
22 pathology, PET scan, or follow-up scan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't think we can expect pathology on
2 every lesion although if the computer aided device
3 suggests a malignancy, I think most of these will either
4 go to PET scan or to surgery or lung biopsy. So we will
5 get that.

6 Let's, if we can -- Dr. Tourassi, you had a
7 comment, and then if we could just focus for a few
8 minutes on the diagnostic end and sort of nail that down
9 maybe, and then move back to detection.

10 DR. TOURASSI: Yes, I was actually going to
11 summarize that ground truth could be expert panel
12 decision based on whatever information is available for
13 every case. So is it a follow-up CT? Is it a PET study
14 or is just the image they have in front of them, but
15 there's three people that need to decide and agree on.
16 That would be the ground truth.

17 CHAIRMAN GLASSMAN: Dr. Garra?

18 DR. GARRA: I just wanted to -- yes, if we
19 have broadened it to include diagnosis, then I agree with
20 you exactly, that you are talking basically about a
21 biopsy or a follow-up that shows no change in the case of
22 the most benign nodules or perhaps a PET CT to look for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activity, and then probably followed by a biopsy.

2 So it is quite a different question from
3 just detection of nodules.

4 CHAIRMAN GLASSMAN: Any other -- yes, Dr.
5 Carrino?

6 DR. CARRINO: I would just agree with Dr.
7 Tourassi's assessment. The expert panel takes into
8 account all this information and then decides, and that
9 is used for other diseases that may not have a
10 pathological endpoint like multiple sclerosis and those
11 things. So I think that is probably the best way.

12 CHAIRMAN GLASSMAN: Another issue with lung
13 CAD that I have identified is the issue of follow-up
14 studies. There are two things there. One is nodule
15 growth, and the other is nodule morphology.

16 Morphology is used in the initial study when
17 you are worried about carcinoma. If you are trying to do
18 diagnosis, but it becomes even more critical in the
19 growth issue because nodules that grow and are smooth and
20 oval or round are one thing, and nodules that grow and
21 are irregular are something else entirely.

22 I think I would be interested in the Panel's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thoughts on how much testing that portion -- if that is
2 included in the CAD device rather than in the non-CAD
3 portion, the CT device -- as to whether that needs to be
4 validated as part of the application and, if so, how do
5 we ask that that be done?

6 Anyone? Dr. D'Orsi?

7 DR. D'ORSI: You are asking are there
8 specific morphologic criteria that the CAD can identify
9 on the diagnostic end to give you an accounting of
10 whether you think it is malignant or benign? Is that the
11 sense?

12 CHAIRMAN GLASSMAN: Well, that is one thing.
13 The other is the accuracy of the volumetric comparisons
14 that become critical for the paradigm of growth. It is
15 growth and morphology on follow-up.

16 We need to make sure that these systems, if
17 in fact it is in the CAD piece -- if it is not in the CAD
18 piece, it is not an issue. Like we'll talk later a
19 little bit probably about breast MRI where a lot of that
20 is functional and measurement. It is really a giant
21 measurement package is what it is.

22 If it is in the CAD piece here, I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we need to be sure in some manner that it works as
2 intended.

3 DR. D'ORSI: Yes, I agree with you. If it
4 is going to be extended -- I thought this was purely a
5 detection task, but if you are going to add diagnosis, I
6 agree 100 percent that you have to be very critical, that
7 it can measure size difference accurately, that it can
8 measure morphology accurately, et cetera.

9 So this is a totally new thinking for me.

10 CHAIRMAN GLASSMAN: Dr. Garra?

11 DR. GARRA: At this point, yes. I mean this
12 stuff is just coming on the horizon, these nodule growth
13 packages that you can see, and they give you doubling
14 times and things like that. If it is included in the CAD
15 package, it does need to be validated.

16 I know of no good way for a panel to do
17 that. I don't think humans are able to actually do that.

18 That's why these are useful, and you are going to have
19 to have phantom experiments with various shapes and a
20 fairly complex set of phantom experiments that show that
21 it is valid in a physical sense, that it is actually
22 measuring the edges of lesions of various types and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shapes, and able to categorize types and shapes.

2 We have had to use phantoms before a lot,
3 especially in ultrasound, and they can be designed.
4 There is a pretty sophisticated industry to do that.

5 DR. STEIER: From a clinical viewpoint, this
6 is going to be counted on as reliable information so if
7 it is going to be provided and in the package, it has to
8 be validated. I agree.

9 CHAIRMAN GLASSMAN: Any other comments about
10 ground truth? Yes, Dr. Bourland?

11 DR. BOURLAND: I agree that these
12 quantitative assessment of images, whether sequential
13 imaging, follow-up images, et cetera, that that would
14 need to be validated. Phantoms are very powerful for
15 this. They tend to provide fundamental information on
16 how the algorithms in devices operate. So in some sense,
17 they stand a little bit more in the standalone evaluation
18 of the system. There are limitations in phantoms, but
19 they can be made static. They can be made dynamic, et
20 cetera.

21 Things such a whether you include the
22 boundaries or exclude the voxel size, et cetera, are all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aspects to consider.

2 CHAIRMAN GLASSMAN: Yes, Dr. Abbey?

3 DR. ABBEY: I just wanted to say, I heard
4 something in the idea of consensus panels that made me a
5 little nervous which is that there is high reader
6 variability. So in the absence of additional information
7 and a follow-in scan, a PET scan or a biopsy, I am
8 actually a little concerned about a consensus panel that
9 only has the image there in front of them to use.

10 If readers are really that variable, does a
11 consensus panel really get at ground truth or do you just
12 test for consensus?

13 DR. CARRINO: That depends. So if the
14 purpose of the consensus panel is to say, you know, for
15 detection is this a nodule, yes or no, I think that is a
16 valid way to do it.

17 If you are looking at other diseases like
18 cancer, then these expert panels take in a bunch of
19 different information, like usually these patients have
20 either longitudinal follow-up or they have some other --
21 There's different levels of truth. There's gold
22 standard. Is it silver standard, bronze?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So you have to put all that together to
2 determine what the ground truth is, and I think that is
3 the best way to do it. That is the most reasonable way
4 that we have today to establish a ground truth.

5 CHAIRMAN GLASSMAN: Dr. Dodd?

6 DR. DODD: You could consider incorporating
7 the variability of the consensus panel into the truth
8 evaluation in some sense.

9 I also think, you know, consideration needs
10 to be given to the way truth is defined, even with the
11 consensus panels at majority vote, et cetera. You know,
12 there is a study many years ago that shows that, you
13 know, I think there were four different ways of defining
14 truth, and each one gave different estimates.

15 So if nothing else, when you are comparing
16 things, we need to agree that truth is defined in a
17 consistent way.

18 CHAIRMAN GLASSMAN: Does anyone want to
19 define truth for a consensus panel of three or five? No
20 takers? I don't have an answer to that one either.

21 Let me ask another question to the Panel,
22 and that is what about non-nodule findings? Should they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be included in ground truth because one of the potential
2 issues is the satisfaction of search and the missing of
3 clinically relevant abnormalities because the CAD mark
4 may be on the study?

5 Should the ground truth include all
6 clinically significant abnormalities seen on either the
7 chest X-ray or the CT scan as part of the analysis?

8 DR. CARRINO: I think that, if the intended
9 use of the device is to detect pulmonary nodules and it
10 is used as a second reader paradigm, then you don't need
11 to consider those other findings, because that would have
12 been done as part of their routine initial assessment.

13 CHAIRMAN GLASSMAN: I think that is true,
14 but if there is a significant risk that off-label use
15 will happen, and if there is a significant risk that with
16 concurrent reading there may be a patient risk, then do
17 we need to consider that or does the labeling simply have
18 to be so incredibly strong that -- and the educational
19 process so incredibly strong that we think that the vast
20 majority of people will use this in the intended way?

21 DR. STEIER: Those are two big "ifs" there,
22 and I think what we have seen from the mammography or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 colon experiences that it is somewhat likely that it will
2 be used concurrently and for all types of things related
3 to the lungs.

4 So I would be very hesitant not to consider
5 its ability to pick up other pulmonary pathology as well.

6 CHAIRMAN GLASSMAN: Dr. D'Orsi?

7 DR. D'ORSI: I agree with that. In slide 23
8 that was presented to us, it says: Unlike mammography
9 and CTC which are performed to identify a single lesion,
10 both chest X-ray and CT are used to diagnose various
11 chest lesions.

12 If that is true, then you have to put
13 everything in there that this marks whether it is in the
14 lung or not.

15 DR. CARRINO: I think we are
16 misunderstanding, maybe confusing the part that's not --
17 the device is not being used to detect other things. The
18 question is whether the observer is going to be missing
19 those findings because of a satisfaction of search error
20 or not doing their routine observation.

21 CHAIRMAN GLASSMAN: Right, my intention was
22 in the reader study portion that we will get to in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little while. But should we collect this as part of
2 ground truth?

3 DR. SAHINER: May I make a comment?

4 CHAIRMAN GLASSMAN: Yes.

5 DR. SAHINER: In the reader study, you may
6 not be able to get a sense of whether the satisfaction of
7 search will be a problem or not, because I believe that
8 in the reader's study, readers will use it as intended.

9 So you would be asking them to detect non-
10 nodules before the CAD is turned on, and at that level
11 the CAD may not have any effect on their performance
12 because they are reading in the intended paradigm.

13 CHAIRMAN GLASSMAN: Dr. Steier?

14 DR. STEIER: Except that there is already
15 studies showing concurrent use being validated. So it
16 seems like concurrent use is going to be --

17 DR. SAHINER: Yes. If concurrent use is an
18 intended use, then of course. Yes, if concurrent use is
19 intended.

20 CHAIRMAN GLASSMAN: Dr. Garra?

21 DR. GARRA: Creating a test, a set, and
22 defining whether they missed a lesion because of the CAD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being on or not, I think, would be incredibly complex.
2 That, to me, would be excessively burdensome for a
3 manufacturer to be able to test.

4 The fact of the matter is that for chest --
5 I would never use this concurrently because usually in my
6 practice it is not chest nodules that I am primarily
7 after. It is usually other pathology, and the chest
8 nodule I pick up sort of at the end.

9 Now the person who is reading screening
10 chests for nodules might end up using it concurrently,
11 but I'll tell you that creating a reasonable set that you
12 could even compare one manufacturer to another with a
13 vast variety of types of chest pathology that you have
14 would be incredibly complex, and just the analysis of
15 that is really daunting.

16 I think it is very burdensome to do that. I
17 think you need to restrict it to nodule detection and
18 really insist that it be used as a second read.

19 DR. CARRINO: I would just concur with that.
20 That is part of being a radiologist and being responsible
21 for the entire image.

22 CHAIRMAN GLASSMAN: Any other comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before I try to summarize?

2 DR. STEIER: Just a follow-up on that.
3 Obviously, you two guys would only use it as a second
4 reader. But looking through what has been presented and,
5 agai, other experience, it seems like it is going to be
6 used concurrently. And since we don't really do
7 screening for nodules, that is going to be unusual.

8 So the reader bias and the confusion because
9 of the markings is not really the issue for me. It is
10 really the issue of how it is going to be used in
11 clinical practice and what can be expected of it, and how
12 it is marketed.

13 It appears as though it may be used
14 concurrently and used for more than just screening, since
15 screening is not what we do really. It is really for
16 extensive nodules, morphology, the number of nodules and
17 that type of thing.

18 CHAIRMAN GLASSMAN: Dr. Garra?

19 DR. GARRA: Is there a lot of literature
20 showing that in the chest these things are being used
21 extensively concurrently? I'm not aware of that.

22 DR. STEIER: No, just what was presented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 earlier, which included studies of concurrent use.

2 DR. GARRA: Oh, you mean for the other types
3 of CAD?

4 CHAIRMAN GLASSMAN: No, for lung.

5 DR. GARRA: For the chest. For instance,
6 say if I missed a clavicle fracture, you know, on a chest
7 -- I mean, there is such a variety of things that you
8 could have on a chest, so much opportunity for missing
9 things just because you miss them, that -- and it has
10 nothing to do with whether the CAD is turned on or not.
11 I'm just thinking that it would be really a big task to
12 sort that out, and really hard for manufacturers to deal
13 with that.

14 CHAIRMAN GLASSMAN: Let me pose Dr. Garra's
15 point to the committee in general and see if we can get
16 to conclusion on that.

17 That is, that while there is concern about
18 satisfaction of search that from a practical standpoint
19 in the least burdensome rule or practice, that it would
20 be incredibly difficult and burdensome to develop a
21 dataset to include many or all of the significant other
22 pathologies along with breast nodules, that while the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 committee is concerned about the possibility, we don't
2 see a reasonable way to practically test for that.

3 Is that a reasonable agreement for us all?
4 Okay.

5 Let me try then to summarize what we have
6 said again in my pre-official -- oh, Dr. Berry?

7 DR. BERRY: I thought you were coming back
8 to detection, and we have been talking about diagnosis
9 and detection. I think they are very, very different.
10 My comments are about detection.

11 It is not clear whether screening for lung
12 cancer is beneficial. We may be doing more harm than
13 good. I am worried that CAD would increase the harm.

14 Simply finding is not enough. You've got to
15 find something that is important, and whether it is
16 important is far from clear.

17 From my perspective, CAD could be approved,
18 could be cleared for screening only if it shows clearly
19 that it does not increase the false positive rate. So I
20 don't know if this is ground truth or air truth, but if
21 it doesn't show compellingly that it decreases or doesn't
22 increase the false positive rate, I don't think any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device should be cleared for screening.

2 DR. GARRA: Can I just ask, a false positive
3 rate for what? For cancer or for --

4 DR. BERRY: So if you are not using CAD,
5 there is some false positive level of reading the X-rays
6 or CT. If you add CAD, I don't want to increase that
7 false positive level.

8 DR. GARRA: Just for nodules, you mean?

9 CHAIRMAN GLASSMAN: What if, however, you
10 not only increase the false positive level, but the true
11 positive level? What do you do then?

12 DR. BERRY: You see, that's -- I mean, the
13 question. We see true positives now, and the question is
14 are they clinically important? Does finding them and
15 doing treatment -- does it increase survival? And we
16 don't have evidence yet. We may have evidence with the
17 PLCO and the NLST and the I-ELCAP that in fact, we do
18 improve survival, but the wealth of studies that have
19 been done do not show that finding cancer in the lung
20 improves survival.

21 CHAIRMAN GLASSMAN: Right now, I was hoping
22 for Ms. Brogdon to raise her hand, but we are getting no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 luck there. So let's keep going. Okay, fair enough.

2 DR. STEIER: A comment?

3 CHAIRMAN GLASSMAN: Yes, please.

4 DR. STEIER: On the screening side, yeah,
5 how could you really approve an advanced technology for
6 screening when screening itself has not been accepted?

7 CHAIRMAN GLASSMAN: This potentially, though
8 -- I mean, if the companies come to the FDA with simply a
9 CAD device for nodule detection, and the intended use
10 does not specify anything other than that, then -- I'm
11 not trying to tell the companies what to do here,
12 certainly. That really is not my intention. But if
13 screening is not mentioned, then the FDA responsibilities
14 are limited to that answer -- I mean to that use -- does
15 that change the way we look at this?

16 DR. LEITCH: So theoretically, if you are
17 doing a chest X-ray on somebody for pneumonia, that's
18 what you are going to look for, or if you are looking for
19 congestive heart failure.

20 So if you did the chest X-ray for that
21 intent, in my mind, you shouldn't turn the CAD on because
22 then you are screening. That is what you are doing. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are screening for pulmonary nodules then if you turned
2 the CAD on when your indication for the chest X-ray was
3 for pneumonia. Okay?

4 Then the other issue is, okay, you are
5 getting the chest X-ray because you are following a
6 patient who had a previous diagnosis of cancer, and you
7 are getting annual chest X-rays on that patient, you
8 know, looking for pulmonary metastases, let's say, or
9 recurrence of lung cancer.

10 Then you are screening. And so then you
11 turn the CAD on, and you are trying to detect pulmonary
12 nodules. So I think, what Dr. Berry gets into, well,
13 does that -- you know, if you get more false positives in
14 that circumstance and there is not a benefit to having
15 found that but yet you have the issues of doing biopsies,
16 all these things, what is the benefit -- cost-benefit
17 ratio there?

18 CHAIRMAN GLASSMAN: These are really
19 important issues, and I think I want to come back to them
20 because they are a little bit peripheral to the ground
21 truth issue. I guess the issue of whether we should be
22 dealing with this at all is one thing, but let's see if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we can define ground truth, and then go on. Then we can
2 deal with this maybe in the -- Now, Ms. Brogdon? Thank
3 you.

4 MS. BROGDON: I'm sorry to interrupt. I
5 wanted to make a comment to what Dr. Leitch said.

6 The applications that we have cleared were
7 not cleared for screening, and the situations that Dr.
8 Leitch just described we would not call screening.

9 DR. LEITCH: Right. It is not screening an
10 asymptomatic population, but it is screening in the sense
11 of you have -- when that person comes to the study, they
12 are not -- you are not doing it because they have
13 pneumonia or whatever. You are looking for pulmonary
14 nodules in that circumstance. That is what you are
15 looking for.

16 So this is the case where it is going to
17 make a difference, is to that group of -- because you
18 wouldn't do it -- You shouldn't do the CAD on somebody
19 you are looking for pneumonia, if that's not what it is
20 designed to find.

21 CHAIRMAN GLASSMAN: I think the distinction
22 here is case finding versus screening, and screening has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a specific definition of asymptomatic population for
2 prolongation of life or changing outcome.

3 DR. LEITCH: I understand that. I
4 understand that.

5 CHAIRMAN GLASSMAN: So I think, from a
6 definitional standpoint -- anyway, let me try to
7 summarize ground truth, unless -- Yes?

8 MS. FINKEN: Just one comment from the
9 consumer point of view. Dr. Berry, I just would like to
10 add, survivor statistics don't always equate to the human
11 beings. We know that we might not increase survivor over
12 the long term, but any break we get as human beings to
13 try to get to maybe the next stage that isn't found yet
14 in detection techniques and in treatment is certainly
15 valuable.

16 I just want to mention, as we consider the
17 devices, these might be the key to extending that
18 survivorship just long enough to perhaps be there when
19 the next step in treatment comes along. So I think it is
20 important to look at it from that standpoint also.

21 CHAIRMAN GLASSMAN: One quick response, Dr.
22 Berry, and then I want to try the summary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BERRY: Yes. I mean, I certainly agree,
2 but we would have to have evidence that, in fact, adding
3 something does increase survivorship, and I think I
4 mentioned that in my comments. But that is a huge task
5 to show.

6 CHAIRMAN GLASSMAN: Okay, let me try to
7 summarize ground truth.

8 Ground truth will be defined by an expert
9 panel -- this is for detection and diagnosis -- expert
10 panel, using the full knowledge that they have, including
11 pathology, follow-up CT scan for an abnormal chest X-ray,
12 PET scan or follow-up CT scan for an abnormal CT scan,
13 that a full reading of the study for non-nodule ancillary
14 findings will not be necessary. Does that
15 accurately reflect the ground truth portion of our
16 discussion? Dr. D'Orsi?

17 DR. D'ORSI: May I just make a suggestion to
18 the FDA? Can you please let us know the criteria that
19 you have passed prior similar devices? The diagnostic
20 thing was like a bombshell to me anyway, and I was
21 thinking in the detection mode all the time.

22 If I had known that you had cleared a prior

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device for detection and not for screening, I would have
2 had another mindset.

3 MS. BROGDON: Are you asking what are the
4 approved and cleared indications for use for lung CADs
5 and for chest CADs?

6 DR. D'ORSI: Right.

7 MS. BROGDON: Okay, we will have to compile
8 that. I think we have that here. It will just take us a
9 few minutes.

10 CHAIRMAN GLASSMAN: Okay, let's continue on
11 then. Ms. Brogdon, that is our answer for question L1.
12 Is that satisfactory?

13 MS. BROGDON: Yes. Thank you.

14 CHAIRMAN GLASSMAN: Okay. Question L2 will
15 sound familiar to you. The cast has changed.

16 Please discuss the role of standalone
17 performance testing in the clinical evaluation of lung
18 CAD devices.

19 If you believe standalone testing should be
20 requested in the evaluation of these devices, please
21 provide your recommendations or comments on whether
22 certain substrata (nodule size, shape, pathology,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 location; co-morbidities; CT dose and imaging protocol;
2 or others) should be considered in device testing and
3 labeling.

4 If you believe that there are specific
5 situations where standalone performance testing may not
6 be important, please comment on what those might be.

7 Let's get started with standalone testing.
8 First off, let's go to b. That always seems to have the
9 least discussion for us.

10 Is there anybody who believes that
11 standalone testing in any scenario is unnecessary?
12 Something may come up as we have our discussion. We can
13 certainly revisit this, but at first glance, do we
14 believe that standalone testing is important?

15 Okay, no more comments on that except
16 nodding of the heads as yes. Okay.

17 Then let's go to a. What kind of standalone
18 testing should we do?

19 DR. STEIER: Can I just add a comment to
20 that? Slide 31 says that: Unlike CAD devices for
21 mammography and CTC which are intended to detect the only
22 disease revealed, CAD devices for chest X-ray and chest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CT are intended to detect only one of numerous diseases
2 and conditions that may be revealed.

3 Then slide 32 says: One example is solitary
4 pulmonary nodules. But certainly not the only.

5 CHAIRMAN GLASSMAN: I'm assuming, despite
6 that slide, that we are talking about CAD for the
7 detection and diagnosis of pulmonary nodules, be they
8 solitary or multiple. I think some of the other issues
9 may be confounding, but unless somebody has another
10 opinion --

11 DR. SAHINER: I do have a question,
12 actually. For example, there can be devices to detect
13 pulmonary embolism on CT scans. Are we discussing those,
14 too, or are we only discussing nodules?

15 CHAIRMAN GLASSMAN: What is the intention of
16 the question, Ms. Brogdon?

17 MS. BROGDON: I think we would like the
18 Panel to discuss this as broadly as possible. If there
19 are things like pulmonary embolism that you want to defer
20 to the next session, which is future devices or other,
21 you are welcome to do that. But we would like a broad
22 discussion somewhere.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Can we hold -- oh, let
2 me ask. Other than pulmonary embolism, are there any
3 other pulmonary issues for CAD that we need to deal with?

4 DR. TOURASSI: Well, there have been other
5 attempts for interstitial lung disease, stuff like that.

6 So --

7 CHAIRMAN GLASSMAN: Can we hold this until
8 the afternoon session on sort of future or other, because
9 I think we are going to have enough to talk about with
10 nodules right now.

11 DR. STEIER: The only thing is, though, as
12 you consider what datasets to use and how to do your
13 standalone testing and all that, I think it is important
14 to know if we are just talking about solitary pulmonary
15 nodule or if we are talking to a whole host of diseases
16 that can be detected by CAD. And in the spirit of the
17 broad approach, which has been mentioned, it would seem
18 like we would have to take into account all those things.

19 DR. GARRA: Just looking at these
20 descriptions -- I mean, shape, size, boundaries -- that
21 would apply to a number of different pathologies, not
22 just nodules. So I think we might be able to encompass

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them.

2 CHAIRMAN GLASSMAN: Including interstitial
3 lung disease?

4 DR. GARRA: Well, it does have the
5 structure, shape, and patterns.

6 CHAIRMAN GLASSMAN: Okay. Then let's take
7 them altogether, and let's see how that goes. And, if we
8 have to break one out, we can do that.

9 So standalone testing: enrichment, non-
10 enrichment, stress testing, same issues we have been over
11 time and time again, co-morbidities -- where are people
12 coming from? Dr. Garra?

13 DR. GARRA: Are we still on this question
14 about substrata and not enrichment or did we move to
15 enrichment?

16 CHAIRMAN GLASSMAN: We are talking about
17 standalone testing in general.

18 DR. GARRA: Okay. Well, we already answered
19 b. I think that everybody sort of is in agreement that
20 we need standalone testing, and I think there does need
21 to be substrata. But it is going to be hard with the
22 number of pathologies to actually give a list right at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this point in time, but size, shape, density would apply
2 to almost all pulmonary pathologies, and you might have
3 to put in a pattern/texture measure.

4 I would not include CT dose, imaging
5 protocol. I think the manufacturer should define what
6 they consider appropriate parameters for their device and
7 specify that these are the parameters you should be using
8 when you perform the study that is going to be evaluated,
9 rather than having the FDA evaluate that separately.

10 I think the manufacturer should make those
11 recommendations, and that should part of the labeling
12 that should be followed.

13 CHAIRMAN GLASSMAN: Other comments about --
14 Yes?

15 DR. SAHINER: I think co-morbidities is also
16 important because the performance of CAD may be
17 different, whether you are looking at the otherwise
18 healthy lung or there are other diseases.

19 CHAIRMAN GLASSMAN: What co-morbidities
20 should we include, without making this unduly burdensome?

21 DR. GARRA: That is the problem with adding
22 co-morbidities. It could be an endless list, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 common ones perhaps: pulmonary edema, heart failure,
2 interstitial lung disease.

3 Frequently, you are asked to detect a
4 pathology with those as underlying problems. So those
5 are two that I can think of offhand.

6 DR. STEIER: Emphysema, pneumonia.

7 CHAIRMAN GLASSMAN: So we don't want this
8 list to get too long, and some of these, like pneumonia
9 and edema, will have in many instances, similar
10 appearance. So they maybe could be put in one, which is
11 air space consolidation as opposed to interstitial
12 disease.

13 DR. GARRA: We can just recommend this as an
14 example, a list of examples that should be included.

15 CHAIRMAN GLASSMAN: Right, okay.

16 DR. LIN: Could I ask you? Won't we end up
17 needing thousands of patients who will be stratified by
18 all these other things?

19 CHAIRMAN GLASSMAN: Well, I think the answer
20 to that may be it depends on the intended use. If the
21 application covers the entire spectrum of pulmonary
22 disease, then the study to validate it would have to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very large.

2 If, on the other hand, the application was
3 for pulmonary embolus or lung nodule, the study size as
4 determined by the relevant statistics would be much
5 smaller. So I think it is in some way driven by the
6 companies initially.

7 Dr. D'Orsi?

8 DR. D'ORSI: I'm getting more and more
9 confused which is normal for an old man. But are these
10 CADs meant for diagnosis of everything or, going back to
11 what Dr. Naidich says, it will be restricted for its use?

12 If it is restricted for its use, are we to take that
13 into consideration for these standalone testing
14 substrata?

15 I mean, if it's sort of nobody would put
16 this on dealing with pneumonia, then perhaps we may not
17 need the findings of pneumonitis. I'm just totally
18 confused now as to where this is going. Is it diagnosis
19 of cancer, diagnosis of pneumonia, additional nodules in
20 pneumonia, interstitial disease, pulmonary embolism? I
21 don't know where it is going.

22 CHAIRMAN GLASSMAN: I think the answer is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 depends on what the application is. What we are trying
2 to do is broadly define the issues for pulmonary disease.

3 If the application is just for a nodule, I think we
4 would agree, or at least I think we would, that some
5 element of co-morbidity analysis would be important. The
6 same for pulmonary embolus.

7 On the other hand, if the application is for
8 everything, detection, diagnosis and multiple diseases,
9 then that would be a significant clinical first
10 standalone and then reader study to cover statistically
11 all of those things.

12 So I think we are trying to fit one size,
13 knowing that the pie is going to be sliced up, depending
14 on which indication or indications. Ms. Brogdon?

15 MS. BROGDON: I don't want to detract from
16 what you just said, but we do have the answer to Dr.
17 D'Orsi's earlier question about what indications for use
18 are cleared or are approved.

19 CHAIRMAN GLASSMAN: Thank you.

20 MS. BROGDON: And Dr. Petrick will describe
21 those.

22 DR. PETRICK: So these are generalizations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the indications for use. They are in your Panel pack
2 on pages 66 and 67, and there is a summary on page 65.
3 But for the X-ray, the indications for the chest X-ray
4 CAD states that the device identifies and marks regions
5 of interest on digital frontal chest radiographs.

6 It identifies features associated with
7 solitary pulmonary nodules from 9 to 30 millimeters in
8 size, which could represent early stage lung cancer. The
9 device is intended for use as an aid only after the
10 physician has performed an initial interpretation of the
11 radiograph.

12 So that is for the chest X-ray. For the CT
13 lung, two CT lung CAD devices -- sorry. I'll just start
14 here.

15 The indications for these devices state that
16 these devices assist radiologists in the detection of
17 solid pulmonary nodules during review of the multi-slice
18 CT scans of the chest.

19 They are intended to be used as an adjunct
20 to alert the radiologist to readings of interest that may
21 have been initially overlooked. They are intended to be
22 used as second readers after the radiologist has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 completed his or her initial read.

2 So these are the indications for devices
3 currently marketed.

4 DR. D'ORSI: So it is focused on pulmonary
5 nodules from what I am hearing.

6 DR. PETRICK: The devices that are currently
7 marketed are focused on pulmonary nodules.

8 DR. D'ORSI: And now there is a paradigm
9 change to enlarge that or are we going to decide that?

10 CHAIRMAN GLASSMAN: That is what we are
11 talking about.

12 DR. D'ORSI: Okay.

13 DR. BERRY: So I don't understand.

14 CHAIRMAN GLASSMAN: Oh, okay.

15 DR. BERRY: As is typical, and being gray
16 hair as well.

17 CHAIRMAN GLASSMAN: I have no hair. Maybe
18 it's easier that way.

19 DR. BERRY: So, Dr. Petrick, that doesn't
20 seem to distinguish between detection and diagnosis, and
21 is it -- does that then allow for, in a screening
22 setting, using it to identify the nodules?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PETRICK: So these devices were not
2 cleared for a particular screening -- for a screening for
3 lung cancer indication.

4 DR. BERRY: They are not cleared for
5 screening?

6 DR. PETRICK: They are not cleared for a
7 screening lung cancer indication. Right, so they are
8 cleared for use on CTs or radiographs collected for
9 normal clinical practice but not for a screening lung
10 cancer--

11 DR. BERRY: Suppose the screening CT
12 identifies what the doctor feels are nodules. Could then
13 they use CAD for that?

14 DR. PETRICK: So they identify lung -- it is
15 supposed to be used to identify -- help to identify lung
16 nodules, but not -- they haven't specifically been
17 cleared for that screening for lung cancer.

18 So if the study is only for screening for
19 lung cancer, then the indications aren't included in
20 those exams.

21 DR. BERRY: Okay, thank you.

22 DR. PETRICK: To clarify, these are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detection devices only. Although your discussion is
2 broader, these particular indications are for detection
3 devices. They just prompt location for nodules.

4 DR. STEIER: So these are for the currently
5 approved, but there are others that will need to be
6 looked at that will be expanding their scope?

7 CHAIRMAN GLASSMAN: That is why we are here.

8 DR. PETRICK: That's right.

9 CHAIRMAN GLASSMAN: That's our job, is to
10 make recommendations for what to do in the future if
11 there are expanded questions that are asked, expanded
12 indications.

13 So standalone testing -- any other comments?

14 Dr. Garra?

15 DR. GARRA: I just wanted to make one
16 comment. Their current indications don't talk about co-
17 morbidities and how they might affect the detection
18 process. For instance, if a person does have bilateral
19 mass and pleural effusions, common sense would indicate
20 that you wouldn't try to detect nodules on a chest X-ray
21 using that. But normally, that would be stated in the --
22 I think that really probably should be stated in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeling that it is to be used in the absence of other
2 extensive lung disease or something if the testing was
3 done in otherwise normal lung.

4 That gets around this problem of having to
5 deal with thousands of co-morbidities and thousands of
6 cases. If people label their device to be used not in
7 the presence of advanced interstitial lung disease, then
8 they don't have to test that substrata. Does that make
9 sense? It limits what people have to test, depending on
10 what their indications are.

11 CHAIRMAN GLASSMAN: Right. Dr. Dodd?

12 DR. DODD: This is unrelated to Dr. Garra's
13 question. I am still struggling here with the labeling.
14 Could somebody please help me understand? If you are not
15 using this for screening, when are you going to use
16 something to just detect solitary pulmonary nodules? I'm
17 confused.

18 DR. STEIER: Perhaps if a patient had a mass
19 that was already known, and you wanted to see if it was
20 metastatic, something like that, if it had recurred, if
21 the patient had been treated, that type of thing, if it
22 was related to another primary carcinoma.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I mean, there may be several but certainly
2 not to the degree that if it was approved for screening,
3 you would use it.

4 CHAIRMAN GLASSMAN: On the other hand, there
5 may be an occasional off-label use of this technology for
6 occasional lung cancer screening, particularly CTs, but
7 also chest X-rays that sometimes creep into clinical
8 practice.

9 DR. ROSENBERG: I would assume also that
10 normal chest X-rays, people miss pulmonary nodules. So
11 the intent is to improve detection of nodules just in
12 general practice. Is that not correct?

13 DR. GARRA: I would like to comment that
14 that's not screening. I mean, if you get a chest X-ray
15 for something else, standard of care is that the
16 radiologist is responsible for picking up any pulmonary
17 nodules there. That is standard of care and, I think,
18 would be appropriate use of the CAD.

19 CHAIRMAN GLASSMAN: And for any lung/chest
20 CT scan, you are responsible for finding nodules even if
21 that is not the indication.

22 DR. STEIER: Right, the other side of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coin is no insurance company is going to pay for
2 everybody to have a chest X-ray and a CAD scan just in
3 case there is a nodule.

4 CHAIRMAN GLASSMAN: And that is not -- We
5 don't talk about money. So --

6 DR. STEIER: It would not benefit the
7 patient -- I'll phrase it differently. It would not
8 benefit the patient to do a chest X-ray and CAD scan on
9 every patient looking just in case they had a nodule.

10 DR. GARRA: Yes, I'm talking about chest X-
11 ray performed for other reasons, other reasons that may
12 not be indicated either.

13 CHAIRMAN GLASSMAN: Okay. Let's nail down
14 standalone testing. Any other comments before I do my
15 wizardry here, and see if I can thread the needle for
16 everybody? It's going to be a little harder on this one.

17 Okay. Part b., we all agree. Nobody has
18 changed their mind. Standalone testing is necessary.

19 Our recommendations on whether certain substrata
20 and pathology in co-morbidities: The answer is yes, and
21 it is going to be dependent on the intended use of the
22 device.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For example, for a pulmonary nodule,
2 different nodule sizes should be available from, what, 5
3 millimeters to 30 millimeters. That's a question for the
4 Panel. Let me finish the paragraph, and then we can come
5 back to that number.

6 Different shape nodules, smooth, calcified,
7 and irregular, different densities from ground glass
8 through mixed to solid, all would need to be in the mix,
9 and there should be some co-morbidity such as air space
10 consolidation, mild to moderate interstitial lung
11 disease, and emphysema included in the patient mix.

12 Let me throw that statement out to the
13 Panel. The main question is nodule size to me. Did I
14 pick reasonable numbers or would you rather have other
15 numbers? I think we need to come up with something
16 concrete here. Comments?

17 DR. SAHINER: I think the Fleischer Society
18 guidelines is that if it is less than 4 millimeters, then
19 it is follow-up CT at 12 months. I don't know what
20 happens between 4 millimeters and 5 millimeters.

21 CHAIRMAN GLASSMAN: Well, 5 is what is above
22 4. So it would kind of fit. That's the extent of my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statistical knowledge here. You heard it.

2 DR. GARRA: So what does it say for less
3 than 4?

4 DR. SAHINER: Less than or equal.

5 CHAIRMAN GLASSMAN: I think less than 4 is
6 ignored. It's like colon polyps, unless it's high risk.

7 So for the performance of CAD, I think 5 to
8 30 is reasonable for either -- well, for CT. For chest
9 X-ray, what do you think, 10 to 30? Ten to 30 is
10 reasonable for chest X-ray, 5 to 30 for CT.

11 Now for other indications such as pulmonary
12 embolus or interstitial lung disease, I think at that
13 time the agency will need to develop criteria, specific
14 criteria based on the indication, and I'm not sure in the
15 time that we have, anything else that we will be able to
16 come up with such specifics for those other intended uses
17 that may come forward.

18 MS. BROGDON: Let me just ask the staff if
19 they have any specific questions about these other
20 potential indications.

21 No comment. Thank you.

22 DR. GARRA: I would like to make one comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about maybe making them a size smaller. For a solitary
2 nodule, perhaps 10 is okay on a chest X-ray, but if you
3 want to detect multiple nodules such as might be a
4 miliary TB or something, I think that there is a use for
5 having smaller nodules being detected in that situation.
6 They can be subtle, and you might go past a bunch of
7 them, small ones scattered out in the lung, early fungal
8 infection, things like that.

9 I think that would be helpful. I don't know
10 that there is any downside by including smaller nodules.

11 CHAIRMAN GLASSMAN: I think -- I don't know.
12 I mean, the only downside I see is the number of false
13 positives will become astronomical, I think. What does
14 the Panel think?

15 DR. CARRINO: I agree. I think that
16 bringing it down too low would increase the false
17 positives, and we already have a guideline from the
18 Fleischer Society. Stick with that.

19 DR. GARRA: Well, then we can at least go to
20 4, right?

21 CHAIRMAN GLASSMAN: Okay, four.

22 DR. CARRINO: Okay. You got me down to 4.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Okay, 4. Now in terms -
2 - there is another piece here, and that was for
3 standalone testing, was the issue of phantom testing -- I
4 think we all agreed that, if the CAD package includes
5 growth and measurement, that phantom testing to validate
6 those functions would also be part of the standalone
7 testing. We agreed with that?

8 So that is question L2. Can we go on to L3,
9 please? You've heard this one before, too, and it is
10 really our difficult one every time.

11 Please discuss the role of reader
12 performance testing in the clinical evaluation of lung
13 CAD devices.

14 If you believe that performance testing
15 should be considered, please provide your comments or
16 recommendations on primary endpoints and corresponding
17 clinically significant effect sizes, and again comment on
18 ROC analysis, the merits of per lesion, per region and/or
19 per patient endpoints in the assessment of endpoints and
20 whether reading time should be assessed; and

21 b. If you believe that there are specific
22 situations where reader performance testing may not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessary, please comment on what those might be.

2 I would like to take b. first. What do you
3 think of not requiring reader performance testing on the
4 measurement package of a CAD device, if one exists, or
5 does that need to be revalidated at the reader level?

6 DR. GARRA: No, I agree. I don't think a
7 reader can do that.

8 CHAIRMAN GLASSMAN: Is that agreeable to
9 everybody? We can come back to it. We are not done yet.

10 Dr. D'Orsi?

11 DR. D'ORSI: Can I just ask Dr. Garra a
12 question? How valid is the measurement over time, vis à
13 vis registration to the exact area of the mass which you
14 would have on a phantom? Is that something to consider
15 or not?

16 DR. GARRA: I think that can be an issue,
17 but I think that the packages I have seen are not chest
18 X-ray packages, but CT packages. They use the original
19 data. They use the original dataset that on a modern
20 scanner may be .4 millimeter sizes, and they find through
21 the slices the same slice and everything, and there is no
22 way a human observer is going to be able to even approach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that kind of performance.

2 So I don't see how a human observer study is
3 going to help there.

4 CHAIRMAN GLASSMAN: Any other comments about
5 this, having to do with measurement or anything else?

6 DR. SPINDELL: Just to go back to the same
7 question we had on the other ones, for simple, minor
8 algorithm changes would we require reader performance
9 testing again?

10 CHAIRMAN GLASSMAN: Dr. Berry?

11 DR. BERRY: Yes, the way you asked the
12 question had so many negatives, I'm not sure whether to
13 answer yes or no, but I don't understand how you can
14 consider a submission that wouldn't involve the role of
15 the reader, and what does CAD add to the normal
16 circumstance.

17 So I'm not sure what we are talking about
18 here, but the reader is essential in every submission.

19 CHAIRMAN GLASSMAN: We are talking
20 specifically about the measurement piece of a CAD
21 detection or diagnosis. It would be at that point
22 probably more diagnostic system. Just the part that says

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how big is it? Has it grown? Is it a different shape
2 than it was the last time?

3 DR. BERRY: So that could be standalone.

4 CHAIRMAN GLASSMAN: No, it would be
5 standalone.

6 DR. BERRY: Oh okay, all right.

7 CHAIRMAN GLASSMAN: But the question of
8 whether a human reader needs to be involved in that small
9 part of the equation was the question that I posed.

10 DR. BERRY: I see. I see. Okay, I
11 understand.

12 CHAIRMAN GLASSMAN: Dr. Bourland, or Dr.
13 Dodd first, then Dr. Bourland.

14 DR. DODD: Right, so I don't want to address
15 the issue of a reader study in that setting, but I
16 wonder, since there are limitations to the phantom
17 studies, is there someplace in between where you could
18 take a set of data for lesions that have been monitored
19 over time for which you know there is no significant
20 change and another subset of lesions -- nodules, rather,
21 that you know have grown, to establish whether that
22 accurately characterizes some sense of growth of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because this registration is an issue, and phantoms may
2 give you an overly optimistic estimate of the performance
3 in practice?

4 CHAIRMAN GLASSMAN: Dr. Bourland?

5 DR. BOURLAND: So I think it is hard to
6 predict for this quantitative side whether the role of
7 the user, the role of the reader. Is that a training
8 role? Is it an implementation role? I don't know, but I
9 think there is value -- there could be great value in
10 terms of a reader impact on some of these results.

11 I understand the idea of, if I've got an
12 area defined on five different slices of thickness 5
13 millimeters each, I can multiply them by five and -- you
14 know, et cetera. Perhaps that part of the computation
15 does not need to be tested again, but I think the user
16 connection with how that all works is actually a very
17 critical piece and would thus deserve some amount of
18 testing.

19 CHAIRMAN GLASSMAN: Is that the general
20 feeling of the group? So for b. then, there would be
21 some reader testing of the measurement package, but for
22 minor algorithm changes, whatever the definition of minor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 turns out to be, re-reader testing would not be
2 necessary. Can we agree to that? I guess so.

3 Okay, let's go back to the hard part then,
4 to a. -- appropriate primary endpoints and corresponding
5 clinically significant effect sizes and ROC curves. Dr.
6 Berry, do you want to take a shot at that first?

7 DR. BERRY: No.

8 CHAIRMAN GLASSMAN: Dr. Abbey or Dr. Dodd?
9 Somebody? You've just pointed to him, and he nodded at
10 you. Okay, Dr. Dodd's light is on.

11 DR. DODD: I think many of the issues are
12 similar to what we have already discussed. So I don't
13 have much to add except I want to emphasize the
14 importance if we are talking about detection or screening
15 for lung nodules, there should be a much greater emphasis
16 on specificity.

17 CHAIRMAN GLASSMAN: Do you think that -- oh,
18 Dr. Abbey.

19 DR. ABBEY: I was just going to echo that,
20 but I don't have anything to add.

21 CHAIRMAN GLASSMAN: What about -- do you
22 think that the ROC analysis or FROC or just sensitivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and specificity -- I know we have touched this before,
2 but specifically for lung CAD nodules and other things,
3 what is the most appropriate statistical way to look at
4 that?

5 DR. TOURASSI: Again, it depends if it is
6 diagnosis or detection. For diagnosis, an ROC study is
7 certainly appropriate if we are looking at the per lesion
8 analysis; and if it detection, FROC or JROC, of course.
9 But this is an open-ended discussion. We don't know what
10 this is we are talking about, and if it is detection or
11 diagnosis.

12 CHAIRMAN GLASSMAN: That's the hard part.
13 So in general, are we agreed for ROC analysis for
14 diagnosis and for detection FROC or JROC would be the
15 preferable statistical tools?

16 What about endpoints and clinically
17 significant effect sizes? I know it is hard when we
18 don't know which disease, but how about for nodules,
19 which is probably the most likely submission? Is there
20 any statistical sense by anybody that there are any
21 numbers we can throw out?

22 Let's move on. Maybe we will come back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this one.

2 The merits of per lesion, per region and/or
3 per patient endpoints in the assessment of endpoints?
4 Nodules and then others? Why don't we separate it out
5 that way, because the answer may be different? What
6 about for nodules? Per lesion, again for detection. We
7 are looking for lesions.

8 Which of these endpoints is most useful?
9 Dr. Dodd?

10 DR. DODD: Well, I have certainly seen per
11 lesion and per region both used. I think either one is
12 acceptable.

13 CHAIRMAN GLASSMAN: If we were to use --
14 Well, I guess for the FROC, we could use either. Is that
15 right? We could use per lesion for FROC, but not ROC?
16 Do I have that right from yesterday?

17 DR. GARRA: Well, FROC typically gives you
18 performances, false positives per image. So it is really
19 kind of a per image metric.

20 DR. DODD: If you are doing per region, you
21 could do an ROC analysis, accounting for the correlation.

22 CHAIRMAN GLASSMAN: Okay. Now what about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of these other things pulmonary embolus,
2 interstitial lung disease, where we don't -- well, for
3 interstitial lung disease, we clearly don't have a single
4 lesion. It is a very diffuse process, and it is sort of
5 present/absent and level of confidence, but it is --
6 would we use region where region is the whole lung?
7 Would that be the appropriate way to approach that
8 specific issue or are we getting ahead of ourselves here?

9 DR. STEIER: You might be able to get by
10 with a generic statement, "appropriate measures as new
11 indications are proposed," instead of trying to
12 anticipate a whole host.

13 CHAIRMAN GLASSMAN: Okay. Dr. Garra?

14 DR. GARRA: I agree with that comment,
15 except you can give a couple of examples. For instance,
16 per patient or per lung could be used for diffuse lung
17 disease, and for nodules you could use per region or per
18 lesion as examples.

19 CHAIRMAN GLASSMAN: Is that -- any other
20 comments about -- Dr. Berry?

21 DR. BERRY: We don't want to lose sight of
22 the forest for the trees. The focus has to be in doing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everything we do on the patient. It is true that we may
2 want to analyze the lesions or the regions, and we may
3 want to do a hierarchical analysis, but the focus has to
4 be on what impact we are having on the patient.

5 DR. SAHINER: May I add something? I agree
6 with that, and as was previously said, if you detect the
7 16th nodule on a patient with 15 nodules, if you are
8 doing per lesion analysis, it would count as an
9 additional detection, but for patient management it may
10 not have any effect.

11 CHAIRMAN GLASSMAN: However, I'll say what I
12 said yesterday, and then see how everybody feels. If you
13 are talking about lung CT as a clinical device, per
14 patient makes a lot of sense. To me, not being a
15 statistician, we are talking about a CAD device who is at
16 least in the first cut before diagnosis, is simply a
17 lesion finder, and it may make a difference whether you
18 find one lesion, two lesions, three lesions or the 16th
19 lesion if you are talking about detection efficiency and
20 accuracy.

21 DR. SAHINER: May I?

22 CHAIRMAN GLASSMAN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SAHINER: That's correct, but in a
2 reader study if a reader finds 15 nodules, he or she may
3 be much less vigilant to find the 16th nodule because
4 they already have some kind of analysis for the patient.
5 So in a reader study, it may skew things if the patient
6 has too many nodules.

7 CHAIRMAN GLASSMAN: On the other hand, if
8 the patient has two nodules and the reader or CAD missed
9 one, then that becomes much more significant.

10 DR. LEITCH: Again, I think for the application of
11 the technique in patient populations, for what percentage
12 of patients does it make a difference that they had that
13 test done, and is that difference a good difference or a
14 bad difference? I mean, that's why you have to do the
15 patient part of it.

16 Your example is an example where it would
17 make a difference in the patient, you know, if they have
18 a solitary versus multiple nodules. That would make a
19 difference for the patient, but the other issue of 15
20 versus 16 doesn't make a difference to the patient.

21 So any technique that is applied, the bottom
22 line is does it help the individual patients in the whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population that you are screening or applying the test --
2 not screening but applying the test -- or does it cost
3 harm to what number of patients?

4 CHAIRMAN GLASSMAN: Dr. Garra?

5 DR. GARRA: We can pick it apart endlessly,
6 because it depends on the population. For instance, if
7 there is a patient with 15 nodules and they want to know
8 has his metastatic disease progressed or not, and I see
9 17, then I call it progression. That has a huge impact
10 on that patient. If it is granulomatous disease,
11 it doesn't have an impact. It depends entirely on the
12 patient, but what I care about when I am using a tool is
13 raw performance. Is it going to help me find those
14 additional nodules that are going to make all the
15 difference in the world to some patients and may have no
16 difference on another? That is my responsibility as a
17 radiologist to make that call.

18 DR. LEITCH: But is this test -- are they
19 saying because this is another issue of using these
20 techniques or if they are comparing to the last study?
21 So how good is -- you know, if you are asking the
22 sequential question, if you applied CAD, would you be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more likely to accurately assess --- you know, the first
2 time you did it there were five nodules, and then the
3 second time you did it there were six, and one of them
4 increased 2 millimeters? Would CAD pick that up better
5 than the doctor would pick that up?

6 So is that what the application is, or not?

7 CHAIRMAN GLASSMAN: Oh, there are multiple
8 applications.

9 DR. LEITCH: You know that there is a
10 sequential look. Can the CAD do that?

11 DR. CARRINO: I would just emphasize, I
12 think Dr. Garra has put a balanced perspective and stated
13 it very precisely and concisely, and that is the paradigm
14 that, I think most radiologists would use and that is I
15 think the intended use for these devices, and that is how
16 they would probably be labeled.

17 CHAIRMAN GLASSMAN: Let me remind everybody,
18 if my memory is still with me, that yesterday we picked -
19 - and this is another issue, but we picked per lesion or
20 per region when we entered this question about other CAD
21 devices, and I'm a little uncomfortable --

22 DR. BERRY: That was standalone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Oh, this is reader,
2 right. Thank you.

3 DR. TOURASSI: Actually, you are right. For
4 the reader performance, we also agreed because we had
5 that argument, but yesterday the colon cancer application
6 is very different because there appears to be viability
7 among clinicians as to what is a proper patient
8 management depending on the size, 5, 6, 8, 10, whatever.

9 But here for the lung cancer, the per patient analysis
10 for the reader observer study makes more sense.

11 I agree with Dr. Sahiner, with Dr. Berry,
12 because in the end it will help you find that 17th nodule
13 which -- as you say, it is important for you. Then the
14 CAD system will help you change your decision regarding
15 patient management.

16 So that will be reflected in the sensitivity
17 and specificity as measured on a per patient basis. So I
18 don't see why the extra burden of the per lesion analysis
19 for the reader observer study. In the end, it will
20 impact your patient management decision for the patient,
21 even if it's the second nodule or the 17th nodule.

22 CHAIRMAN GLASSMAN: Dr. Garra?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GARRA: Well, in some patients, it
2 would, not in others. So --

3 DR. TOURASSI: But that is the point. In
4 the end, if CAD makes a difference, it will be reflected
5 there. It doesn't matter which lesion number it is.

6 CHAIRMAN GLASSMAN: Go ahead, and then I
7 will have a comment.

8 DR. SAHINER: For nodule detection, can we
9 agree on a, for example, maximum number of nodules that
10 would make sense? I know that, for example, for the LIDC
11 study or some other studies there was mention of six
12 nodules or more. So if you limit for the -- I'm not
13 sure, but if we can come up with a number for the number
14 of nodules by which they wouldn't be counted as
15 additional picks by the computer.

16 DR. TOURASSI: Well rationally, it makes
17 sense. But there is, of course, this debate among the
18 clinicians. Can we fix a number? How? There is no
19 proof or whatever.

20 CHAIRMAN GLASSMAN: Let me ask the Panel.
21 The added burden of collecting data on a per lesion and
22 reporting data on a per lesion and a per patient rather

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than one or the other -- since we are somewhat split
2 here, if the burden is not significant -- that is, to do
3 per patient, you have to collect per lesion anyway, then
4 can we agree that both endpoints are desirable, rather
5 than come up with just one?

6 First off, is there a burden difference that
7 is significant?

8 DR. ABBEY: That was going to be, I guess --
9 I was going to ask the same question, but I think it does
10 become a burden if the statistical power of the two
11 studies is very different. Then you have to power to the
12 weakest of the two if you require both endpoints.

13 So I would think that a per patient study is
14 actually more burdensome in the sense that you are going
15 to require more cases and more reads; whereas a per
16 lesion, if you have 17 lesions in an image, you've got 17
17 responses that you are going to compress into one per
18 patient.

19 CHAIRMAN GLASSMAN: Dr. Berry?

20 DR. BERRY: I think it is more burdensome.
21 I agree, but it is an essential aspect. You've just got
22 to look at the per patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 To the point of Dr. Sahiner and to Dr.
2 Garra's example of the 17th nodule in a diagnostic
3 setting where you have a cancer patient and the RECIST
4 criteria indicate that a new nodule, a new lesion is a
5 progression, that is critical. So you can't put a number
6 on them, but I think that the focus is on the patient.
7 The analysis may be at the lesion level, and it is the
8 more burdensome part, but it is appropriate.

9 The least burdensome doesn't mean that you
10 are going to throw out the baby with the bath water.

11 CHAIRMAN GLASSMAN: Comment? Dr. Bourland?

12 DR. BOURLAND: I just have a comment on left
13 and right, and that I think that is important. If we
14 have per lesion that also tells location, but in some
15 cases disease might be confined to one lung and knowing
16 the contralateral side was somehow free or freer of
17 disease might actually be very helpful.

18 For instance, radiation beams -- you know,
19 in general we would say we want to stay out of the
20 contralateral lung anyway but might actually have some
21 impact on care.

22 CHAIRMAN GLASSMAN: Dr. Dodd?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DODD: So I just want to emphasize that
2 I, too, think per patient analysis is very relevant and
3 critical for screening because what follows that is
4 probably a diagnostic CT scan. But I have also assumed
5 in any of these per region analyses, per lesion analyses,
6 that you are going to do the per patient analysis as
7 well, because those things begin to put together a
8 picture of what is actually going on.

9 When you get to the diagnostic CTs, I'm a
10 little more uncertain because those are actually -- the
11 location is important as far as I understand for the
12 diagnostic workup, and the per patient thing is still
13 important, but you may want to at that point look at the
14 per lesion and the location information.

15 CHAIRMAN GLASSMAN: Do we feel that location
16 needs to be varied from hilar to peripheral and lower
17 lobe and upper lobe, as well as size for the reader study
18 portion of this?

19 DR. STEIER: Yes, it has implications for
20 staging, treatment, et cetera. Of course, you need that.

21 CHAIRMAN GLASSMAN: For the ease of
22 detection. Dr. D'Orsi?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. D'ORSI: This is a new paradigm that we
2 are looking at from everything else we looked at. We are
3 mixing detection and diagnosis here. So I think what you
4 said is very clear.

5 For the detection phase per lesion is fine.
6 I think on the diagnostic phase, you will focus on the
7 patient to see exactly what is going on as far as
8 affecting the patient. Diagnosis is going to affect the
9 patient.

10 So as you said, if you are going to collect
11 per lesion, you automatically are going to deal with per
12 patient effects, if this is a dual detection and
13 diagnosis. I still have problems with that.

14 CHAIRMAN GLASSMAN: For diagnosis, I presume
15 that the ROC type analysis will be critical, or some
16 variant of it, and there certainly, I think, per patient
17 has a lot -- if we are dealing with nodules and lung
18 cancer, certainly has a lot.

19 I'm still not sure that we have come to a
20 consensus. What about per patient versus per lesion or
21 region?

22 DR. BERRY: I just wanted to get a -- when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you do per patient, you are throwing out all location --
2 You don't have to. To do per patient, you can specify
3 for a patient criterion that an increase in number or
4 size nodules or change in position or whatever are
5 significant.

6 If you are capturing that anyway, why not do
7 per lesion because you do get the per patient information
8 anyway?

9 DR. TOURASSI: I guess my question -- I was
10 trying to figure out what is the most burdensome for the
11 detection task, in particular, to ask the reader to mark,
12 to give a rating pretty much for every nodule they have
13 identified or to ask them to read every case and tell
14 you, yes, I will send them for a follow-up CT; no, fine?

15 But for that paradigm, as Dr. Abbey said, we will need a
16 lot more cases.

17 So what is the least burdensome of the two,
18 and what makes, of course, more sense in the clinical
19 significance?

20 DR. GARRA: Well, I'm thinking if we don't
21 have lesion location, we are not going to know anything
22 about location in the lung. We are going to be missing a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lot of information that is important from a treatment
2 standpoint, you know, in addition to diagnosis.

3 So you are going to have to capture that
4 anyway somehow. So if you are going to do it anyway,
5 then doing -- I definitely agree that per patient is very
6 important, but when you do per lesion, you will have the
7 per patient information, too.

8 CHAIRMAN GLASSMAN: And so we shouldn't
9 throw that out. Dr. Sahiner?

10 DR. SAHINER: I just agree with Dr. Garra
11 that per lesion is actually less burdensome. And
12 although per patient is important, I think per lesion is
13 also important.

14 CHAIRMAN GLASSMAN: Certainly, per lesion
15 would be important if we are trying to figure out false
16 negatives and geographic areas near the hilum and things
17 like that, and also false positives. If we just deal
18 with the patient, we lose that ability.

19 So if the per patient is more burdensome but
20 it is important, do we think that -- I think we need per
21 lesion in my mind, and I will throw this out, and
22 everybody can -- you know, when I do my pre-speech.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Given the burden of per patient and given
2 the importance of per patient, does one outweigh the
3 other, and should we come down on the side of both per
4 lesion and per patient or just per lesion for reader
5 studies? Dr. Berry?

6 DR. BERRY: Dr. Glassman, I don't think
7 there is much disagreement, actually. I mean, when I
8 stated my position, I said it will be essential to
9 evaluate the lesions within the patients.

10 So I am looking sort of top down, and Dr.
11 Garra is looking bottom up, but we come to the same
12 conclusion. Both are important, and there is no way --
13 and I think even with Dr. Garra's position, I don't think
14 there is any way that you get rid of the burden of
15 looking at the patient.

16 So exactly how you state it probably doesn't
17 matter much. Both are important. My own perspective is
18 that in the statistical section you would write things in
19 terms of the patient, but a fundamental part of that will
20 be evaluating the lesions within the patient.

21 CHAIRMAN GLASSMAN: Okay, any other
22 comments? Dr. Dodd?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DODD: I just want to agree with that.
2 I think the study should be powered for the per patient
3 analysis.

4 CHAIRMAN GLASSMAN: Okay, I think that is
5 very important. Let me give this a try.

6 Performance testing is definitely critical
7 for reader performance testing. Primary endpoints and
8 clinically significant effect sizes: the committee
9 really has no hard recommendations -- it will depend in
10 part on the intended use whether it is diagnosis or
11 detection, and what we are diagnosing or detecting.

12 ROC analysis is felt to be very important,
13 particularly on the diagnostic end with JROC or FROC on
14 the detection end. We think that per patient endpoint is
15 very important, and that the study should be powered to
16 give that information.

17 Along with that, per lesion information to
18 evaluate for false positives and false negatives will be
19 important.

20 What about reading time? Again, previously,
21 we have said that that should be measured. Do we agree
22 for these techniques also? Does anybody think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shouldn't be measured? Dr. Abbey?

2 DR. ABBEY: I'm not sure what it tells you
3 in a case where you've got a lot of potential other
4 things you are looking for. How much would that -- I
5 just have no sense of whether that measurement conveys
6 much information.

7 CHAIRMAN GLASSMAN: Is that because there
8 are so many co-morbidities that you will be evaluating
9 that the increased incidence -- the increased time of CAD
10 will be really not very helpful in any analysis?

11 DR. ABBEY: Yes, that's my concern, is that
12 we will measure something that is so corrupted with other
13 factors in it that it won't have much information. But
14 it's easy to measure. It's just should it be included in
15 the analysis or required to be included? If it's there,
16 what do we do with it?

17 CHAIRMAN GLASSMAN: Yes?

18 DR. LEITCH: But you will be measuring the
19 time without the CAD, too. So you could do it -- you
20 know, the difference, not so much how long an individual
21 exam took but just the difference of adding the CAD to
22 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ABBEY: I suppose, if the cases are
2 matched, then that's fine. If the cases have different
3 co-morbidities associated with them, the variance --

4 DR. LEITCH: So you are going to stratify
5 for that anyway. Right?

6 DR. ABBEY: Okay.

7 CHAIRMAN GLASSMAN: So again, time or no
8 time? We have one optional. Dr. Spindell?

9 DR. SPINDELL: Yes, and I know we had this
10 discussion on all the previous ones as well. I
11 understand we may want to measure it, and I understand
12 all the socioeconomic, medical economic things, but as
13 far as safety and efficacy, how does the reading time
14 play into the safety and efficacy decision that the FDA
15 will ultimately have to make?

16 CHAIRMAN GLASSMAN: Very good question. It
17 doesn't unless the time is so burdensome that it causes a
18 distraction from the co-morbidity issue.

19 DR. SPINDELL: And wouldn't that be measured
20 in the reader time, the sensitivity-specificity ROC curve
21 analysis, et cetera?

22 CHAIRMAN GLASSMAN: Dr. Garra?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GARRA: I tend to agree with this
2 comment, and if the time is really excessive, the market
3 forces will eliminate that product as well.

4 CHAIRMAN GLASSMAN: Okay, so no time for
5 lung CAD. Ms. Brogdon?

6 MS. BROGDON: Our question is whether the
7 Panel believes this information is critical to the
8 prospective user of the device.

9 CHAIRMAN GLASSMAN: Dr. Garra?

10 DR. GARRA: No.

11 CHAIRMAN GLASSMAN: Any other comments? I
12 would concur with that. I don't think that time -- the
13 difference here is the other two modalities -- we were
14 talking about breast and colon CAD -- are really in
15 screening situations where the time is much more relevant
16 than in a diagnostic situation which is what we are
17 talking about here. I think that is probably the reason
18 why it is not important.

19 DR. STEIER: A comment? I agree. I think
20 the issue here is not time but accuracy. So I would not
21 -- time would not be an important factor, speaking as a
22 non-radiologist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: But you do take those
2 automatic EKGs, don't you?

3 DR. STEIER: Yes, but I read them first,
4 always a second reader.

5 CHAIRMAN GLASSMAN: Okay, does that answer
6 for L3 satisfactory for the needs of the agency?

7 MS. BROGDON: Yes, thank you.

8 CHAIRMAN GLASSMAN: Thank you. It is now
9 12:25. In the hopes that L4 will be brief, I'd like to
10 do that, and then break for lunch if we can. Is that
11 reasonable? Okay. I've gotten permission to do that
12 from my boss here.

13 L4 -- let's see if we can get that one done.
14 Please discuss whether there are other types of
15 performance testing you believe should be considered in
16 the evaluation of lung CAD devices.

17 Any other? No lights are going. Dr. Abbey?

18 DR. ABBEY: I will just make a quick
19 statement that there are emerging methodologies in multi-
20 class ROC kind of analysis that do make sense sometimes
21 when you have co-morbidities along with it. I don't
22 think they are ready yet to require companies to do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: So the answer is not at
2 this time. But if statistics change, it may change. Is
3 that an acceptable answer to L4?

4 MS. BROGDON: Yes, thank you.

5 CHAIRMAN GLASSMAN: Thank you. Let us break
6 for lunch. It is now 12:26. Why don't we come back at
7 1:26, please? See you then.

8 (Whereupon, the foregoing matter went off
9 the record at 12:26 p.m.)

10 - - -

11

12

13

14

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A F T E R N O O N S E S S I O N

2 Time: 1:29 p.m.

3 CHAIRMAN GLASSMAN: Okay, I have called us
4 to order. We will go back to lung question L5. We had
5 just finished L4, and we will continue in our discussion
6 of lung CAD and then move on to general and future
7 issues.

8 The prevalence of lung cancer cases in the
9 population having chest X-rays and chest CT is relatively
10 low. Please provide comments on the practice of using an
11 enriched dataset for the clinical evaluation testing
12 discussed in 2, 3 and 4, which is the standalone and
13 reader testing.

14 If you believe that an enriched dataset may
15 be used for these evaluations, discuss what you believe
16 to be the appropriate clinical, imaging and pathological
17 characteristics for that database.

18 Please consider items such as number of
19 patients with no nodules, single nodules, multiple
20 nodules, range of nodule sizes and (b) if you believe
21 that enrichment is inappropriate, please provide your
22 reasons and whether there would be an alternative method

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of assessing these devices in light of the low
2 prevalence.

3 As we did before, could we discuss part b.
4 first? Does anyone think that there is a way to evaluate
5 lung cancer screening -- or lung cancer CAD -- I
6 apologize -- lung cancer CAD without an enriched dataset
7 that is anything that would be least burdensome? Any
8 comments?

9 Are we in agreement that -- yes, Ms.
10 Brogdon?

11 MS. BROGDON: You mentioned lung cancer.

12 CHAIRMAN GLASSMAN: It was mentioned in the
13 question. Would you rather this be a broader discussion?

14 MS. BROGDON: Well, I'm not sure that it
15 helped our previous discussion.

16 CHAIRMAN GLASSMAN: I was very happy to see
17 it in this question, I have to admit.

18 MS. BROGDON: Okay, proceed.

19 CHAIRMAN GLASSMAN: Okay, let me broaden it
20 just a little bit then. For other lung conditions such
21 as pulmonary embolus or interstitial lung disease which
22 have a relatively low prevalence, are there anything

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other than enrichment that would be helpful for looking
2 at this that would fall into the least burdensome
3 category? Does anyone have any comments?

4 If not, I will take that as enrichment is
5 appropriate.

6 Now let's go back to (a), if we can.
7 Appropriate clinical imaging and pathological
8 characteristics for -- let's first deal with lung nodule,
9 since -- lung cancer, since that is specifically asked in
10 the question, and depending on time, which we are not
11 likely to have, we may spend some time on the others.
12 But lung cancer.

13 Different types of pathology, different
14 clinical settings for the database that is used to test
15 these devices -- comments? Yes?

16 DR. SAHINER: For clarification, may I ask
17 are we talking only about lung cancer or lung nodules?

18 CHAIRMAN GLASSMAN: The question is lung
19 cancer, but I think -- Ms. Brogdon, can we broaden this
20 to lung nodules or would you rather keep it as cancer?

21 MS. BROGDON: I think nodules would be fine.

22 CHAIRMAN GLASSMAN: Okay, lung nodules. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pathological characteristics -- why don't we deal with
2 that first. What spectrum of diseases in the lung that
3 form nodules should be included based on their imaging
4 appearance or their pathological basis? Adenocarcinomas?

5 DR. STEIER: I would guess a representative
6 set might include a couple of lung cancer cases, a couple
7 of cases of sarcoid TB, other types of diagnoses that can
8 cause nodules.

9 CHAIRMAN GLASSMAN: And among the cancers,
10 any subtypes that you would like to specify be included,
11 small cell, large cell?

12 DR. STEIER: A representative sample, any of
13 the above, bronchoalveolar perhaps.

14 CHAIRMAN GLASSMAN: Any other comments about
15 pathologic types of things that form nodules? Yes?

16 DR. LEITCH: Just be sure you have some
17 metastatic nodules as well as primary lung cancer
18 nodules.

19 DR. STEIER: Sure. Even septic nodules.

20 CHAIRMAN GLASSMAN: Okay, so we have got a
21 broad spectrum of nodules. What about characteristics?
22 What about no nodules? How enriched should the sample be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for first standalone testing and then reader testing?

2 Let's deal with standalone first. In the past, we
3 have said that the richer the better because it is
4 standalone and it really -- the computer doesn't really
5 care. Would that be consistent again here? Yes?

6 DR. KIM: I think you would want a
7 proportion of completely normal cases so you know what
8 the false positive is on the normal exam.

9 CHAIRMAN GLASSMAN: So -- Yes?

10 DR. CARRINO: For computer standalone
11 purposes, you can have lots of cases because it's just a
12 computer. So the number -- the total n, I think, is less
13 relevant. You can use the big N.

14 CHAIRMAN GLASSMAN: So a large dataset, lots
15 of normals, and lots of abnormal but not at the low
16 prevalence.

17 What about stress -- Dr. Garra?

18 DR. GARRA: They can be at a high prevalence
19 because, I mean, the system is not going to learn what
20 the composition is.

21 CHAIRMAN GLASSMAN: Exactly, now what about
22 stress -- oh, I'm sorry. Dr. Dodd?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DODD: I was going to say though with
2 regard to normals, are there things that might be
3 confused with the lung nodules of interest that you would
4 want to -- that are considered normal, that you would
5 want to include in the standalone testing?

6 DR. STEIER: Sure, scarring and other
7 anatomic things like that. So yes, you could have a
8 selection of things that are commonly confused for
9 nodules as well.

10 CHAIRMAN GLASSMAN: Okay.

11 DR. BERRY: Can I just add?

12 CHAIRMAN GLASSMAN: Yes, Dr. Berry.

13 DR. BERRY: This is -- somebody made a point
14 earlier yesterday about the importance of doing
15 enrichment, and if I can have a wish list, I would love
16 to see an enrichment based on eventual outcome, you know,
17 those lung cancers that were fatal and quickly, those
18 lung cancers that weren't so much.

19 Here is an opportunity where you can take
20 historical settings and put them in front of the CAD and
21 see how it does. I think that this would be an
22 exploratory setup, but the potential for identifying --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, what are we finding? Are we finding the really
2 bad things or are we finding the things that we really
3 shouldn't have found?

4 CHAIRMAN GLASSMAN: Other comments about
5 that kind of stratification? Yes?

6 DR. SPINDELL: I would say that should be
7 based on the indications for use and what the device is
8 actually intended to detect. If it is just to detect
9 nodules, I grant that that is great information, but I
10 think that might be not the least burdensome approach on
11 the manufacturer.

12 DR. BERRY: No, I meant that this would not
13 be the registration in the indication, but it is an
14 opportunity for the company to see what they've got.

15 So in the early phases of the development,
16 these are the kinds of things that you really want to do.

17 CHAIRMAN GLASSMAN: Dr. Ziskin?

18 DR. ZISKIN: Because of the importance of
19 false positives, I think there should be an adequate
20 number of normals, so you can get some assessment of
21 this.

22 CHAIRMAN GLASSMAN: What about if I throw

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out a specific number? I hate to do this, but I am going
2 to do it anyway. Fifty percent normals, 50 percent
3 nodules, a mix of singles and multiples -- is that a
4 reasonable stress that will give a good read, and some of
5 the normals will have some variance in them that are
6 confused with nodules. Would that give a good reading on
7 the false negatives and the false positives?

8 DR. BERRY: As long as it is standalone, it
9 doesn't matter. I mean, yes, total sample size. If it
10 is in a reader setting, then I think it does matter, and
11 I think you would want to use the strategy that I
12 suggested earlier of varying the rate over the course of
13 time.

14 CHAIRMAN GLASSMAN: Yes, we are just talking
15 about standalone right now though.

16 DR. BERRY: So I'm not sure you should say
17 50 percent. I mean, just say enough of both, or
18 something like that.

19 CHAIRMAN GLASSMAN: Okay, we'll get to the
20 summary in a minute, Dr. Garra.

21 DR. GARRA: Just another specification for
22 types of nodules, specifically nodules that have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 missed. You can get that from RAD peer data. You can
2 get that from published studies that show certain areas
3 of the lungs where nodules are missed, and make sure
4 there is plenty of those.

5 CHAIRMAN GLASSMAN: Okay, so range of nodule
6 sizes -- we kind of covered that before. Are we still 4
7 to 30?

8 DR. GARRA: It was 2 to 30, wasn't it?

9 CHAIRMAN GLASSMAN: No, it wasn't. Good try
10 from Vermont. We used 4 to 30 before. Is there any
11 sentiment to leave that the same, or to move to a
12 different set of numbers? The same? Okay.

13 Now what about the reader testing, which is,
14 obviously, a much more complicated issue? The level of
15 enrichment will be different.

16 What about, though, the mix of cases?
17 Forgetting numbers, what about types of cases? Would you
18 change the type, or would you leave the types of cases
19 the same and just change the prevalence because we have
20 defined a group of cases for the standalone testing,
21 different kinds of nodules, different kinds of non-
22 nodules in the normal group. Would you leave that mix

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the same? Dr. Dodd?

2 DR. DODD: I thought yesterday, with regard
3 to reader studies, we discussed having a representative
4 sample when it comes to a reader study and then ensuring
5 that you have enough numbers in that representative
6 sample for some of these.

7 CHAIRMAN GLASSMAN: I think all of these are
8 representative. The mix -- I mean, now --

9 DR. DODD: I'm talking about the mix.

10 CHAIRMAN GLASSMAN: Yes.

11 DR. DODD: A representative mix.

12 CHAIRMAN GLASSMAN: Yes, the mix will be --
13 Okay, let's talk about mix.

14 DR. TOURASSI: But this is going to be more
15 challenging now without a clearly defined population.
16 For breast CAD and colon CAD, we were talking about
17 screening populations. Here, what is the population?

18 CHAIRMAN GLASSMAN: It will be non-
19 screening, presumably.

20 DR. TOURASSI: So the study characteristics,
21 the prevalence is well defined for the non-screening
22 population when it comes to chest X-rays and lung CTs?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GARRA: Yes. For Midwest, it will be
2 all histoplasmosis. Right?

3 CHAIRMAN GLASSMAN: Right, so there are some
4 geographic differences, but I think there is data on the
5 prevalence of different lesions in what are considered
6 general populations in terms of different kinds of
7 cancers.

8 Prevalence of TB and histo and things like
9 that are often geographically or socioeconomically skewed
10 in one way or another, but these things could be included
11 in some rough reasonable mix without it being too skewed,
12 I think, although I don't have hard numbers. Is that a
13 reasonable thing?

14 DR. GARRA: I agree that you could do that.
15 You could take, for instance, the population in the
16 United States and take -- you could weight them
17 geographically by the relative population of various
18 parts of the country where we know they have higher
19 incidence of certain things, like coccidioides or
20 something in various parts of the country.

21 CHAIRMAN GLASSMAN: So again, patients with
22 no nodules would be the majority in the reader study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because that is the majority in the population, but that
2 there would have to be enough of these to see whether the
3 readers perform well. Dr. Ziskin?

4 DR. ZISKIN: I would like to talk about the
5 size issue of nodules be smaller than 4 millimeters. If
6 it turned out that the algorithm used by the CAD actually
7 would get tripped up and would actually call these small
8 nodules as such, these would be a false positive.

9 How would we know that if you didn't have
10 any very small nodules in the test set?

11 CHAIRMAN GLASSMAN: Anyone want to agree or
12 disagree? Yes?

13 DR. LEITCH: I think that is an issue,
14 because on chest CTs those smaller nodules are seen, and
15 you know, when they are seen in the context of somebody
16 who has had cancer in the past, you are never allowed to
17 just kind of walk away. It is something you do have to
18 pay attention to.

19 So because that is a sensitivity of the CT,
20 I think you -- you know, with the CAD you've got to
21 address that issue.

22 CHAIRMAN GLASSMAN: Anyone else other than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Garra, and we know what he thinks? No Brian, if you
2 want to say something, go ahead.

3 DR. GARRA: Didn't we just specify that they
4 were going to be 4 millimeter nodules in the dataset?

5 CHAIRMAN GLASSMAN: We just discussed it.
6 That doesn't mean that we can't change it. Four to 30 is
7 what we said. Yes, Dr. Dodd?

8 DR. DODD: I thought Dr. Ziskin was
9 suggesting that we include some below 4 millimeters.

10 CHAIRMAN GLASSMAN: He was, and that's what
11 we are discussing.

12 DR. DODD: That falls under my category of
13 the nodules that are confused -- no-nodule situation that
14 are confused as nodules.

15 DR. STEIER: Right, that goes with the false
16 positives where you are going to get, you know, hundreds
17 or thousands of small nodules less than 4 millimeters
18 that you are going to be stuck with, try to track down,
19 and figure out what to do with. So you would not want
20 those. So I think you would test to try to avoid those.

21 CHAIRMAN GLASSMAN: Those then, though,
22 would be included in the "normal" portion rather than the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enriched portion, but they should be included is what I
2 am hearing. Okay?

3 DR. ROSENBERG: So 'no nodules' would
4 include small nodules?

5 CHAIRMAN GLASSMAN: Yes, no nodules less
6 than 4 millimeters. Normal would be no nodules 4
7 millimeters or greater. Dr. Abbey?

8 DR. ABBEY: A question of clarification in
9 designing these studies. Should the study be powered for
10 the individual lesion type or just for the entire --
11 powering for one single study, as opposed to the
12 individual kind of lesion? So do you want to be able to
13 make a significant claim about this size lesion, that
14 size lesion, or do you just want to be able to say we are
15 substantially equivalent across the entire study?

16 CHAIRMAN GLASSMAN: Dr. Berry?

17 DR. BERRY: The entire study. You can't --
18 this would be really burdensome to try to address
19 individual lesions.

20 CHAIRMAN GLASSMAN: Very good point. Thank
21 you. Any other comments about L5? Okay, let me try to
22 summarize. See if you like it. If you like it, we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go forward.

2 Part (b) We believe that enrichment is
3 appropriate.

4 Part (a) For the standalone testing, we
5 believe that a high prevalence of abnormals with nodules
6 from 4 to 30 millimeters be included, and that a
7 sufficient number of normals be included, and normal or
8 benign encompassing nodules smaller than 4 millimeters,
9 scarring, sequestrations, and other things -- azygos
10 lobes we didn't mention but that would be one that could
11 easily be confused with a nodule -- and that the nodule
12 types come from multiple pathologies, including carcinoma
13 and infection, sarcoid, septic potentially, and the
14 inclusion of metastatic multiple nodules.

15 Does that reflect our discussion? Is that
16 sufficient, Ms. Brogdon?

17 MS. BROGDON: Yes, thank you.

18 CHAIRMAN GLASSMAN: Thank you.

19 DR. GARRA: Dr. Glassman, I have a question.
20 So how would a 2 millimeter nodule that was detected by
21 CAD be scored then because there is really a nodule there
22 in a case where there is really a 2 millimeter nodule

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there?

2 CHAIRMAN GLASSMAN: Over here I am hearing
3 false positives.

4 DR. GARRA: I know.

5 DR. D'ORSI: If you define positive one way,
6 you have to stick to it.

7 DR. GARRA: I just have a problem with using
8 non-English definitions.

9 DR. D'ORSI: Well, I mean, if your
10 definition is negative is nothing or polyps less than 4,
11 that's negative. So if you find it, that's a false
12 positive.

13 CHAIRMAN GLASSMAN: I am going to leave that
14 to the FDA.

15 DR. GARRA: Yes, we can leave it to the FDA.
16 I personally disagree with that. I think that frequently
17 a nodule when it appears at 3 millimeters on a CT in a
18 person with metastatic -- potential metastatic disease is
19 significant.

20 CHAIRMAN GLASSMAN: It may be, but we are
21 evaluating the CAD system. So let's leave it up to FDA
22 to make that decision when the time comes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SAHINER: If I may, if you are talking
2 about the reader study here -- you know, the observer
3 reading with CAD -- hopefully, they won't mistake a 2
4 millimeter nodule for a 4 millimeter nodule especially if
5 they have some tools for measurement.

6 DR. GARRA: That's a difficult measure.

7 CHAIRMAN GLASSMAN: Sure, Dr. Berry.

8 DR. BERRY: Can I add that the -- my point
9 yesterday was exactly on target with Dr. Garra's point
10 today that the ROC and the sensitivity specificity are
11 inherently binary, and this is a situation which is not
12 inherently binary and some improvement on the ROC
13 analysis to take into account this sort of thing, I
14 think, would be helpful.

15 MS. BROGDON: They are nodding.

16 CHAIRMAN GLASSMAN: That will be noted in
17 the record. I am hoping that the statisticians at the
18 FDA know exactly what that meant. That is not your
19 ability to state it, Dr. Berry. It is my lack of
20 statistical knowledge that I am commenting on.

21 MS. BROGDON: They are nodding, yes.

22 DR. BERRY: Well, it's very simple. It is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 positive or negative, and this is a case that is not
2 positive or negative.

3 CHAIRMAN GLASSMAN: Okay, somebody will
4 teach me more about ROCs than I know at another time.

5 Let's move on to L6. FDA does not specify
6 indications for use but reviews indications for use that
7 are requested by companies. What are the Panel's views
8 regarding second reader versus concurrent reading of a
9 CAD device?

10 How are lung CADs used clinically?

11 Are second reader and concurrent reading
12 modes both relevant options and, if not, which is
13 appropriate; and do we believe that users understand that
14 if something is labeled for second read, that that is the
15 way they should use it? A similar question, but a
16 different issue.

17 Lung CADs used clinically -- I think we said
18 earlier both ways. Does that reflect even in the
19 literature? Does anybody take issue with that statement?

20 Okay. Let's move on to the next one.

21 Are they both clinically relevant? There
22 was some data this morning that showed -- that we talked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about that they were. Is that -- does everybody go along
2 with that? It's a little different than what we talked
3 about for breast, though, and colon. So let's make sure
4 that we are comfortable with that.

5 Are both options, to the best of our
6 knowledge, appropriate ways to read either chest X-rays
7 or chest CTs? It's like in a deposition. I'm seeing
8 nodding of heads, but if you could push the button and
9 say yes, it would help a great deal -- or no.

10 I am going to take that as a yes from
11 everybody that we believe it is concurrent. I don't know
12 what else to do with it.

13 DR. GARRA: I would just like to comment.
14 Since there is data out there that people have done it, I
15 guess we have to say that it is valid. You know, it's
16 like when you spot an abnormality as a radiologist now.
17 We are just going to have to turn that part of our thing
18 off and look for other abnormalities to avoid the
19 problems.

20 CHAIRMAN GLASSMAN: Okay, any other
21 comments? Yes?

22 DR. LEITCH: I would just say, you know, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concurrent, you could say, would be more appropriate in
2 the circumstance that you are looking for nodules. That
3 is the pathology that you think is at hand; whereas, if
4 you are looking for congestive heart failure, you don't
5 turn the thing on first. You could do it after you have
6 looked for those things if you are insisting on doing
7 that. But the context in which you would consider doing
8 it concurrently would be when your target is nodules.

9 CHAIRMAN GLASSMAN: Any other comments?

10 Okay.

11 3. Do we believe that the users understand
12 that the labeling is what the labeling is? Do we have a
13 different opinion than we have had previously?

14 Let me remind you, previously we believed
15 that if they knew what the labeling was, they often
16 ignore it. It is like the old line somebody said about
17 Richard Nixon when he was in the House of Representatives
18 in the 1950s that if he had ever read the Constitution,
19 he clearly didn't understand it.

20 DR. STEIER: Yes.

21 DR. BERRY: Yes, and we have validation of
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. STEIER: Since there are a quoted study,
2 Kobayashi and others, showing that reader detection -- it
3 could be done as a second reader or it can be done as a
4 concurrent reader -- I personally think that if that is
5 out there, it should be applied for and labeled that way,
6 and the labeling should be consistent with what is proven
7 scientifically, and then people should comply with what
8 is labeled as opposed to just going off and doing the way
9 they think it should be done and not really following the
10 labeling.

11 So I would be more comfortable encouraging
12 companies to pursue proper labeling based on available
13 science so that people could comfortably work within the
14 labeling as it is published.

15 CHAIRMAN GLASSMAN: Are there any other
16 comments? Let me then summarize -- Oh yes, Dr.
17 Rosenberg?

18 DR. ROSENBERG: I would include training
19 with labeling.

20 CHAIRMAN GLASSMAN: Let me try to summarize.
21 The Panel believes that CAD is used for lung being both
22 chest and CT, both sequentially and concurrently, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 both options based on the available science are
2 reasonable and that we believe that if people know what
3 the label is, they may not be following it and that the
4 issue of again further training of users may help in that
5 regard. Is that a satisfactory answer to this question?

6 DR. STEIER: Just one other thing, and that
7 is that proper labeling should be pursued to match the
8 current scientific data.

9 CHAIRMAN GLASSMAN: And that has also been
10 mentioned. Yes.

11 MS. BROGDON: That's fine, thank you.

12 CHAIRMAN GLASSMAN: Thank you very much.

13 L7: Chest X-ray and chest CT are done for many
14 important reasons other than looking for lung nodules.
15 Can the use of CAD affect the diagnosis for these other
16 conditions?

17 Can the presence of other conditions alter
18 the effectiveness of the CAD function or the risk-benefit
19 profile of the lung CAD device?

20 If the answer to either of these questions
21 is yes, then are there specific conditions that should be
22 represented by patients in the test database?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701