

1 and/or dosage and administration instructions.

2 Another option is to put

3 requirements around dispensing of Fentora.

4 Pharmacies would have to be enrolled to

5 dispense Fentora. Elements of enrollment may

6 include mandatory training or certification

7 for pharmacists with or without an

8 acknowledgment of understanding of dispensing

9 requirements. Understanding of dispensing

10 requirements could include knowledge that

11 patients must be opioid tolerant, no

12 therapeutic substitution allowed, patient

13 counseling for appropriate use, dispensing and

14 instructing patients to read the medication

15 guide, and knowledge of prior authorization if

16 required.

17 Prior authorization is used here

18 and not the same as insurance reimbursement

19 prior authorization could be required before

20 filling a prescription for Fentora. Prior

21 authorization could include a qualification

22 sticker placed on the prescription by the

1       prescriber whereby a pharmacist could not fill  
2       the prescription without the sticker or a  
3       required patient registry which would require  
4       pharmacists to verify patient enrollment  
5       before filling the prescription.

6                 In addition, risk mitigation  
7       elements that include the patient could  
8       include documentation of safe use conditions  
9       as a requirement for patients to receive a  
10      prescription for Fentora. Patient  
11      requirements cannot be considered without also  
12      involving the prescriber and/or pharmacy.  
13      Patient requirements may include a  
14      prescriber/patient agreement for documentation  
15      of safe use conditions that include required  
16      patient counseling around the key safety  
17      messages and receipt of the medication guide.

18                All of these options have a gate-  
19      keeping component for appropriate and/or safe  
20      use of Fentora, and all options may require  
21      evidence and/or documentation of safe use  
22      conditions. Used together, these options may

1           assure the benefits of Fentora outweigh the  
2           risks in the targeted population where the  
3           benefit/risk balance is acceptable.

4                       However, there are also challenges  
5           with all presented options. Additional risk  
6           mitigation strategies may be more burdensome  
7           depending on the requirements imposed. And  
8           because of the increased burden, some  
9           prescribers and/or pharmacies may choose not  
10          to participate. This can have an unintended  
11          consequence in that appropriate patients could  
12          have delayed or no access to the product. And  
13          these strategies may have no effect on abuse,  
14          misuse, and diversion when Fentora is used for  
15          non-medical or non-legitimate purposes. Even  
16          if requirements are placed on prescribing,  
17          dispensing, and patient use, Fentora will  
18          still be available for abuse, misuse, and  
19          diversion. As you heard during yesterday's  
20          presentation, the usual opioid drug source for  
21          non-legitimate users is from prescribed  
22          patients.

1                   Finally, we conclude that  
2                   additional risk mitigation strategies, such as  
3                   those just mentioned, may assure benefits  
4                   outweigh the risks in the prescribed  
5                   population where the benefit/risk balance is  
6                   acceptable. But they may not prevent abuse,  
7                   misuse, or diversion, especially in non-  
8                   prescribed individuals. Expanding the  
9                   indication even with additional risk  
10                  mitigation strategies will increase the  
11                  amounts of Fentora in the community, thereby  
12                  increasing the risk of misuse, abuse, and  
13                  diversion.

14                   That concludes my presentation.

15                  Thank you.

16                   ACTING CHAIR SORIANO: Thank you,  
17                  Ms. Best. The panel would like to thank the  
18                  presenters from the sponsoring institution, as  
19                  well as the FDA and SAMHSA, for their reports.  
20                  At this time, we'll provide an opportunity for  
21                  the presenters to clarify some issues that  
22                  members of the panel may have. And I'd like

1 to open this period for questions from the  
2 panel. First, we would like to see if anyone  
3 has any questions for Dr. Ball from SAMHSA.  
4 Any questions? Okay. What we'll do now is --  
5 one question I have for Dr. Ball is do your  
6 analyses provide any projections of some of  
7 the trends that you're seeing in your report?  
8 Because certainly these new drugs have just  
9 been available for the past year or year and  
10 a half. I was wondering if your analyses also  
11 provide projections, as well?

12 DR. BALL: Our analysis does not  
13 do projections, forecasting into the future.  
14 And even if it were possible to do that with  
15 some drugs, I would say that the amount of  
16 experience we have so far with the Fentora  
17 data probably wouldn't support such an  
18 analysis.

19 ACTING CHAIR SORIANO: Any  
20 questions from the members of the panel for  
21 the sponsoring company, as well as for members  
22 of the FDA? Dr. Gardner?

1 DR. GARDNER: I'd like to ask Ms.  
2 Best about the experience the FDA has had now  
3 with some of the RiskMAPs that contain  
4 elements that she mentioned in her  
5 recommendations. We now have steps, and we  
6 have Accutane and others that have been in  
7 effect for some time, and I understand  
8 anecdotally there has been significant  
9 reduction of access at least to Accutane  
10 through the RiskMAP program. Is that the  
11 case? Never mind my understanding. Would you  
12 just tell us what the experience has been in  
13 our thinking about going forward with a  
14 RiskMAP?

15 MS. BEST: Well, basically, the  
16 restricted RiskMAPs we have out there are  
17 generally used to target the specific  
18 population where the risk/benefit has been  
19 shown to be acceptable. And isotretinoin or  
20 Accutane is one of the largest programs we  
21 have, and I think that currently involves  
22 somewhere around 300,000 patients.

1 DR. GARDNER: I'm sorry. When I  
2 said my understanding, I'm thinking of for the  
3 pharmacist response to difficulties with  
4 getting into the registries. And so I just  
5 wondered if we're now getting better at making  
6 these things more accessible for the providers  
7 that, therefore, make them more accessible to  
8 the patients.

9 MS. BEST: I'm going to have  
10 Claudia Karwoski address that question.

11 DR. KARWOSKI: Claudia Karwoski,  
12 Risk Management in OSE. I think that, as we  
13 gain experience with it, things have been  
14 improving. I mean, I can't speak specifically  
15 for the pharmacy professionals. I know that  
16 these programs are burdensome. They do  
17 require quite a bit of time both on the part  
18 of the prescriber and pharmacist and even the  
19 patients. But what we do know is that they  
20 seem to have some effect in prescribing at  
21 least more appropriate and safe use and  
22 limiting prescribing to a more appropriate

1 patient. And we've also had experience when  
2 there have been some burdens that companies  
3 have figured out ways to streamline it, and  
4 there's always that opportunity to do that as  
5 they learn more when a program is implemented.

6 ACTING CHAIR SORIANO: Dr. Day?

7 DR. DAY: I have a question for  
8 the sponsor. First of all, I would like to  
9 say I was pleased to see the innovative tools  
10 that have been developed, especially the  
11 NotifyRx, and the sponsor mentioned that  
12 there's currently a pilot program where this  
13 is being used in almost 41,000 pharmacies.  
14 And I would like to know if you can report  
15 anything about that? Has the software been  
16 installed successfully and there are no  
17 incompatibility problems? And then what kinds  
18 of outcome measures will you be looking at?  
19 And if you could comment on that, that would  
20 be great.

21 DR. SCHMIDER: The pilot program  
22 is starting basically as we speak. It starts



1           this month, so we have no data accumulated  
2           yet. We will be looking at data on how  
3           frequently on reports that we receive. We  
4           will have survey information particularly with  
5           the pharmacists that we will be comparing to  
6           our previous, our baseline data we have  
7           accumulated so far.

8                     ACTING CHAIR SORIANO: Dr. Bickel?

9                     DR. BICKEL: I've two questions  
10           for the sponsors. I was struck by the  
11           disconnect between the report of the prior  
12           RiskMAP results from the FDA and the plans of  
13           the sponsor for the future, and I wanted to  
14           comment on that. So if I understand it  
15           correctly, they had a very limited indication  
16           to cancer pain, breakthrough cancer pain, and  
17           they have extensive utilization of the drug  
18           prescribing practices for non-cancer pain, and  
19           their RiskMAP was supposed to protect them  
20           from having that excessive prescription to  
21           non-indicated use, but there was a whole lot  
22           of it. Now they've come back and they want to

1 expand it, and I was impressed with the list  
2 of their items. But I wanted them to reflect  
3 on how it is the case that things got so out  
4 of hand on the first case, and how are they  
5 going to make sure that doesn't happen again?  
6 How are they going to be more responsive?  
7 Because apparently it wasn't responsive in the  
8 first case.

9 The second thing I wanted to  
10 inquire about is I wanted to understand the  
11 new risk plan. It seems to me that if a key  
12 feature in preventing a sort of a second  
13 occurrence of the expansion of prescribing was  
14 in there, but I wasn't 100 percent sure if I  
15 understood it. So is it the case that you're  
16 going to require that every pharmacy that will  
17 ever fill a prescription of your medication or  
18 a doctor who is going to write a prescription  
19 for it or a patient who is going to receive it  
20 are going to be signed up in what I think was  
21 called your COVERS program?

22 DR. FLOYD: I will address your

1 first question in reference to the off-label  
2 use, and then I'll have --

3 ACTING CHAIR SORIANO: Excuse me.  
4 Can you just identify yourself for the  
5 transcriber and public record?

6 DR. FLOYD: My apologies. Eric  
7 Floyd, Vice President and Worldwide Head of  
8 Regulatory Affairs. You posed two questions.  
9 Your first question was to get a better  
10 understanding of the off-label utilization of  
11 our drug currently and which also occurred  
12 with Actiq. Your second question was a  
13 clarification in our risk management plan,  
14 which is currently termed COVERS, and what  
15 will be the impact on that plan in reference  
16 to the pharmacy, the patient, and the  
17 physician. I will address the first question.  
18 Dr. Jeurgen Schmider will address the second  
19 question.

20 In reference to the utilization of  
21 the drug, unfortunately we have seen more than  
22 80 percent of off-label use of our drug that's

1           been written outside of the cancer patient  
2           population. And the reality and the fact of  
3           the matter is, irrespective of that, we have  
4           been unable to control it from the mere fact  
5           that we don't have the ability to educate and  
6           train physicians and patients. We, as a  
7           sponsor, are encumbered from actually doing  
8           that because we don't have the indication.  
9           Therefore, we pursued the clinical development  
10          program to address that. And what we  
11          presented today was the first evidence of  
12          actual efficacy and safety within the patient  
13          population.

14                        So the only way for the sponsor to  
15          actually address it is to research it. Do  
16          controlled clinical trials to address it. So  
17          we knew this was occurring within the Actiq.  
18          We proactively addressed it and developed  
19          Fentora for both cancer and non-cancer. We  
20          initially gained the cancer indication. We're  
21          here presenting to you today to address this  
22          off-label use. There is no other way to

1 control it than to educate the physician and  
2 the patient on it. We are prohibited from  
3 doing that without the indication. So that's  
4 why we're here today.

5 I'd like Dr. Schmider to address  
6 our COVERS program and risk management.

7 DR. SCHMIDER: I believe I  
8 understand your question is how close is  
9 COVERS going to be?

10 DR. BICKEL: Is the start of the  
11 COVERS program a necessary prerequisite and  
12 condition of physicians prescribing,  
13 pharmacies handing out, and patients receiving  
14 this medication?

15 DR. SCHMIDER: Your understanding  
16 is correct. Prescription Fentora cannot be  
17 issued if the prescriber or the patient have  
18 not enrolled into our registration database.  
19 In addition, Fentora can only be obtained from  
20 pharmacies that are part of our distribution  
21 network, and all these pharmacies will have  
22 the electronic link where this check off the

1 enrollment into the database can be done.

2 ACTING CHAIR SORIANO: Dr.

3 Francis?

4 DR. FRANCIS: Again, Dr. Schmider,  
5 is a question on the COVERS program. As far  
6 as the role of the pharmacy in how the drug is  
7 dispensed, I mean there's a number of errors  
8 we noted in the FDA data where there's  
9 problems with conversions and things like  
10 that. Will the pharmacy be an active  
11 participant in the hard stops that have to  
12 occur to prevent misapplication of the  
13 medication? It wasn't clear to me exactly how  
14 that process would work. Are they just a  
15 pass-through?

16 DR. SCHMIDER: To address your  
17 second question first, the pharmacy is part in  
18 providing the hard stop; and if the  
19 prescription is being denied, it will happen  
20 at the point of dispensing at the pharmacy  
21 terminal. The pharmacist will receive the  
22 pop-up message saying Fentora cannot be

1 dispensed and the reimbursement process will  
2 not be completed. So that is a hard stop  
3 provided there. I'll be happy to walk you  
4 again through the functionality of the system  
5 if you want me to.

6 DR. FRANCIS: I guess we can ask  
7 afterwards. One other question: in terms of  
8 cash reimbursements, how would that fit into  
9 the system? Is that a bypass, or is that  
10 incorporated somewhere?

11 DR. SCHMIDER: We are currently  
12 looking for solutions for cash transactions.  
13 It's still part of the details that we're  
14 trying to work out for the system.

15 ACTING CHAIR SORIANO: Dr. Kirsch?

16 DR. KIRSCH: I have two questions.  
17 First, one way to interpret the data to the  
18 sponsor is that you had growth and off-label  
19 use. I'm wondering if you have data, maybe  
20 survey data, from providers who care for  
21 patients with cancer pain as to why they  
22 prefer not to use this drug for breakthrough

1 pain in their patient population?

2 DR. FLOYD: I'll call Dr. Fine to  
3 the podium to address that.

4 DR. FINE: This is Perry Fine from  
5 the University of Utah. I don't have any  
6 knowledge of any studies that have ever been  
7 done looking specifically at oncology  
8 providers or patients, specifically oncology  
9 clinics, determining, you know, what's  
10 triggering their use or non-use of Actiq and  
11 now Fentora for these cancer patients. What  
12 we have is the data that suggests that from  
13 the original cancer trials that patients have  
14 an overwhelming preference. In fact, in those  
15 trials, it was greater than 90 percent  
16 preference and doubled on the cross-over  
17 trials for the oral transmucosal fentanyl  
18 product compared with the typical short-acting  
19 oral agents.

20 DR. KIRSCH: My second question  
21 for the sponsor relates to the plan to limit  
22 the access of sales reps to a limited number



1 of prescribers. And I'm wondering, during  
2 that period, will you limit your advertising  
3 so that other providers, or how will you limit  
4 your advertising so that other prescribers  
5 won't jump on the bandwagon and prescribe this  
6 medication to the population that we're  
7 concerned about?

8 DR. FLOYD: Dr. Messina will  
9 address that question.

10 DR. MESSINA: So the only way one  
11 can prescribe the medication is through  
12 registration through COVERS where we ensure  
13 that that individual has attested to  
14 understanding the safety messages for that.  
15 The advertising details, sort of the broad  
16 advertising details have not been worked out  
17 with the new COVERS program, etcetera. But  
18 the way we intend on ensuring the safety of  
19 that is that no one would be able to prescribe  
20 it until they've attested to that, which is  
21 different than what we have today where  
22 someone can provide the prescription whenever

1           they want.

2                    ACTING CHAIR SORIANO:  Dr. Nelson?

3                    DR. NELSON:  I have several  
4           questions, as well, if I can.  In the study,  
5           with the double-blinded study that you had  
6           done, I guess one of the concerns I had is  
7           this must be a very difficult drug to double  
8           blind or to blind to the patient because,  
9           obviously, it's got a fairly dramatic effect  
10          compared to placebo.  Was there anything done  
11          to determine whether or not the patients knew  
12          whether they were getting the placebo or the  
13          study drug?

14                   DR. FLOYD:  Okay.  And your second  
15          and third question?

16                   DR. NELSON:  I can make up as many  
17          questions as you'd like.

18                   DR. MESSINA:  This is a typical  
19          design that's been done with the cancer  
20          population both for Actiq, as well as Fentora,  
21          and it was carried over into the non-cancer  
22          population.  There's no indication that the

1 patients were able to distinguish between the  
2 two. You saw in some of my slides there is  
3 actually, at times, it can be a substantial  
4 placebo response we know with all analgesics,  
5 particularly with an acute pain situation like  
6 this, which does indicate that there's  
7 unlikely a recognition on the part of the  
8 patients of which treatment that they're  
9 getting.

10 DR. NELSON: My second question  
11 actually is I know in one of your slides you  
12 actually said something to the effect that  
13 the, I forget the exact wording, but that the  
14 pharmacokinetics or the clinical pharmacology  
15 of this drug better approximates the  
16 breakthrough pain syndrome, which is a true  
17 statement, although it may be a little bit  
18 misleading perhaps, I think, because it seems  
19 that the peak pain occurs in three to five  
20 minutes and it tends to resolve within 30 to  
21 60 minutes or so, whereas the drug's peak  
22 onset or peak effect doesn't really occur

1           until 30 to 60 minutes or so. So, you know,  
2           although they do better match, it seems like  
3           for the majority of patients the pain syndrome  
4           will have been markedly alleviated or gone by  
5           the time the drug really kicks into what you  
6           consider to be moderately full effect; is that  
7           not right?

8                         DR. MESSINA: I don't think it's  
9           exactly right, and I think partly because the  
10          survey data suggests to us that the median  
11          duration is 60 minutes of a breakthrough pain  
12          episode, suggesting that for a lot of patients  
13          it's more than an hour. We know from the  
14          placebo control data that we show a response  
15          through that two-hour time period for which  
16          we're showing benefit ever increasing. You  
17          are correct that patients oftentimes, when  
18          they will take this medication, will have  
19          already had the breakthrough pain start and,  
20          in some cases, it may be at its maximum  
21          intensity. But our feeling is that by  
22          providing medication that those get on top of

1 the pain sooner. You can actually provider  
2 relief sooner, and that is the objective, as  
3 opposed to waiting up to 45 minutes to 60  
4 minutes for something to occur.

5 ACTING CHAIR SORIANO: Dr.  
6 Nussmeier?

7 DR. NUSSMEIER: Yes. I was  
8 pleased to see the clinical studies that were  
9 done, the efficacy studies, which my  
10 understanding is they were completed through  
11 a 12-week period?

12 DR. FLOYD: Yes, ma'am.

13 DR. NUSSMEIER: My question is in  
14 cancer pain patients and maybe more  
15 importantly in non-cancer pain patients, what  
16 happens after 12 weeks? What's the likely end  
17 point for these patients? I mean, do you  
18 anticipate they'll be taking Fentora for life?

19 DR. FLOYD: Dr. Messina?

20 DR. MESSINA: The population we  
21 have are patients who are already on opioids,  
22 on around-the-clock opioids, and in most cases

1 the patients who have entered the trials have  
2 been on those opioids for a number of years.  
3 So whether they will be on Fentora for the  
4 rest of their life is unclear, but it's likely  
5 that these patients will be taking Fentora for  
6 a long period of time or opioids for a long  
7 period of time. The studies that we conducted  
8 is up to 18 months in duration, which are some  
9 of the longest studies we're aware of within  
10 the opioid arena for treating patients.

11 DR. NUSSMEIER: Do they eventually  
12 become tolerant?

13 DR. MESSINA: I think tolerance is  
14 an issue you see with all opioids. It's  
15 difficult to determine tolerance to the  
16 around-the-clock opioid versus the  
17 supplemental opioid you use for breakthrough  
18 pain. It's part of the management that has to  
19 be done with these patients either through  
20 opioid rotation or through evaluating the  
21 effectiveness of the medicine as you continue  
22 to use it.

1                   ACTING CHAIR SORIANO: Dr. Wolfe?

2                   DR. WOLFE: It seems from the data  
3 presented this morning, particularly by the  
4 FDA, that aside, for the moment, of the issue  
5 of expanding the use by legitimizing what is  
6 already 80 percent of the use right now, which  
7 is the off-label non-cancer pain use, that  
8 there is a serious problem existing that  
9 bespeaks the need for much better risk  
10 management. And we heard some very good  
11 suggestions by Ms. Best as to what was  
12 deficient and what the company is doing now.

13                   The question I have really for the  
14 FDA, generally Dr. Throckmorton and Dr.  
15 Rosebraugh and anyone else at the table there,  
16 is could you consider, even if you don't  
17 decide to approve this expanded use, coming  
18 down much more hard on the company in terms of  
19 better risk management just under the  
20 currently approved cancer indication?  
21 Certainly, there seems to be every indication  
22 that it's needed, and obviously the hook is

1 different if you are hooking it with the  
2 approval of a new indication. But do you not  
3 have the authority right now to seriously  
4 escalate the requirements that you have for  
5 risk mitigation, which I like better than risk  
6 management anyway? Could you just answer  
7 that, whoever would like to answer it?

8 DR. THROCKMORTON: We do have new  
9 --

10 ACTING CHAIR SORIANO: This is Dr.  
11 Throckmorton.

12 DR. THROCKMORTON: Oh, sorry.  
13 This is Dr. Throckmorton. We do have new  
14 authorities on the FDAAA, Dr. Wolfe, as you  
15 know. We're in the process of working exactly  
16 out what those new authorities mean. As we  
17 learn new information about safe use or, in  
18 this case, potentially unsafe use, we're going  
19 to need to use those authorities to the extent  
20 we need to to make changes. In this  
21 particular case, what that would mean I  
22 wouldn't want to say now.



1 DR. WOLFE: So no one there has  
2 any idea as to whether right now FDA has the  
3 authority to force the company to ramp up  
4 seriously the risk mitigation? You can't  
5 answer that question right now?

6 DR. THROCKMORTON: There's no  
7 question that we understand those authorities  
8 to expand what we can work to achieve both in  
9 regards to labeling and in regards to more  
10 restrictive kinds of risk management. Exactly  
11 how that's going to work, exactly how that  
12 would play out in this case, you know, that's  
13 the thing we would need to work out.

14 ACTING CHAIR SORIANO: Dr. Zuppa?

15 DR. ZUPPA: I have two questions,  
16 the first of which is for the sponsor. It  
17 seems that the COVERS program that you're  
18 proposing will really apply to the outpatient  
19 setting, and I imagine that patients with  
20 chronic pain will be hospitalized in the  
21 inpatient setting or in rehabilitation  
22 settings. And I was wondering how inpatient

1           prescribing for Fentora will be addressed?

2                     DR. FLOYD:   Dr. Schmider?

3                     DR. SCHMIDER:  Dr. Schmider again.

4           This is part of the details that we're  
5           currently exploring, and obviously that's also  
6           one of the concerns that we're having.  We  
7           want to cover as many scenarios as possible,  
8           including as many pharmacies as possible  
9           within the United States but also hospital  
10          settings, hospice settings, and other  
11          settings.

12                    DR. ZUPPA:  Okay.  My next  
13          question is for Jeanine Best.  With regards to  
14          the RiskMAP, just from the discussions that  
15          happened today it seems that a lot of the  
16          medication, the adverse events associated with  
17          this drug have to do with the prescriber and  
18          conversion from Actiq to Fentora.  And I was  
19          wondering if there was any consideration of  
20          one of the goals of the RiskMAP to be  
21          addressing prescribing errors?

22                    MS. BEST:  Well, actually, I

1 think, as the medication errors, and I know  
2 Dr. Arnwine can address those better, the  
3 medication errors occurred across all levels:  
4 prescriber, pharmacists, and patient. And as  
5 we look towards revising the RiskMAP, I mean  
6 looking at revising the goals is also a  
7 possibility.

8 DR. ZUPPA: I have one more  
9 question. I'm not quite sure who to direct it  
10 to, but in looking at the trends of opioid use  
11 for non-medical indications, there is a rise  
12 in the 12 to 18-year-old and the 18 to 25-  
13 year-old, and there was no discussion today in  
14 looking at how these children are getting the  
15 drug. Yesterday, we talked a little bit about  
16 getting it from parents or getting it from  
17 friends or relatives. Can anybody address how  
18 these children are getting the drug?

19 DR. HERTZ: I'll start.

20 ACTING CHAIR SORIANO: Please  
21 identify yourself.

22 DR. HERTZ: Oh, sorry. This is

1 Sharon Hertz, Deputy Director of DAARP. We  
2 would think that it would be the same as we  
3 heard yesterday, that the primary source for  
4 misused prescription drugs is still the  
5 medicine cabinet. We don't really have  
6 anything to suggest that for this group of  
7 drugs, the oral transmucosal fentanyl, it  
8 would be different than for the other opioids.

9 DR. SCHNOLL: This is Dr. Sidney  
10 Schnoll. I would agree with what Dr. Hertz  
11 said, but what's very important as part of the  
12 risk management plan there is information  
13 about safe storage and also, as was mentioned,  
14 working closely with groups like the  
15 Partnership for a Drug Free America and other  
16 organizations that are actively involved in  
17 providing public service announcements and  
18 other information regarding the overall abuse  
19 of prescription medications, particularly out  
20 of the home. There is that cooperation, so  
21 there's a strong attempt to address this  
22 situation about which we are very concerned.

1                   ACTING CHAIR SORIANO: Mr.

2                   Yesenko?

3                   MR. YESENKO: This question is for  
4                   the sponsor, specifically the chief medical  
5                   officer. The patient/physician registries,  
6                   are they currently in place, or is that  
7                   something you're looking to create with the  
8                   new indication?

9                   DR. RUSSELL: Right now, the  
10                  systems that we have in place are the start up  
11                  of the NotifyRx, which is the pharmacy type  
12                  program, and the patient safety activation  
13                  card. So the approach that we are proposing  
14                  now for the new indication to link those two  
15                  systems to provide a complete patient,  
16                  pharmacy, and physician registration system.

17                  MR. YESENKO: So the answer is no?

18                  DR. RUSSELL: Not yet.

19                  MR. YESENKO: No. Okay. And this  
20                  is another question for the sponsor. What is  
21                  the date of the most recent update for your  
22                  inserts on Fentora, labeling inserts? What is

1 the date of the most recent update?

2 DR. FLOYD: The latest update was  
3 in February of 2008 in which all labeling was  
4 updated based on an agreement with the FDA,  
5 and that included our risk management  
6 implementation: labeling carton/container and  
7 MedGuide.

8 MR. YESENKO: Thank you.

9 ACTING CHAIR SORIANO: We have  
10 five more minutes until lunch, and we'll have  
11 time for two more questions. One is from Dr.  
12 Throckmorton, and the next will be for Dr.  
13 Maxwell.

14 DR. THROCKMORTON: Thank you. I'd  
15 like to return to what Dr. Day said. I was  
16 also struck by the list of tools and  
17 interventions that I think Dr. Schmider talked  
18 about. One in particular you listed was  
19 product returns and disposal. That's a tool  
20 I think a lot of us are interested in in this  
21 field, but it wasn't clear to me whether  
22 that's a part of your proposed risk mitigation

1 strategy or not.

2 DR. SCHMIDER: This is a tool that  
3 is already available in the current risk  
4 management plan RiskMAP.

5 ACTING CHAIR SORIANO: Dr.  
6 Maxwell?

7 DR. MAXWELL: For the sponsor,  
8 I've read all this. There's some very  
9 intriguing ideas. One of the things that is  
10 currently being done is presence at national  
11 meetings to provide in-person educational  
12 opportunities, and I have a real question. I  
13 was at the American Conference on Pain  
14 Medicine April 3rd through 5th, and I picked  
15 this up. And, interestingly, the product  
16 insert that is encased in here is the first  
17 one that was published in June 2006. So I  
18 guess my question to the sponsor is if you're  
19 providing educational services to people at  
20 pain management conferences, why are they  
21 being given outdated inserts?

22 DR. FLOYD: There's a transition

1 time or period that it takes in order to  
2 approve the final label for our product and  
3 promotional information.

4 DR. MAXWELL: No, sir. The first  
5 one was June 2006. After that, there was  
6 another dated October 2007. I'm not talking  
7 about the February one.

8 DR. FLOYD: And the promotional  
9 period, may I see it, Dr. Maxwell?

10 DR. MAXWELL: Sure.

11 ACTING CHAIR SORIANO: Can you  
12 identify the object, too, for public record?

13 DR. FLOYD: We can identify a  
14 Fentora promotional pen and the prescription  
15 information. Now, this was received at which  
16 meeting, ma'am?

17 DR. MAXWELL: American Conference  
18 on Pain Medicine, April 3rd through 5th, 2008,  
19 New York City.

20 DR. FLOYD: I can't explain it.  
21 All that I can say is when we initiate a new  
22 labeling, which has been approved by the



1 Agency, which was approved in February 2008,  
2 there usually is a transition period from  
3 which we recall all information and we then  
4 reissue all the latest prescribing information  
5 that includes all of our promotional material.

6 ACTING CHAIR SORIANO: And Dr.  
7 Hertz would like to make a couple of comments  
8 before we break for lunch.

9 DR. HERTZ: We were just wondering  
10 if you have any additional information on the  
11 drug return and disposal program on its  
12 success and how much it's utilized.

13 DR. FLOYD: Dr. Messina?

14 DR. MESSINA: We've had the  
15 process in place with the original RiskMAP.  
16 It's in the patient materials, as well as in  
17 the package insert, but we have not had anyone  
18 utilize the system to date.

19 ACTING CHAIR SORIANO: Okay. It's  
20 12:00. We will now break for lunch, and we  
21 will reconvene again in this room for the  
22 public discussions at 1 p.m. I'd like to

1 remind everyone to please take any belongings  
2 with you that you may want at this time. The  
3 ballroom will be secured by the FDA staff  
4 during lunch break, and you will not be  
5 allowed back into the room until reconvene.  
6 And, panel members, please remember that there  
7 should be no discussion of topic during lunch  
8 amongst yourselves or with any member of the  
9 audience. Thank you.

10 DR. WATKINS: Committee members,  
11 for those wishing a little privacy during  
12 lunch, the Montgomery Room has been set aside  
13 for you. It's right behind the restaurant.

14 (Whereupon, the foregoing matter  
15 went off the record at 12:03 p.m. and went  
16 back on the record at 12:59 p.m.)

17 ACTING CHAIR SORIANO: Good  
18 afternoon. At this point, we will start the  
19 public open hearing. The Food and Drug  
20 Administration and the public believe in a  
21 transparent process for information gathering  
22 and decision-making. To ensure such

1 transparency at the open public hearing  
2 session of the Advisory Committee meeting, the  
3 FDA believes that it is important to  
4 understand the context of an individual's  
5 presentation. For this reason, the FDA  
6 encourages you, the open public, at the  
7 beginning of your written or oral statement to  
8 advise the committee of any financial  
9 relationship that you may have with the  
10 sponsor, its product, or of direct  
11 competitors. For example, this financial  
12 information may include the sponsor's payment  
13 of your travel, lodging, or other expenses in  
14 connection to your attendance at the meeting.

15 Likewise, the FDA encourages you,  
16 at the beginning of your statement, to advise  
17 the committee if you do not have any such  
18 financial statements or relationships. If you  
19 choose not to address this issue of financial  
20 relationships at the beginning of your  
21 statement, it will not preclude you from  
22 speaking.

1           The FDA and this committee place  
2           great importance in the open public hearing  
3           process. The insights and comments provided  
4           can help the Agency and its committee enter  
5           consideration of the issues before them.

6           That said, in many instances and  
7           for many topics, there will be a variety of  
8           opinions. One of our goals today is for this  
9           open public hearing to be conducted in a fair  
10          and open way where every participant is  
11          listened to carefully and treated with  
12          dignity, courtesy, and respect. Therefore,  
13          please speak only when you're recognized by  
14          the Chair. I thank you for your cooperation.

15                 DR. WATKINS: Our first open  
16          public hearing speaker is John Markman.

17                 DR. MARKMAN: Good afternoon. My  
18          name is John Markman. I'm a pain management  
19          physician and specialist who lives in  
20          Rochester, New York, where I practice at the  
21          University of Rochester. I run a university-  
22          based pain management center and direct

1 clinical research. I'd like to thank the  
2 committees for the opportunity to speak today  
3 about this important issue. I would also like  
4 to disclose that I've come here today at my  
5 own expense, but my research is supported by  
6 the federal government, as well as Pfizer  
7 Pharmaceuticals and Endo Pharmaceuticals, and  
8 I have participated in speakers bureaus for  
9 Pfizer Pharmaceuticals, as well.

10 So I've come here today, as my  
11 first slide suggests, to talk about  
12 breakthrough and chronic non-cancer pain. And  
13 my concern specifically is that the meaning of  
14 breakthrough and chronic non-cancer pain is  
15 not clear. And my goal is to propose to you  
16 the need for further study of this indication.

17 So I'd like to begin by saying  
18 that this is not about whether opioids and  
19 fentanyl in particular have analgesic  
20 efficacy. I think that's a settled matter, as  
21 we've heard this morning. And it's also true  
22 that in a patient such as this one, whose

1 scan, actual CT scan, we see here, that  
2 opioids are the mainstay of the treatment of  
3 breakthrough pain in cancer. This patient's  
4 story, a tragic one, a 34-year-old gentleman  
5 with progressive osteosarcoma, there you can  
6 see on the left invading his pedicle, as well  
7 as his L5 and S1 nerve roots, experienced  
8 cancer-related breakthrough pain. And what's  
9 important about this case to illustrate is  
10 that there's a clear anatomy to what's causing  
11 the breakthrough pain and a clear  
12 pathophysiologic mechanism. That is a very  
13 well-studied phenomenon. There are over 35  
14 original clinical trials looking at the role  
15 of opioids in cancer pain.

16 In contrast, the evidence base for  
17 breakthrough in non-cancer pain is relatively  
18 limited, the principal setting being a  
19 telephone study of 228 patients and the  
20 original studies which have been presented  
21 today.

22 And it's important to realize that

1 many factors can cause breakthrough, even in  
2 cancer pain, where this was originally  
3 described in the in-patient setting in  
4 patients with advanced cancer. It could be  
5 related to dosing or pharmacokinetic issues,  
6 such as the under-dosing of a long-acting  
7 opioid or the end-of-dose effect of an opioid.  
8 It could be due to pharmacodynamic issues,  
9 such as opioid tolerance or opioid-induced  
10 hyperalgesia.

11 It could be due to incident pain,  
12 such as when this patient would get up to go  
13 to the commode and there would be some tension  
14 placed on his entrapped S1 nerve root or  
15 further periosteal invasion of structures by  
16 the inflammation associated with a growing  
17 tumor. In his case and often in the case of  
18 cancer-related breakthrough pain, there's an  
19 underlying well-defined disorder as the  
20 advancing size of the tumor and the  
21 consequences of that structural problem and  
22 the pathophysiologic complications and

1           implications of the inflammation around the  
2           tumor, which are so important to understanding  
3           breakthrough pain. But even in that specific  
4           context, there is a significant amount of  
5           debate among self-identified cancer pain  
6           specialists as to what breakthrough is in  
7           cancer pain, and it's already a complex  
8           differential diagnosis.

9                               Now, here is a patient with a  
10          transient flare of pain without cancer. He's  
11          a 54-year-old gentleman. He, too, has  
12          relatively well-controlled background pain on  
13          a long-acting opioid, and he has multiple  
14          flares per day. It is extremely complex to  
15          understand what drives the variations in pain  
16          intensity in this particular patient. And  
17          it's important to note that breakthrough pain  
18          in non-cancer pain, whatever it is, is not  
19          acute pain because acute pain goes away and  
20          that's not true of breakthrough and chronic  
21          non-cancer pain. It always comes back.

22                              And it's also important to note



1           that, unlike the first patient with cancer,  
2           the pain is not tightly coupled to tissue  
3           injury or damage. As in this case, where this  
4           gentleman has recurrent knee pain, his skin or  
5           his x-ray of his knee will never change in  
6           that left leg pain, but he will continue to  
7           experience this pain at varying levels of  
8           intensity. And were we to treat every one of  
9           those flares over a lifetime, 2.4 flares of  
10          breakthrough pain a day, 24 years, 21,024  
11          rapid-acting opioid doses later, how good  
12          would his pain control for these so-called  
13          variations of pain intensity be when there are  
14          so many other alternatives: behavioral  
15          modification, anti-inflammatories, and other  
16          strategies that could control his pain because  
17          his life is not a series of 60-minute SPIDs  
18          all in sequence. His pain is embedded in his  
19          biography and his life story, and it's  
20          important to recognize that if you just look  
21          at the 60-minute outcomes in efficacy you're  
22          going to be reinforcing some of the behaviors

1           which might make this patient lose function  
2           over time because he's going to be treating  
3           each episode, each variation in pain  
4           intensity, as a unique episode of tissue  
5           injury potentially.

6                         So it may be that the intensity of  
7           pain and the temporal signature may not be  
8           enough information for clinicians to make good  
9           decisions. And, remember, the patients who  
10          take care of low back pain and knee pain and  
11          even all-comer type neuropathic pain are, in  
12          general, not specialists. They come from  
13          widely-divergent backgrounds and have many  
14          other issues to take care of in that moment,  
15          that 9 or 10 minutes or 20 minutes for a  
16          patient. They've got to manage their  
17          hypertension, their diabetes, along with this  
18          pain complaint.

19                        So I put again this same schema  
20          here looking at how we think about pain in  
21          this patient. It may be related to  
22          pharmacokinetic issues. It may be related to

1 pharmacodynamic issues, the variation of pain  
2 intensity, or it may be simply related to the  
3 intrinsic variability of pain intensity  
4 throughout the day.

5           And this is important work by  
6 colleagues taken from actual patients in a  
7 clinical trial, and it's important to  
8 recognize what this suggests because for which  
9 transitory flares of pain are rapid-acting  
10 opioid treatment indicated? Now, these are  
11 patients with postherpetic neuralgia and  
12 diabetic neuropathy, and you can see here that  
13 their baseline pain is varying. It's varying  
14 throughout the day. So baseline pain, which  
15 is constant, does not mean unvarying. So  
16 which of these spikes are we going to be  
17 treating throughout the course of the day?  
18 How can a physician, let alone the patient,  
19 tell them apart if there's an intrinsic  
20 chronobiological variation in pain intensity?

21           So my simple point is that the  
22 unmet need, the definition, and the scope of

1 breakthrough phenomenon in chronic non-cancer  
2 pain still lacks sufficient characterization.  
3 And without more information, physicians like  
4 myself cannot make good risk/benefit decisions  
5 to understand which of the flares that need to  
6 be treated.

7 Now, there are five key areas of  
8 further research for the proposed new  
9 indication, and many of them have been amply  
10 covered today. But I do think that it's  
11 important to demonstrate that this can be  
12 prescribed safely and that we have chronic  
13 pain endpoints to measure patients' function  
14 over time. Thank you very much for your  
15 attention.

16 DR. WATKINS: Thank you. Our next  
17 presenter is Andrea Cooper.

18 MS. COOPER: My name is Andrea  
19 Cooper, and I'm a person who lives with  
20 chronic pain. I'm also a volunteer patient  
21 advocate with the American Pain Foundation and  
22 chair its Pain Community Advisory Counsel.

1                   I wish to disclose that Cephalon  
2                   has assisted me with my travel arrangements  
3                   and costs so that I could be here today.

4                   I have lived with chronic  
5                   persistent pain in my neck and my back caused  
6                   by spinal disk disease and a lot of other long  
7                   words that I'm not going to try to pronounce  
8                   right now since I was a college student more  
9                   than 30 years ago. I have fibromyalgia and  
10                  multiple nerve entrapment syndromes. I was  
11                  aggressively treated for cancer ten years ago  
12                  and still struggle with side effects from  
13                  chemotherapy and radiation.

14                  In order to control my pain  
15                  symptoms, I now use a regimen that consists of  
16                  long-acting pain medication, rapid-onset pain  
17                  medication for breakthrough pain, an  
18                  antidepressant, regular exercise, and  
19                  mind/body practices. Singing, creating art  
20                  work, and patient advocacy also helps me to  
21                  cope with my chronic pain and allows me to  
22                  have a more normal life.

1                   Having an invisible medical  
2                   condition like chronic pain can be extremely  
3                   frustrating and even demoralizing. People  
4                   just don't understand it. It affects  
5                   everything: my family, my marriage, my ability  
6                   to work, and my self esteem. People say,  
7                   "Well, you look great. I can't believe that  
8                   you have such terrible pain." Well, inside my  
9                   body is screaming. It doesn't show. You  
10                  can't see pain, and you can't hear pain.

11                  Although I take around-the-clock  
12                  opioid to control my symptoms, I still  
13                  experience flares of severe pain on a daily  
14                  basis. If not immediately dealt with,  
15                  breakthrough pain can spiral out of control  
16                  and be difficult to reign in. As I learned  
17                  over the years, and we're talking about 30  
18                  years, the consequences are many: never  
19                  knowing when or where I'm going to fall apart  
20                  or for how long; missing ballet recitals,  
21                  softball games, amusement park rides, and  
22                  school field trips; feeling so desperately

1           uncomfortable that I could literally explode,  
2           having no other option but to lie down  
3           immediately on the floor of a department store  
4           fitting room, hotel lobby sofa, public  
5           restroom, or park bench because I can no  
6           longer stand to stand. And believe me, I've  
7           done all of them.

8                         Day after day, the dinner is half  
9           made, bread half-baked, and the projects lie  
10          in piles around the house abandoned.

11          Constantly apologizing for having to leave  
12          early, not showing up at all, or losing my  
13          temper because I just can't stand the pain any  
14          longer. Episodes like these can add up to big  
15          compromises and sometimes have even put my  
16          life in danger. For example, one day I was  
17          waiting for a train downtown in Baltimore. My  
18          pain level was quickly escalating, but there  
19          was really nothing I could do to quickly bring  
20          it down. I became very dizzy, and I fainted,  
21          falling right onto the train tracks. Luckily,  
22          the train was late, and I escaped serious

1 injury.

2                   When pain is not controlled  
3 adequately, it becomes physically and  
4 emotionally debilitating. According to the  
5 voices of chronic pain surveyed by the  
6 American Pain Foundation, 60 percent of pain  
7 patients said that they experienced one or  
8 more spikes of breakthrough pain daily,  
9 severely impacting their quality of life and  
10 overall well being, and I am living proof of  
11 that.

12                   I consider myself fortunate. My  
13 doctor listened to me, and we found a rapid-  
14 onset med that works well for me and allows me  
15 to better control these spikes of pain.  
16 Without this medication for breakthrough pain,  
17 my life would be considerably more impacted.  
18 Adding a rapid-onset pain medication to my  
19 regimen has given me so much more control and,  
20 in some cases, prevented unfortunate  
21 circumstances like the one I spoke of before.

22                   Pain doctors and their patients



1           should be allowed to decide which medications  
2           and treatments are the most effective on a  
3           case-by-case basis. We must weigh risk versus  
4           benefits, just as we must with any medication  
5           that we take. But in my opinion, pain  
6           patients need a range of options to augment  
7           their treatment and improve the quality of  
8           their lives.

9                         Some very effective pain  
10           medications are currently approved only for  
11           cancer pain. I've had cancer and, to me,  
12           unrelenting chronic pain is harder to live  
13           with. In my case, the cancer had a beginning  
14           and it had an end. For me, I was lucky the  
15           outcome was a good one. But chronic pain  
16           doesn't have an end. It just goes on and on.

17                        Lastly, when I speak to patients  
18           and caregivers I always remind them to keep  
19           all of their pain medications in a lock box,  
20           as I do in my house. We have to make sure  
21           that it's safe from unintentional use. This  
22           simple act gives me peace of mind, and I think

1           it would do the same for others, as well.

2                       I want to thank the panel for  
3 allowing me to speak today. Thank you very  
4 much.

5                       DR. WATKINS: Thank you. Our next  
6 speaker is Art Van Zee.

7                       DR. VAN ZEE: My name is Doug Van  
8 Zee, and I have no financial disclosures. I  
9 prepared a slide presentation and sent it in  
10 a few weeks ago and then kind of realized  
11 sitting here through yesterday that I was kind  
12 of missing the mark with things, so I wrote up  
13 some comments this morning.

14                      I did want to state for today's  
15 session and speak to you because I have deep  
16 concerns about the public health consequences  
17 of an FDA-approval for Fentora for chronic  
18 non-cancer pain. I think, if approved, I  
19 feel, simply put, that there's going to be a  
20 lot of dead young people out there.

21                      This decision comes within the  
22 context of an alarming and rising national

1 prescription opioid problem. We've seen the  
2 last two days the various indicators of that.  
3 We have an unprecedented number of  
4 prescription drug abusers, prescription opioid  
5 addicted individuals, unintentional overdose  
6 fatalities, and bereaved families. We also  
7 have an unprecedented rising availability of  
8 prescription opioids this last year from 190  
9 to 200 million prescription opioids out there  
10 and 12.4 billion dosage units in 2007. From  
11 my perspective, this is not the time to put a  
12 lot more opioids out there.

13 That doesn't mean that there are  
14 not people in our healthcare system that have  
15 severe chronic pain that could benefit from  
16 opioids and are not getting it now. But there  
17 is a big disconnect between what we see in  
18 clinical trials and what happens when a  
19 marketplace healthcare system tries to deal  
20 with that. So there's discrimination in the  
21 targeted ability to get the opioids maybe to  
22 some of the people that do need it, but the

1 amount of public harm that's resulted from a  
2 big liberalization of the use of opioids for  
3 chronic non-cancer pain is pretty significant  
4 magnitude.

5 So from my perspective, this is  
6 not the time to put more opioids out there.  
7 I think Fentora, of course, should remain for  
8 patients with cancer-related pain. And I  
9 think, certainly, it would be a reasonable  
10 consideration to have Fentora under very kind  
11 of restrictive compassionate use program for  
12 patients with severe chronic non-cancer pain  
13 situations.

14 The remainder of my comments I'd  
15 like to address to the broader problems of  
16 what can be done about the national  
17 prescription opioid problems in general.  
18 We've said several times today this is not a  
19 one or two drug, yesterday was not a one or  
20 two drug issue, and it's certainly my feeling  
21 as well. These are suggestions that have been  
22 brought up and I think merit some discussion.

1                   Physician mis-prescribing and  
2                   over-prescribing is part of the problem. As  
3                   a general internist with an outpatient and  
4                   inpatient practice, I'm required to do ACLS  
5                   every two years. And I groan about the time  
6                   spent and new protocols learned and trying to  
7                   take the extra time off to do that, but I  
8                   understand that that's important, and I do it.

9                   I do something every day which has  
10                  much more grave public health consequences  
11                  than my ACLS participation, and that's writing  
12                  prescriptions for controlled drugs everyday.  
13                  And in the 34 years I've practiced medicine,  
14                  I haven't been required or expected to  
15                  demonstrate any competency about my ability to  
16                  prescribe opioids or other controlled drugs.  
17                  I think it would be a substantial step forward  
18                  if physicians were required to demonstrate  
19                  competency for the prescribing of controlled  
20                  drugs in order to have a DA license. This  
21                  could be as straightforward as passing an  
22                  online examination every few years when the DA

1 license comes up for renewal. If one didn't  
2 pass, further CME could be in order and  
3 another attempt at the exam.

4 Methadone is more complex and  
5 somewhat tricky to prescribe at times and  
6 certainly is in many overdose death studies in  
7 many states the leading opioid killer. So I  
8 think a special module dedicated for  
9 demonstrating competency in prescribing  
10 methadone for chronic pain would be a step  
11 forward.

12 The usual rejoinder to these kind  
13 of suggestions is that states regulate the  
14 practice of medicine. However, we have a real  
15 precedence in buprenorphine, a federally-  
16 legislated mandated special training for the  
17 use of this Schedule III drug. It's confusing  
18 to parents who've lost a son or daughter to  
19 methadone or OxyContin that we require special  
20 training for a Schedule III that has minimal  
21 chance of fatal overdose and absolutely no  
22 requirements of education or competency for

1 physicians to prescribe Schedule II drugs with  
2 much more adverse potential.

3 The second point I want to make  
4 and has been made some is to comment on the  
5 marketing promotion of controlled drugs.  
6 Certainly, the marketing promotion by Purdue  
7 Pharma of OxyContin wasn't the only reason for  
8 the OxyContin problem, but it was a  
9 substantial contributing factor. The public  
10 health would be well served by redefinition of  
11 acceptable and allowing marketing practices  
12 for opioids and other controlled drugs and an  
13 empowered FDA to monitor and regulate such  
14 marketing.

15 I'm concerned about the problems  
16 inherent in the system that allow physicians  
17 to prescribe off-label opioids. Pain is not  
18 a rare pediatric disease, and I don't see the  
19 rationality of a process in which the FDA, as  
20 the insurer of safe and effective drugs and  
21 the guardian of public health, would go  
22 through a conscientious, laborious, meticulous

1 process looking at the scientific evidence,  
2 come up with indications, and have the drug to  
3 the marketplace and prescribed by physicians  
4 in any manner off-label. And I'd suggest that  
5 the public health implications of physicians  
6 prescribing opioids off-label are much higher  
7 than, for example, when Gabapentin was  
8 prescribed to a great extent off-label. This  
9 is a big problem and one that would require  
10 addressing the CSA, but it's certainly a  
11 problem that can be fixed with concerted  
12 effort on the part of many agencies.

13 And, lastly, I would encourage you  
14 to have a meeting with the GDA, all the  
15 pertinent committees, and other people at the  
16 table to really address all the issues  
17 surrounding the opioid problem. I thank you  
18 for your time.

19 DR. WATKINS: Thank you. Our next  
20 speaker is Jennifer Bolen.

21 MS. BOLEN: Good afternoon. My  
22 name is Jennifer Bolen, and I am a resident of



1 Knoxville, Tennessee. I spent many of my  
2 years as a federal prosecutor. I am a lawyer.  
3 I still practice in the pain community.

4 I do have disclosures. I am  
5 funded by many different pharmaceutical  
6 companies for speaking across the United  
7 States to teach on legal regulatory issues  
8 related to pain management and documentation  
9 compliance. Cephalon has paid my travel here  
10 today, as they are taking me down to the  
11 American Pain Society to work on continuing  
12 efforts with emerging solutions in pain, and  
13 I think other companies have shared in that  
14 effort.

15 I'm a lawyer that lives with and  
16 speaks pain. I don't know if many of you have  
17 encountered lawyers like me, but it means  
18 something. And I hope that you will hear the  
19 words that I'm about to say.

20 I do live with chronic non-cancer  
21 pain. I am a former assistant U.S. attorney.  
22 In May of 2003, I founded the Legal Side of

1 Pain with the goal to bridge the educational  
2 gap that is out there related to regulatory  
3 compliance, mind set, and providing quality  
4 care to patients. It was very difficult for  
5 me, as a prosecutor, to find somebody who  
6 would take care of my chronic non-cancer pain,  
7 and I'll tell you a little bit more about that  
8 in a minute.

9 I've spent nearly seven years  
10 working as an educator in this community, and  
11 I have learned a lot from my travels. And  
12 I'll share some of those with you in a minute.

13 I take opioid medications daily.  
14 I am opioid tolerant. I am not an addict. I  
15 do not seek early refills of my drugs. I go  
16 forward every day with my physician in hopes  
17 that we'll find a combination that helps me  
18 remain active. And without my medications, I  
19 would have some severe problems in my life:  
20 relationships with my spouse; my ability to do  
21 what I love best, which is being around  
22 horses; and my ability to travel and do

1 something that I think I'm very good at and  
2 intended for, and that's talking with people  
3 and trying to show them, from the courtroom  
4 perspective, the end of this line, this  
5 battleground if you will, of what happens in  
6 a wrongful death lawsuit, what happens in a  
7 criminal case involving a physician who has  
8 inappropriately prescribed, and what happens  
9 before licensing boards when there are  
10 allegations of unprofessional conduct.

11 I have used these medications for  
12 quite a period of time, and one of the reasons  
13 for it is I'm Factor V Leiden mutated. I've  
14 had two pulmonary embolisms and a clot to my  
15 brain. I figure that somebody is not done  
16 with me yet, and it's one of the reasons I'm  
17 standing here. I take 15 milligrams of  
18 Coumadin every day. I have a filter  
19 implanted. The Coumadin barely holds my INR  
20 at two. I'm not somebody that can walk into  
21 an interventional pain office and get lots of  
22 procedures to help me, although I do get

1 procedures, SI joint injections, that are of  
2 some help. But I don't have a lot of options.  
3 And without the medicines that I take, it  
4 would be very difficult for me to even handle  
5 many of my private bathroom functions, sexual  
6 relationships, that sort of thing. And, you  
7 know, that's important in my life, and it's  
8 important in many others across this country.

9 I go through urine screens. I go  
10 through medication counts, medical  
11 supervision. I have no problem with any of  
12 that. I think that that's something that a  
13 responsible patient should do. I think that  
14 the FDA, in looking at the risk mitigation  
15 proposals here through the RiskMAP and what  
16 was raised earlier this morning, can certainly  
17 come up with ways to share responsibility with  
18 the patient and the physician, that that much  
19 needs to be done. And it also must focus on  
20 the insurance companies and their involvement  
21 in this, especially with one of the medication  
22 errors highlighted earlier this morning.

1                   What happens with me in  
2           breakthrough pain, I take breakthrough  
3           medicine, and I had a prop over here to show  
4           you but I think you can visualize this. I use  
5           breakthrough medicine maybe a little  
6           differently, and I learned this from the  
7           doctors that I've encountered in my teaching  
8           careers. I sometimes don't have any  
9           breakthrough pain during a particular day or  
10          what I would call breakthrough pain, so some  
11          days I don't need to take that medicine.

12                   Other days, I have it where it's  
13          unbelievably destructive to me and my ability  
14          to focus, my ability to travel, my ability to  
15          do anything other than sit on the couch. And  
16          those days are rare, and I'm fortunate. But  
17          I still am thankful that I have a doctor that  
18          has believed in me and given me the  
19          medications that I can choose from. And I'm  
20          an educated patient. I'm not like everybody  
21          out there, but I didn't start off this way.  
22          And I have learned through the educational

1 processes that many of these companies have  
2 and bring forward to the physicians that go to  
3 dinner lectures, to the physicians that go to  
4 booths at conferences, that there is good  
5 information out there to be brought to  
6 patients; we just need to find that balance.

7 From 2003 to present, I have  
8 logged more than 700,000 domestic airline  
9 miles traveling across this country educating  
10 clinicians. I have audited more than 800  
11 practices now in this country. Going into the  
12 offices of these physicians, doing grand  
13 rounds and journal clubs, looking into the  
14 faces of the patients in the waiting room,  
15 looking into documentation through a business  
16 associate agreement that would allow me to  
17 audit these records, looking into all of these  
18 things.

19 And I have also seen the eyes of  
20 the family members that have lost individuals  
21 as a lawyer who has represented or been  
22 approached to represent some of these people.

1 I've seen the eyes of the physician that was  
2 defiant to the regulatory requirements in this  
3 country, and I have seen the eyes of the  
4 physician and their support staff that have  
5 really tried to do the right thing but haven't  
6 received the education that they need in order  
7 to navigate these waters of risk in this whole  
8 issue of balance.

9           There is a tremendous jet lag in  
10 this country between what exists as a  
11 regulatory requirement. A good example would  
12 be some of the FDA's warning letters that have  
13 been issued. And when those things end up in  
14 the hands of physicians. And that lag must be  
15 addressed by you, and you're doing a good job  
16 of it by looking at these RiskMAPS and looking  
17 at risk mitigation features that can help.  
18 But we are getting better, and we've made a  
19 lot of accomplishment.

20           You must remember that we are  
21 still in the decade of pain control and  
22 research. We started this in 2001, and we've

1           made a lot of progress. There will always be  
2           risk associated with medications no matter  
3           what label you give to it. There will always  
4           be people that defy the laws in this country.  
5           There will always be people that don't listen  
6           to their providers and take the medications.  
7           But stopping a company from going out and  
8           educating or stopping a label that may help  
9           some people and may actually imbalance could  
10          be exactly what you don't want.

11                         And so I'm asking you in the very  
12          end here of my time that you come forward and  
13          address this and continue to empower me and  
14          others to come forward and educate. Thank  
15          you.

16                         DR. WATKINS: Thank you. Our next  
17          speaker is James Broatch.

18                         MR. BROATCH: Good afternoon. I'm  
19          the Executive Director of the Reflex  
20          Sympathetic Dystrophy Syndrome Association, or  
21          RSDSA. We're a national organization for  
22          people with complex regional pain syndrome, or



1 CRPS.

2 CRPS is a neurological syndrome  
3 that normally occurs after some type of  
4 trauma, surgery, or intractable pain. Our  
5 organizational mission is to promote greater  
6 awareness of CRPS and to fund research into  
7 effective treatments and to a cure.

8 My financial disclosures are that  
9 I was not paid to attend this meeting, but we  
10 do receive some pharm and medical device  
11 funding from Metronics, Celgene, and Endo, and  
12 some others.

13 On behalf of RSDA and the 7,000  
14 members, I'm speaking in favor of Cephalon's  
15 supplemental indication. First, I want to  
16 provide you with a snapshot of our  
17 constituency.

18 In 2005, we conducted a web-based  
19 survey of people with CRPS in conjunction with  
20 Johns Hopkins School of Medicine. An abstract  
21 is on our web site at rsds.org. Of the 1359  
22 people who completed the survey, the average

1 disease duration was greater than three years  
2 with an average pain score of 7.9 out of a  
3 scale of zero to ten, with ten being the  
4 worst. Sixty-percent rated themselves as  
5 disabled. Most significantly, 47 percent  
6 reported having thoughts of suicide; 15  
7 percent acted on it, sometimes twice.

8 The rate of suicide ideation in  
9 CRPS is roughly two and a half times more than  
10 other chronic pain conditions. A pain  
11 psychologist at Case Western University stated  
12 it well, "Another collaborative truth is that  
13 there are no pain conditions so associated  
14 with desperation that amputations in an  
15 attempt to relieve pain are not unheard of."

16 Historically, there have been few  
17 clinical trials that have included patients  
18 with CRPS. Patients with CRPS were excluded  
19 from the recent lyric and Cymbalta trials.  
20 More and more individuals with CRPS are being  
21 denied reimbursement by third-party payers  
22 since almost all medications that are used to

1           treat CRPS are off label. We applaud  
2           Cephalon's decision to include patients of  
3           CRPS in their expanded trials.

4                        To buttress my testimony today, we  
5           conducted an online survey between April 15th  
6           and the 22nd of this year regarding the  
7           treatment of breakthrough pain in people with  
8           CRPS and specifically the use of Fentora. The  
9           survey was sent to 3,978 people who have  
10          signed up for our electronic listserv. Of the  
11          3,978 invitations, 574 completed the survey,  
12          about 15 percent. The survey consisted of 10  
13          questions regarding breakthrough pain, use of  
14          narcotics in breakthrough pain, and two  
15          specifically regarding Fentora.

16                       Of the 574 responses, 95.5  
17          experienced breakthrough pain defined as  
18          moderate to severe, flares of pain that occur  
19          when persistent or baseline pain is pretty  
20          well managed. During a breakthrough pain  
21          episode, the average pain rating is 8.2 out of  
22          10. Again, 10 being the worst possible pain.

1           And 28.7 respondents rated their pain during  
2           a breakthrough pain episode at 10. Of the  
3           65.5 percentage of respondents currently  
4           taking opioids for the pain, 52 percent take  
5           a short-acting opioid now to manage their  
6           breakthrough pain.

7                        We strongly endorse the  
8           supplemental indication of Cephalon for the  
9           indication of breakthrough pain in opioid  
10          tolerant non-cancer patients with chronic  
11          pain. Thank you.

12                      DR. WATKINS: Thank you. Our next  
13          speaker is Melissa Zuppardi.

14                      MS. ZUPPARDI: I would first like  
15          to say I do not have any disclosures from any  
16          pharmaceutical companies whatsoever. I would  
17          like to first thank you for allowing me to  
18          speak on behalf of the victims of opioid-  
19          related death and addiction. My name is  
20          Melissa Zuppardi, and I'm President of HARMD  
21          Incorporated, Helping America Reduce Methadone  
22          Deaths, a non-profit organization founded by

1 surviving family members of loved ones lost to  
2 methadone and other prescription opioids. Our  
3 objective is to decrease opioid-related  
4 addiction, injury, and death.

5           Although many of us have lost  
6 loved ones to methadone, another dangerous  
7 Schedule II narcotic that is over-prescribed  
8 and under-regulated, the majority of our loved  
9 ones who perished at the hands of this drug  
10 became addicted to opioid drugs as legitimate  
11 patients suffering from moderate to severe  
12 pain. Many were over-prescribed and  
13 recklessly prescribed such drugs as oxycodone,  
14 hydrocodone, Loratab, OxyContin, and fentanyl.  
15 Physicians have not only fed their addiction  
16 but also initiated the addiction by  
17 prescribing these powerful opioids for such  
18 things as restless leg syndrome, arthritis,  
19 migraines, headaches, pulled tooth, tooth  
20 fillings, fibromyalgia, back ache, and knee  
21 pain.

22           I am here, along with Marti

1 Hottenstein, HARMD National Diversion  
2 Specialist, to plead with you to consider the  
3 lost lives and the many more to come by  
4 allowing yet more drugs onto our street and in  
5 the hands of doctors who are uneducated on how  
6 to prescribe opioids and on assessing and  
7 treating addictive disorders as they develop  
8 in their patients.

9 Severe and chronic pain is a grave  
10 concern for all of us. However, we must  
11 balance the need for pain control versus the  
12 onset of addictive behaviors in patients.  
13 Physicians must explore alternatives for those  
14 disorders which can be treated without  
15 opioids. Before allowing more drugs to filter  
16 into society, please mandate education for  
17 doctors prescribing Schedule II narcotics.

18 From September to November, Wall  
19 Street Journal articles Cephalon Incorporated,  
20 the manufacturer of both Fentora and Actiq,  
21 say it doesn't market the drug for unapproved  
22 uses. While acknowledging that Actiq is

1 widely used off label, it says it can't  
2 control how doctors prescribe the drug. A  
3 spokeswoman for Cephalon, Stacey Beckhardt,  
4 said she didn't know what the proportion of  
5 off-label use was for Fentora, but she added,  
6 "We do know that some of the physicians who  
7 are prescribing Actiq are prescribing Fentora  
8 in the same way."

9 Cephalon said Fentora has been  
10 linked to a total of four deaths. The company  
11 said three of those deaths which appeared to  
12 result from respiratory failure were related  
13 to inappropriate prescribing of Fentora. Two  
14 of the patients were prescribed the potent  
15 drug for headaches, even though they weren't  
16 on around-the-clock opioid therapy.

17 The company said it also received  
18 a report of a fourth death, a person who  
19 committed suicide while taking the drug but  
20 wasn't prescribed the drug by a doctor. In  
21 that same article, Connecticut Attorney  
22 General Richard Blumenthal has initiated

1 investigation in 2004 and has found that  
2 Cephalon promoted Actiq off label to  
3 neurologists to treat headaches, set  
4 unrealistically high sales quotas for its drug  
5 representatives, and pushed larger  
6 prescriptions at higher doses.

7 In relation to this admission and  
8 the Connecticut Attorney General's  
9 investigation, I would like to know why the  
10 FDA would consider expanding the uses of this  
11 drug when we already know of deaths that have  
12 been prescribed off label. Physicians'  
13 liberal prescribing practices and the myth  
14 concerning the safety of opioid drugs have led  
15 to unnecessary deaths of trusting patients.  
16 This is another reason for the immediate need  
17 for mandated physician education and licensing  
18 of Schedule II narcotics.

19 People are receiving opioid  
20 medication who do not meet the criteria, yet  
21 are being prescribed it anyway. This type of  
22 reckless prescribing leads to these



1 medications being diverted, resulting in  
2 instantaneous threat to the community. The  
3 FDA should have complete control over  
4 prescription drugs. They are not being  
5 manufactured in someone's kitchen or smuggled  
6 into this country. They are coming from a  
7 doctor's prescription pad. It is time to get  
8 this prescription drug epidemic under control  
9 before more lives are needlessly lost to so-  
10 called controlled drugs.

11 I would like to give you some  
12 examples of poor prescribing practices that  
13 led to addiction and death. In 2004, a HARMD  
14 family member lost her brother and his  
15 girlfriend to fentanyl, the preparation is  
16 unknown, overdose. Both were discovered dead  
17 by their landlord covered in inches of  
18 maggots. This drug was diverted to them by a  
19 so-called legitimate pain patient.

20 A family member was prescribed  
21 OxyContin for a tooth filling. A 22-year-old  
22 man was given 30 Percocets after having a

1 tooth pulled and then physician continued to  
2 rewrite script. After losing her daughter to  
3 methadone, a HARMD family member's doctor  
4 tried to prescribe it to her for arthritis.

5 Another HARMD family member was  
6 prescribed 200 oxycodone every three days, and  
7 she eventually died when the doctor attempted  
8 to switch her to methadone. My fianc,, who  
9 later succumbed to methadone taken exactly as  
10 prescribed by an ASAM physician, began his 14-  
11 year battle with addiction as an 18-year-old  
12 who needed knee surgery after a sports injury  
13 and was prescribed high doses of oxycodone and  
14 OxyContin.

15 A successful businessman was  
16 injured on the job and was prescribed  
17 OxyContin and, after three overdoses, he  
18 finally died. After an auto accident at 22  
19 years old Carl Hottenstein was prescribed  
20 oxycodone and was taking up to 15 a day and  
21 later died after attempting to use methadone  
22 given to him by a methadone clinic patient in

1 an attempt to be weaned from the medication.  
2 If approved for chronic and breakthrough pain,  
3 you will see Fentora being prescribed for the  
4 above conditions, and you will hear the same  
5 outcomes from the same family members coming  
6 here.

7 If time would allow, I could tell  
8 you at least 700 more stories of addiction,  
9 dependence, and death I personally know of.  
10 Addiction does not discriminate. It could be  
11 your mother, father, child, husband, or wife;  
12 and contrary to popular belief, all of those  
13 addicted to pain pills do not rob, steal, and  
14 break the law. They simply fill a  
15 prescription written by their doctor. They do  
16 not have to crush, snort, inject, smoke, or  
17 chew these pain medications to abuse them.

18 How do you prevent abuse, death,  
19 and addiction for those doing exactly as  
20 directed by their physician? We believe the  
21 answer is in mandatory physician education and  
22 licensing of these powerful narcotics, as well

1 as them being strictly reserved for severe  
2 cancerous pain. When making this important  
3 decision, I implore you to consider those who  
4 have been lost to these drugs and learn from  
5 their deaths. I also would like you to think  
6 of their families that suffer from a chronic  
7 debilitating pain for the rest of their lives  
8 that no pill can fix. They do not enjoy the  
9 simple life activities of other people.

10 When is enough going to be enough?

11 We are now a pill-driven society with  
12 pharmaceutical companies dictating medical  
13 practice with their marketing techniques.

14 When are we going to value human life over the  
15 financial influence of pharmaceutical  
16 companies?

17 DR. WATKINS: Thank you. Our next  
18 presentation is a group presentation by  
19 Kristen Thacker and David Larson.

20 MS. THACKER: Hello. I'd like to  
21 start off by saying thank you to the ladies  
22 and gentlemen and honored guests and

1 distinguished members of the FDA for allowing  
2 us to be here today. I'm here with my  
3 husband, David Larson, who also has something  
4 to say about chronic pain. Today I'm here to  
5 tell you about my experience with chronic pain  
6 and explain how Fentora changed it.

7 For several years, I have been  
8 battling a unique chronic pain disorder called  
9 RSD. RSD has many unusual symptoms. It can  
10 spread to different parts of the body. In my  
11 case, it has spread from my left foot to the  
12 right, into my ankles and part of my calves.  
13 At night, I am often awakened by pain that is  
14 almost impossible to describe. It feels like  
15 an ice pick that is working its way into the  
16 sides of my feet, twisting back and forth,  
17 distorting and breaking all tendons and bones.

18 More often the pain is different,  
19 though no less troubling. The skin on the top  
20 and bottom of my feet feel as if they are  
21 engulfed in fire. It feels like the red hot  
22 grill is pressing against my swollen skin.

1 Even the softest sheets, the lightest touch  
2 are unbearable.

3 This has occurred countless nights  
4 with multiple occurrences during the night to  
5 which to keep from waking my husband I try to  
6 shuffle into the bathroom and shut the door  
7 before the tears overwhelm me. My inability  
8 to keep the pain under control has left my  
9 life in shambles. From disuse, I have  
10 developed osteoporosis in both feet. I have  
11 become depressed from radicalized changes. I  
12 had full blown anxiety attacks between three  
13 and six times a week. I had suffered extreme  
14 mood swings, self loathing, and anger. This  
15 has led to stress among relationships with my  
16 families and friends.

17 I have trouble doing things that  
18 many normal adults take for granted, such as  
19 cleaning, bathing, cooking, walking my dog,  
20 and shopping. At 32 years old, I needed a  
21 cane to go even short distances. Doing the  
22 work I was trained for was impossible.

1                   This is normal for chronic pain  
2 sufferers. This was my life until I was  
3 accepted into a drug study for Fentora.

4                   Pain is often measured from a  
5 scale of zero to ten. Much of the day before  
6 the study, I felt around a six or a seven.  
7 Within the first months of the study, with the  
8 use of Fentora, I was able to bring it down to  
9 a three or four. With the aid of my physical  
10 therapist, Brad Jordan, walking without the  
11 constant use of my cane became possible again.

12                  Eventually, the osteoporosis was  
13 reversed. Better pain management has lifted  
14 much of my depression. I feel that I have a  
15 lot of my life back. Although I still suffer  
16 from chronic pain, Fentora is one reason why  
17 I was able to physically fly across country to  
18 speak with you today. Another reason is my  
19 doctor's willingness to prescribe an off-label  
20 medication. Many pain sufferers are not so  
21 lucky.

22                  I also benefit from the excellent

1 health insurance that covers off-label  
2 medications. Many pain sufferers are, again,  
3 not so lucky.

4 I hope you will approve Fentora  
5 for chronic pain for all the people that  
6 suffer and cannot use it today. Thank you.

7 MR. LARSON: I have to mention  
8 that our expenses here were covered by Fentora  
9 for our travel to talk to you today. Ladies  
10 and gentlemen, I'm here on behalf of all the  
11 loved ones and caregivers of chronic pain  
12 sufferers. Specifically, I hope to enlighten  
13 you as to the effects chronic pain, and the  
14 breakthrough pain in particular, have on those  
15 people. I found a definition for breakthrough  
16 pain on Wikipedia, and here it is,  
17 "Breakthrough pain is pain that comes on  
18 suddenly for short periods of time and is not  
19 alleviated by the patient's normal pain  
20 suppression management."

21 Breakthrough pain is pain that  
22 comes on suddenly as in it comes on



1 unexpectedly. In other words, it happens at  
2 the most inopportune times. It happens while  
3 one is doing their day-to-day work. It  
4 happens while grocery shopping. It happens in  
5 the middle of the night.

6           Kristen went to school and  
7 received a vocational technical degree in  
8 machining. She started her own successful  
9 business as a machinist doing custom titanium  
10 jewelry. When she experienced chronic pain,  
11 when she experienced breakthrough pain, it  
12 made her work dangerous. She was operating  
13 powerful and dangerous equipment, and when she  
14 had breakthrough pain it made it so she had to  
15 stop work because, otherwise, she would be  
16 hurting herself. She might damage herself  
17 permanently.

18           That was before Fentora. After  
19 Fentora, she was able to resume full-time  
20 work. Fentora allows the pain to be under  
21 control quickly, which enables her to function  
22 day to day, to shop, to enjoy, to cook dinner,

1 to sleep at night.

2 Breakthrough pain is pain that is  
3 not alleviated by the patient's normal pain  
4 suppression management. For Kristen, that was  
5 a variety of medications, including oxycodone.  
6 Because her medication did not adequately  
7 suppress the breakthrough pain, she did what  
8 all chronic pain sufferers do. During  
9 breakthrough pain episodes, she increased the  
10 dosage of the fastest-acting medication she  
11 had available to her to get it under control.  
12 In her case, it was oxycodone.

13 This led to a very terrible cycle.  
14 I would see her sitting on the couch in  
15 terrible pain. I would plead for her to  
16 increase the amount of medication she was  
17 taking to get the pain under control. She  
18 would often refuse. She hated the way the  
19 medication made her feel. She hated the way  
20 that it made her less cognizant, less able to  
21 think clearly, less able to carry on  
22 conversations clearly. So she would choose to

1           suffer instead. When she did take more  
2           medication, she felt lonely and then unable to  
3           operate medically. This led to more severe  
4           pain episodes because she was constantly  
5           cycling back and forth between not taking the  
6           medication because she didn't like the effects  
7           and taking the medication and suffering the  
8           effects of the medication itself. That was  
9           the affect of oxycodone.

10                         Another outcome of that was that  
11           we had in our house large quantities of  
12           oxycodone to handle these episodes. With  
13           Fentora, we don't have any. So Fentora broke  
14           the cycle. Fentora gets the breakthrough pain  
15           under control, which means she doesn't need to  
16           fight the losing battle.

17                         The right medication for the right  
18           person in the right circumstance is always the  
19           best policy, and that's what we're trying to  
20           suggest that you do today. It also results in  
21           fewer drugs distributed and lower doses taken.  
22           Fentora breaks the cycle of suffering for the

1 chronic pain patient, and it also breaks the  
2 suffering that her family and friends feel  
3 also. Thank you.

4 DR. WATKINS: Thank you.

5 ACTING CHAIR SORIANO: The open  
6 public hearing portion of this meeting is now  
7 concluded, and we will no longer take comments  
8 from the audience. The committee will now  
9 turn its attention to the task at hand, and  
10 that is careful consideration of the data that  
11 has been presented before us, as well as the  
12 public comments.

13 Before we do that, there are a  
14 couple of housekeeping items that we need to  
15 clear up. Dr. Floyd wants to make a point of  
16 clarification in one of the FDA presenter's  
17 slides, and the FDA has agreed with this and  
18 it will be a brief clarification. And that  
19 will be followed by seven questions from the  
20 panel that were held back so we could have a  
21 timely lunch break. So Dr. Floyd?

22 DR. FLOYD: Yes. I just wanted to

1 provide a clarification to our proposed  
2 indication. I believe there's a disconnect in  
3 one of the Agency's presentations that we  
4 wanted to clarify for post-indication. We are  
5 seeking an indication for Fentora as an opioid  
6 analgesic indicated for the management of  
7 breakthrough pain in patients who are taking  
8 around-the-clock opioid medications for their  
9 underlying persistent pain. So what is key in  
10 this indication is that we are mandating that  
11 the patients must be on around-the-clock  
12 opioid medications.

13 ACTING CHAIR SORIANO: Thank you,  
14 Dr. Floyd. Now we will continue with  
15 questions from the panel. The first one will  
16 be from Dr. McLeskey.

17 DR. MCLESKEY: It wasn't a  
18 question. Actually, I was going to make a  
19 comment to a question that Dr. Maxwell raised  
20 right before we broke for lunch. I was trying  
21 to get your attention, but I realized  
22 everybody wanted to go to lunch, so I didn't

1           try very hard. But it was just in response to  
2           your comment or question to the sponsor as to  
3           why they might have distributed a package  
4           insert that was at least not the latest  
5           package insert at a conference you recently  
6           attended and I just wanted to say from what my  
7           understanding is, and the Agency can correct  
8           me if my understanding is wrong, my  
9           understanding is that when a label change is  
10          made, and they're made frequently, depending  
11          upon the magnitude of the change and the  
12          importance of the change and whether or not  
13          patient safety is related to it might  
14          determine the speed with which a label  
15          actually needs to be replaced in the  
16          marketplace. If there's something that's  
17          extremely substantiative, then the sponsor may  
18          be asked to replace existing package insert  
19          materials that are in the marketplace or about  
20          to be sold.

21                       Whereas, if it's more of a normal  
22          package insert change, in that case the

1 sponsor is asked to replace the labels but  
2 maybe in a little bit more relaxed time frame.  
3 And I don't know this to be the case, but I  
4 would suspect that probably the latter  
5 explains why in a meeting you might have seen  
6 a package insert that might not have been the  
7 most recent one.

8 DR. MAXWELL: No. If you'd like  
9 to come look at them, the one that should have  
10 been in there was the major revision that was  
11 approved in October 2007. It was a  
12 significant difference.

13 ACTING CHAIR SORIANO: Dr. Floyd,  
14 do you have a response?

15 DR. FLOYD: Yes. I spoke with Dr.  
16 Maxwell during the break, and let me provide  
17 clarification. There were two handouts that  
18 were issued. One was a manuscript publication  
19 by Russ Portenoy, and within that publication  
20 there was a replacement of the actual package  
21 insert with the latest package insert which  
22 was done by the sales reps and it was placed,

1 so the latest information was included in  
2 that. The package in which it was promotional  
3 which the pen was included is a third-party  
4 vendor packaging, and that's done externally  
5 by a third-party vendor. So there was a lag  
6 time between our ability to be able to get the  
7 final package out and that being presented at  
8 the convention or the presentation.

9 Now, that being said, could we  
10 have manually pulled that out? Yes, but that  
11 did not occur. So there was a disconnect  
12 there.

13 ACTING CHAIR SORIANO: Okay. I'll  
14 take the Chair's prerogative and go ahead with  
15 the questions. We'll let this issue go to  
16 rest, and maybe you want to discuss it outside  
17 the panel setting. Our next question goes to  
18 Dr. Anand.

19 DR. ANAND: Thank you, Dr.  
20 Soriano. I wanted to ask a question from Dr.  
21 Fine where he had presented the rationale for  
22 an indication for breakthrough pain in non-



1 cancer patients. Given the spread of the  
2 standard deviations on those bars, was there  
3 any difference in the SF-36 between the  
4 chronic non-cancer breakthrough pain patients  
5 versus the other conditions that were listed?  
6 And I didn't see any bar that relates to  
7 cancer patients with breakthrough pain.

8 DR. FINE: Is the question is is  
9 there a meaningful statistical difference?

10 DR. ANAND: Yes.

11 DR. FINE: Actually, I don't know  
12 precisely. I don't know what the statistics  
13 actually are on this.

14 DR. ANAND: Were we to do this on  
15 cancer patients who had breakthrough pain,  
16 would they have similar findings as the non-  
17 cancer?

18 DR. FINE: You know, the studies  
19 that assessed cancer pain patients did not  
20 include this type of data, so I don't have a  
21 way of answering. I can give you my clinical  
22 impression, which, of course, is that there's

1 an extraordinarily sort of importance. In  
2 fact, we do have one study that we put up on  
3 the main screen that actually did look at  
4 functional component scores. This is from the  
5 cancer trials. It's a different tool. It's  
6 not the SF-36, but it looked at activity,  
7 mood, walking, working, social, sleep, and  
8 enjoyment of life. It comes from a brief  
9 inventory and other types of functional  
10 outcome assessments showing the difference in  
11 patients untreated and then treated with  
12 breakthrough pain. It sort of leads me to  
13 conclude the same type of clinical outcome.

14 DR. ANAND: Thank you.

15 DR. FINE: I'm not sure. It's the  
16 same issue. I don't know, actually, going  
17 back to this if this was also statistically  
18 analyzed or not.

19 DR. FINE: I had a couple of  
20 questions for the sponsor, if I may continue.  
21 The FDA had presented some data regarding the  
22 theft of more than 8,000 doses of Fentora,

1 totaling, if I recall correctly, 4.3 grams,  
2 etcetera. My concern is once this drug  
3 becomes more easily available and more widely  
4 prescribed, what is the company going to do to  
5 ensure that doesn't happen again?

6 DR. MESSINA: The clinical trial  
7 setting in which this occurred is different  
8 than how the drug is necessarily stored in the  
9 post-marketing setting. The clinical trial  
10 setting is that the drug is at a drug center,  
11 a doctor's office, following the various DEA  
12 regulation. In the post-marketing setting,  
13 it's in a pharmacy, in a locked pharmacy with  
14 many other opioids, etcetera. We continue to  
15 monitor through our RADARS system and other  
16 post-marketing things to ensure that this does  
17 not occur and do what we can to provide the  
18 education necessary.

19 DR. ANAND: The other concern that  
20 I have is that, although the drug was approved  
21 by the FDA for breakthrough pain in cancer  
22 patients, yet when the specialists are broken

1 down it seemed that most of the people who  
2 were prescribing this drug are  
3 anesthesiologists. So how did that happen?  
4 Was the drug marketed to anesthesiologists  
5 rather than oncologists, as it should have  
6 been?

7 ACTING CHAIR SORIANO: Please  
8 introduce yourself before --

9 DR. MESSINA: I'm sorry. John  
10 Messina from Cephalon. With the approval of  
11 Fentora with the cancer indication, the  
12 marketing was to individuals skilled in the  
13 use of C2 opioids who treat cancer patients,  
14 and it was marketed to oncologists, as well,  
15 as well as pain specialists and those  
16 individuals of those specialties.

17 DR. ANAND: And then I have one  
18 last question. There is a high prevalence of  
19 application site events or application site  
20 sort of side effects. Those were not  
21 described. Could you tell us what were those  
22 side effects?

1 DR. SCHMIDER: That is correct.

2 All these events were grouped together, and I  
3 have an un-grouping of these events here for  
4 information, so you can see that there's  
5 irritation, all local events, irritation of  
6 the oral mucosa, pain at the site of the  
7 application, or an ulcer occurring, erythema  
8 reaction.

9 DR. MESSINA: With regards to the  
10 application site adverse events, when we have  
11 analyzed not only the types but the severity,  
12 the majority are mild to moderate. They tend  
13 to have a very short duration and only two  
14 percent of the patients discontinued the trial  
15 because of this.

16 DR. ANAND: Thank you. Those were  
17 all my questions.

18 ACTING CHAIR SORIANO: Dr. Vocci  
19 has the next question.

20 DR. VOCCI: The clinical trials  
21 that were described today were all done in  
22 opioid tolerant patients, and the risk

1 management plan also describes the fact that  
2 all the patients are going to have to be  
3 certified to be opioid tolerant. And, yet,  
4 the indication that the company is seeking  
5 does not have the words "opioid tolerant" in  
6 it. I find that to be a disconnect, and I'd  
7 like to hear the company's logic why they  
8 wouldn't put that in the indications for use.

9 DR. RUSSELL: I think there's an  
10 oversight. We clearly mean for the patients  
11 to be opioid tolerant, and that would be in  
12 the indication.

13 DR. VOCCI: Another question. The  
14 people who exhibited what might be aberrant  
15 behaviors and said they lost their medication,  
16 which is what a lot of substance abuse  
17 patients say, or the medication was stolen  
18 from them or people who exhibited what you  
19 might call misuse or abuse, was there any  
20 follow-up with those patients? Did they have  
21 a history of any kind of substance abuse? I  
22 know the trials stated that you couldn't have

1 a history going back five years, but was there  
2 any follow-up on those patients to see if they  
3 had actually had any kind of substance abuse  
4 problem even anti-dating the five years?

5 DR. MESSINA: In between the five  
6 years?

7 DR. VOCCI: No, not in between.  
8 Prior to. They couldn't have any kind of  
9 substance abuse history apparently five years  
10 before enrollment in the trial, but beyond  
11 that, in their total medical and psychiatric  
12 history, was there any evidence of substance  
13 abuse?

14 DR. MESSINA: In the analysis that  
15 we ran with the aberrant behaviors, we looked  
16 at those people who did have a history  
17 previous five years, five years prior, and we  
18 were able to get in this study, and there did  
19 not appear to be any increased risk of those  
20 individuals actually displaying aberrant  
21 behaviors. That was not something that was  
22 predictable.

1                   With regards to these things  
2           indicating abuse, in some cases that's always  
3           a potential. But in this specific situation,  
4           we have no information to say that those were  
5           definitely abuse. We use these merely as, we  
6           did not use these as diagnostic criteria for  
7           abuse and addiction. These are aberrant  
8           behaviors which are signals usually requiring  
9           additional follow up.

10                   ACTING CHAIR SORIANO: Ms.  
11           Krivacic?

12                   MS. KRIVACIC: Thank you. I think  
13           he just asked the question that I was going to  
14           ask.

15                   ACTING CHAIR SORIANO: Thank you.  
16           Ms. Aronson?

17                   MS. ARONSON: I'd like to follow  
18           up on the question of tolerance and the  
19           understanding. Through the sponsor's  
20           information, we were given information about  
21           the drug acted two hours, and then the  
22           recommendation is for four hours. Just



1           wondering about the sentence in the background  
2           information that fentanyl has a profile of  
3           pharmacological activities similar to that of  
4           morphine but with greater potency and a  
5           shorter duration of action. Could you respond  
6           to this greater potency and shorter duration  
7           of action?

8                         DR. MESSINA: The potency part of  
9           that refers to the fact, essentially, that we  
10          had dosed this in micrograms versus milligrams  
11          of morphine. So because it is more potent, we  
12          dose it at lower doses.

13                        With regards to its duration of  
14          action, the duration of action is primarily  
15          literature based. The information that we  
16          would have with Fentora is going to be related  
17          to breakthrough pain. Now, breakthrough pain  
18          is a fleeting condition. It goes away on its  
19          own. So the assessment of duration within  
20          this specific clinical condition is somewhat  
21          difficult to do given the fact that the pain  
22          does go away.

1                   ACTING CHAIR SORIANO: Dr. Lesar?

2                   DR. LESAR: I have two questions

3 related to trying to assess risk/benefit.

4 First of all, it has to do with the fact that

5 the efficacy studies were done versus placebo,

6 which is really not a reflection of reality in

7 real practice. I have a question related to

8 in those studies in those patients was there

9 some assessment or summary of what rescue

10 therapy they were being given and any other

11 assessment than a post hoc, apparently post

12 hoc, questionnaire about the desire, the

13 efficacy of Fentora versus their existing

14 therapy? And that's just to try to, what is

15 the incremental benefit of Fentora to other

16 standard therapies, just like one has to do

17 with assessing potential risks. It has to do

18 with the COVERS program of where it is in

19 terms of development. There's a plan to have

20 it implemented prior to marketing. How would

21 it be assessed? And at assessment, if it's

22 not successful, will the approval not go

1 forward?

2 DR. MESSINA: So if I understand  
3 your question correctly, the medications for  
4 breakthrough pain that patients were using  
5 when they came into the study. The two most  
6 frequent ones were oxycodone and hydrocodone  
7 in the immediate-release formulations,  
8 followed by fentanyl and then morphine were  
9 the most common ones. But the hydrocodone and  
10 oxycodone represented over 70 percent of the  
11 patients, so they were the most frequent ones  
12 for which that assessment was compared back  
13 to. And I'll let Dr. Schmider answer your  
14 second question.

15 DR. SCHMIDER: So the question  
16 related to where are we with regard to  
17 developing COVERS and what is our intention  
18 with regard to the new indication and when do  
19 we have it ready for implementation. So as we  
20 are currently exploring multiple options still  
21 to enroll as many pharmacies as possible  
22 within the United States and make it as widely

1 available to the patients in need, as well as  
2 how to deal with cash transactions and  
3 hospital settings and hospice settings. These  
4 are the things that we still need to work on  
5 and need to clarify how we can close the  
6 system completely on those.

7 Our goal is to have it available  
8 with the expanded indication. There will be  
9 a transition period prior to that where our  
10 goal is to enroll all the currently  
11 prescribing physicians, approximately 6,000  
12 prescribing physicians, so that they're all  
13 registered in the database, as well as give  
14 them an opportunity to register the patients  
15 that are already on Fentora into the database,  
16 as well, so their supply will not be disrupted  
17 and they will be treated and get the  
18 medication they need.

19 ACTING CHAIR SORIANO: Dr. Bickel?

20 DR. BICKEL: Thank you. I have a  
21 different question for the sponsor. If I  
22 understand correctly from their presentation,