

1 before, so I'll just leave it.

2 DR. HECKBERT: Susan Heckbert. I
3 voted yes that there is sufficient information
4 regarding what looks like an increased risk of
5 malignancy to make me concerned about that. So
6 it's sufficient for that. But of course, it's
7 not sufficient to know what is the risk, to
8 define the risk in pediatric psoriasis. Of
9 course, we need more information about that. So
10 again, it's hinging on the meaning of the word
11 "sufficient."

12 DR. DRAKE: Lynn Drake. I abstained,
13 mainly because of the monotherapy issue. It
14 was -- the data was confusing to me because it
15 had so many variables in it. And therefore, I
16 couldn't separate it out adequately. Thank you.

17 By the way, my vote was consistent
18 with all the yeses and noes and the
19 explanations given around the table, and the
20 abstention.

21 DR. CRAWFORD: Stephanie Crawford. I
22 voted no. I based this largely on everything

1 said. Plus, if I look at Slide 103 from the
2 sponsor, the sponsor says, "An increased risk of
3 malignancy can neither be confirmed nor
4 excluded."

5 DR. BIGBY: We'll go on to Question 7.
6 The applicant has agreed to conduct
7 post-marketing safety study 20040210. This
8 long-term study is intended to provide safety
9 information regarding the use of etanercept in
10 adult patient. Does the Committee recommend
11 approval of etanercept in pediatric patients
12 prior to the completion of this safety study?
13 And just for clarification to this sponsor, what
14 is the current status of this study?

15 DR. SEVERINO: The study is fully
16 enrolled, and five-year data are expected in the
17 time frame that the Agency mentioned during
18 their presentation.

19 So follow-up is ongoing.

20 DR. BIGBY: The "n" in the study is
21 what? And the completion of enrollment was
22 when?

1 DR. SEVERINO: The total patients
2 enrolled were 2,511, with a target of 2,500.

3 DR. DRAKE: The year was 2013, wasn't
4 it?

5 DR. SEVERINO: Yes. And the
6 completion of enrollment was on the -- the
7 beginning of enrollment was May 31, 2006;
8 completion of enrollment was November 29, 2007.
9 So five years of follow-up will be available in
10 November of 2012.

11 DR. BIGBY: The question is open for
12 discussion.

13 DR. HECKBERT: Yes, and --

14 DR. BIGBY:: Thank you very much.

15 DR. HECKBERT: Because I get the
16 various studies mixed up. This is a study of
17 adult patients with what?

18 DR. SEVERINO: This is adult patients
19 with moderate to severe plaque psoriasis.

20 DR. HECKBERT: Okay.

21 DR. DAUM: Can I ask how the voting
22 part of Question 7 differs from Question 9, just

1 for clarification?

2 DR. WALKER: The information we're
3 interested in from the Committee with No. 7 is,
4 this is the monotherapy study for psoriasis
5 patients. So it's difficult to know where to
6 put some of these questions. But this would
7 assume if the Committee's going to recommend
8 approval, where do you want the outcomes from
9 the study to be, before or after approval?

10 DR. DAUM: That's a helpful
11 clarification.

12 DR. BIGBY: Further discussion?

13 I'm loving it.

14 Does the Committee recommend
15 approval of etanercept in pediatric patients
16 prior to the completion of this safety study?

17 Those voting yes, raise your hand.

18 Those voting no, raise your hand.

19 Abstentions? One.

20 So the tally is nine yes, three no,
21 one abstention.

22 Dr. Katz.

1 DR. KATZ: If we don't recommend this
2 on the basis of until that safety study's done,
3 it's delayed, I think we can allay our anxiety
4 by having a sufficient warning in labeling that
5 safety hasn't been determined in malignancy. So
6 that would I think take care of that.

7 I should have said I voted yes.

8 DR. STERN: I voted yes because --

9 DR. BIGBY: Name, name.

10 DR. STERN: Sorry. Rob Stern. I
11 voted yes because I'd like decisions to be made
12 in my lifetime. Even more importantly, I'm
13 quite frankly quite skeptical that this study
14 will lead to any robust conclusions. And so I
15 don't think we'll have additional robust
16 information in 2013.

17 DR. O'NEIL: Kathleen O'Neil. I voted
18 yes, because post-marketing surveillance for
19 rare events is going to prove that they're rare.
20 It may indeed prove that there's a risk ratio in
21 adults, but the risk ratio in adults for cancers
22 that are more prevalent in adults than in

1 children is not really going to be that
2 informative. And so I think it's unconscionable
3 to withhold something based on whether it works
4 in adults or not, or is safe in adults to some
5 extent, while we wait for five more years.

6 DR. MAJUMDER: Mary Majumder. I voted
7 yes, because I heard 2012 as the completion
8 date. And I heard from the public that if this
9 Committee doesn't approve, that may make access
10 harder, even for patients who I think access is
11 probably justified because their condition is
12 severe. And even if this bears out some of the
13 concerns -- the study -- they're still not,
14 probably, going to be huge.

15 And so I'm looking at patients
16 possibly losing access to the drug or not
17 getting it for many years, so that we get
18 information that may or may not ultimately
19 bear on the issue. I think it's difficult.

20 DR. BIGBY: Michael Bigby. I voted no
21 because I think many of our deliberations are
22 hampered by the lack of information. And I

1 don't think delaying use of this drug for five
2 years, given its degree of efficacy, is such a
3 terrible thing. Also -- you know, in terms of
4 serious infections, death is irreversible.

5 DR. THIERS: Bruce Thiers. I voted
6 yes. I think at some point we have to deal with
7 the information we have at hand. And for the
8 reasons that were already mentioned by some of
9 the others, I think now's the time.

10 DR. LEVIN: I voted yes, although I
11 continue to think the question sort of is
12 entrapping. And if I were arranging the
13 questions, I would have asked -- up or down, do
14 you approve or don't approve. And then under
15 what conditions. I would just agree with Bob
16 Stern's comments.

17 DR. DAUM: I'm Robert Daum. I voted
18 yes, and I agree with Dr. Levin's comment. I
19 interpreted the question the way the Agency
20 redefined it for us.

21 And that is to say, if we were
22 inclined to approve of it, do we need these

1 data from the adult safety study to say to do
2 it now. And I don't think they're going to
3 be all that informative, as others have said
4 around the table. So I would be able to make
5 my decision now without those, although I'll
6 look at them with interest.

7 DR. CRAWFORD: Stephanie Crawford.
8 I'm the one who abstained, largely because of
9 what my colleague, Art Levin, said, because I
10 think the contingency makes it a leading
11 question. So regardless of the long-term -- the
12 data that will be available November 2012 at the
13 earliest for the adult patients, I don't think
14 that has a bearing on the first part of the
15 question for me.

16 DR. DRAKE: Lynn Drake. I voted yes,
17 because -- I mean, while acknowledging the risk,
18 I think that the study five years from now isn't
19 going to give us that much information. I agree
20 totally with what Dr. O'Neil has said, because
21 kids are basically different than adults, and
22 malignancies behave differently. There's a

1 whole pattern of difference. So I'm not sure it
2 would add that much.

3 The second reason I voted yes was,
4 what I'm seeing right now is a lot of
5 off-label use. Not only of this drug, but of
6 a lot of other potentially very toxic drugs,
7 in this population in particular. And I
8 guess I would rather see things formalized,
9 or that we have an opportunity to track it,
10 you've got a more formal opportunity to have
11 a reporting mechanism, it comes under
12 surveillance that way, with it approved. You
13 know?

14 I guess I would like to
15 see -- stuff's being used, so let's have it
16 being used in an organized fashion, so we can
17 actually get some answers to these questions.

18 DR. HECKBERT: This is Susan Heckbert.
19 I voted no on this question, partly for the
20 reasons Dr. Bigby gave. I agree with Mr. Levin
21 that 7 and 8 are tied together, and that's part
22 of why I voted no, in that I don't think we

1 should approve this drug for moderate plaque
2 psoriasis in children. So if that's the
3 indication we're voting on, then I need to vote
4 no for 7.

5 DR. RINGEL: Eileen Ringel. I voted
6 no for several reasons. First of all, special
7 caution needs to be taken in approving drugs for
8 children. Remember, if you have a four year old
9 and mommy is consenting for them, and that child
10 is going to grow up and be 34 and may be very,
11 very angry if they find out they have a
12 significant risk of lymphoma and had nothing to
13 say about it.

14 Secondly, we're not withholding the
15 drug. It is available off-label. We're not
16 making it any worse than it is now. And
17 thirdly, the FDA mandated this follow-up
18 study. If we were so convinced that it's
19 worthless, we shouldn't have mandated it. I
20 think the least we can do is wait for the
21 results.

22 DR. SHWAYDER: Tor Shwayder. I voted

1 yes. And interestingly, I agree with both Drs.
2 Thiers and Bigby, who voted in opposite
3 directions. Hopefully, intelligent decisions
4 are made by well-informed doctors and patients.
5 Based on the data and risk that we know at the
6 moment, that's about the best I could hope for.

7 DR. BIGBY: Question 8. So the
8 section is: please discuss the relative benefits
9 and risks for the use of etanercept in pediatric
10 patients.

11 Question 8 is: do the benefits of
12 etanercept therapy in the treatment of
13 children with moderate to severe plaque
14 psoriasis outweigh the risks?

15 DR. WALKER: I think, like yesterday,
16 if you look at 8 and 9 together, 8 gives you the
17 opportunity to have discussion, and then you can
18 move on to 9. So I don't think -- you know,
19 think of it in that vein. Eight is designed to
20 elicit the thinking and discussion from the
21 members behind their vote on 9.

22 DR. LEVIN: Point of information. So

1 we've heard a number of expressions of concern
2 about the indication: severe, severe to
3 moderate, severe only. How would that factor
4 into these -- I mean, how would you see that
5 factoring into these questions? Because right
6 now, if one said -- I would say that the
7 benefits outweigh the risks for severe, there's
8 sort of no place -- I mean, you'd have to vote
9 no, period.

10 DR. WALKER: I think you could give us
11 that information as you frame your answer.
12 Because if you voted yes, provisionally, with
13 something -- or no -- because I think that's all
14 useful information. It's not just the outcome
15 of the vote that is of import to us, it's the
16 entire discussion.

17 All of it's valuable.

18 DR. BIGBY: Rob.

19 DR. STERN: One important thing,
20 though, from my perspective, is, as I recall,
21 nearly two-thirds -- the only somewhat surrogate
22 measure we have of severity was the Physician's

1 Global Assessment. And as I recall, about
2 two-thirds of the individuals were a 3, which
3 most people would say is in the middle of the
4 scale, and therefore moderate. That means I
5 wonder, and in fact, I would like to see whether
6 for 5s alone, or 4s and 5s, at least by that
7 criteria, that in the 12-week trial, we even
8 made significance.

9 So if you're going to only approve
10 it for severe, both the efficacy and the
11 safety data would be coming from -- at least
12 by my best guess, only about a third of the
13 enrolled patients, about 30 or 35 in each
14 arm, which is an extraordinarily low
15 database. We can't take data from the
16 moderates to approve it for severes. So it's
17 a real conundrum.

18 DR. BIGBY: Dr. O'Neil.

19 DR. O'NEIL: My question was for the
20 dermatologists, being one of a few who are not
21 on this panel -- is there a definition, a
22 working definition, of what is severe and what

1 is moderate psoriasis?

2 DR. BIGBY: Absolutely not.

3 DR. SHWAYDER: I doubt there is, but I
4 know that the company did the data for 4s and
5 5s. They had number crunchers do that. If it
6 didn't show anything, will never come to light.
7 So I want to ask industry, in front of
8 everybody, did you do the datas on PGA 4s and
9 5s?

10 DR. SEVERINO: Can we bring the slide
11 up, please?

12 This slide shows PASI 75 for
13 subjects who are at 3, and greater than 3, so
14 that's the 4s and 5s in the crosshatch. And
15 as you can see, the responses were consistent
16 between those two groups. If we look at the
17 4s and 5s, 64 percent achieved a PASI 75 at
18 week 12.

19 DR. THIERS: What's the N number?

20 DR. SEVERINO: We can get that for you
21 in just a second.

22 DR. HECKBERT: Yes, we need both Ns

1 and statistical significance. I suspect it is
2 significant, but I'd like to know that.

3 DR. THIERS: I would have guessed that
4 the results would be more striking with the more
5 severe patients anyways. This is not really
6 surprising, what we're seeing.

7 DR. KATZ: I'd like to make a comment
8 to Dr. O'Neil's comment, and respectfully
9 disagree. It's not quantitative, severe and
10 moderate. But we all know -- all the
11 dermatologists here do know -- what severe
12 psoriasis is. 80 percent body involvement is
13 severe psoriasis. What the person, Kelsey,
14 mentioned, pictures -- all over the face, severe
15 psoriasis. 10 percent of body involvement,
16 which we've been dealing with yesterday and
17 today, is not severe psoriasis. That's moderate
18 at the most.

19 DR. BIGBY: But Robert, many people,
20 many dermatologists would call -- I know I
21 shouldn't do this, but I'm going to tell you a
22 joke.

1 What is the definition of minor
2 surgery? Minor surgery is surgery --

3 DR. STERN: Surgery on someone else.

4 DR. BIGBY: On someone else. Right.

5 No, I'm serious. This is actually serious. So
6 as a child or a parent, what is the definition
7 of mild psoriasis? It's psoriasis that somebody
8 else has.

9 DR. KATZ: To some extent. But we all
10 would agree with -- I think we could agree on
11 severe psoriasis involving 75 percent of the
12 body. And in general, something like 10 percent
13 is better not to have, but I don't think we'd
14 call it severe.

15 DR. THIERS: But I think it depends on
16 where it is, where that 10 percent is.

17 DR. DAUM: Do the dermatologists take
18 into account patient or parent anxiety when they
19 score this? I mean, it's not just -- it seems
20 to me we're just talking about skin, here, and
21 someone who can't go out on Saturday night
22 because their face is covered might be different

1 than someone who can.

2 DR. STERN: So that's the
3 impossibility, as Michael says, of a sensitive
4 and specific definition of severe. I should
5 have been, yesterday, seeing psoriasis patients
6 instead of being here. And I've done it for 35
7 years. And the answer is, as was talked about,
8 for some individuals, it's really a benefit-risk
9 question.

10 Because for some individuals,
11 relatively small, extensive body surface
12 area, sometimes even in non -- in areas that
13 most people don't see, can be really
14 life-impacting. For other individuals,
15 large, extensive psoriasis that go on to
16 exposed areas, are -- when you ask them about
17 it -- I often ask patients -- I deal mainly
18 with adults -- in fact, almost exclusively
19 with adults, expect for my psoriasis
20 patients -- but I ask adults, so, in the five
21 things that bother you most in your life
22 today, is psoriasis one of the top five? If

1 they say no, it's not one of the top five,
2 then I try to take systemic therapy off of
3 it. And then we have to go up there. Where
4 does it rank? Because it's really the impact
5 of the disease that will vary among
6 individuals -- and over time, within
7 individuals. That's why Michael's absolutely
8 right. There's no good definition.

9 However, when you look at these
10 pictures and you look at the PASIs, you can
11 say -- at least in adults, and I don't treat
12 enough children -- that many of those
13 individuals, as adults, in my practice in
14 Boston, would say yeah, I'd rather not have
15 it, but it's not such a big deal. It's
16 something I'd -- it wouldn't make the top
17 five in terms of concerns in their life.

18 DR. LEVIN: I'm not bothered by this
19 discussion. I think the message that gets sent
20 in labeling to prescribers is sort of guidance.

21 And if non-clinical -- I mean,
22 they're not non-clinical, but if a -- you

1 know, all these other sort of social,
2 emotional issues are part of how a clinician
3 evaluates a patient and sort of comes to some
4 conclusion about severity, so be it. The
5 message is that these should be patients that
6 you consider to be having a severe -- you
7 know, have a severe problem. And those are
8 the ones that -- you know, should be treated.

9 So the fact that there's no
10 magic -- you know, that we're not supplying
11 that means this number or that number, I
12 don't think is bothersome.

13 Again, it's sort of the principle
14 of the thing, that you're saying there are
15 unknown risks here, and when you use this
16 product, you should be treating a
17 severe -- you know, a patient who you
18 consider to be severe.

19 DR. KATZ: Why don't we leave the word
20 "moderate" out of it?

21 DR. BIGBY: Bruce, you want to make a
22 comment? And then I think we should put it to

1 the vote, and then have people sort of make a
2 statement about this.

3 DR. THIERS: Yes, yes. Yes. My
4 comment was just did we have the N on the number
5 of 4s and 5s.

6 DR. STRAHLMAN: While the slide is
7 coming up, could I make a comment?

8 DR. BIGBY: Yes.

9 DR. STRAHLMAN: Yes. I just -- in
10 framing it to the point that was made by FDA in
11 answering the question, since definitions of
12 moderate and severe vary greatly in terms of
13 patient assessment, which is really the business
14 that you're in when you're going to prescribe a
15 drug like this for children, I would just ask
16 the Committee to consider, before they would
17 consider separating moderate and severe, as to
18 what the label might look like, and
19 understanding the high likelihood of black boxes
20 and lots of other warnings.

21 To the point that was made
22 by -- especially some people in the public

1 forum, if we take "moderate" out, will this
2 be a problem for access and coverage?

3 So depending upon which way the
4 Committee decides to make a recommendation, I
5 would just ask that that consideration also
6 be in your thoughts.

7 DR. THIERS: I think what would
8 happen, just in my opinion, is that the
9 insurance companies would define "severe" for
10 us. They would say severe means 20 percent of
11 body surface area.

12 DR. STRAHLMAN: Exactly. If you don't
13 want that to happen, perhaps you might consider
14 how you want the label to look.

15 DR. LEVIN: Can I just --

16 DR. BIGBY:: Go ahead.

17 DR. LEVIN: With all due respect,
18 while I think that's a real issue, and certainly
19 one I'm concerned about, I don't really think
20 that's how we're supposed to make the decision,
21 as to what the effect of our decision is on the
22 decisions made by insurance companies. I mean,

1 at least it's never been in other panels I've
2 been on. And the whole issue of cost and
3 availability I think has been avoided by FDA
4 because it has no authority. Am I right?
5 That's not part of the equation.

6 DR. WALKER: That's not part of FDA's
7 authority. That's correct.

8 DR. BIGBY: Go ahead, Lynn.

9 DR. DRAKE: I agree. I mean, having
10 been sitting in Michael's chair, it is not
11 something that's usually considered. So you're
12 exactly right, Dr. Levin.

13 But I do want to agree with my
14 colleagues that a tiny amount of psoriasis in
15 the wrong place can be as devastating as a
16 whole mess of psoriasis in other places. If
17 you think about it, if it's on your hands,
18 and it can be debilitating. There are body
19 areas that really do impact. So I think it's
20 very tough to sort out moderate from severe.

21 DR. BIGBY: And the answer to the
22 question?

1 DR. SEVERINO: The answer to the
2 question on the Ns is that there were 36 in each
3 group.

4 DR. BIGBY: And the --

5 DR. SEVERINO: Etanercept and placebo
6 that were 4 or 5.

7 DR. BIGBY: And the significance?

8 DR. SEVERINO: We did not test
9 statistical significance for the subgroup
10 analyses, so I don't have that for you today.

11 DR. BIGBY: We'll put this question to
12 the vote. Do the benefits of etanercept therapy
13 in the treatment of children with moderate to
14 severe plaque psoriasis outweigh the risks?

15 Those that are voting yes, raise
16 your hand. These are the yeses.

17 Those voting no, raise your hand.

18 And abstentions?

19 So the tally is seven yes, five no,
20 one abstention.

21 We'll start with Dr. Shwayder.

22 DR. SHWAYDER: I always like it when

1 you start on the other side because I can hear
2 the thread of.

3 I voted no. It just worries me
4 someone with a tiny bit of psoriasis getting
5 etanercept, getting TB, and collapsing on me.
6 I don't think I'd be able to look myself in
7 the mirror.

8 DR. RINGEL: Eileen Ringel. I voted
9 no because I don't want us -- I don't want
10 people with moderate -- true moderate psoriasis,
11 whatever that is -- to be treated with this drug
12 until we have more information on it. I think
13 that the idea of basing our decision in order to
14 dissemble for the drug company is a really bad
15 precedent. I think we need to convince the drug
16 company to deal with the truth rather than to
17 fool ourselves, or to do an end run around it.
18 I think that's a real bad idea.

19 DR. HECKBERT: Susan Heckbert. I
20 voted no for the same reasons as Dr. Ringel, and
21 because I don't think the label should read "for
22 the treatment of moderate to severe plaque

1 psoriasis." Although I do acknowledge that
2 defining what "moderate" is and what "severe" is
3 is tricky.

4 DR. DRAKE: I abstained, because
5 again, knowing risk and benefits at this point
6 in the game are very difficult. And I would
7 probably be inclined to tell my patients I don't
8 know the risk and benefits, but here are the
9 worrisome things we'd have to follow out. I
10 don't think we have any absolutes on that
11 particular issue, and I think it's a separate
12 issue as to what one would recommend to your
13 patients in terms in treatment.

14 I mean, I could easily sit down and
15 tell my patient I just don't know. And
16 that's the way I feel right now. It's going
17 to need more information, but it's going to
18 take time to develop that.

19 DR. CRAWFORD: Stephanie Crawford.
20 Yes, because I believe the benefits will
21 outweigh the risks through appropriate and
22 conservative use that will be better defined

1 before -- I hope before ultimate approval. I'm
2 sorry, in a risk management plan.

3 DR. DAUM: I voted yes, and I --

4 DR. BIGBY: Name.

5 DR. DAUM: Sorry. I'm Robert Daum.

6 I voted yes because -- and I guess
7 I would hope that this was limited -- a drug
8 that received very narrow use, and I would
9 hope that it would be limited to severe
10 disease. I include in severe the skin
11 involvement and the patient's feeling about
12 the skin involvement, and I think it's going
13 to have to be left -- I would like to leave
14 it to physician judgment and parent judgment,
15 and child if they're old enough to assent
16 judgment, to decide what "severe" means.

17 I would certainly make it mandatory
18 to do a PPD before it was started. I would
19 certainly point out to the patient before it
20 started that there is a substantial risk that
21 the child will not respond to the therapy. I
22 would point out that of those that do

1 respond, that there appears to be almost like
2 a worsening -- a waning effect with time. I
3 would further point out that there's a lot of
4 things we don't know about the safety,
5 including the risk of severe infection,
6 including the risk of malignancy.

7 I still am persuaded that with the
8 data that we saw about effectiveness -- and I
9 guess, by some of the testimonials we heard
10 this morning, that there are a few, selected
11 patients -- I hope they'd very few -- that
12 would benefit from having this drug, receive
13 an indication while ongoing assessment is
14 occurring.

15 I'm very concerned about the safety
16 and the possibility of high use, but I think
17 in severe patients, I'd like to see it
18 available. So I voted yes.

19 DR. LEVIN: Arthur Levin. I voted no,
20 because I remain concerned about the tradeoff
21 between benefit and risks and the safety
22 concerns, because I really would like to send a

1 message in the labeling to prescribers that it
2 only be used in severe cases -- as broadly
3 defined, not by a number -- but in the
4 clinician's judgment and the patient's judgment.
5 That's what severity means to me.

6 DR. THIERS: Bruce Thiers. I voted
7 yes. I think the benefits do outweigh the
8 risks. I also considered what else is out there
9 for kids with bad psoriasis, and I think those
10 drugs, in my mind, are potentially more toxic
11 than etanercept. So that, to me, was part of
12 the equation.

13 I don't think this is going to have
14 widespread use. I think there are only a
15 selected number of children out there who are
16 real candidates for systemic therapy. And I
17 think my colleagues in dermatology will use
18 the drug wisely. So I think overall, the
19 benefits do outweigh the risks.

20 DR. BIGBY: Michael Bigby. I voted
21 no. Risk-benefit analysis involves assessment
22 of the disease, morbidity and mortality, as well

1 as the severity and efficacy of the drug; and I
2 think if you put all those things together, the
3 answer is no.

4 I do think that there will be sort
5 of an indication creep. And I think we'll be
6 surprised at the number of sort of mild to
7 moderate psoriasis children that get treated
8 with the drug.

9 DR. MAJUMDER: I'm Mary Majumder, and
10 I voted yes. I think, once again, there's
11 almost no distance between the yeses and the
12 noes. Nobody wants this to be used widely, and
13 nobody wants it never to be used in the
14 pediatric population no matter what. And so,
15 given all that, and the eloquent testimony we
16 heard earlier, I think conditions that we're
17 going to impose, or at least request or
18 recommend that FDA impose, I have to end up
19 favoring sort of the side that says -- you know,
20 do everything you can to be transparent, to warn
21 people about the problems that may exist, to
22 monitor, to study, to update, but allow some

1 discretion for physicians and patients and
2 parents to make ultimate risk-benefit
3 assessments in the individualized context.

4 DR. O'NEIL: Kathleen O'Neil. I voted
5 yes, and I have a somewhat unique perspective
6 because I have been prescribing this drug for
7 10 years for children with Juvenile Arthritis.
8 I have this discussion about risk and benefit
9 every time I prescribe it, and actually, every
10 time I renew the prescription, pretty much.

11 And I also know that the
12 marketplace, particularly the third-party
13 payers, are going to regulate its
14 distribution as well. And it will be
15 restricted to the more severe cases, at least
16 for the first 5 to 10 years. It is very
17 difficult to start a patient with severe
18 polyarticular Juvenile Arthritis on any drug
19 that is off-label, currently.

20 You can start it in -- you can
21 start etanercept now because it is now
22 labeled, but if you have someone with severe

1 disease who is under the age of two, you have
2 to get a written decree from God, which is
3 hard to get these days, at least in my life.

4 I think we have sufficient evidence
5 that there is a need. We have sufficient
6 evidence of efficacy. I think there are very
7 severe and very serious risks that need to be
8 monitored for and need to be discussed with
9 the patients. I would like to see the word
10 "severe" rather than "moderate to severe," I
11 think, because -- and leave that to the
12 discretion of the prescribing physician,
13 because severity, as we said, can be affected
14 by a number of factors.

15 DR. STERN: Rob Stern. I voted yes,
16 with extreme ambivalence. Basically, we should
17 be data-driven, and all the data comes from a
18 trial of moderate and severe, with no way of
19 retrospectively, for all the reasons we've said,
20 redefining these individuals. So to me, it was
21 really, do we have sufficient information to
22 label this drug if we do it right? And I must

1 say that once we've said this, if there was a
2 motion to limit the initial approval to severe,
3 I would vote for that in a Manhattan second, or
4 whatever the expression is.

5 SPEAKER: New York minute.

6 SPEAKER: New York second.

7 DR. KATZ: Robert Katz. I voted yes
8 because the drug has to be made available. It
9 is already available off-label. I think it was
10 very clearly stated by Dr. Eichenfield, or
11 admitted by him, that it would be just for a
12 small portion of the population. Efficacy was
13 clearly shown with both Global and PASI. It
14 wasn't the majority, as was mentioned, but it
15 was a good 35, 40 percent.

16 As far as risk-benefit, that's to
17 be determined, and that, we share with our
18 patients with everything that we prescribe,
19 not only in this disease, in everything.
20 It's risk-benefit. And that's for the
21 physician and the patient to make the
22 determination together.

1 I would also love "moderate" to be
2 out, and I retract my argument before,
3 defining it as percentage of body
4 involvement. But it has to be considered
5 severe by patient and doctor. So I would
6 omit that word "moderate."

7 DR. SHWAYDER: What was the final
8 tally?

9 DR. BIGBY: Seven yeses, five noes,
10 one abstention.

11 I think I'm going to go ahead and
12 call for a vote on Question 9. Should
13 etanercept be approved for the treatment of
14 moderate to severe psoriasis in children?

15 I think the vote will go pretty
16 much the same way as 8. All those voting
17 yes, raise your hands. Yeses. Those voting
18 no, raise your hand.

19 The final tally is -- there are no
20 abstentions. The final tally is eight yes,
21 five no, no abstentions.

22 We need to go around and just

1 identify yourself and your vote, starting
2 with Robert Katz. And what I would say about
3 comments is, if you -- I think we've said
4 most of what we want to have to say, so.

5 DR. KATZ: I agree.

6 Robert Katz. Yes.

7 DR. STERN: Rob Stern. Yes.

8 DR. O'NEIL: Kathleen O'Neil. Yes.

9 DR. MAJUMDER: Mary Majumder. Yes.

10 DR. BIGBY: Michael Bigby. No.

11 DR. THIERS: Bruce Thiers. Yes.

12 DR. LEVIN: Arthur Levin. No.

13 DR. DAUM: Robert Daum. Yes. And I
14 would like to see a straw vote, at least
15 informally, when we're done, about how many
16 would prefer the word "severe" over "moderate to
17 severe."

18 DR. BIGBY: Actually, we get to that
19 in 11, I think.

20 DR. CRAWFORD: Stephanie Crawford. I
21 voted no for that exact reason. The question is
22 moderate or severe, and I would just favor

1 severe.

2 DR. DRAKE: Lynn Drake. Yes.

3 DR. HECKBERT: Susan Heckbert. No.

4 DR. RINGEL: Eileen Ringel. No.

5 DR. SHWAYDER: Tor Shwayder. Yes.

6 DR. BIGBY: Susan, I think we really
7 have addressed these A and Bs. If you agree. I
8 mean --

9 DR. WALKER: Yes. I think, largely, I
10 think the age group, we -- I'd like you to
11 address that. And then in terms of risk
12 management plans, you can either address it
13 under B or later on. But I think you should
14 discuss that.

15 DR. BIGBY: In what age group should
16 etanercept be approved for use?

17 DR. STERN: Excuse me. Might -- with
18 all the discussion that's gone on, might it be
19 more efficient to first go to 11, because what
20 we advise for A and B might well vary with what
21 restrictions or not restrictions we put on it in
22 terms of the indication.

1 DR. BIGBY: That's fine. So let us
2 put to the vote. Is there a degree and severity
3 of psoriasis that should be set as a minimum for
4 study enrollment?

5 DR. WALKER: Yes, these questions are
6 in general about pediatric studies for
7 psoriasis. But you could certainly give these
8 same responses -- you know, to 9B, under the
9 second bullet. Particularly -- and gear them
10 towards this product, but remembering that there
11 are other products that will be interested in
12 this indication.

13 DR. STERN: There's also a way -- I
14 think there's been some talk both yesterday and
15 today of mandatory registration in prospective
16 trials. If you have to go -- in prospective
17 surveillance studies. And if you have to go
18 into a study to get the drug, and you have to
19 have a certain severity to go into a study;
20 therefore, you're really only approving it for
21 that indication -- if there's a feeling about
22 the need for more information from mandatory

1 enrollment and studies.

2 DR. CRAWFORD: Mr. Chair, I'd like to
3 actually ask our ethicist her comments. Study
4 participation is still voluntary, so I would
5 have a little trouble with mandatory study
6 enrollment.

7 DR. MAJUMDER: It's sort of core,
8 going back to Nuremberg, that the informed
9 consent of the subject is absolutely essential.
10 But there have been some compromises made over
11 time. And I'm still conflicted about this, but
12 I know with the multiple sclerosis drug, for
13 example, it is a mandatory registry. And I
14 guess the idea is that it's a sort of public,
15 community good, and you're getting the drug, but
16 that's kind of the trade-off -- we need more
17 information.

18 And also that patients generally, I
19 mean, I can -- this is what I've heard
20 outside this session and today -- have a very
21 strong interest, usually, in seeing that
22 information created.

1 So I know that there are people who
2 don't even think children should be allowed
3 to be enrolled in clinical trials because
4 they can't give informed consent, or at least
5 no non-therapeutic trials. I think that
6 the -- sort of where we've moved is still a
7 great deal of concern about coerced -- being
8 coerced into being that human subject. But
9 if you view it more on the public health
10 model, then there's some justification.

11 I don't know if others have
12 comments on that.

13 DR. BIGBY: I actually think that we
14 should actually go back to 9B and try to answer
15 this question. In what age group should
16 etanercept be approved for use? The sponsor has
17 suggested age 4 to 17. I guess a way to put
18 this is, is that age group acceptable to the
19 Panel?

20 And we can vote, make your comment
21 about alternatives -- if it is not. So how
22 many of you think that the proposed age

1 range, 4 to 17, should -- is acceptable?

2 Those voting yes, raise your hand.

3 Go ahead. What?

4 I'll vote yes. Those voting no,
5 raise your hand. And abstainers?

6 MS. WAPLES: One person missing?

7 Okay.

8 DR. BIGBY: Who's missing?

9 Can you repeat the vote? Those
10 voting yes, raise your hand. You're voting
11 yes on the age range here.

12 DR. O'NEIL: Age?

13 DR. BIGBY: Seventeen.

14 DR. O'NEIL: Yes.

15 DR. BIGBY: Those voting no, raise
16 your hand. And abstentions?

17 The tally was seven yes, zero noes,
18 six abstentions.

19 Dr. O'Neil?

20 DR. O'NEIL: Thank you. I think that
21 there's a tremendous dis-service we do to the
22 children of the world if we don't allow them

1 study as well as drug access. And I think it
2 has been shown to be as safe as the data now
3 allow; and we won't know more, as has been
4 pointed out, until it reaches the open market
5 for children with this indication.

6 Excuse me, I just ran.

7 DR. MAJUMDER: Mary Majumder. I
8 abstained.

9 DR. BIGBY: Michael Bigby. I voted
10 yes. I mean, if it is going to be used, the
11 range seems reasonable to me.

12 DR. THIERS: Bruce Thiers. I
13 abstained, because I didn't recall how many
14 children at the lower end of their range were
15 included in this study.

16 DR. LEVIN: I abstained for the same
17 reason, although I think I remember it wasn't a
18 very big number, so --

19 SPEAKER: Very few, yes.

20 DR. LEVIN: We didn't have much data.

21 DR. DAUM: I voted yes because I don't
22 know anything about use of the drug in children

1 under four. And as I stated with previous
2 votes, I think that there's sufficient data to
3 suggest that there's benefit to a very small,
4 select, severe group of patients 4 to 17.

5 DR. CRAWFORD: Stephanie Crawford. I
6 abstained because I also recall that the
7 elaboration of the numbers I believe from four
8 to eight was very small. So based on the data
9 available, I can't make a vote.

10 DR. DRAKE: Lynn Drake. I voted yes.
11 I think once we've decided to recommend approval
12 to the pediatric population, I think we should
13 leave it to the people who are treating those
14 children as to when they think it's appropriate
15 to put them on the drug. Once again, I'm
16 leaving it to the parents and the doctor and the
17 patient to make those tough decisions about when
18 to start.

19 DR. HECKBERT: Susan Heckbert. I
20 voted yes. I think getting adequate data on the
21 very young group is not going to happen, and we
22 really can't require it. So I felt this was a

1 reasonable range.

2 DR. RINGEL: Eileen Ringel. I
3 abstained. I thought the number of kids in the
4 lower-age group in the study was small. I think
5 the risk for those prepubescent children is
6 increased.

7 And I really wish that we could
8 vote on the moderate to severe issue so I can
9 stop abstaining, and voting in directions
10 that I really don't want to vote in.

11 DR. DAUM: I agree completely.

12 DR. SHWAYDER: Tor Shwayder. I voted
13 yes. Generally, I don't like age restrictions.
14 I like to use things whatever I think is
15 appropriate as a physician. However, psoriasis
16 in a kid between the ages of zero through four
17 has no impact on their own perspective, only on
18 the parents' perspective.

19 And therefore, I think -- you know,
20 before they go to kindergarten, that's fine;
21 we'll just do it after they go to
22 kindergarten.

1 DR. BIGBY: With regard to -- we can
2 actually add a question at the end. But the FDA
3 doesn't want us to sort of change the questions
4 that they've asked. This is in response to the
5 idea of changing the indication from moderate to
6 severe to just severe. Is this correct?

7 DR. WALKER: That's correct. But we
8 do hear your comments on the issue of the
9 indication. If anyone has more comments to
10 make, I'd certainly like them to put them in the
11 record.

12 DR. BIGBY: We'll go on to No. 10, if
13 nobody objects. Is labeling by itself an
14 adequate vehicle to educate physicians and
15 patients concerning the benefits and risks of
16 initiating a continuing treatment with
17 etanercept in pediatric patients?

18 If you think that labeling is
19 adequate, that is a yes vote. And how many
20 are voting yes? Yes? So that's two yeses.
21 And how many vote no? Can the yeses raise
22 your hand again? So there's three yeses.

1 The tally is three yes, nine
2 noes -- the yeses, raise your hand again.
3 All right. Four yeses. So the tally is four
4 yes and nine noes.

5 We'll start with Bob.

6 DR. KATZ: I assume the other
7 educational --

8 DR. BIGBY: Name.

9 DR. KATZ: Robert Katz. I voted yes
10 because that's how people are informed, by
11 labeling.

12 And the remainder goes to
13 information, medical education to physicians,
14 and physicians communicating with patients.

15 DR. STERN: I voted no because of the
16 extraordinary imbalance in information given
17 about this drug to the general public. And
18 until there is an end of direct-to-consumer
19 advertising for adult as well as children,
20 because parents see it as well as people in the
21 age group -- we have to have some kind of
22 counter-detailing and very strong information,

1 or we're going to have overuse of this drug
2 where risk will outweigh benefit.

3 DR. O'NEIL: Kathleen O'Neil. I voted
4 no because I want to be sure that the
5 educational mechanisms are sufficiently strong
6 for both prescribing physicians and for
7 families.

8 DR. MAJUMDER: I'm Mary Majumder. I
9 voted no because I believe a more-comprehensive
10 risk management plan is warranted, and the
11 sponsor is proposing to do more. And as I
12 understand it, FDA has a role in reviewing those
13 materials. And hopefully -- you know, they have
14 some leverage to make sure that, for example,
15 booklets that are distributed directly to
16 patients or parents are giving a complete and
17 balanced account that reflects sort of the
18 discussion here.

19 DR. BIGBY: Michael Bigby. I voted no
20 because I don't think labeling alone will
21 prevent indication creep for this drug.

22 DR. THIERS: Bruce Thiers. I voted

1 yes because labeling is the traditional way we
2 communicate benefits and risks. Although I do
3 agree with Dr. Stern's comments about
4 direct-to-consumer advertising.

5 DR. LEVIN: Arthur Levin. I voted no.
6 One, partially, because of the generic
7 literature that says that labeling isn't
8 terribly effective in communicating and guiding
9 physicians in their prescribing practices.

10 And two, I think -- as has been
11 said, even the sponsor thinks this product
12 requires a risk management program, including
13 a medication guide, and I think the more we
14 can do to make sure that the drug is used
15 appropriately out there in the community.

16 And that patients -- I mean,
17 labeling is very difficult to read. I don't
18 think patients and parents are going to get
19 much out of labeling.

20 So there's clearly a need for a lot
21 more. It's necessary, but not sufficient.

22 DR. DAUM: I voted no, and have

1 nothing to add to the comments that have been
2 made.

3 DR. CRAWFORD: Stephanie Crawford.
4 No, because -- my previous comments I think made
5 it clear, I think there needs to be a much
6 better-defined risk management plan.

7 DR. DRAKE: I voted no because
8 communications study data, and how people hear
9 and listen to things and then follow through,
10 you've got to tell them at least three times, in
11 three different ways, for it to take. So I
12 really think there needs to be a significant
13 effort in educating.

14 There will be a creep, and there
15 will be confusion about the difference
16 between pediatrics and adults, if they're
17 talking about generic psoriasis.

18 DR. HECKBERT: Susan Heckbert. I
19 voted no, for the reasons already given.

20 DR. RINGEL: Eileen Ringel. I voted
21 yes. The question is a vehicle to educate
22 physicians and patients, not should there be any

1 other monitoring. So I really restricted it to
2 that. I've noticed that once the label is made,
3 pharmaceutical companies are more than happy to
4 educate, and educate, and educate, because it's
5 basically a way to advertise their drug. They
6 almost over-educate. Sometimes I think I'd just
7 like -- just leave me alone.

8 But the other thing I do think
9 would be a good idea would be the medication
10 guide. And so, that's the only other thing I
11 could think of that they could really do to
12 educate, that they're not doing.

13 DR. SHWAYDER: Tor Shwayder. I voted
14 yes. Labeling should be enough. I don't want
15 to stack on another I PLEDGE-type thing where
16 you have to jump through a bunch of hoops to
17 prescribe something. We're intelligent enough
18 to read the data.

19 DR. BIGBY: I think there are four
20 people who have to leave right away. The FDA
21 would like you to go on record with your name
22 and just some suggestion about what sort of risk

1 management program you would recommend.

2 I'm not sure the four of you that
3 have to go. I know Robert Katz is one, so
4 why don't you start.

5 DR. KATZ: I just -- on No. 11, it
6 said, is there a degree of severity? Psoriasis
7 should be for severe. However, the physician
8 and patient defines that, not (inaudible)
9 moderate. And --

10 DR. BIGBY: Just in terms of a comment
11 about risk -- you know, like, what kind of risk
12 management you would recommend for the use of
13 etanercept in treating pediatric psoriasis.

14 DR. KATZ: You mean --

15 DR. BIGBY: Just a --

16 DR. KATZ: Follow-up --

17 DR. BIGBY: Just -- yes, a comment,
18 yes. Like once it's approved, what would you
19 like the company to do, or?

20 DR. KATZ: Very stringent
21 post-marketing follow-up, possibly short of
22 mandatory registration.

1 DR. BIGBY: So who else has to leave
2 right away? Eileen?

3 DR. RINGEL: Yes. Also limit it to
4 severe --

5 DR. BIGBY:: Name.

6 DR. RINGEL: Oh. Eileen Ringel.
7 Limit it to severe. And I would have to be here
8 for the discussion. I was confused, concerned,
9 whatever, by the drug -- by the pharmaceutical
10 company's saying that it would be extremely
11 difficult to do a registry because there's
12 already so many indications for it. I don't
13 know to what extent that holds water, and so I
14 would have to hear more about it.

15 So I'm going to just abstain.

16 DR. MAJUMDER: Mary Majumder. I don't
17 know that I can say that much, but I do think,
18 given what I've heard about how long it may take
19 for additional malignancies to show up, it does
20 need to be a real long-term study.

21 I don't know if this was the case
22 where it was five years, but I think

1 something beyond that seems appropriate,
2 although I'm not an expert in that area.

3 I just wanted to mention that
4 severity is also a concern of mine. I think
5 that's probably also on the record. But I
6 actually found, in one of the sponsor
7 presentations, the quotation from the
8 American Academy of Dermatology Consensus
9 Statement.

10 I'm not saying -- you know, put
11 that in the label, but I thought it was very
12 good at suggesting the different dimensions,
13 including type and locations, severity and
14 extent, response to previous therapies,
15 symptoms, including pain and itching, and
16 quality of life considerations, as the things
17 that you would want to look at.

18 And I'm sure in the dermatology
19 field, you all know that. But it just seemed
20 to be a nice summary.

21 DR. BIGBY: Dr. O'Neil? Oh, okay.

22 DR. CRAWFORD: Thank you,

1 Mr. Chairman. Stephanie Crawford. I would also
2 favor consideration of limiting it to however
3 the clinician, prescribing clinician, defines
4 severity -- as severe. On top of that,
5 post-marketing commitment to study the long-term
6 use in this population for this indication. And
7 just reasonable reconsideration of the
8 parameters for who would be enrolled in a safety
9 registry.

10 DR. THIERS: I assume you want the
11 people who have 2:00 vans to talk. Okay.

12 Bruce Thiers. I would agree with
13 what Dr. Katz said about just follow-up of
14 patients and -- putting together these
15 programs is very difficult. I don't think I
16 would go for mandatory registration. But I
17 would put it -- I would ask the FDA to put
18 together some kind of program where these
19 patients -- where there is some commitment on
20 the part of the company and the prescribing
21 physician to follow-up on these patients.

22 In terms of the indication, I'm

1 okay with limiting it to severe as long as we
2 make it clear that the physician and the
3 patient together determine whether the
4 psoriasis is severe; that there's no
5 quantitative way of measuring it.

6 DR. DRAKE: I'm -- excuse me, I'm
7 sorry.

8 DR. LEVIN: Excuse me.

9 DR. DRAKE: Mr. Chairman?

10 DR. LEVIN: If it's a point of
11 information about the 2:00 van thing --

12 DR. DRAKE: That was what I was going
13 to ask --

14 DR. LEVIN: Will the vans wait for us,
15 as long as our flights are okay?

16 MS. WAPLES: Yes.

17 DR. LEVIN: So it's based on flight
18 time, not van time.

19 MS. WAPLES: Yes.

20 DR. HECKBERT: So what was the answer
21 to that question?

22 DR. DRAKE: Well, Mr. Chairman, my

1 comments are very simple. I agree with Bruce.

2 Lynn Drake.

3 DR. LEVIN: And my comments are

4 simple. I agree with Bruce.

5 SPEAKER: The van's already left.

6 SPEAKER: They're going to be fine.

7 SPEAKER: Okay, fine. Thank you.

8 DR. BIGBY: Dr. Stern.

9 DR. STERN: I'm sorry. I didn't mean
10 to have it on.

11 DR. BIGBY: Oh --

12 DR. STERN: I think that the
13 clinically and regulatory way to approach this
14 is to approve it for severe, and to have, as we
15 talked about yesterday, mandatory registration
16 in a long-term safety study so we can see what
17 happens to these individuals.

18 As I talked about yesterday, this
19 is another -- this one, we know about. This
20 is a \$15,000 a year drug. This is
21 substantial resources.

22 It's not like putting someone in a

1 study of a drug that does not require
2 substantial social resources that does not
3 have likely substantial risk, and that it's
4 not worth taking the extra time to enroll
5 someone in a study if you're going to put
6 them on that in this age group.

7 So I think this only works as an
8 approval if the sponsor can come up with a
9 robust plan for making sure that every child
10 treated for psoriasis, we know about, and we
11 can get some follow-up information on them,
12 at least for as long as they're on therapy.

13 DR. O'NEIL: Kathleen O'Neil. And,
14 unfortunately, they couldn't put me on a plane
15 until tomorrow. So if anybody needs to talk
16 before me, that's cool.

17 I basically agree with what the
18 discussion has been all along, which is that
19 the more-severe form of psoriasis is where we
20 should start with the labeling.

21 I also think that the
22 post-marketing plan, as described scantily in

1 the information we got, looks appropriate.
2 And I guess the real answer is that the devil
3 is in the details. I am not certain that
4 it's going to be entirely feasible to do
5 mandatory post-marketing surveillance, but
6 I'm sure that the FDA can figure that one
7 out.

8 DR. DAUM: I'm also in the 2:00 van,
9 weighing in. I strongly favor the severe
10 option, if there is an option. The other thing
11 is -- and maybe Lisa or some other --

12 DR. BIGBY: Name.

13 DR. DAUM: I'm sorry. I can't learn
14 it. Robert Daum is my name.

15 Maybe Lisa or someone else from the
16 Agency could help me, but there are some
17 things in the Pediatric Advisory Committee
18 that we've sort of starred for that the
19 Committee wants to see this again.

20 And I wonder if this isn't one of
21 those things, where either this Committee or
22 the Pediatric Advisory Committee, or both,

1 have a built-in mechanism to get updates on
2 this issue, since we have these safety
3 concerns.

4 DR. MATHIS: I will address that,
5 actually. Because this was done in response to
6 Pediatric Research Equity Act required study,
7 then it will be followed up at the Pediatric
8 Advisory Committee one year after labeling,
9 regardless of outcome of study. So if the drug
10 gets labeled for use in the pediatric
11 population, it will have an annual review, at
12 least one annual review, with the Pediatric
13 Advisory Committee.

14 More reviews upon your request.

15 DR. DAUM: We would see the AERS
16 reports, for example, of that, and hear the
17 progress in enrolling in the safety study --

18 DR. MATHIS: I would anticipate that
19 would be the case.

20 DR. DRAKE: I know it'll go to the
21 Pediatric Committee, but I think, since
22 dermatologists will be probably the primary

1 prescribers in this arena, I'd like to request
2 that the FDA also include some dermatologists
3 when that comes before the Pediatric Committee,
4 just to make sure we're educated, too.

5 DR. WALKER: I think you make a good
6 point, and we will absolutely keep the
7 Dermatology, the DODAC, apprised.

8 DR. HECKBERT: Just to go ahead and
9 give my vote. I'm Susan Heckbert, and I would
10 vote that in any additional studies that might
11 be done, which is what 11 seems to be, that
12 psoriasis -- the people enrolled -- the children
13 enrolled should have severe psoriasis.

14 Also, I think that if this drug is
15 to be approved, the label should be for
16 severe pediatric psoriasis, not moderate or
17 severe.

18 And then finally, I agree with
19 Dr. Stern's suggestions regarding mandatory
20 registry of pediatric patients who receive
21 this drug, although I would appreciate some
22 discussion, if we have time, about this idea

1 that since the drug is already available,
2 that there would be some way of skirting
3 around this, and what the concerns are
4 regarding that.

5 DR. SHWAYDER: I agree with all that.
6 Nothing new to add.

7 MS. REESE: Excuse me, Dr. Bigby. May
8 I make a comment?

9 We would ask any members whose
10 flights are not before 4:00 p.m. to please
11 stay at the table. The FDA will need your
12 comment. We'll need you to stay. Thank you.

13 DR. BIGBY: Michael Bigby, and what I
14 would say about this is that I heartily agree
15 with Dr. Stern about the difficulty in sort of
16 having a distinction between direct advertising
17 to adults not having an effect on children. And
18 that the labeling is not going to be adequate.
19 I think if you're going to release this to
20 children, you need to re-address the issue of
21 direct advertising to adults.

22 And I don't think that you're going

1 to get mandatory registration. And I think
2 that the post-marketing surveillance is going
3 to be inadequate.

4 A question for Susan. Do you
5 really want us to discuss study design for
6 psoriasis trials in general?

7 DR. WALKER: Eleven, twelve, and
8 thirteen, if you have any brief comments at this
9 time, this is a chance to hear from the
10 Committee. But I'm sure we'll have wider
11 discussions on this topic going forward.

12 So I think we've really learned a
13 lot from the Committee, and really appreciate
14 the advice and comments we have received
15 today. And I think it's been a really
16 excellent discussion.

17 DR. BIGBY:: So does anybody have
18 burning comments about study design?

19 DR. HECKBERT: I just have a -- it
20 isn't about study design, it's about the
21 direct-to-consumer issue. I would second the
22 comment that if this drug is going to be

1 marketed to children, that there should be a
2 real consideration about whether
3 direct-to-consumer advertising to the general
4 population -- that is, for the adult indication,
5 is appropriate. So I'm not sure that it is.

6 DR. BIGBY: I have a note here
7 saying -- we can't do Dr. Katz -- but I have a
8 note here saying that we did not get Dr. Stern
9 to go on the record about 9B, the age.

10 You know, the --

11 DR. STERN: I believe I voted -- I
12 believe I abstained, because I thought that, as
13 I recalled, the data in the younger age groups
14 was so sparse that I really couldn't say
15 anything. But I think if it is going to be
16 approved, we have to do it for the entire age
17 group that has been studied. But it's really
18 very sparse data.

19 DR. BIGBY: So at that, I think we
20 will conclude our deliberations.

21 Thank you all very much.

22 DR. DRAKE: Michael, I just wanted to

1 add that I think -- I wanted to compliment you
2 as the Chairman. You did a really good job the
3 last two days. These have been some really
4 thorny issues, very difficult discussions, and I
5 just wanted to compliment you because I thought
6 you did a good job.

7 DR. BIGBY: I appreciate it.

8 DR. DRAKE: I want to thank the FDA
9 for giving us good prep. I mean, you guys
10 really came through with a lot of good stuff.

11 As did the sponsors, frankly. It
12 was a beautiful meeting.

13 (Whereupon, at approximately 2:19
14 p.m., the MEETING was adjourned.)

15 * * * * *

16

17

18

19

20

21

22