

1 And prior to the establishment of
2 the Chief Scientist position, NCTR was one of
3 three entities within the Office of Scientific
4 and Medical Programs. This is an acronym,
5 OSMP, that I had no idea about. And I never
6 knew it existed before.

7 And OSMP reported directly to the
8 Commissioner. This was headed by Dr. Janet
9 Woodcock who is now the new Director for the
10 Center for Drug Evaluation and Research.

11 As you have -- I wrote these before
12 I knew Dr. Torti was going to be here, that is
13 how fluid things are, so Commissioner von
14 Eschenbach announced his appointment April
15 9th. And this was alluded to, this position
16 was alluded to in the Science Board's
17 recommendation in FDA Science and Mission at
18 Risk.

19 Oh, and also as Dr. Torti said, his
20 position and his duties are described in the
21 legislative language of the Food and Drug
22 Administration Amendments Act.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Here we are going to go through and
2 take a look at the findings of the December
3 2007 review of NCTR and what we found. So the
4 December 2007 review will be -- this is the
5 Science Board Report that had previously been
6 presented.

7 The first finding had to do with
8 the location of NCTR. And in 2007, the
9 working group or the subcommittee mentioned
10 that geography or distance was an issue that
11 might have a detrimental effect on
12 communication between the agency, between the
13 FDA and NCTR.

14 We didn't find it to be an issue.
15 We found that communications could be
16 accomplished by improved IT, increased travel
17 budget, which looks like this is coming to
18 fruition, and including agency-wide meetings.

19 Second finding in 2000 dealt with
20 the prioritization of FDA-nominated compounds
21 for the National Toxicology Review Program.
22 And in 2000, NCTR had suggested or submitted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggestions to the subcommittee for
2 prioritization.

3 This issue of prioritization is a
4 recurring theme throughout our report. And I
5 think a recurring theme through FDA Science
6 and Mission at Risk. It is a complex process.

7 And the short period of time that we have to
8 interact with scientists at both FDA and NCTR,
9 we realized how extremely complex that it is.

10 As in any organization, there are
11 both formal and informal systems of
12 prioritization or for accomplishing any task
13 for that matter. Overall, what can we say, it
14 appears to be working. We don't understand
15 how but it appears to be working.

16 And the overall impression, this is
17 our impression as a subcommittee and I think
18 from people from NCTR and FDA, that a more
19 centralized process would be more efficient.
20 And certainly something that we have to
21 consider in an era of tight budgets.

22 Finding No. 3 were safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacology studies at NCTR. The 2007
2 subcommittee commented that this needs to be
3 expanded and there needs to be a priority-
4 setting process. We concur.

5 Finding Four, priority setting with
6 NCTR must be coordinated with product centers.

7 The 2007 report included NCTR's 2007/2011
8 strategic plan which addressed this issue.

9 We found that FDA product centers
10 are very supportive of the role that NCTR has
11 played in their regulatory missions. So both
12 sides of the organization, from what we could
13 gather, were very complimentary of each other.

14 Finding Five from 2007, NCTR needs
15 to be more supportive of product centers. We
16 found that, I suppose to the level that they
17 are able to support one another budgetarily,
18 that this actually takes place. And there
19 certainly is room for improvement. And
20 hopefully with appropriation adjustments,
21 this, in fact, will happen.

22 These are the 2007 recommendations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In your briefing documents, if you take a
2 look at Appendix G, you will find a much more
3 detailed description of these recommendations.

4 But the overall recommendations
5 were to enhance the incorporation of safety
6 pharmacology in NCTR's mission, priority
7 setting process to the National Institutes for
8 Environmental Health Sciences/National
9 Toxicology Program should be applied across
10 the FDA.

11 There is greater detail of this
12 process in our written comments and also in
13 the report. And a lot of the way that this
14 works, we found through our face-to-face
15 interviews with staff from both FDA and NCTR.

16 This is something that we heartily
17 agree with. NCTR is to be applauded for
18 collaborative research to support FDA needs.
19 And, as I said before, there is mutual
20 agreement between both organizations. I don't
21 know if I should be saying both organizations.
22 They are really the same organization but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 both parts of the organization, that it has
2 been a very good relationship.

3 Because NCTR has the expertise and
4 is able to focus on science and research, it
5 can focus on areas that are needed as far as
6 regulatory science goes in this day and age.
7 And one example that comes to mind are
8 biomarkers for toxicity. There are certainly
9 a lot of others but this is the one that came
10 to mind.

11 These are our recommendations from
12 our Advisory Committee. And I guess -- I
13 don't know if we should call it an Advisory
14 Committee or a Working Group or a
15 Subcommittee. The language gets a little
16 confusing, particularly to me who has only
17 been on the Science Board a relatively short
18 period of time.

19 But, again, these recommendations
20 are given in much greater detail in our
21 written report.

22 These are a couple of other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 observations. And there is positive evidence
2 that NCTR provides a valuable and integrated
3 resource for projects directly related to the
4 regulatory functions at the FDA product
5 centers.

6 Physical distance is not a barrier
7 to collaborations between NCTR and FDA product
8 centers. Our recommendations largely build on
9 and mirror FDA Science and Mission at Risk
10 recommendations. Among these are the creation
11 of a modern IT and communication system. This
12 has been discussed both by Dr. Torti and Dr.
13 von Eschenbach.

14 I think everybody agrees on this.
15 And so we are rapidly approaching the time
16 that we need to move forward.

17 Communication systems, we mentioned
18 the Science Forum, again we are extremely
19 pleased to see that that is back on the table.

20 We hope also or we do recommend that travel
21 budgets be increased for collaboration between
22 Jefferson, Arkansas and Rockville, Maryland,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and all of the other widely scattered
2 laboratories, field offices of the agency all
3 across the country. And I suppose now we have
4 to include the entire world in those travel
5 budgets.

6 Large worldwide corporations are
7 using IT to identify experts within their
8 organizations and identify colleagues with
9 special shared interests. We listed the name
10 of commercially-available software here. It
11 is called SourceCentral.

12 And, Jack, if I'm incorrect, this
13 is software that General Electric uses and
14 they seem to be a leader in this kind of
15 communication technology within the
16 organization. And I think 350,000 employees
17 all over the world and 50,000 collaborative
18 centers or special interest centers
19 communicate using this software.

20 This next item, some product
21 centers are developing databases of scientific
22 projects, this is not surprising. People who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are responsible day to day for getting the job
2 done will find solutions on their own without
3 top-down direction from the upper levels of
4 management.

5 And there is an FDA-wide database
6 that is under development. And we are told
7 that the official title of this database is
8 the FDA Research Database. We think that
9 these efforts should be encouraged and
10 adequately funded.

11 We think this is the only
12 reasonable direction to go that allows people
13 within an organization to find out what other
14 people in the organization are doing and
15 whether or not that they can share and
16 collaborate.

17 Science at the FDA needs an
18 effective, central structure. Again, I'd like
19 to say this has been a very fluid time with
20 what is going on. Some of these things are
21 already underway. If I am redundant, I
22 apologize for that. But I think overall that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the direction is positive that the agency is
2 taking.

3 And, again, these are from the
4 2007, largely 2007 recommendations. And this
5 is the creation -- one of our suggestions was
6 creation of an Executive Committee that
7 reports directly to the Commissioner. And
8 this would include product center leadership
9 and include individuals that are responsible
10 for food safety and drug safety.

11 We would like to see this group or
12 this Executive Committee have budgetary
13 authority over their Congressionally-
14 appropriated funds to be able to make those
15 kinds of allocation decisions that help
16 organizations move along and help projects
17 move forward.

18 And the Committee would also
19 provide overall direction for science within
20 the agency. Again, here we are trying to,
21 since Dr. Torti's job is so new, we are trying
22 to understand what the reporting structure is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like. But we would like to see the Chief
2 Scientist reporting directly to the
3 Commissioner.

4 And we would like to see the Chief
5 Scientist be Chair or Co-chair of this
6 Executive Committee with overall
7 accountability for prioritization within the
8 agency.

9 As mentioned earlier,
10 politicalization has contributed to a loss of
11 public confidence in that agency and I suppose
12 in other areas of Government. And I think
13 this is something that we need to be cognizant
14 of.

15 I think in the future what I would
16 like to see is the position of Chief Scientist
17 be filled from within the ranks of Senior
18 Scientists at the agency.

19 And at least one reason that I can
20 think of is it would be so helpful to have
21 experience at FDA and to know how the
22 organization actually works. It is enormously

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complex trying to look from the outside in to
2 see how things are accomplished within the
3 agency.

4 Let's see. We also had a
5 recommendation in FDA Science and Mission at
6 Risk for Deputy Directors for Science created
7 within each product center. And these
8 individuals -- these would be experienced
9 individuals and these would be individuals
10 with a proven track record of being able to
11 lead scientific projects.

12 They would have the responsibility
13 for organizing and managing science within
14 product centers. And people in these
15 positions would represent the individual
16 product centers on the Executive Committee.

17 Just to try and kind of wrap up
18 overall, I think these are things that we all
19 know. We have been talking about them for
20 some time. I think we largely understand the
21 solutions and what are needed.

22 Again from the 2007 report, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need for a centralized process for
2 prioritization and allocation of resources.
3 And most importantly -- or not most
4 importantly because the FDA and NCTR have
5 certainly been fulfilling their missions to
6 the best of their ability with limited
7 funding.

8 But adequate funding from Congress
9 and I think, to a certain extent, that there
10 are at least a few people on Capitol Hill who
11 are waking up to this situation. And
12 hopefully the momentum will carry.

13 And I think this may be one of the
14 Science Board's responsibilities if not
15 somebody with the FDA is to help ensure that
16 this momentum does continue until we get the
17 budgetary status of the FDA back at a level
18 where it can actually fulfill its mission as
19 originally intended by Congress over I suppose
20 the last 100 years is the way it has evolved.

21 Much more detail in our written
22 report. It is complex and there is some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detail in it. But I would like to end at this
2 point and field any questions that I can. And
3 I'm sure that Jack would be willing to help me
4 out in some of these areas, particularly using
5 IT to communicate within large scientific-
6 based organizations.

7 And I thank you for your attention.

8 DR. McNEIL: Well, thank you very
9 much, Larry and Jack. I wonder if I could
10 just make one suggestion in terms of
11 structuring the discussion here.

12 It seems to me that there are two
13 lines of thought in your very nice
14 presentation. One relates to recommendations
15 that are quite specific to the NCTR, which
16 fits in nicely with your charge. And the
17 others are recommendations that go much beyond
18 the NCTR and effect the agency more generally.

19 So I would like to divide the
20 discussion into two parts. Let's do the first
21 part first. And have very specific questions
22 related to the recommendations with regard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 only to the NCTR per se.

2 DR. SASICH: Could I just make a
3 brief comment? It is hard for me to kind of
4 envision them as being separate. And that
5 whatever is done to NCTR effects the rest of
6 the organization and vice versa.

7 DR. McNEIL: No, I understand that.
8 But within the NCTR, you have got a couple of
9 very specific things --

10 DR. SASICH: Okay.

11 DR. McNEIL: -- that didn't apply
12 to any other center.

13 DR. SASICH: Okay.

14 DR. McNEIL: That's what I'd like
15 to comment on.

16 DR. PHILBERT: As a rookie, I feel
17 free to ask the naive question. On your slide
18 of observations, the possible negative effect
19 on prioritization process, which
20 prioritization process are you referring to?
21 And do you have examples of --

22 DR. SASICH: Oh, yes, I mean we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go back a long way. And at the risk of
2 starting a mild firestorm, I would cite the
3 National Center for Complementary and
4 Alternative Medicine, which was really a piece
5 of special interest legislation that diverted
6 resources and funds away from the National
7 Institutes of Health. That is one.

8 The Medication Guide for Accutane,
9 for example, this involved the unfortunate
10 death by suicide of a Congressman. I am a
11 strong supporter of medication guides or
12 required written information that be
13 distributed with drugs with each new and
14 refilled prescriptions.

15 It was basically a good idea -- I'm
16 sorry, go ahead.

17 DR. PHILBERT: So these aren't
18 specific to NCTR?

19 DR. SASICH: Well, we did have --
20 and there is a bit more detail in the written
21 report, there appears to be a program that is
22 underway right now at NCTR that doesn't appear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to us that it actually went through the
2 prioritization process. And it is a program
3 that may require significant economic
4 resources.

5 DR. McNEIL: Could I just expand on
6 that a little bit? So I was intrigued by that
7 particular comment in the report as well.

8 So are you saying that there are a
9 number of priorities that the NCTR itself
10 would like to develop but then they get kind
11 of side -- put on the side because of a
12 Congressional request that they do something
13 else? Or that somebody requests that they do
14 something else? Was that the bottom line
15 there?

16 DR. SASICH: Well, in a sense, I
17 think they probably have done the
18 prioritization process. Then they get a
19 legislative mandate to do something. And you
20 can do one of two things. You can ignore it
21 or you can do it.

22 The time that I can remember that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something that was ignored, not within NCTR
2 but with --

3 DR. McNEIL: Stick with NCTR if we
4 can because I think we're going to lose our
5 thread.

6 DR. SASICH: Oh, okay. I mean the
7 politics impinges upon the whole scientific
8 process across all federal agencies. And, you
9 know, I wish I had more NCTR examples or more
10 FDA examples. It is something that I think is
11 worthwhile exploring.

12 But if something happens in CDC
13 where a political decisions impacts the
14 prioritization process, then it is also
15 possible that this could happen within FDA or
16 within NCTR.

17 DR. McNEIL: And you have data to
18 show that it has happened?

19 DR. SASICH: Well, what we have is
20 we have news reports and people writing about
21 it. Nobody has systematically looked at this.
22 I think it would be great to do. And I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know how you would actually do it for a lot of
2 these smaller projects.

3 What was a Congressman's purpose in
4 pushing for a specific piece of legislation?
5 Was it in the public interest? Or was it in
6 the interest of a small number of constituents
7 in his or her Congressional district?

8 DR. WOTEKI: My question has to do
9 with reflecting on the Commissioner's opening
10 comments where he talked about the
11 modernization of the FDA laboratories plural
12 as being a priority. And then he specifically
13 made reference to NCTR as being the
14 developmental science incubator complementing
15 the applied science that would be done within
16 the centers.

17 And reading through this committee
18 report, I guess my question to you and to Jack
19 is did the committee actually wrestle with the
20 NCTR role? It is specific about priority
21 setting. It is specific about mechanisms of
22 reporting and that type of thing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But more generally, did you reflect
2 on that role? And with respect to the
3 Commissioner's comments this morning with the
4 vision, how do you see your recommendations
5 essentially fitting with that vision or not?

6 DR. SASICH: Jack, did you want to
7 comment?

8 DR. LINEHAN: Thank you. But I
9 have limited comments to make about that
10 aspect of it because I missed the site visit.

11 I was on medical leave at the time so I
12 didn't visit NCTR. So I wasn't privy to the
13 conversations at the moment.

14 DR. SASICH: Well, what I would
15 say, I guess what we were trying to
16 communicate within the recommendations is that
17 NCTR is focused on science in its broadest
18 sense. Each of the FDA product centers has
19 its own unique set of responsibilities.

20 And what we were trying to
21 recommend that a method or a process where the
22 uniqueness of each product center could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 utilize the resources at NCTR, both physical
2 resources and intellectual resources.

3 DR. CASSELL: Again, I apologize
4 that I missed the opening but Cathy referred
5 to the fact that the Commissioner called NCTR
6 perhaps an incubator.

7 DR. WOTEKI: Actually that was my
8 interpretation of what he said. But that was
9 the concept.

10 DR. CASSELL: Well, it triggered my
11 question and that is that in the report that
12 we issued in December, we recommended the
13 establishment of an incubator for emerging
14 sciences. This seems to be an idea that a
15 number of people on The Hill are very
16 supportive of.

17 And I wondered if maybe Frank you
18 could comment on whether or not that is the
19 concept for NCTR? And I wonder about the
20 science power that is currently there in terms
21 of monitoring the emerging sciences, you know,
22 whether or not one would really be able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 envision, with its other responsibilities,
2 that NCTR could take on this role.

3 So could we -- Barbara, is that
4 something we could ask now? Or would you
5 rather table it?

6 DR. TORTI: I'm glad to reflect on
7 it. So there are a number of things that we
8 wanted to get started sort of Day One to sort
9 of engage the idea that we need to be looking
10 ahead preemptively to where the science is
11 coming from.

12 Those include the putting together
13 of a team of people whose specific job it
14 would be to do so, to look at cross-center
15 issues, to look at new science, to connect
16 science within the centers, and also their job
17 description would be to connect to the science
18 on the outside.

19 I have also given the Board the job
20 of helping us do that as well. So I think
21 that's part of the issue.

22 But that is looking out toward

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where the science is coming from. Then there
2 is the broader issue of what science, and
3 again, and we can talk more about it, but I
4 began to address it, that we need to do in
5 house ourselves, where we need to have the
6 machines, the tools, the operations to
7 execute. And there are some that we do.

8 And there are many reasons why
9 there should be some that we do. And then
10 there are some where we have to say we don't
11 need to be able to do it in house. We want to
12 contract it out to academia, to whomever, to
13 approach these kind of issues.

14 And in that overall scheme, and
15 Bill may want to comment on it some more, the
16 NCTR is going to play a vital role. It
17 uniquely, I think, among the centers, does not
18 have this regulatory role. So it has the
19 opportunity to actually drill down on
20 scientific issues but drill down on those from
21 the overall vision and implementation plan for
22 the entire FDA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, I mean that is our vision. And
2 that's what we want to do. And the details of
3 accomplishing that we are going to be working
4 on. And we are going to start over the next
5 three months into how to execute that.

6 DR. SLIKKER: Thank you, Frank. I
7 think that one of the roles here is to bring
8 the necessary individuals to the table,
9 whether they be from industry, academics,
10 small biotech, or other government agencies to
11 deal with these particular kinds of issues.
12 That is one area where FDA in conjunction with
13 NCTR, I think, have been leaders.

14 And to go along with that, tackling
15 those kind of cross-cutting issues that deal
16 with all the different product line centers, I
17 think is a very important issue. One of those
18 that has been brought up is the idea of
19 nanotechnology and how in conjunction with
20 other government agencies and other academic
21 forces, NCTR can help the whole agency move
22 forward in the nanotechnology area, especially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when it comes to safety assessment.

2 And so those are areas where we
3 need the help from the Board to be able to
4 move that process forward in a very systematic
5 way to understand which partners would be good
6 to contact and interact with to move that
7 forward so that we enhance the safety of
8 products that FDA regulates.

9 DR. CASSELL: I actually must admit
10 I hadn't really kind of thought about this but
11 you have made a very important point. And
12 that is because NCTR doesn't have the
13 regulatory role, is it possible then that that
14 could be where you could house a lot of
15 interactions with the best in industry and the
16 best in academia to have this exchange?

17 I noticed that in the bio
18 organization's response to the Science Board
19 Report, they raised the question about IRIIS
20 that we had recommended. And said that there
21 were other initiatives. I think they were
22 thinking Reagan-Udall but I don't see this at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all interfering with Reagan-Udall but rather
2 complementing it because you need something
3 internally to be able to respond to what they
4 come up with and vice versa.

5 So I don't know kind of if this is
6 what you are thinking about, Bill, but I
7 really think -- I hadn't really thought about
8 it but this is pretty exciting if that is
9 true. And then you would not necessarily have
10 the conflicts of interest concerns that you
11 have with the other centers that do serve this
12 regulatory function.

13 So, in fact, would it be possible
14 that in NCTR that you could have this visiting
15 scientist program that we talked about, again
16 even having people from industry come to NCTR
17 and vice versa, again because you don't have
18 that regulatory mission? Do you know? I mean
19 is it allowable then to think this way?

20 DR. SLIKKER: Well, we have the
21 opportunity now to bring in scientists and
22 reviewers from other parts of FDA. And we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also travel there.

2 But we have developed a program, an
3 exchange program, just to do that very thing.

4 And that is to provide additional training
5 and experience for reviewers and other
6 scientists within the other centers of FDA to
7 visit NCTR. And we also go there to learn and
8 to present information.

9 So that exchange is already set up.

10 We also exchange with other agencies across
11 the U.S. and do have a tremendous number of
12 individuals that come in for sabbaticals and
13 short periods of time to interact with us.

14 So, yes, those programs have been
15 set up and are moving forward. But they could
16 be expanded. And I think that is what we are
17 talking about now, what Frank was mentioning
18 in terms of expanding these opportunities.

19 DR. CASSELL: And what about
20 industry also? Visiting scientists from
21 industry, this would also be a reasonable
22 thing?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SLIKKER: Well, the opportunity
2 for guest workers to come in is certainly very
3 possible. And we have done guest working
4 relationships with various groups over the
5 years. And it is fairly straightforward to
6 set that up and have that occur.

7 DR. CASSELL: So I think this also
8 emphasizes another point and that is that one
9 of the concerns about the visiting scientist
10 program or even the fellowship programs is the
11 expense of having to live in Washington,
12 especially when you are talking about mid-
13 career scientists. I don't know how attractive
14 Pine Bluff would be but it seems that that
15 would also help to alleviate some of the
16 otherwise expenses that one would have to have
17 this kind of visiting program.

18 So I'm excited about this. This
19 sounds like it is something that we could
20 really act on.

21 DR. SLIKKER: One advantage that we
22 have is that we do have a small number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 onsite housing for those individuals in
2 transition who are going to come in for just a
3 week or two. And that also allows those who
4 stay longer to transition into other
5 properties.

6 There is plenty of space for people
7 in Arkansas so it is not any problem in having
8 that happen.

9 DR. CASSELL: Well, but so you
10 might also then think about leveraging it with
11 the University of Arkansas in terms of
12 graduate program or more formal postdoctoral
13 training programs where they could perhaps
14 even get NIH moneys or CDC monies in this
15 regard.

16 DR. LINEHAN: Thank you. Again, I
17 didn't visit the NCTR so I really can't
18 comment on the details of what is happening
19 there scientifically speaking. But I don't
20 want to ignore OSEL. Now that is a very
21 substantial organization with the FDA that has
22 a tremendous amount of capabilities in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physics and material science areas.

2 And when you hear words like nano
3 floating around, it is not exactly sure what
4 everybody is thinking of except that they are
5 very small things. And so I think that one
6 wouldn't want to ignore the physical sciences
7 along with the biological sciences in that
8 type of a collaboration.

9 As a matter of fact, when I was
10 thinking, as I was hearing the talks, and I
11 was going through my mind the list of people
12 that I know that are in universities that are
13 very solidly in this field and making big
14 contributions, most of them are either
15 engineers or chemists.

16 DR. McNEIL: Other comments on the
17 first set of recommendations?

18 If not, then I'd like us to chat a
19 bit about the second set of recommendations,
20 which start on page seven of the handout here,
21 that go beyond, in many ways, the specific
22 charge of the review. And ask if you have any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments about those.

2 And then I have an operational
3 question after that. So comments?

4 DR. LINEHAN: I just might add
5 about -- and Larry has already mentioned this
6 but Allen, from his industry perspective, has
7 had experience with large organizations for
8 which one needed to prioritize various
9 activities related to the processes, the
10 scientific investigations and so forth.

11 So I think he had a particular
12 interest in seeing that type of a
13 recommendation made that would help prioritize
14 science within the context of the agency.

15 DR. McNEIL: Actually, the things I
16 was referring to in particular, Jack, related
17 to the creation of an Executive Committee, the
18 Deputy Director for Science within each
19 product center.

20 Those specific activities seem
21 fairly broad for this particular committee.
22 And I wonder how the rest of the committee --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they were discussed on the slide.

2 And I'm just asking operationally
3 how we want to proceed on them because they
4 actually seem to me to be issues that relate
5 to the whole Science Board and its review of
6 all of the centers rather than a couple of
7 Science Board members looking at one
8 particular center.

9 So I think it is really one of how
10 do we deal with these operationally.

11 DR. CASSELL: I promise I won't
12 bring this up again. I would just reemphasize
13 -- I realize that there are a lot of changes
14 to be made and constant pressure on the
15 agency.

16 But I think NCTR may be a perfect
17 example where if there were a standing Board
18 and a person from that reporting, you know, or
19 serving as a liaison back to, you know, this
20 body, which is normal for most of the other
21 agencies in terms of the link back, I just
22 think that there would be just enormous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advantages on an ongoing basis to have that
2 kind of opportunity to educate an external
3 body but also to get I guess -- I don't want
4 to -- this sounds really self-serving and I
5 don't mean it to be -- but people can help in
6 terms of leveraging the resources and people
7 and ideas. And you just don't get that in a
8 one off situation or a very periodic exchange.

9 So I just am making a plea, Barbara
10 --

11 DR. McNEIL: But that doesn't
12 relate to their recommendations does it? You
13 know it goes back to your comments earlier?

14 DR. CASSELL: Well, okay maybe I'm
15 confused but I thought that was what the
16 recommendations on seven really were about.
17 Maybe I have misinterpreted it.

18 DR. McNEIL: I thought they talked
19 about an Executive Committee. Well, Larry,
20 help us. I thought creation of an Executive
21 Committee with Deputy Directors for Science
22 within each product center cut across the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whole agency.

2 DR. CASSELL: That is what I
3 thought as well. So are you thinking it was
4 just for NCTR that there would be an Executive
5 Committee?

6 DR. McNEIL: No, I was thinking it
7 was definitely across the whole agency.

8 DR. CASSELL: Same here.

9 DR. McNEIL: And, therefore, my
10 question was is it reasonable for a committee
11 that is looking at only one center to make a
12 recommendation that cuts across the whole
13 agency.

14 DR. CASSELL: Oh, okay.

15 DR. McNEIL: That is my question.

16 DR. SASICH: Well, I suppose we
17 couldn't separate NCTR from the rest of the
18 agency. So how would you have any kind of
19 meaningful communication or prioritization
20 process if you created a whole structure only
21 for NCTR since NCTR has to interface with all
22 product centers and other areas within the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agency?

2 These are kind of methodologic or
3 organization kind of recommendations that we
4 put down. And certainly it is only a
5 recommendation. But I think that is the basis
6 -- that was the basis for the recommendation.

7 If one of the goals, and I think it
8 is a goal, is integration of the needs of the
9 agency, then I think that any solutions that
10 involve NCTR have to involve the entire
11 agency.

12 DR. KING: So this one, Barbara,
13 since you opened it up a little broader --

14 DR. McNEIL: Well, I'm just reading
15 the slide.

16 DR. KING: Yes. And so I think
17 this pertains probably to Chief of Science and
18 probably to Bill's responsibilities. We have
19 talked a lot about, you know, the inculcation
20 of science and the importance of it. And we
21 all agree with that.

22 My question is, you know, have you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thought about building innovation? And how do
2 you drive innovation, which is not just
3 science? So we can have good science, which
4 we need and all believe in, but innovation is
5 more about doing things differently, maybe
6 being more creative. And it is a part of, you
7 know, change in organizations.

8 And so I just wondered if you had
9 thought about that? It wasn't mentioned but
10 it really goes along with science and driving
11 innovation. So I didn't know if you or Bill
12 had thought about that or, as you move ahead
13 beyond 100 days, if that might be something
14 you would think about.

15 DR. TORTI: So innovation is on our
16 plate. And we have thought about it some.
17 And the discussion, and there is a science to
18 innovation as well and how one engenders
19 innovation, particularly in a large
20 organization.

21 And what is disruptive innovation
22 and what is evolutionary innovation? And how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those events sort of impact. And, you know,
2 the Christensen model may not be entirely
3 applicable to the FDA but some of the
4 unfortunate events in the FDA actually
5 generate the potential for this kind of
6 disruptive innovation and change.

7 So we would like very much to bring
8 in people who can guide us as to how to do
9 that and how to think about that. And there
10 are people whose expertise in those areas
11 would be welcome. And is something that we
12 didn't enumerate but we have talked about and
13 would like to generate.

14 Because in some ways, that is part
15 of the issue -- it is not the same but it is
16 another facet of the issue of looking ahead to
17 where the science is. And there are sort of
18 innovations in structure and organization.
19 Then there are innovations in science. And
20 both of those are important.

21 So thanks for sort of highlighting
22 that. And we will address that and come back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to you. And think about that some more as
2 well.

3 DR. SASICH: Just a brief comment
4 on Lonnie's question. When Frank was giving
5 his presentation and his four freedoms,
6 innovation, the fourth freedom struck me as
7 where innovation is developed. And that is
8 the freedom to think.

9 And I think, you know, in the
10 broadest scientific sense that, to me, that is
11 where there is freedom to think. And freedom
12 to say what you want to say. And hopefully to
13 have the budget to pursue your interests. And
14 I think that is the way that science is always
15 innovated.

16 DR. McNEIL: Along these lines,
17 when the Science Board expands, it seems to me
18 that there should be definitely somebody with
19 a lot of cutting edge knowledge in information
20 technology and knowledge management.

21 I mean it is not necessarily
22 somebody you would normally think of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appointing but, in fact, we have a couple of
2 excellent ones on our review committee, Drs.
3 Nordenberg and Kim. And actually they bring
4 two completely different perspectives of
5 information technology and knowledge
6 management.

7 So maybe even two people given that
8 this is one of the top priorities across the
9 agency might not be unreasonable for a term on
10 the Science Board.

11 Comments? Yes, Larry?

12 DR. KESSLER: There is a slide here
13 that suggests that the new Chief Scientist
14 would be accountable for prioritization of
15 science at the Commissioner's level. So from
16 the center's perspective, I have to say we
17 disagree a little bit.

18 Coordination at the agency level is
19 something that should be aspired to and the
20 Scientific Directors of the various centers
21 recognize that we probably could do a better
22 job of coordinating. There is no question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about it. And to be called to task for that
2 would be appropriate.

3 But to suggest the agency could
4 prioritize within my center when we have
5 trouble distinguishing sometimes between the
6 day-to-day science we need to do and things
7 that we do in an anticipatory fashion for
8 which we could use help and assistance.

9 But prioritization might suggest
10 that the agency says well, we will do this
11 project for the Center for Drugs this year.
12 And the guys for that medicine and CDRH can
13 take a backseat, that kind of prioritization
14 could be destructive.

15 So we really hope that you could
16 change that to coordination.

17 DR. SASICH: Okay. Your point is
18 well taken. And we see the need for each
19 individual center to be able to prioritize its
20 own projects.

21 But the problem, and I guess what
22 we were thinking of and maybe this is because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of our lack of in-depth understanding about
2 the way that the agency operates, but there
3 would become a point in time when you wanted
4 to do something that required money and can
5 somebody say to you well, no, we don't have
6 the money. We're going to give that to CDER
7 because they have to do something.

8 Or CDER is going to contract with
9 NCTR and at this point in time, Devices can't.

10 And I guess this was what was in the back of
11 our mind.

12 DR. McNEIL: Gail, I need to ask
13 you a question here because I am getting
14 increasingly concerned in part -- increased by
15 Larry's recent comment -- about the
16 recommendations on the slides on page seven.

17 Now it is my understanding that the
18 Science Board's large report that we did in
19 December did not recommend an Executive
20 Committee with Co-Chairs with the Executive
21 Committee and Deputy Directors of Science
22 within each center. And having them all roll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up to an Executive Committee. Is that
2 correct?

3 DR. SASICH: No, it didn't.

4 DR. McNEIL: Turn on your mic,
5 Gail, please.

6 DR. CASSELL: The idea was that
7 there would be a Board of Advisors, external
8 advisors to each center. There would be a
9 Scientific Chief within each center that would
10 work with this Board, along with the center
11 Director that would, you know, be involved in
12 responding, if you will, to the prioritization
13 and proposals.

14 And then that there would be a
15 committee, whether it be that there would be a
16 liaison -- or that the Chair of each of those
17 Board of External Advisors would become an ex
18 officio member of the Science Board or whether
19 or not there would be an actual committee
20 composed of the Chairs would be another idea.
21 It is another, I guess, layer.

22 But functionally, it might even be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more functional and productive than just, you
2 know, coming here to this bigger group. So I
3 certainly wouldn't envision -- or didn't think
4 that we ever talked about there being an
5 Executive Committee within each center if that
6 is the question.

7 DR. McNEIL: Well, I think this is
8 an Executive Committee within the FDA as a
9 whole --

10 DR. CASSELL: Right.

11 DR. McNEIL: -- which includes
12 product leadership from each of the centers.
13 I think here is the operational question on
14 the table right now.

15 It is clear that there was a very
16 clear recommendation regarding better
17 prioritization that related to the NCTR
18 itself. That is crystal clear.

19 And it is also clear in the report
20 and in the slides that this new subcommittee
21 when it reviewed the NCTR decided differently
22 from our original report that distance was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an important factor. And that we should take
2 that off the table. So were two very clear
3 cut conclusions that related to this review
4 committee's work.

5 Then there is -- and we can make
6 our mind -- actually what we have to do is at
7 the end of this particular discussion, which
8 is going to be soon, we have to accept,
9 revise, or reject the report. Those are our
10 three options. And we have to so put that
11 notice in the official record.

12 So we have those very clear
13 recommendations relating to the NCTR itself.

14 Then we have these others that go,
15 as Larry said, that he feels are -- or his
16 group felt are integral to the success of the
17 NCTR but go beyond the NCTR in terms of
18 establishing a new organizational structure
19 within the FDA which is, in essence, what this
20 is doing.

21 The question in my mind is are we
22 prepared -- is this group prepared to vote on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a new organizational structure within the FDA?

2 DR. PARKINSON: I'm not.

3 DR. McNEIL: Okay.

4 DR. PEÑA: Well, the vote that
5 would take place would be whether to accept,
6 to revise, or to --

7 DR. McNEIL: I understand.

8 DR. PEÑA: And then any
9 recommendations coming from the report would
10 be submitted to the agency for further
11 deliberation. The vote on changing the
12 structure is advisory. And the agency would
13 recognize that as such.

14 DR. CASSELL: And I think is what
15 happened on December 3rd with the other
16 report, the Science Board, as a whole,
17 unanimously agreed to accept those
18 recommendations. And it is only advisory. I
19 mean right? But that part is done.

20 And I really haven't heard anything
21 differently from Larry in terms of what you
22 are saying deviating from what our larger

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations were.

2 The only thing we requested, I
3 believe, in the report was that there be a
4 more in-depth look at NCTR because of this
5 issue or potential concern about distance
6 being a problem, prioritization being a
7 problem, and how well integrated NCTR was into
8 the rest of the agency.

9 I think -- Bill, refresh my memory
10 -- but you appointed a person early last fall
11 that would come and be here whose job it would
12 be to be sure that there was this liaison and
13 better integration, you know, with the
14 centers.

15 And so some things have already
16 changed, I believe.

17 DR. McNEIL: But this is different.

18 DR. CASSELL: Okay. Well, then I'm
19 totally confused.

20 DR. McNEIL: Well, maybe I'm wrong.
21 It is different.

22 DR. PARKINSON: I move we accept

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the recommendations that are specific to NCTR.

2 DR. McNEIL: And which -- I think
3 you need to define.

4 DR. PARKINSON: The first two. You
5 were the one who enumerated them eloquently.

6 DR. McNEIL: Okay. Is there a
7 second?

8 DR. KING: Second.

9 DR. McNEIL: Second, Lonnie.

10 Is there further discussion? It is
11 getting a little confusing here but when I
12 started the discussion, I said I thought that
13 this report had two components. One was NCTR
14 specific and one went beyond NCTR in terms of
15 suggesting organization changes within the
16 FDA.

17 DR. PARKINSON: And my motion
18 relates to the NCTR specific.

19 DR. McNEIL: Yes?

20 DR. PHILBERT: Again, naive
21 question.

22 DR. McNEIL: That's good. Naive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 today is good because this is confusing.

2 DR. PHILBERT: What do we do with
3 the report? It was my impression that we were
4 voting on the report as a whole.

5 And that we either recommend we
6 accept the report or revise it to focus on
7 NCTR-specific recommendations. Or reject it
8 out of hand, which I don't think is very
9 useful.

10 DR. McNEIL: Okay, Carlos and
11 Norris, you are on.

12 DR. PEÑA: Well, one possibility is
13 to acknowledge in the record that you agree
14 and unanimously support the recommendations
15 regarding the NCTR-specific advice.

16 The greater recommendations about
17 the organization as a whole can be addressed
18 to the agency. And the agency can respond at
19 the next Science Board meeting regarding those
20 greater changes since it also relates to the
21 December meeting we had previously.

22 So with that understanding, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could move forward with the report with that
2 clarification for the agency to review more in
3 detail and discussion at the next meeting.

4 DR. McNEIL: So I could repeat
5 that? I'm sorry. Jack first.

6 DR. LINEHAN: I'm sorry. Just a
7 point of clarification. Instead of going with
8 what is on the slide, maybe we ought to look a
9 little bit at the report. And if you look at
10 -- this is Tab C and if you look at page nine,
11 their recommendations are elaborated there, I
12 think, and so we know what we are really
13 actually talking about.

14 And I think what you are saying is
15 that Recommendations One and Two seem -- page
16 nine -- the page numbers, I believe, are at
17 the bottom -- and I think what we are talking
18 about are Recommendations One and Two which
19 talks about NCTR specific. And that is in
20 relationship to the budgets and distance and
21 so forth.

22 And then Recommendation No. 3 goes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on to talk about the prioritization of
2 products and the collaborative sharing of
3 technical expertise among a large number of
4 customers or clients in large organizations
5 being accomplished in many ways in the private
6 sector. And then it goes on to say that the
7 subcommittee recommends the creation of an
8 executive team.

9 So I think it is Recommendations
10 Three and on are separated from One and Two,
11 just to be clear.

12 DR. McNEIL: That is exactly right.
13 That is exactly right.

14 DR. LINEHAN: Okay.

15 DR. McNEIL: Well, may I make a
16 suggestion then and you see if you buy it,
17 that we accept this report with comments that
18 go as follows. That we endorse
19 Recommendations One and Two as seen on pages
20 whatever they are in the text because they
21 specifically relate to the NCTR.

22 And that we would like further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion on Recommendations and whatever
2 they are because they are not then numbered.
3 But there is further discussion that starts on
4 page ten. So we would want further discussion
5 about the pros and cons of the comments from
6 ten on.

7 Yes, Rhona?

8 DR. LINEHAN: Three is the whole
9 rest of the report.

10 DR. McNEIL: Oh, is that -- well,
11 okay. So it is Recommendation -- yes, the
12 numbering is a little confusing. Okay.

13 Yes, Rhona and then Gail.

14 DR. APPLEBAUM: I just have a real
15 quick question then. If the charge to the
16 subcommittee could be raised and delineated
17 for everyone and if three and higher fall
18 outside of that charge, that is very easy for
19 me in terms of that becomes supplementary
20 information and we will consider it at our
21 convenience. And it is for FDA's, you know --

22 DR. McNEIL: Well, in essence, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that is probably what you would be
2 doing.

3 Gail?

4 DR. CASSELL: I guess that is okay.

5 But again it seems like now we are reversing
6 the decision that was made on December 3rd.

7 DR. McNEIL: But this is -- no, no.

8 DR. PARKINSON: We're not doing
9 that. We are not -- we are just taking under
10 advisement the comments which are outside the
11 charge to that specific subcommittee because I
12 think it all goes into further discussion.

13 But I haven't seen anything that I
14 want to change related to the December report
15 at all.

16 DR. McNEIL: I think what we were
17 saying is that Recommendation No. 3 seems to
18 go beyond the charge to this particular
19 subcommittee. That is the issue.

20 It is not that we don't like what
21 we wrote in December or that we don't think
22 that there should be some organizational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion. The changes that derive from
2 extensive discussions but rather that this
3 particular recommendation is beyond the
4 charge. And since it is beyond the charge, it
5 probably needs a lot more discussion by the
6 Board as a whole and by the staff at the FDA.

7 So what then -- I think we said we
8 were going to do, if we all agree, or you have
9 to vote on this, is accept the report with
10 Recommendations One and Two. And send to the
11 staff the comment that we believe that
12 Recommendation Three is beyond the charge for
13 this particular committee and, therefore, is
14 not being --

15 DR. SASICH: Do we say it is for
16 just for informational purposes?

17 DR. McNEIL: -- accepted, it is not
18 being accepted.

19 DR. SASICH: Do we say it is for
20 informational purposes of the FDA?

21 DR. McNEIL: It is for
22 informational purposes, yes, that would be --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we can say that. It is for informational
2 purposes and will not be accepted as part of
3 this report. Is that okay? If that language,
4 if you've got that, can we have -- does
5 everybody agree with that? Can we have a
6 vote?

7 All in favor?

8 I don't vote actually.

9 DR. PEÑA: If it is -- everyone
10 should probably vote. If it is not unanimous,
11 we'll have to go down the line and read the
12 votes of each individual.

13 DR. McNEIL: Okay.

14 So the question is do we accept the
15 report and Recommendations One and Two with
16 the note that Recommendation Three is for
17 informational purposes only and is not be
18 taken as a recommendation from the
19 subcommittee to the Science Board.

20 DR. PEÑA: No from the Science
21 Board to the agency.

22 DR. McNEIL: I'm sorry. From the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Science Board to the agency. Sorry. Sorry.
2 I'll get this lingo eventually.

3 So, yes?

4 DR. KING: So I would support that.

5 I think the only caveat to put in that
6 recommendation is that because we now have a
7 Chief of Science, that Number Three for
8 informational purposes needs to be rethought
9 because we have a Chief of Science now and for
10 further discussion.

11 DR. McNEIL: Good point. Good
12 point.

13 Cathy, you look perplexed.

14 DR. WOTEKI: Just a procedural
15 questions. I don't whether we are really
16 observing rules of order or not. But you have
17 a motion that was seconded that is on the
18 table. And this --

19 DR. McNEIL: Oh, we did. Right.

20 DR. WOTEKI: -- this is similar but
21 not identical. So I think you need to request
22 that the previous one be withdrawn.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PARKINSON: I withdraw my
2 motion in favor of the superior motion.

3 DR. McNEIL: I'm so happy. You
4 just made my day.

5 Okay, so we had -- so do we need to
6 second my superior motion? Okay, so we can we
7 have a vote?

8 All in favor of the motion that is
9 on the table? Oh, I'm sorry. Rhona?

10 DR. APPLEBAUM: Just to make sure
11 because reports have a tendency to be brought
12 to life at the most interesting times. I
13 think the report needs to reflect what we are
14 stating as such. And that it does not appear
15 to be a recommendation of the subcommittee.

16 DR. McNEIL: Yes, how do we do
17 that?

18 DR. PEÑA: Well, that's, I think,
19 is summarized here in this discussion. We can
20 put an addendum to the report on the web with
21 your approval of the language --

22 DR. McNEIL: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PEÑA: -- that we should be
2 using that reflects this vote.

3 DR. McNEIL: Okay.

4 DR. PEÑA: Okay.

5 DR. McNEIL: So are we ready to
6 vote? Any more -- Cathy, you look like --

7 DR. WOTEKI: Well, again, in
8 reflecting Rhona's point that she just made,
9 when Carlos originally laid out the options
10 that we have, one is to accept the report
11 entirely. The second is to revise the report.
12 And the third is to reject.

13 So for the purposes of clarity, I
14 think a better route to follow would be to ask
15 for the report to be revised along the lines
16 that you have outlined. So direct the
17 subcommittee to revise the report to reflect
18 Recommendations One and Two.

19 DR. McNEIL: All right. So I will
20 withdraw my superior motion in favor of your
21 more superior motion. Will that --

22 DR. SASICH: Just a question. Does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that mean that the information material that
2 is included under present three would be lost
3 from the report. Or would it remain there as
4 informational?

5 DR. McNEIL: Different title.

6 DR. SASICH: Okay.

7 DR. McNEIL: Okay.

8 DR. CASSELL: What happens to
9 number three because the way --

10 DR. McNEIL: It is a new heading.

11 DR. CASSELL: -- it is probably
12 because I lost a little sleep but it still
13 seems to me what we are saying to the world,
14 getting back to what was just said about
15 reports coming back to life, is that, again,
16 we are not supportive of this reorganization
17 or the structural changes that have been
18 discussed now by two different groups.

19 DR. PARKINSON: No, these are
20 different.

21 DR. McNEIL: These are different.

22 DR. PARKINSON: These are different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from what was discussed, all right?

2 DR. CASSELL: I don't think
3 substantially, no.

4 DR. PARKINSON: But it wasn't part
5 of this subcommittee. We haven't had any
6 discussion on it today. That is what I mean.

7 We had the discussion on a specific set of
8 proposals. Back in December we accepted
9 those.

10 I think we just leave it at that.
11 This gets too complicated because this is
12 outside of what we have even dealt with today.

13 DR. McNEIL: It is.

14 DR. PARKINSON: That is what I
15 mean. So we refer back to our previous
16 statements back in December. And I think that
17 is just the simpler way, Gail.

18 DR. CASSELL: Okay. I'm sorry I'm
19 being dense. I mean I really am being dense,
20 I know.

21 DR. PARKINSON: No, no. I think
22 clarity is very important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. McNEIL: Well, I guess the
2 question that you have raised and that Larry
3 just raised, is this material that is
4 Recommendation Three removed in the interests
5 of clarity? Or is it left there as background
6 information that the subcommittee thought
7 important?

8 DR. CASSELL: I respect all the
9 work and effort that the subcommittee put into
10 putting the thoughts down for us in great
11 detail. And so I would hate to lose that.

12 DR. McNEIL: Okay. So now
13 operationally, if the recommendation that is
14 on the table, the vote that is potentially
15 going to be taken momentarily is to revise the
16 report, this report will not be put up on the
17 web. Instead, it will be revised and put up
18 on the web. Is that correct?

19 DR. PEÑA: Well, the initial report
20 is already on the web as, you know, part of
21 our committee's --

22 DR. McNEIL: Oh, of course.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PEÑA: -- we post everything
2 that we send to you all. So a second report -
3 - we could title it revised based upon Science
4 Board discussions could be posted to reflect
5 these discussions here.

6 And there will be just a post-
7 meeting report available with the changes that
8 are specified by you all. And we would accept
9 that report from the Chair following the
10 meeting.

11 DR. McNEIL: Okay.

12 DR. PEÑA: Is that acceptable to
13 the Board?

14 DR. McNEIL: Everybody on Board
15 with this? All right. Let's just have a vote
16 with regard to this particular motion that we
17 request that this report be revised to reflect
18 our acceptance of Recommendations One and Two.

19 And that Recommendation Three be
20 now included in the report as background
21 informational material that the subcommittee
22 discussed at great length but was beyond their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific charge.

2 All in favor?

3 Unanimous, okay. Whew.

4 PARTICIPANT: Do you have the
5 strength to go on?

6 (Laughter.)

7 DR. McNEIL: David, are you strong?

8 DR. PARKINSON: We'll find out,
9 won't we?

10 DR. McNEIL: We'll find out. The
11 moment of truth.

12 DR. PARKINSON: Well, good morning.

13 This is the second subcommittee
14 that was charged in December. And our
15 specific charge was to look at the Office of
16 Regulatory Affairs, ORA.

17 First of all background to this
18 particular charge, it comes, of course, out of
19 the exercise that we have been talking about
20 so much this morning related to the report
21 that was accepted by this committee in
22 December. And since ORA had not really been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 examined in the original report, this
2 subcommittee was formed.

3 There are two members to the
4 subcommittee, myself and Lonnie King, who is
5 here today. And then we had two ad hoc
6 special experts, both of whom are former
7 members of the Science Board, Cato Laurencin,
8 currently at the University of Virginia but in
9 transit, apparently, to the University of
10 Connecticut. And then John Thomas. And I
11 would like to thank my subcommittee and the
12 special experts for their help with this
13 exercise.

14 Now, again, to go over the
15 particular process which we used, this was
16 somewhat daunting given the time, the number
17 of us, and the enormous mandate which will
18 become clearer as I go through the
19 presentation, that ORA faces.

20 But the focus of this was to take
21 the general findings and recommendations of
22 the Science Board and examine how much they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really related to ORA and to determine whether
2 there were specific aspects of ORA which might
3 relate to the Science Board recommendations.

4 And so in terms of approaching
5 trying to answer this charge, we had a face-
6 to-face meeting in February with Associate
7 Commissioner Glavin, who is here this morning,
8 and her staff, Carl Sciacchitano is here also
9 this morning. And that was extremely
10 information, I can tell you from my own
11 perspective. And the participation of the ORA
12 staff was much appreciated.

13 Secondly, we had a series of
14 teleconferences and I'd like to thank right
15 now Carlos and Norris for their help in
16 supporting those.

17 Additionally, we visited the -- I
18 think the correct wording here would be ORA
19 district offices and regional laboratories --
20 my fault in creating these slides -- but we
21 visited both the Cincinnati Forensic
22 Laboratory and the Irvine Regional Laboratory,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is focused on Food.

2 Very interesting and worthwhile
3 exercise to actually go and sit down with ORA
4 staff, both on the inspection side and on the
5 laboratory side. It was a very, very
6 interesting and important exercise.

7 Additionally, we were provided with
8 a serious amount of material to review
9 reflecting the scope of the ORA mandate and
10 reflecting the fact that ORA sits in a rather
11 unique position which is to interact with all
12 of the centers and to interact with a lot of
13 external other federal and state agencies as
14 well as with other regulatory agencies
15 worldwide.

16 It is a rather complicated world
17 that they exist in. And furthermore, there
18 are a series of recent federal mandates or
19 activities which relate directly to ORA's
20 activities of daily living.

21 I have listed a few of those there.

22 The Action Plan for Import Safety, the FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Strategic Plan, the Food Production Plan,
2 FDAMA would certainly be in there. It is very
3 complex and extremely interesting and
4 important to the public health world.

5 Now just a few comments on the
6 general findings and recommendations of the
7 Science Board, we've talked a lot about them
8 here this morning. I will not go over them.
9 But I've put these down just in the context of
10 the further discussions.

11 So it was acknowledged by the
12 Science Board that despite the many excellent
13 aspects of the agency, there were
14 deficiencies, which is why this exercise has
15 been going on related to qualitative and
16 quantitative aspects of the ability of the
17 agency scientifically to meet its emerging
18 regulatory responsibilities.

19 A lot of these relate to the
20 failure over the last couple of decades of
21 resourcing increases to reflect the increasing
22 scope and complexity of the mission.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And, in fact, one of the
2 difficulties in these kinds of assessments is
3 that in the setting of such resource
4 limitations, it actually becomes difficult to
5 look at what the impact of organizational
6 management actually is. So I'll get back to
7 that later.

8 But it is also clear in the Science
9 Board Report that there were issues beyond
10 resources that related to scientific
11 organizational structure, size and capability,
12 and anticipated changes in the needs of skill
13 sets in the future as well as what we have
14 talked about a lot, which is the informational
15 technology infrastructure.

16 So the management of all of this
17 was termed critical in the Science Board
18 Report. And I actually put down a phrase from
19 that report because I will get back to that in
20 the context of ORA, which is the call for a
21 phased approach based on a well thought out
22 plan for change.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So ORA is a unique beast. It is
2 the inspection and enforcement arm of the FDA.

3 And it has an extraordinarily broad mandate.

4 With that broad mandate come remarkable
5 challenges of technology, of management
6 challenges, and of communication challenges.

7 And additionally, that sort of
8 underlying complexity by the very nature of
9 what ORA is expected to do has been made
10 profoundly more difficult by the globalization
11 that Commissioner von Eschenbach referred to
12 this morning.

13 And the just enormous increase in
14 quantity and complexity of the workload faced
15 by ORA accompanied by what was well documented
16 in the Science Board Report of this increase
17 in legislative mandated responsibilities. And
18 I think, as we all share, an increase in
19 public expectation related to the public good.

20 Yet is it quite clear from the
21 material that we reviewed that both human and
22 budgetary resources in both relative and real

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 terms have been either static or actually, in
2 the case of human resources, decreasing over
3 the years despite this remarkable increase in
4 workload.

5 Our recommendations and our
6 findings and the process we went through were
7 greatly aided by the fact that ORA itself had
8 gone through a process of looking at itself in
9 what appears to have been a very transparent
10 and self critical way. And what also appears
11 to have been a process involving both internal
12 people and the external shareholders,
13 particularly FDA shareholders -- stakeholders
14 I guess is a better word than shareholders in
15 the context of the FDA, although who knows in
16 the future, and this process was quite an
17 intensive process that involved more than 100
18 staff working together over a period of three
19 months.

20 There was at least one major
21 facilitated meeting and a lot of smaller
22 meetings. And the examination of the current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 state of affairs of ORA, as described to us
2 and as we reviewed in the documents we were
3 given, really attempted to link ORA's sort of
4 self diagnosis, both current and predicted for
5 the future, with all of these important
6 mandates that I referred to previously.

7 And this report, which I highly
8 recommend to you, I don't know whether it is
9 available in your local bookstore but it is
10 probably available online -- it is called
11 Revitalizing ORA. It is extremely interesting
12 and informative. It was a report delivered to
13 the Commissioner in January of this year.

14 And characterized the need for
15 change at ORA in three different areas. One,
16 the working environment, which reflected the
17 effects of increasing globalization.

18 The second, particular workforce
19 issues which related to new technologies which
20 represented challenges for regulation and
21 enforcement. And then new technologies which
22 represented opportunities for more regulatory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 efficiency and accuracy.

2 And then finally, tool-related
3 issues, particularly IT and communications
4 infrastructures.

5 So this process that was used last
6 fall, October, November, or December, I think,
7 resulted in a series of close to 30 different
8 proposals. These were then prioritized.
9 Thirteen were chosen as being most critical to
10 the mission of ORA. And were chosen for
11 initial analysis, development, and
12 implementation.

13 And we have reviewed each of these
14 proposals. And what I've attempted to do a
15 little bit later in this talk and in the
16 report, which you have, is begin to link the
17 ORA self diagnosis with the Science Board
18 findings and the ORA business proposals for
19 change with the calls for action from the
20 Science Board because, in fact, these ORA
21 business proposals were developed in the
22 context of these new statutory mandates and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ORA's examination of what it needed to be and
2 how it needed to improve to better fulfill its
3 mission.

4 So we've attempted in this report
5 to make those linkages to determine whether
6 the pursuit of these business proposals that
7 are listed in the ORA Revitalization Report
8 would, in fact, go towards the kinds of
9 actions and change called for in the Science
10 Board Report of last December.

11 So as I mentioned, we also visited
12 a couple representative offices and
13 laboratories and had really very open and, I
14 believe, very transparent discussions with
15 very cooperative staff who took significant
16 time to meet with us.

17 And just a few findings because I
18 think they give you a sense of the
19 relationship to the general findings of the
20 Science Board Report.

21 On the human resource side, they
22 have been feeling the lack of necessary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resources for some time. But additionally,
2 it's more than just the number of people. It
3 relates to levels of possible career
4 advancement in the science career path. This
5 is something for you, Dr. Torti. And it
6 relates to relative levels in the regional
7 versus the central management of ORA.

8 There were issues in the
9 conversation that -- this is another example
10 to Gail's previous point of where probably
11 some focused or designated scientific
12 leadership within ORA that could, in fact,
13 coordinate with your developing office and
14 with the scientific offices or personnel in
15 the other centers, would be extremely useful.

16 And would, I think, be wonderful for the
17 morale of the individuals working in these
18 regional labs.

19 There were little things but I
20 mention them because I think it shows what
21 kinds of things this scientific coordination
22 could actually contribute to. And one is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 simple fact that the lab equipment is not
2 actually able to be linked to the underlying
3 enterprise software which is being developed
4 for the FDA.

5 And for those of us who have worked
6 in industry, the productivity gains and the
7 communication gains that can occur with this
8 kind of thing are enormous. So that is just
9 one example.

10 Difficulty in new equipment
11 procurement, incorporation of new technology,
12 you know a lot of these, again, it is hard to
13 relate in the context of such severe budgetary
14 resources and external demands. But should
15 these be, which we all are fighting for,
16 should these be alleviated, the resource
17 demands, then it is very important, I think,
18 that there be a concerted strategic plan to
19 incorporate new technology into ORA.

20 And that gets to the next point.
21 And really relates back to a discussion, I
22 think, in the context of Dr. Torti's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation and some of the other discussions
2 we had this morning which relate to regulatory
3 science and what is it.

4 And I will tell you from my
5 perspective, the more I look at this, the more
6 respect I have that there is something which
7 is a distinct field called regulatory science.

8 And amongst the characteristics of that field
9 is the use of analytical and endpoint tools.

10 And what seems to be absent, at
11 least in the context of the ORA discussions
12 but I know has been a major focus of critical
13 path discussions on the CDER and CBER sides
14 that I am actually more familiar with
15 historically, is the need to have processes to
16 validate and develop new tools, to validate
17 endpoints, to validate methodologies.

18 In the discussions, for example, in
19 the laboratories, you know, one looks at the
20 kinds of assays which are used to analyze some
21 of the foods, they are extremely old
22 techniques. And don't incorporate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so the issue is well, even if
2 you were able to get the new machinery, would
3 you be able to use it? And lots of times the
4 answer is no because, in fact, the nature of
5 ORA is that a lot of the work that is done has
6 to stand up to legal challenge because it
7 actually goes into the courts as part of their
8 enforcement activities.

9 So my questions back was well, you
10 know, what kind of concerted resource or
11 organization could be created to actually
12 begin to validate these kinds of methodologies
13 so that they would stand up in court? So that
14 newer technologies could be incorporated more
15 quickly, more efficiently, so that the
16 organization could then become more efficient
17 and more productive and more effective in
18 defending the public health.

19 So I put that down because I think
20 it is actually a really important issue that
21 is worth devoting resource to.

22 Additionally, because of budgetary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 constraints, it has been difficult, apparently
2 in recent years, to bring in external
3 consultancy and as much interaction.

4 This fits right off the kinds of
5 things you were talking about and I am sure
6 would be done if the resources were available.

7 So I put it down here because it is very
8 important. And it was a major focus of the
9 Science Board Report. And we would like to
10 reemphasize it in the context of ORA.

11 But let me say, you know, I have
12 been addressing issues that I think could
13 contribute to ORA improvement on the
14 scientific side but it was a pleasure, both in
15 Rockville and in my case, in Irvine,
16 California, to deal with ORA staff.

17 These people believe in what they
18 are doing. They are proud of the mission.
19 What came up time after time after time in all
20 the different kinds of people we talked to --
21 we talked to inspectors, we talked to lab
22 people, we talked to senior management people,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is the importance of extensive collaboration.

2 ORA could not do their business if
3 they only worked with their own facilities and
4 with their own people. So a lot of their
5 resource is actually dedicated to interacting
6 with state labs and other federal labs. It
7 was actually very impressive. And very, very
8 motivating actually.

9 The desire to innovate is clearly
10 there. I will get back to that. And there
11 was great enthusiasm even out in the regional
12 lab. Maybe not even, especially out in the
13 regional labs for the ORA revitalization
14 activity.

15 But it was also clear, as we heard
16 in our discussions, there had been an attempt
17 last year to do some organizational
18 restructuring within ORA and for reasons that
19 I have no insight into, that did not happen.
20 And that is exactly the kind of thing that you
21 don't want to see happen when an organization
22 attempts to change itself and when that, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whatever reason, doesn't occur.

2 So training, by the way, was
3 mentioned by people in the district office and
4 regional labs as being the panoply of
5 available training programs in the FDA was
6 thought to be excellent. The difficulty was
7 actually getting time to take the programs
8 because of the resource constraints.

9 So findings, the ORA mission is a
10 big mission, important mission, a lot of
11 expectations of that mission. We talked about
12 resources and it is quite clear that even if
13 new resources come in, there needs to be a
14 concerted attempt at business process
15 improvement.

16 And nobody recognizes that better
17 than does ORA itself. Hence the business
18 process activity I talked about. And frankly
19 those of us on the subcommittee and the
20 advisors feel that that Revitalization Report
21 represents an excellent beginning to the kinds
22 of change processes that were described in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Science Board Report.

2 They are not fully realized. That
3 is acknowledged in the report. It was done
4 relatively quickly.

5 That was a three-month activity
6 that was completed before the Science Board
7 Report. So it was not reactive. It was
8 proactive relative to the Science Board
9 Report. So you get full marks for that, Dr.
10 Glavin.

11 We felt it represented a valid
12 outline for business process improvement and
13 we'll talk a little bit about recommendations
14 for how to actually help make those things
15 happen.

16 So recommendations, first of all,
17 we support the revitalization activity in
18 broad stroke, realizing it is not completely
19 formed. But it does represent a level of
20 prioritization from the organization.

21 There needs to be unambiguous FDA
22 leadership support for that kind of change.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We are expressing our general support for what
2 we believe is a real discipline, which is
3 regulatory science. Hold you head high kind
4 of thing.

5 Recognition that capacity is
6 important. It is necessary. But it is not
7 everything.

8 And then what this following
9 recommends, and I will not go into it in
10 detail, but we specifically went through each
11 of the Science Board recommendations and then
12 attempted to link them back to the
13 revitalization prioritized business process
14 activities. And essentially they are all
15 covered in one place or another in that ORA
16 Revitalization Report.

17 So if, in fact, the process did go
18 forward, if, in fact, it did result in
19 positive change, it would go a long way
20 towards meeting the Science Board
21 recommendations. And this, of course, as I
22 reflect back to you, is an ORA generated,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 together with their other centers, activity.

2 So conclusions, important activity
3 that ORA does, the Science Board
4 recommendations are relevant to ORA. There
5 are additionally unique characteristics and
6 challenges to ORA from its broad mission.

7 The Revitalization Report, we
8 believe, is a good blueprint for change. And
9 we recommend to the Science Board that FDA
10 leadership be encouraged by the Science Board
11 to resource and to support the implementation
12 of these prioritized revitalization
13 activities.

14 And we would very much be
15 interested in hearing back at regular
16 activities, which appear to be even more
17 regular in the future with four meetings a
18 year, on the progress of this in case we can
19 actually help or advise on that.

20 So with that, I'll close. And I'm
21 certainly open to questions.

22 DR. McNEIL: Thank you very much,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 David. Are there questions? Gail?

2 DR. CASSELL: Larry, thank you for
3 a great job, number one, and your committee.
4 But also the clarity and the intensity of
5 which you have reported the outcome.

6 I have a couple of questions, and I
7 guess the one that is gnawing at me the most,
8 is really what you have said about the need
9 and what the Revitalization Plan says about
10 the need for new tools and the appropriate
11 tools and the scientific expertise.

12 And I just can't, you know,
13 emphasize that enough, myself, and I worry a
14 heck of a lot about it especially after having
15 seen and heard a lot about the heparin
16 contamination because it plays right to the
17 point, I believe, of what you are saying.

18 Well, I won't name the institution.
19 I was there to give a presentation about
20 mentoring, of all things.

21 But one of the young post docs had
22 actually seen that I was associated with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Science Board and mentioned that they didn't
2 understand why, in fact, using the most
3 rudimentary NMR technology that one wouldn't
4 have known there was a contaminant in the
5 heparin.

6 Not which specific contaminant but
7 that it was not pure. And then went on to
8 send me a number of publications that kind of
9 documented that someone should be able to pick
10 that up.

11 And so it gets back to, you know, I
12 think having the cutting edge technology in
13 ORA. And it seems to me that out of all of
14 the areas that we have looked at, this is
15 where it is needed the most. And maybe where
16 you have the biggest gaps.

17 And I guess in thinking through all
18 of this, it is not clear to me how ORA relates
19 back to the center. And so if you have
20 research going on in the center, let's say
21 CFSAN, that comes out with new methods that
22 they feel would be important to be implemented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 by ORA in their role, does that happen? How
2 does it happen? Who decides whether or not it
3 will be adopted by ORA?

4 And I'm sure it is just because I
5 don't understand, you know, and know a lot
6 about ORA but along those lines, one of the
7 most impressive things that I heard during our
8 review was from Jesse Goodman's staff that
9 said that they actually have someone, if I
10 understood correctly, Jesse, for example,
11 someone from the research side go along with
12 the inspection teams in many cases to bring
13 the science right there to the site of the
14 review.

15 And maybe I misunderstood but that
16 was the image that I came away with. And when
17 I look at the Revitalization Plan on page 33,
18 one of the goals is to increase risk-based
19 compliance and enforcement activities,
20 inspecting the highest risk registered blood
21 banks, source plasma operations, and biologics
22 manufacturing establishments that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conducting -- and by conducting human tissue
2 inspections to enforce the new regulations.

3 Also one of the things we heard
4 from CBER staff was that the number of
5 products that they are being asked to review
6 that deal with human tissue has been
7 increasing inordinately but yet not the staff
8 to kind of do the types of reviews that they
9 felt that they should be doing.

10 So I guess I'm saying way too much
11 but I'd like to know maybe if it is possible
12 that Jesse you could say how does your group,
13 your center and the work being done there
14 relate to the work of ORA and back and forth.

15 DR. GOODMAN: Well, and I would
16 welcome Maggie adding to this, too. But we
17 have had a very close and I think positive
18 relationship with ORA.

19 And the concept that we have for
20 many, not all, of our products -- time doesn't
21 allow complete explanation of all of this --
22 is that actually in the pre-licensure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inspections, actually the center does those
2 inspection itself. And that includes our own
3 manufacturing experts and laboratory
4 scientists, et cetera.

5 In the post-licensure --

6 DR. CASSELL: And is that true for
7 other centers?

8 DR. GOODMAN: No, no. So this is
9 recognizing the criticality of biologics
10 manufacturing, the scientific demands and some
11 of the unusual aspects for the --

12 DR. CASSELL: But do you think that
13 it should be true for other centers as well,
14 like CDER?

15 DR. GOODMAN: Yes.

16 DR. CASSELL: I realize the
17 uniqueness of the biologics but still --

18 DR. GOODMAN: Well, I think there
19 are two issues there. Let me finish and then
20 I'll get to that.

21 But what I was going to say, for
22 our post-licensure, we have an organization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 called Team Biologics which consists of both
2 ORA inspectors, who are specialized in this
3 area and have had specialized training and
4 expertise, along with our people, who may
5 include laboratory-based people who go on
6 these inspections or else they are available
7 24 hours a day by telephone to answer
8 questions.

9 So that is sort of the team model
10 between the center and, in fact, for the more
11 complex pharmaceutical inspections, and Doug
12 or Maggie can comment on this, that model is
13 actually being looked at and considered now.
14 One of the challenges is very much resources,
15 the large number of facilities. And as ORA
16 has said, targeting those in risk-based
17 manner.

18 That has been extremely helpful
19 because, for example, it has helped in doing
20 risk assessment. So if an inspector observes
21 something, they have the ability to either
22 have a manufacturing -- let's say it is about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccine against Virus X, there may be a person
2 there who knows the virology, knows the cell
3 culture, who are available on the phone who
4 can actually relate an observation and say
5 whether that is consistent with the intent of
6 the product and the safety of the product.

7 So I think it speaks to the need to
8 integrate science into the entire cycle of the
9 regulatory process. I think it has been a
10 good model although we are constantly working
11 to upgrade and improve that model as well.

12 On the tissues, et cetera, your
13 observations are correct. Again, we work
14 closely together. But that is not an area
15 where our folks actually go with the ORA
16 inspectors. But we work closely with them.
17 We do joint training.

18 And as I said, it has been a very
19 positive relationship, certainly limited by
20 resource limitations. But it does build
21 science into the process.

22 I don't know if, Maggie, you want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to add anything.

2 DR. CASSELL: And, Maggie, could
3 you comment on the ORA and CFSAN, say for
4 example, the types of relationships by
5 comparison.

6 DR. GLAVIN: Yes, first of all, I
7 think it is important for the Board to
8 understand that ORA's funding is by product.
9 We get funded for food work. We get funded
10 for -- so, right -- and the overwhelming
11 majority of our funding comes for food work.
12 So that is number one.

13 My second comment is that --

14 DR. CASSELL: Wait, could you just
15 explain that a little bit more? When you say
16 the funding is by product --

17 DR. GLAVIN: That is how it is
18 appropriated.

19 DR. CASSELL: How it is
20 appropriated but you are saying you have more
21 for food than you do for -- is that because of
22 -- why is that? Sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GLAVIN: I know, I'm just
2 trying to understand rationale here, if there
3 is any.

4 DR. GLAVIN: Well, I'm not really
5 sure other than, you know, that has been the
6 way the funding has come over the years. And
7 traditionally, most of the funding comes
8 through the food programs. So, you know, I
9 don't know what the rationale behind it is.
10 I'm sorry.

11 DR. WOTEKI: The number of
12 facilities perhaps?

13 DR. GLAVIN: Well, certainly the
14 number of facilities is much larger in the
15 foods area. So that, you know, I can come up
16 with but I don't know what the actual -- where
17 that started.

18 But my second point that I think is
19 important to put on the table, and it is very
20 much within the report that has just been
21 given, ORA's model has been that our
22 inspection employees are generalists. And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they are able to do a wide range of things.

2 And that has recently -- well, not
3 even recently, but over the past ten years
4 begun to shift for obvious reasons. And so
5 Team Biologics is a really good example of
6 where we have got people who are able to do
7 biologics inspections. And we don't use them
8 on other things.

9 And we have the same thing, we have
10 a pharmaceutical inspector, which is an effort
11 that was put together between us and the
12 center a number of years ago.

13 It is not completely in place in
14 terms of numbers but it, again, is an attempt
15 to address the fact that the kinds of
16 inspections we are doing are much more
17 complex, the products are more complex, and
18 the processes are more complex. So that is
19 going on.

20 And that is very important in
21 looking at what areas of expertise -- and it
22 is something I am going to bring up this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 afternoon when I have an opportunity -- what
2 areas of expertise do you see, as a Board, are
3 the first ones we ought to start trying to get
4 that we don't have, both in our laboratories
5 and in our inspectorates because we know
6 things are changing and, you know, we can make
7 some good guesses but I would really like some
8 input there.

9 DR. CASSELL: So, Larry, is that
10 something that the -- I'm sorry, David, is
11 that something -- oh, sorry.

12 I was only going to ask David, I'm
13 sorry.

14 DR. PARKINSON: That's okay. I've
15 been called many things.

16 (Laughter.)

17 DR. CASSELL: I really probably
18 already used Larry to begin with. I'm sorry.

19 But based on what Maggie said about
20 gaps in expertise, I actually had written that
21 in my margin, too. Did you identify these?
22 And if not, is that something that maybe yet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 another group with even different areas of
2 expertise might try to help with?

3 DR. PARKINSON: Well, I think ORA
4 has gone a long way to identify some of those
5 gaps in expertise. In the business proposals,
6 for example, is a long description of the more
7 specialty inspectors. There are many, many --
8 I mean this was a graduate -- I ought to get
9 some sort of degree for reading all this
10 stuff.

11 And, Lonnie, I don't know how you
12 feel because the risk management program is
13 extremely interesting. If you go back --
14 there is no possible way they could actually
15 assay everything that enters the United
16 States. That is beyond any possible
17 conception when you see the volumes that are
18 related.

19 So what you see in the business
20 proposals are the attempt to begin to develop
21 a foreign presence to begin to start
22 certification programs for foreign producers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for doing risk management profiles so that you
2 can prioritize what exactly it is you look at.

3 And then selectively examining stuff that
4 actually does reach the United States.

5 And then, you know, as I mentioned
6 earlier, there are huge opportunities for
7 improved productivity and efficiency using new
8 technologies should those be validated to
9 stand up in court, which is Carl's issue, I
10 think, that he has to deal with. He can't
11 stop something that is not going to stand up
12 to legal support.

13 So there is a much greater level of
14 detail in many, many other areas that are in
15 these business processes which, you know,
16 really are well thought out. They are not
17 complete, as you acknowledge, because it
18 represents a first phase of all of this.

19 And I guess the conclusion we came
20 to is that we couldn't possibly deal with all
21 the areas of complexity that ORA has to deal
22 with. What we could do is, with our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conversations, with our own reviews, attempt
2 to match the Science Board general
3 recommendations with the ORA specific, much
4 more specific recommendations.

5 And I didn't find any huge gaps in
6 my own analysis. The topics are pretty much
7 all covered with at least a broad outline.

8 DR. KING: So I was really
9 impressed as well for what ORA had done in
10 terms of planning, and thinking, and being
11 futuristic. And so my compliments along with
12 David in terms of our finding.

13 And so there are a couple of things
14 that are implicit, I think, in David's report,
15 in our report and in the conclusions. One of
16 them, Maggie, you just talked about in this
17 idea of changing capacity in the organization.

18 And it has been brought to my
19 attention that there actually is a process in
20 place now in public health, you know, it is
21 called capacity indexing where you actually
22 look at the ability then for every person you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 replace as a way of revitalizing the
2 organization. It is just not replacing a job
3 or having somebody do what they have done in
4 the past.

5 But it is a whole opportunity on
6 revitalization. So it really does look into
7 the future. And your hiring is based on that.
8 You might look at that.

9 The second point is the idea of
10 execution and implementation, that getting
11 with an organization that is transforming in
12 its fourth year and not getting far. You know
13 I don't know, we didn't talk about this in the
14 review.

15 But the idea of having
16 organizational development people, that it is
17 very hard on people, you know, to do this
18 change and managing change that is uneven and
19 without having kind of embedded in the
20 organization some organizational development
21 to make sure that you really think about
22 people as you lead them through this and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just the skill of implementation.

2 And I think it used to be this this
3 thought that this was something that you just
4 passed down the line for field managers to do.

5 It is really a leadership function. And
6 these major transformation kinds of activities
7 aren't going to get done unless it is a skill
8 of leadership itself.

9 There is a great book by a guy
10 named Larry Bossidy now that talks about
11 execution and why 80 percent of
12 transformations fail. And it is basically not
13 because of good ideas, it is how you put those
14 in place.

15 So I think that would help in what
16 I think is a very well thought out plan and my
17 compliments.

18 DR. PARKINSON: Yes, I would also
19 emphasize Lonnie's comment because in the
20 industry where we are changing all the time,
21 whether we need to or not sometimes, it is
22 very common to bring in internal change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 experts not because the people internally are
2 not completely competent but because you want
3 some external experts who are used to the
4 sociological, the cultural issues with change.

5 But also who are neutral third party people
6 you can blame when the whole process is over.

7 It is extremely useful and it is
8 routinely done. And probably is a very good
9 investment. It is much more likely to make
10 the change actually succeed.

11 But I think you have begun to
12 recognize that because that external meeting
13 that you set up seems to have been set up by
14 an external body of people who are used to
15 doing this kind of thing. So I would just
16 absolutely agree with Lonnie on that.

17 DR. TORTI: Lest anyone walk away
18 with the idea that Gail's post-docs' comments
19 were in any way comparable to truth, I can tell
20 you that before I came to the FDA and I spoke to
21 some senior scientists about the difficulties of
22 identifying heparin contaminants, that that can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be an extraordinarily difficult issue and not one
2 that -- I have many post-docs who think they can
3 do something in a day. And then you ask

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701