

1 because this isn't a yes/no, or this isn't a  
2 vote. This is a discussion. And so we have  
3 Harriet, Liz, then who is that? Is that Mel  
4 or Mel?

5 Was that you, Mel? Okay, it was  
6 Marv. And then Anne.

7 DR. NEMBARD: Harriet Nembard.  
8 Perhaps Gary or Frank, could you point out in  
9 the draft guidance, given the discussion  
10 already, what it is that is lacking or that may  
11 be specifically needs to be addressed? For  
12 example, is there some restrictive criteria in  
13 here that we need to be more specific about?

14 DR. HOLCOMBE: Frank Holcombe. The  
15 primary issue -- issues, probably, but the  
16 primary issue itself with this tablet is, where  
17 do you no longer have an ODT? You know, is  
18 there something that makes it not be an ODT? If  
19 one of the parameters exceeds a certain value,  
20 that brings us into disintegration time because  
21 I think everybody agrees the disintegration time  
22 is a part of the critical definition, even

1     though it's fairly general.  A few seconds,  
2     rapidly, in the definition.

3             So disintegration time is one place  
4     that needs to be considered.  The original  
5     products disintegrated, essentially,  
6     immediately.  Not in a few seconds, but if  
7     you put them in moisture and they were gone.  
8     You put them on your finger and they were  
9     gone.  That's no longer what most of the  
10    products do.

11            The other thing is the size.  We  
12    presented 500 milligrams in the guidance, but  
13    we did not intend that to mean that if you  
14    have a 501 milligram tablet, it's no longer  
15    and ODT.  It was just a formulation  
16    consideration.  So large tablets that  
17    disintegrate really rapidly probably, you  
18    know, we wouldn't have a problem with.

19            However, there's still the question  
20    of what we're going to use as a cut off  
21    point?  Are we going to use Bayer aspirin,  
22    which will dissolve in my mouth in about 35

1 seconds? Or are we going to use 30 seconds,  
2 or are we going to use 60 seconds? And how  
3 hard is that number? You know, is that a  
4 line in the sand or is that a line in the  
5 water at the beach, you know, that kind of  
6 analogy. Or is it a real number?

7           And if we had to pick one point, it  
8 would be the disintegration time that we need  
9 to have. That we need to come to some  
10 agreement on. And those are really the only  
11 issue for the product because formulation has  
12 given us products that are large and rapidly  
13 disintegrating. And small and rapidly  
14 disintegrating. And you know, anything in  
15 between.

16           So it's the idea of, is there a  
17 place in disintegration time where we can no  
18 longer say that something is rapidly  
19 disintegrating. 30 seconds is what's in the  
20 guidance. Do we need to change that?

21           Do we need to talk about it, you  
22 know, in a formulation context? Do we need

1 to include it with the other issues about is  
2 the tablet soluble or disintegrating? What  
3 other particles sizes? But guidance on what  
4 that number ought to be and how hard and fast  
5 that number ought to be, is really where  
6 we've been stuck for the past couple of  
7 years.

8 DR. NEMBHARD: It seems to me, though,  
9 in reading the guidance, that both of those are  
10 worded with sufficient latitude that it can  
11 already be finished, or is there something I'm  
12 missing? That's my question, essentially.

13 DR. HOLCOMBE: Uh-huh.

14 DR. NEMBHARD: The wording speaks to  
15 approximately 30 seconds or less. And by the  
16 USP disintegration test --

17 DR. HOLCOMBE: Uh-huh.

18 DR. NEMBHARD: And the size of 500  
19 milligrams as a recommendation. So even that's  
20 not hard and fast. So --

21 DR. HOLCOMBE: Well, all of our  
22 guidance is recommendations, even though people

1 take them as law, sometimes. Most of the time.

2 Because that's how we use them.

3           That's not really how we use them,  
4 but it's how people think we use them. But  
5 we use the word, approximately, because we  
6 have the -- you know, 30 is okay. How about  
7 35? If 35 is okay, how about 39? Okay,  
8 pretty soon you're up to however high you  
9 want to go and that's a concern. This is  
10 already an average value, no more than. It's  
11 already an average value. So the question  
12 becomes, should that be approximately or  
13 should that be 30, I guess in response to  
14 your question -- specific question.

15           DR. MORRIS: Marv?

16           DR. MEYER: From a regulatory  
17 standpoint, don't you have to have some line in  
18 the sand?

19           DR. KIBBE: That's what they want.

20           DR. MEYER: Well, then make it -- I  
21 don't know if you can do a survey of all  
22 existing products and what's the slowest one.

1 Or take a panel of people who can't swallow  
2 tablets and find out how long they can tolerate  
3 having something in their mouth? These are all  
4 inexpensive studies to do. Or just pick  
5 something that's probably a few -- 30 seconds,  
6 60 seconds beyond what you think most of them  
7 can achieve.

8 But you have to have something. I  
9 mean, when you call something highly soluble  
10 in the BCS, you pick 85 percent dissolved in  
11 30 minutes. Very specific. Now it seems how  
12 we're going to have this -- like you say,  
13 it's going to be creep. Well, he had 65, but  
14 I've only got 68 or 69 or whatever. So I  
15 think you need some line. I don't know what  
16 that is. How long can people hold a tablet  
17 in their mouth? This is the question.

18 DR. MORRIS: Can I --

19 DR. MEYER: Go ahead.

20 DR. MORRIS: Can I just ask for a  
21 clarification from Frank before we -- and that  
22 is that the 30 -- I thought that the 30-second

1 disintegration was supposed to correlate somehow  
2 to a 60-second actual usage time. Did I read  
3 that wrong?

4 DR. HOLCOMBE: Right, you read that  
5 wrong.

6 DR. MORRIS: Okay. Well, we need a  
7 line. Next -- I'm sorry, were you not done,  
8 Marv? You had your hand up? So Anne, you're  
9 next?

10 DR. ROBINSON: Anne Robinson. So I  
11 think sounds like a reasonable number to me.  
12 But I also wonder whether perhaps some of this  
13 can be accomplished through labeling? Even  
14 though that's not, you know --

15 DR. MORRIS: That's our next question.

16 DR. ROBINSON: Right, I recognize  
17 that's --

18 DR. MORRIS: That's our next question,  
19 but that's okay. Go ahead.

20 DR. ROBINSON: One of the next  
21 questions, but just to keep that in mind, which  
22 is, from the standpoint of being able to see

1 that on the label and know what the approximate  
2 time of dissolution -- I said that again, didn't  
3 I?

4 DR. MORRIS: Disintegration.

5 DR. ROBINSON: Disintegration, may be  
6 useful.

7 DR. MORRIS: We'll just let Helen  
8 respond to her.

9 DR. WINKLE: One of the issues we've  
10 talked about in the office, time and time again,  
11 in fact, as we've been going through this, is  
12 the difference between the reference listed drug  
13 and the generic drug. You -- and Gary has  
14 already mentioned that reference listed drug  
15 often used a patented process whereby the  
16 product dissolves in three or four seconds. You  
17 now go to the generic and it dissolves in two  
18 minutes. Do you still get the same compliance  
19 from people, especially people who may have  
20 problems taking drugs? I know there's going to  
21 be a thing on special issues, but I think this  
22 is something we need to consider and look at



1 these issues and make some decisions.

2 This is a big question that we have  
3 on the sameness, here.

4 DR. MORRIS: Jerry's next and then  
5 you're next.

6 DR. GOOZNER: Me?

7 DR. MORRIS: Yes, you, Merrill.

8 DR. GOOZNER: I think my comment was  
9 directly on that point and maybe the labeling  
10 gets at this, but I would be less restrictive,  
11 rather than more. And let, you know, to a  
12 certain extent isn't this a physician and  
13 marketplace decision? I mean, if I could get  
14 access to a drug that melted in my mouth for 30  
15 seconds, or 45 seconds, even though there was  
16 something that was 10 seconds that was  
17 available, then maybe I would rather have that.  
18 And I would be willing to put up with something,  
19 and if compliance is an issue, that's something  
20 physicians should be aware of, but I don't know  
21 that a guidance should pick some firm line in  
22 the sand unless it's -- you know, there might be

1 a completely outer limit where you say, it  
2 doesn't -- that's really not what's going on  
3 here.

4 But I would be less restrictive and  
5 just in the name of getting more product out  
6 there and more choices made available.

7 DR. MORRIS: That's good. I think  
8 we're looking for the outer limit, by the way.  
9 Art?

10 DR. KIBBE: I think you need to draw a  
11 line in the sand. And I think it would help you  
12 with the generic issue. If both products  
13 qualify as a Orally Disintegrating, that's the  
14 first tip. Then you do a bioequivalency study.  
15 Then you're done.

16 I think you could even set the  
17 criteria that you have to use. You know,  
18 it's 30 seconds in a USP apparatus with a  
19 number 8 sieve or a number 14 sieve, or  
20 something like that. And I don't think that  
21 would be onerous. I think the one thing that  
22 you should do is that preexisting products,

1 if they don't meet this criteria, they should  
2 still meet the QA or QC that they have and  
3 they won't be negatively effected. Because  
4 there's bound to be a few that are a little  
5 higher than 30 and I hate the concept of us  
6 coming up with a regulation that  
7 disenfranchises them. And the reason I say  
8 that is because as the technology moves,  
9 people are getting better at it. We're at a  
10 point now where I can't think that someone  
11 can't formulate rapidly disintegrating  
12 tablets and can do it in 30 seconds.

13           So I think you need to have a time  
14 frame that is equal to or less than 30  
15 seconds in this apparatus, with this screen.  
16 And then grandfather the other ones, and then  
17 when anybody meets that, they are an orally  
18 disintegrated tablet for the purposes of  
19 pharmaceutical equivalence, right? Because  
20 we use capsules against capsules, and tablets  
21 against tablets. And then you go ahead with  
22 the same bioequivalency testing and you've

1 already -- now you've established that, you  
2 don't have to worry about somebody saying,  
3 well, one second's a lot shorter than 28  
4 seconds. Well, it might be, but it's not  
5 significant in terms of the availability of  
6 the drug to the patient. And that handles  
7 that. Anyhow, that's what I think.

8 DR. MORRIS: Okay, and actually  
9 Jessie's going to --

10 DR. AU: Jessie Au. I would go for a  
11 line in the sand, but I don't know where this  
12 line ought to be. And I'll explain why I'm  
13 worried about this -- not having this line.

14 I'll go back to the earlier comment  
15 about safety and efficacy. Is there  
16 underlying -- if it's not disintegrating, is  
17 there underlying reason why it's not  
18 disintegrating? Does it require more saliva?  
19 Does it create a paste, a slurry? So the  
20 people you try to help, actually not being  
21 helped by this formulation.

22 So in my mind, it's the scientific

1 or technical part I'm concerned about. So if  
2 it's not rapidly disintegrating, there must  
3 be a reason why it's not. If is that  
4 reasoning going to be a safety problem? You  
5 know, people with no saliva. And the  
6 physician don't necessarily know how much  
7 saliva a patient will produce. So I think  
8 you need to draw a line in the sand, but I  
9 don't know which one -- where it is.

10 DR. MORRIS: That's a good point. I  
11 actually had one -- why don't you go -- go  
12 ahead, Keith and we'll --

13 DR. WEBBER: I'm just going to make a  
14 comment on that along with what Art has  
15 said -- it's Keith Webber. This is one of the  
16 discussions we've had a lot over this topic, is  
17 drawing a line in the sand and what is that line  
18 going to be. And based on some of the criteria  
19 for the reasons for having an orally  
20 disintegrating tablet, in terms of patient  
21 compliance, getting people who -- being able to  
22 dose people who may want to spit the product

1 out, that sort of thing.

2           If you set a line at, say, 30  
3 seconds, is that going to be the right line  
4 in order to provide that dosage form with the  
5 compliance requirements that you're trying to  
6 achieve. And so there where do you -- you  
7 have to go back and look at what you're  
8 trying to achieve with that dosage form and  
9 set the line accordingly. So that's  
10 something we really have to keep in mind as  
11 we develop whatever line -- if we decide to  
12 put a line.

13           DR. MORRIS: Gary?

14           DR. BUEHLER: Gary Buehler. Yeah, I'm  
15 the line drawer, so it does -- I mean, Marvin is  
16 correct, it's incredibly easier if you just have  
17 a very -- like we do with bioequivalence  
18 requirements.

19           If somebody misses bioequivalence  
20 by a tenth of a percentage point, they lose.  
21 And there's no rounding, there's no give one  
22 way or the other with the bioequivalence

1 requirements. I makes my job very easy and  
2 there's just no argument. You just lose.

3           And now, is this an issue or a  
4 point that rises to that same level? As to  
5 whether a product is acceptable overall or  
6 not? And we would like people to accept  
7 generics. We want them to be happy they got  
8 generics; we want them to perform the way  
9 they expect to. And so this kind of falls in  
10 that line a little bit, and I understand what  
11 Merrill said, too. We want to make generics  
12 available and we want people to have access  
13 to them, but the reality is a lot of people  
14 get generics who have insurance plans and who  
15 are getting the reference product and then  
16 they get the generic because the insurance  
17 plan tells them they have to. And so we  
18 really do want the generic to perform in an  
19 equivalent manner or, at least, you know,  
20 acceptable manner.

21           So we have to keep that in mind.

22 And you know, this is a very difficult issue.

1 That's why I said we've batted this around  
2 for a number of years in trying to make this  
3 decision. And that's why it's so difficult  
4 for us. You know, no line is easy, but no  
5 line is sort of no line. And if there's sort  
6 of, like, no limit then -- because people can  
7 argue with this and threaten to sue us and  
8 whatever. And it's right. If we have no  
9 line we have a difficult time to make a  
10 decision.

11 DR. MORRIS: Can I just say one thing  
12 before we go on, is that if everyone is agreed,  
13 we'll skip break and try to get the  
14 transportation here at 4:30. Or if there's

15 strong objections, see Art. So could you --

16 DR. WINKLE: Just to -- I don't know  
17 if an hour is going to do justice to the next  
18 topic.

19 DR. MORRIS: That's a good point.

20 DR. WINKLE: There's three speakers  
21 that have to speak.

22 DR. MORRIS: Okay.



1 DR. WINKLE: And I feel like if the  
2 committee needs to go out of here at 4:00 -- by  
3 4:30, and I could understand that need for  
4 transportation, and the rain, maybe we need to  
5 consider postponing the last topic?

6 DR. MORRIS: Okay, I agree.

7 DR. WINKLE: You know, if you could  
8 vote quickly on the next two -- on the next  
9 question --

10 DR. MORRIS: Well, why don't we see  
11 how far we can get?

12 DR. WINKLE: And see where we get to.

13 DR. MORRIS: Okay, but otherwise I  
14 agree. We don't want to short shrift it.

15 DR. WINKLE: Yeah, I don't think it  
16 would be fair, because these people have put a  
17 lot of effort into their presentations and  
18 stuff.

19 DR. MORRIS: So with that in mind, who  
20 would like to comment? Yes? So if we can be  
21 brief, please Pat and then Richard?

22 DR. TWAY: Yeah, one thing just to

1 keep in mind is, I didn't look at this just as a  
2 generic issue. Because, certainly, when that  
3 draft guidance came out, those on the innovative  
4 side also looked at it and so it's something  
5 we've got to think about for future, you know,  
6 not just from the generic perspective. This  
7 guideline will apply to all products and,  
8 certainly, we took it that way that, you know,  
9 you had a timeline. Whether it's 30 seconds or  
10 60 seconds, there was a timeline.

11 DR. MORRIS: Richard?

12 DR. STEC: Rich Stec. Expedite and  
13 desire to keep it short. A lot of interest  
14 amongst the panel about establishing a line in  
15 the sand, but not sure where that might be. It  
16 maybe appropriate to consider performing a human  
17 factor's study in appropriate population to  
18 designate what they may be.

19 DR. MORRIS: Other comments before we  
20 try to -- okay, Marv?

21 DR. MEYER: If Gary Perry pays  
22 attention over there, yeah, I need to ask him a

1 question.

2           Yeah, if we establish, let's say,  
3 30 seconds or some such thing, and there's  
4 already a brand out there that's 60 seconds,  
5 is it fair that the generic would have to be  
6 shorter than 60 or can we have a guidance  
7 that says the generic must be no longer than  
8 the disintegration of whatever the brand is?  
9 And if there's no brand issue, then go ahead  
10 with 30 seconds, from a generic standpoint.

11           DR. BUEHLER: That's one way to go. I  
12 mean, we have actually looked at sort of a  
13 paradigm similar to that, basing what we do on  
14 how the reference product performs. And you  
15 know, having some kind of a time break or time  
16 closeness parameter to the reference product.

17           DR. MORRIS: If we can summarize,  
18 then. The things that sound like we have  
19 consensus on are that there needs to be a line  
20 in the sand. Let's come back to what that might  
21 be. But that it has to include not only the  
22 disintegration time but also a particle size for

1     which we are limiting the disintegration, which  
2     is in the test methodology, right?

3             So the test methodology would then,  
4     for example, if we were to stay with the USP  
5     disintegration test, would be the conditions  
6     of the test including the screen size, which  
7     would then by default regulate the  
8     disintegrating particle size.

9             And in terms of -- I'm not sure we  
10    came up with a line in the sand. I  
11    personally agree with Art that it seems like  
12    you should be able to make something  
13    disintegrate in 30 seconds, but do we want to  
14    have a specific number? Or -- I didn't quite  
15    get a feel for --

16            DR. KIBBE: Art Kibbe -- remember, we  
17    don't vote on a piece of information that  
18    becomes a guidance. We give the FDA our best  
19    thought.

20            DR. MORRIS: Exactly. So do we want  
21    to give them a thought?

22            DR. KIBBE: So just let them go with

1 what we've already told them.

2 DR. MORRIS: Does that sit all right  
3 with everyone, or does any comments? All right.

4 So if we can move to the next  
5 question? Question 3, can labeling; i.e.,  
6 instructions for use, be considered  
7 sufficient to define the dosage form? And  
8 this, I believe, is a voting question as  
9 well, right? Yes, yeah, so this is a yes,  
10 no, or abstain. And we are going to open  
11 this for discussion. I'm sorry? So that was  
12 Carol, Pat --

13 DR. TWAY: I think she's going to --

14 DR. MORRIS: Oh, Carol --

15 DR. GLOFF: I'm not --

16 DR. MORRIS: Carol Gloff.

17 DR. GLOFF: I'm not certain I really  
18 understand the question. Carol Gloff, yes.  
19 Sorry about that.

20 I'm not certain I really understand  
21 the question. Be considered sufficient to  
22 define the dosage form. To define it as an

1 orally disintegrating tablet? I'm not quite  
2 certain I'm following.

3 DR. MORRIS: If I can interject  
4 and -- let me try to speak for the writers and  
5 then they can jump in. But I think the idea was  
6 that so that the patient or health care provider  
7 would understand that that was the intent, that  
8 this was in fact an orally disintegrating dosage  
9 form and that meant that it had certain  
10 characteristics. Is that, more or less, the  
11 case, Frank?

12 DR. HOLCOMBE: Well, that's in general  
13 the case. The specific -- this question, in  
14 fact, the sequence of questions are actually in  
15 the context of, if you don't have -- and if you  
16 say "no" for the first ones, then you have to  
17 say something else. And this one was intended  
18 as, can you use the labeling only to say this is  
19 an orally disintegrating tablet. And that  
20 depended on how the previous two were answered.

21 DR. MORRIS: So sufficient in absence  
22 of agreement on the first two?

1 DR. HOLCOMBE: Right.

2 DR. MORRIS: Oh. So okay. So --

3 DR. KIBBE: I read it -- and I thought  
4 I understood what he just said -- and I was  
5 going to say, we've established how you define  
6 it in the previous thing. And then you use that  
7 definition whenever you refer to the term in the  
8 labeling. And we're done.

9 DR. MORRIS: So does that mean we  
10 don't vote on this? Yeah, no. Or that we all  
11 vote no?

12 SPEAKER: Either/or.

13 DR. MORRIS: Either/or. Okay, well,  
14 let's take a vote and see what -- just put it in  
15 the lap of the gods, here. Hang on a second.  
16 Are we ready?

17 So we're ready to vote. So  
18 please -- so okay, I'm sorry. So the  
19 question is, can labeling -- this is now, can  
20 labeling in the absence of any agreed  
21 specifications as to the definition of this  
22 dosage form that we've agreed should be

1 adopted, if you didn't have those definitions  
2 would it be sufficient just to put on the  
3 label that this is an orally disintegrating  
4 dosage form?

5 Did I say that improperly?

6 DR. HOLCOMBE: No, I think you said  
7 that okay. But the question becomes moot now.

8 DR. MORRIS: So do we just abstain?

9 DR. HOLCOMBE: I would --

10 DR. MORRIS: Or not vote --

11 DR. HOLCOMBE: I would not vote. It's  
12 moot.

13 DR. MORRIS: Just not vote? Okay, so  
14 since this -- Helen, what do you think?

15 DR. WINKLE: This just take -- since  
16 we're not having a break, that's the break.

17 Yeah. I'm sorry?

18 So Jerry? Sorry, Merrill? You've  
19 got to move these signs. I just look at the  
20 signs. Yeah.

21 DR. GOOZNER: It's Merrill Goozner.

22 It just seems to me that it's conceivable that



1 you could have a label that said, this one  
2 dissolves in 45 seconds, this one dissolves in  
3 30 seconds, this dissolves in 15. You could  
4 have a -- you could use only labeling within  
5 a -- you know, with a much different guidance  
6 that gave very broad parameters.

7 What constituted this dosage form.

8 DR. MORRIS: Right. And I think that  
9 was the intent of the question.

10 DR. GOOZNER: And so --

11 DR. MORRIS: Yeah, if we hadn't agreed  
12 on something else, would that be sufficient. I  
13 think you're right, yeah.

14 DR. GOOZNER: And there's a part of me  
15 that says, well, maybe that's not a bad idea.  
16 But I don't want to contradict my earlier vote.

17 DR. MORRIS: No, no. You're -- so  
18 maybe we should vote, then, and just get it on  
19 the record.

20 Oh, sorry. One more. Anne?

21 DR. ROBINSON: Anne Robinson. I mean,  
22 maybe it's the wording of the question -- but I

1 mean, having the word "sufficient" in there  
2 seems to me to beg make the question -- I mean,  
3 I agree, I was one before who brought up the  
4 concept of including the labeling. And I think  
5 it's useful to have that, but "sufficient" is a  
6 word that I wouldn't think we need to vote on at  
7 this point.

8 DR. MORRIS: Please, Helen?

9 DR. WINKLE: Helen Winkle. I don't  
10 think it's necessary to take a vote on this,  
11 especially since time is so limited.

12 DR. MORRIS: And that really makes  
13 part A moot as well, I believe? Is that  
14 correct?

15 So that brings us to question 4,  
16 which has -- what, if any special issues,  
17 should be considered? For example, patient  
18 compliance, target populations, and  
19 conditions? So with that I'll open it for  
20 discussion. And I think I'll call on Harriet  
21 to re-state her taste masking very briefly.

22 And then -- just so it's on the

1 record.

2 DR. NEMBARD: Harriet Nembhard. I  
3 would suggest that there should be some  
4 consideration of the taste or palatability of  
5 the product that's a part, at least, implicitly  
6 for the definition of orally disintegrating  
7 tablets. It seems it -- you know, as a  
8 consumer, you might expect -- I mean, to your  
9 point, it's not to say that it tastes like  
10 peanut butter or lemon drops, but that the taste  
11 is at least palatable. Or maybe you phrase that  
12 differently in terms of patient compliance, that  
13 it's not something that the patient wants to  
14 expel, or however you might want to phrase that.  
15 But some consideration of that issue seems to be  
16 implicit in the definition of ODT.

17 DR. MORRIS: So it shouldn't be an  
18 emetic. Are there any other special topics  
19 that -- yes, Jessie.

20 DR. AU: Jessie Au. I disagree with  
21 Harriet. I think our duty here is to look at  
22 safety and efficacy. That's where the

1 regulatory bodies come in. I think the  
2 marketing is not our responsibility. We  
3 shouldn't have to tell them what taste to do.  
4 You know, compliance I can see, but compliance  
5 only in the sense that they don't get choked on  
6 this or when they try to have the disintegrating  
7 tablet. But I don't think it is our  
8 responsibility to dictate that they have to have  
9 nice taste.

10 They will do the marketing  
11 research. They don't need us to tell them.  
12 If they want to make money.

13 DR. MORRIS: Other special issues that  
14 should be considered?

15 Well, if not, that closes  
16 this -- what's that? So Helen, do we have  
17 sufficient time to close this and keep going?

18 And so this brings us to the final  
19 topic. Which is -- sorry -- which is the use  
20 of inhaled corticosteroid dose response as a  
21 means to establish bioequivalence of  
22 inhalation drug products. And we're going to

1 have several presentations, starting, I  
2 believe, with Lawrence. I'm guessing,  
3 because he's up.

4 And people may mill in and out very  
5 briefly, Lawrence, but --

6 DR. YU: It's okay, I don't mind.

7 Well, good afternoon everyone. I want to thank  
8 you for your contribution and the time and  
9 effort. We really had a great discussion this  
10 morning on bioequivalence GI locally acting  
11 drugs. We will certainly take your advice on  
12 biorelevant dissolution as well as the  
13 mathematical modeling back to the office. We'll  
14 discuss and consider your suggestion and the  
15 comments.

16 This afternoon, the last topic is  
17 on inhalation product. This is another very  
18 critical topic for us. Bioequivalence of  
19 inhalation drug product challenges and  
20 opportunities. Again, another locally acting  
21 drug product.

22 Back to last year, we issued a

1 critical path initiative. We identified  
2 bioequivalence of locally acting drugs as a  
3 critical area, which of the genetic drugs  
4 needs the working on.

5           Compared to systematic drugs, the  
6 locally acting drugs for inhalation products  
7 different because, as again this  
8 morning -- that this morning we basically  
9 talked about the gastrointestinal GI tract.  
10 Here, we talk about lungs. So that  
11 therefore, when patient takes the dose, do  
12 you have a lung deposition, and come to the  
13 systemic circulation. And also we have the  
14 GI tract and the liver. That's part of  
15 reason, again, that systemic exposure may not  
16 be predicting concentration and the location  
17 in lung -- in the lung deposition. That's  
18 why it's -- this presents a specific  
19 challenge to us.

20           With respect, the four factors  
21 affecting respiratory drug delivery, those  
22 factors include drug substance, physical

1 chemical factors including formulation,  
2 including device, and the patient compliance.

3 Because the use of device is also critical.

4 In establish of bioequivalence, we  
5 are thinking about the formulation, whether  
6 formulation should be similar. We talk about  
7 device, shape and design. We're thinking  
8 about in vitro bioequivalence method. And  
9 we're thinking about comparative systemic  
10 exposure studies. It's comparative systemic  
11 exposure studies, indeed, pharmacokinetic  
12 studies to make sure that the test product  
13 and the reference product, they have similar  
14 exposure with respect to safety.

15 One of the particular items which  
16 is challenge to us, which we're here to  
17 discuss about, is basically in vivo studies  
18 with clinical or pharmacodynamic studies.  
19 For the reason because, as a response to very  
20 shallow, it's difficult to develop a  
21 sensitive method to demonstrate  
22 bioequivalence for those inhalation product.

1           FDA is actively exploring method or  
2     developing method to demonstrate  
3     bioequivalence for inhalation product. See  
4     this afternoon, we have two discussions, we  
5     have two presentations. One is by Wally from  
6     the Office of Generic Drugs, another is from  
7     Badrul from Office of New Drugs, present two  
8     models -- as my stability model as well as  
9     inhaled natural oxide.

10           With that short introductions, I  
11     turn podium to Wally Adams. Wally?

12           DR. ADAMS: Show me, how does this  
13     thing work? This one hear? That one? And that  
14     will take it back, right? Okay, good, thanks.  
15     Thank you, Lawrence, for that introduction. And  
16     good afternoon, ladies and gentlemen. I don't  
17     see -- as Lawrence has indicated, this afternoon  
18     what we would like to do is to provide two  
19     presentations to serve as an informational  
20     background for the Advisory Committee. And I'll  
21     be talking on asthma stability model for inhaled  
22     corticosteroid dose response.



1 Office of Generic Drugs, going back  
2 to the early to mid-1990s, did a lot of  
3 research on albuterol, MDI dose response  
4 studies, and the result of that work was a  
5 guidance that was issued to inform interested  
6 firms on the conduct of appropriate  
7 bioequivalent studies for albuterol, a short  
8 acting beta agonist.

9 At the present time, OGD is working  
10 on these two approaches to the establishment  
11 of a sensitive method for establishing  
12 bioequivalence of inhaled corticosteroids.  
13 And as -- there's clearly a need in the years  
14 to come, as there are a number of dry powder  
15 inhalers which will be going off patent that  
16 contain inhaled corticosteroids. And also  
17 some MDIs will be going off patent in either  
18 the near term or longer term.

19 So what I'd like to do is to  
20 discuss methods to establish bioequivalence,  
21 challenges to -- specifically to inhaled  
22 corticosteroid bioequivalents. Talk about

1 the asthma stability model and the pilot  
2 study which was conducted by Dr. Ahrens.  
3 Talk about crossover and periodic design.  
4 Estimates of sample size, and also the FDA's  
5 research on the asthma stability model. And  
6 in addition to that, briefly mention  
7 something about pharmacodynamic study data  
8 analysis, if there's time.

9 I believe that Lawrence may have  
10 presented a similar slide to this based upon  
11 the regulations which indicate that in order  
12 of decreasing accuracy, sensitivity, and  
13 reproducibility, if we look at three methods  
14 of in vivo studies, pharmacokinetic studies  
15 are preferred. If they are not appropriate  
16 to establish equivalence, then a  
17 pharmacodynamic endpoint -- a bioequivalence  
18 study with a pharmacodynamic endpoint would  
19 be next in line. And next in line after that  
20 would be a bioequivalence study with a  
21 clinical endpoint.

22 And in addition to that, there are

1 cases in which comparative in vitro data  
2 alone will suffice to establish  
3 bioequivalence of various products. That's a  
4 general statement.

5 Now, this is an idealized plot  
6 showing efficacy curves. I should say that  
7 inhaled corticosteroids are locally acting  
8 drug products and as a consequence of that,  
9 the pharmacokinetic studies are not  
10 appropriate to establish bioequivalence, but  
11 rather, some pharmacodynamic means is  
12 necessary.

13 The PK studies will indicate  
14 something about systemic exposure. But they  
15 do not necessarily relate directly to local  
16 efficacy.

17 So this is an idealized curve, as I  
18 say, and it shows the increasing efficacy as  
19 you go up the curve for a locally acting  
20 drug. And in addition to that, I've also got  
21 here in the curve a curve for the safety  
22 aspect. And in a bioequivalence study for

1 establishing local delivery equivalence, what  
2 we're interested in is trying to develop a  
3 study in which the study is conducted in the  
4 rapidly rising portion of the dose response  
5 curve.

6           And in addition to that, we would  
7 like to have a study design which has low  
8 variability. In order to increase the power  
9 of the study. The plot also indicates that  
10 there are safety concerns we would be  
11 concerned about for these locally acting  
12 drugs -- drug which is absorbed via the lungs  
13 or swallowed and absorbed via the GI tract.

14           And so there would be plasma levels  
15 -- measurable plasma levels of drug which are  
16 a concern for systemic exposure. So we  
17 include a pharmacokinetic study in addition  
18 to a pharmacodynamic study for local action  
19 in the standard request for bioequivalence.

20           Now, this slide I've taken from  
21 some material that Dr. Peter Barnes has  
22 presented indicating the general problems

1 with establishing dose response. And some of  
2 this has been alluded to earlier this  
3 afternoon. But for dose response,  
4 differences from placebo for each of the  
5 active doses are generally statistically  
6 significant. A dose response generally  
7 exists, although it's shallow. There's a  
8 lack of statistical significance in response  
9 between adjacent doses with a high  
10 variability of response. And in fact, it may  
11 take a fourfold or greater difference in dose  
12 in order to detect a statistical  
13 significance.

14 Now, I don't want to imply that a  
15 statistically significant difference is a  
16 criterion for bioequivalence. It's not, but  
17 it's simply emphasizes the fact that there is  
18 a problem with establishing a meaningful dose  
19 response curve in a bioequivalence study for  
20 inhaled corticosteroids.

21 There's another problem, too, which  
22 is that the pharmacodynamic effect may

1 persist for quite some time and may result in  
2 an investigator feeling that a crossover  
3 study is not feasible. Because they would  
4 have to wait until after the pharmacodynamic  
5 effect has washed out in order to give a  
6 crossover treatment. That is frequently not  
7 done, and many studies for relative potency,  
8 in fact, use a parallel group design rather  
9 than a crossover study.

10           And the problem is, in terms of  
11 bioequivalence is that the carryover between  
12 treatment periods can result in -- if it's an  
13 unequal carryover, it can bias the estimate  
14 of the difference between treatment means.  
15 Meaning that we would get an incorrect  
16 measure of bioequivalence.

17           So there's a problem with inhaled  
18 corticosteroids.

19           Now, I'd like to mention the Bussey  
20 et al. study, which looked at a dose response  
21 and a comparison between Beclomethasone  
22 Dipropionate, the CFC formulation, and the

1 newer HFA formulation. And in Dr. Bussey's  
2 study, he used subjects ages 18 and over,  
3 they were adult subjects. FEV-1 was 50 to  
4 75 percent of predicted. And the treatments  
5 were Beclomethasone Dipropionate for six  
6 weeks at each of the dose levels. It was a  
7 parallel group dosing. He doses for up to 28  
8 days prior to the start of the study in order  
9 to wash out any corticosteroid from these  
10 patients.

11           And he dosed at three dose levels  
12 for each of the CFC and the HFA products.  
13 Blinded to dose. But interestingly, I'd like  
14 to point out that you'll notice at the end,  
15 at the left hand bottom, indicates that in  
16 this study he used between 50 and 59 subjects  
17 per treatment group. This is far higher than  
18 what we normally think about in a  
19 pharmacokinetic study in which 24 subjects is  
20 quite common. Much larger than that. In  
21 fact, though, there were over 300 subjects in  
22 this study.

1           And I'm citing this study because  
2     it represents a very well controlled,  
3     carefully performed study conducted by this  
4     investigator. And in fact he did establish a  
5     dose response, but we'll see that there are  
6     some issues with regard to the results.

7           And this is the plots for the  
8     increase in FEV-1 -- percent predicted over  
9     baseline -- over a six-week period. And what  
10    we see is that there's a trend towards an  
11    increasing response over the first four  
12    weeks, and then as we get out towards six  
13    weeks, the responses tended to plateau.

14           And the Bussey paper indicated that  
15    there was basically marginal statistical  
16    significance between the 400 and the 800  
17    microgram doses. And we heard earlier today  
18    that there was a difference between the  
19    100 -- statistically significant difference  
20    between 1- and 800. I looked at this paper,  
21    I could not find statistical comparisons for  
22    any of these doses relative to the baseline.



1                   And because there was a dose  
2 response, Dr. Bussey was able to conduct a  
3 Finney analysis of this data set. And in  
4 fact, it met the Finney criteria.

5                   You'll see that there is a dose  
6 response to both the HFA's BDP, which is the  
7 QVAR, and the CFC-BDP product. And using  
8 this approach, it asks the question, what is  
9 the dose of each of these products that would  
10 give the same pharmacodynamic response?

11 Increase in FEV-1 over baseline. And the  
12 plot shows that as result of that  
13 calculation, 150 micrograms of the HFA  
14 product would be equivalent to 400 micrograms  
15 of the CFC product. In other words, the CFC  
16 product requires 2.6 times as much dose to  
17 get the same effect.

18                   Well, the interesting aspect of  
19 this, and the reason I wanted to present it  
20 was, if we look at the 95 percent confidence  
21 interval for this estimate of 2.6, that  
22 confidence interval ranges from 1.1 to 11.6.

1 That range is so wide that this number of  
2 2.6, we don't really know where it is in that  
3 entire confidence interval range.

4 So the data are not clinically  
5 useful to adjust the data for this HFA  
6 product. And furthermore, the data are so  
7 wide that it certainly would raise questions  
8 in terms of ability to meet confidence  
9 interval limits, such as we think of for  
10 generic products. If we converted this  
11 to -- expressed that confidence interval in  
12 terms of percent, it would have a width of  
13 40 percent to 440 percent, a huge range.

14 And that, now, was with a parallel  
15 study design. And Dr. Ahrens had the idea  
16 that it may be possible to improve the  
17 precision of that estimate.

18 Improve the power of the study by  
19 using a crossover study design. And how  
20 might that be done? Well, the basic approach  
21 used in the asthma stability model is to say,  
22 we want to do a crossover study, we don't

1 have the time to wait until the  
2 pharmacodynamic effect has been washed out  
3 from the first treatment, until we give the  
4 crossover dose. So rather, what would be  
5 done is to dose the patients up to the  
6 maximum of steroid response with a high dose  
7 corticosteroid. And then, put the subjects  
8 on for a period of three weeks on a  
9 particular dose of the inhaled  
10 corticosteroid.

11 He used oral prednisone 40  
12 milligrams twice a day as a wash-in to bring  
13 the patients up to maximum steroid response.  
14 And then in a crossover design, he put the  
15 patients either on 100 or 800 micrograms per  
16 day of the drug. And followed their FEV-1  
17 over time.

18 And then after that first period,  
19 he again gave a wash-in of the high dose  
20 corticosteroid to again take them up to the  
21 high plateau of response of the maximum  
22 steroid response. And then put them on the

1 crossover dose.

2           And when he did that, the data  
3 looked like the figure on the left in which  
4 we see that there's a high value due to the  
5 oral corticosteroid -- the oral prednisone, I  
6 should say, taking them up to a maximum  
7 response. And then putting them on either  
8 800 or 100 micrograms per day. And you'll  
9 see that the 800, basically it dropped a  
10 little but maintained a fair plateau of  
11 asthma stability. Whereas the 100 microgram  
12 subjects were dropping down in response as  
13 they stayed on that dose.

14           And he was able to use that  
15 information, then, in order to do some  
16 calculations. And I want to go back to the  
17 previous slide in which the interest was in  
18 determining what would the sample size need  
19 to be in order to do a bioequivalence  
20 study -- a successful bioequivalence study.  
21 And the assumptions were these.

22           That the study would be done as a

1 two by two study design, it would use a  
2 Finney bio assay, he would look at a  
3 90 percent confidence interval, and the  
4 bioequivalence interval on the dose scale  
5 would be 50 to 200 percent. Now, that's a  
6 lot wider than we normally think about for  
7 our normal bioequivalence limits of 80 to  
8 125.

9           These limits were much broader than  
10 that.to 200. But that was the assumption  
11 built into this paper, and these  
12 calculations. And in order to compute sample  
13 size, the power of 80 percent.

14           Sample size estimates were based  
15 upon the standard deviation obtained from the  
16 ANOVA, and the slope at the end of the  
17 treatment period.  $S$  is a standard deviation  
18 for responses from ANOVA,  $B$  is the dose  
19 response slope, and a low  $S$ -over- $B$  ration  
20 indicates increasing study power.

21           And what we see is, if we look at  
22 the right hand side of this plot, in which

1 the S-over-B ratio has been plotted as a  
2 function of sampling at increasing times over  
3 the 21-day period. We see that the S-over-B  
4 ratio drops. And so the calculations which  
5 were done for this investigation used the  
6 data at the three week time point. And did  
7 calculations of how many subjects would be  
8 needed to -- for a product to meet the  
9 bioequivalence requirements of 50 to  
10 200 percent.

11 And calculations were done assuming  
12 either a parallel design study as indicated  
13 on the previous slide -- earlier slide -- or  
14 a crossover design. And just looking at the  
15 common AM FEV-1 endpoint, we see that using  
16 the parallel study design, there was some  
17 1,400 or so subjects would be required in a  
18 parallel group study design in order to meet  
19 those bioequivalence requirements as defined  
20 in the paper.

21 On the other hand, if a crossover  
22 study were conducted, these study results

1 indicate that those criteria could have been  
2 met with 25 subjects.

3           It's a -- I don't have a  
4 point -- it's one of the very bottom points  
5 on that curve, is -- represents the data for  
6 the crossover study design using the morning  
7 FEV-1.

8           This was of substantial interest to  
9 us because we've been -- in OGD -- because  
10 we've been looking for some method which  
11 would represent a sensitive study design  
12 which could be recommended to firms to  
13 conduct a bioequivalence study. It needs to  
14 be sensitive in order to be meaningful.

15           Now, this slide, then, represents  
16 the FDA's study objectives based upon the  
17 pilot study which I just described. And we  
18 wanted to expand and fine tune the work which  
19 Dr. Ahrens had done. And so one of the  
20 questions we wanted to ask was, can that  
21 maximum steroid response be reached with a  
22 high dose inhaled corticosteroid rather than

1 oral prednisone. There would be large  
2 benefits to doing that, because it would  
3 avoid the large systemic exposure of the oral  
4 prednisone if we could dose with a high dose  
5 corticosteroid. That's one of the questions  
6 we're asking in this study, which is  
7 underway.

8           We want to characterize the dose  
9 response based upon three treatment levels.  
10 And I want to back up for a moment. If we  
11 look at this left-hand figure, that  
12 represents the decline in response with 100  
13 micrograms. What we don't know here is, what  
14 would the decline in that response look like  
15 if the subjects were on placebo or no drug.  
16 We don't know that. And so what we wanted to  
17 do was to better define this dose response  
18 curve by adding a third dose.

19           Now, either we could do it in one  
20 of two ways. We could either include a  
21 placebo treatment in this study, or we could  
22 simply add a third dose of active drug. And



1 for ethical reasons, about taking these  
2 steroid responsive, steroid dependent  
3 subjects off of drug totally for a three or  
4 four week period would be a concern and we  
5 didn't want to do that. Therefore, we  
6 included three different active drug doses in  
7 order to establish a dose response.

8 A third bullet, study efficiency of  
9 the screening process for identifying  
10 subjects demonstrating a sufficient dose  
11 response. Where, it's critically important  
12 to try and enrich the study population in  
13 these studies in order that we include  
14 subjects who are dose-responsive. And that's  
15 one of the aspects of this study.

16 To examine the dose response of the  
17 primary and secondary outcome variables. The  
18 Ahrens pilot study suggested that the AM  
19 FEV-1 is one of the very most powerful  
20 endpoints, but there were a number of other  
21 endpoints, and so we're going to be looking  
22 at that issue as well. And we're also going

1 to characterize the dose response using both  
2 linear -- using linear and nonlinear and Emax  
3 modeling.

4           So those are objectives of the  
5 study. And some of the specifics are that  
6 these subjects in our study, which is  
7 currently ongoing -- the asthma stability  
8 model study -- will have persistent asthma,  
9 they'll be non-smoking. They must exhibit a  
10 dose response during run-in. Otherwise, they  
11 are excluded from the study. Run-in study  
12 periods will be a high dose inhaled  
13 corticosteroid run-in, which is going to be  
14 220 micrograms times 4 actuations. Followed  
15 by a low dose run-in of 44 micrograms per  
16 actuation.

17           Essentially, what we've done here  
18 is, we are giving the subjects the highest  
19 label dose of the inhaled corticosteroids as  
20 a high dose run-in, and then we're dropping  
21 them down to the lowest possible dose -- of  
22 the lowest dose of this product, which is

1 Beclomethasone Dipropionate, HFA. I  
2 neglected to say that. We're giving them the  
3 lowest possible dose, which is a 44  
4 micrograms X actuator.

5           And so therefore, we're looking for  
6 a drop in their FEV-1 as a result of the drop  
7 down to the lower dose. And there's a  
8 criterion we're using there of a greater than  
9 or equal to 7 percent decrease in FEV-1 as a  
10 result of that criterion -- that element of  
11 the study design.

12           And then the study treatment  
13 periods will include either -- there'll be  
14 four treatment periods, and they will include  
15 either an oral prednisone dose or a high dose  
16 inhaled corticosteroid. Two of those periods  
17 of subjects are going to receive the high  
18 dose oral prednisone, 30 milligrams BID, or  
19 the inhaled corticosteroid. There will be  
20 four randomized periods, it'll be a  
21 double-blind, double-dummy study. Each one  
22 of these periods on the various treatments

1 will last for four weeks, and we have four  
2 different doses: 44 micrograms, which is one  
3 actuation of the product; 2 actuations, which  
4 is 88 micrograms; or 352 micrograms. And  
5 each one of those dosed twice daily.

6           And I should say that on the study  
7 design which Dr. Chowdhury will be  
8 describing, we are using exactly the same  
9 test product, fluticasone propionate HFA.  
10 And we're using exactly the same doses.

11           So what we're going to have with  
12 these two studies is two very different  
13 endpoints. One a measure of bronchodilation,  
14 one a measure of inflammation -- the ENO  
15 study -- and looking at these two very  
16 different study designs and endpoints as a  
17 way of seeing -- of comparing the results of  
18 those two in terms of their ability to serve  
19 as a good study design for bioequivalence.

20           And looking at this from a  
21 graphical standpoint, there's a pre-study  
22 period of 7 days leading up into the high

1 dose run-in for 14 days, a low dose run-in  
2 for 28 days, and over that time period there  
3 has to be at least a 7 percent drop in FEV-1  
4 in order for the subjects to go into the  
5 randomized portion of the study.

6 We then dose them with a high dose  
7 corticosteroid. And after that period, we  
8 then put them on the four weeks of the  
9 fluticasone propionate at either one of three  
10 doses, and the middle dose we're dosing on  
11 two occasions in order to determine  
12 intra-subject variability.

13 How am I doing for time, by the  
14 way? Should I keep going, or should I --

15 DR. YU: You're fine.

16 DR. ADAMS: Five minutes? Okay. Five  
17 minutes to discuss the proposed data analysis.

18 Now, the issue here is that we  
19 could either establish bioequivalence  
20 criteria on the basis of comparability in the  
21 pharmacodynamic measure that is on the Y  
22 axis, or we could establish bioequivalence on

1 the dose axis. And after we've gone through  
2 the experience with albuterol MDI back in the  
3 1990s, a group at FDA -- Dr. Bill Gillespie  
4 at the time at FDA -- Stella Machato (?) and  
5 Don Sherman developed a method that we call  
6 the Dose Scale Approach. And it, in fact,  
7 makes comparisons on the dose scale rather  
8 than on the response scale.

9           And a point I'd like to make, and  
10 it may not be totally easy to see on this  
11 plot, which represents an Emax model. An  
12 idealized figure. But if we had two products  
13 in which we're up high on the dose response  
14 curve and the test and reference products,  
15 let's say, differed by 10 percent in their  
16 response, and we drew lines over and dropped  
17 them down, the difference in the doses  
18 between those two products that resulted in  
19 that 10 percent difference in pharmacodynamic  
20 response would be substantial. It'd be a big  
21 difference in those two doses. Whereas, on  
22 the rapidly rising portion of the curve, if

1 we had a 10 percent difference in response  
2 and went over, dropped it down onto the dose  
3 scale, we'd see that the doses that caused  
4 that 10 percent difference in response were  
5 much closer together.

6           And the point is that we do not  
7 want to set bioequivalence criteria on the  
8 response scale because, depending upon where  
9 the study is conducted on that Emax model, is  
10 going to affect the test to reference ratio.  
11 So therefore, we do not want to make  
12 comparisons on the response scale but rather,  
13 on the dose scale.

14           And this slide was prepared by  
15 Dr. Gillespie for an Advisory Committee  
16 meeting back in 1996. The general -- the  
17 specifics would vary depending upon just how  
18 the study design was conducted, how many  
19 doses are given. But in this particular  
20 case, which was based upon our albuterol  
21 experience, the zero dose represents  
22 baseline. And then 1 and 2 puffs -- 1 and 2

1     actuations of the reference product or dosed,  
2     and we prepare -- we fed an Emax model to  
3     that curve. Based upon the response of the  
4     reference product. And then, a test product  
5     can be given.

6             And in this case, you'll see that  
7     that middle -- I wish I had a pointer. But  
8     this curve right -- that point right there is  
9     due to one actuation of the reference  
10    product. And if one actuation of the test  
11    product is a higher response --

12            DR. MORRIS: Your clicker has a  
13    pointer, Wally.

14            DR. ADAMS: What? Oh, that thing has  
15    a -- thanks, Lawrence. Now I find out.

16            Okay, so in this particular  
17    hypothetical example, the test product dosed  
18    at one actuation had a response that was  
19    greater than the response of one actuation of  
20    the reference product. And you can draw a  
21    line and drop it down onto the X axis and as  
22    it indicates here, this dose of the reference



1 product that would result in a response equal  
2 to that of the -- from -- response from the  
3 test product is estimated by that value right  
4 there.

5           To make it a little clearer -- and  
6 this is my last slide -- this is another  
7 slide presented by Dr. Gillespie at the  
8 Advisory Committee meeting -- again, it's a  
9 hypothetical curve. But in this particular  
10 case, the Emax model curve has been developed  
11 based upon the 0, 1, and 2 actuations of the  
12 referenced product to establish our dose  
13 response curve. And then, the test product  
14 have a lower response than one actuation of  
15 the reference product. And as it indicates  
16 here, you can then calculate this number and  
17 get a relative bioavailability on the dose  
18 scale.

19           And the advantage of this method  
20 is, that it allows -- it compensates for the  
21 non-linearity. The study could be conducted  
22 in a different region of the curve and you'd

1 still get this same result. The same  
2 relative bioavailability. So it's an  
3 important aspect of our thinking in terms of  
4 how pharmacokinetic data should be analyzed  
5 for inhaled drugs.

6 And there are mathematical basis  
7 for this approach, which I'm not going to  
8 present.

9 So finally, I'd like to acknowledge  
10 the project advisory group for this study.

11 It's Dr. Chowdhury, who is going to be  
12 presenting next; Sally Seymour, who's in the  
13 audience; Rob Lionberger, who presented this  
14 morning on a different topic; Bing Li, who's  
15 in the audience. And I also want to thank  
16 Dr. Lawrence Yu and Helen Winkle for  
17 providing funding for this research project.

18 And I also want to acknowledge two  
19 other individuals. One is Dr. Gerjavahl (?)  
20 Singh, who's in the audience. He was a  
21 co-project officer on this project before he  
22 left the agency.

1           And I also want to acknowledge one  
2 other individual. I should say that this  
3 contract study is being conducted at the  
4 University of Iowa by Dr. Ahrens. And he has  
5 a co-principal investigator, who is Dr.  
6 Leslie Hendeles, who is also in the audience.

7           I want to acknowledge both those  
8 individuals. Thank you.

9           DR. MORRIS: Thank you. Should we go  
10 with clarifying questions -- yeah. If there are  
11 just any brief clarifying questions, that we  
12 could take them now. If not, we'll proceed. So  
13 thank you, Wally.

14           DR. ADAMS: Yes, sir.

15           DR. MORRIS: Please, go ahead.

16           DR. CHOWDHURY: Thank you for being  
17 here so late in the day of a two-day Advisory  
18 Committee.

19           And also the audience here. It's  
20 very tough to be the last speaker in a  
21 two-day symposium and keeping interested.  
22 I'll try my best to do that.

1 I'll be talking about exhaled  
2 nitric oxide as a model for inhaled  
3 corticosteroids for ICS dose response.  
4 Inhaled corticosteroid has flat dose response  
5 on usual efficacy variables, such as FEV-1.

6 And this flat dose response has  
7 hindered the development of generic ICS.  
8 That typically would require comparison of  
9 drug products on dose response curves.

10 We're exploring various models and  
11 markers that can show dose response with ICS.  
12 You've heard one model, in the next 30  
13 minutes I will discuss with you another  
14 model, which is exhaled nitric oxide or ENO,  
15 as I'll be referring to in my presentation.  
16 And ENO appears very promising.

17 Before I start, I'd like to  
18 acknowledge and thank some individuals.  
19 Dr. Adams, and Dr. Willy Purcell (?), who is  
20 currently at Watson and was formerly at the  
21 FDA. And Dr. Robert Meyer, who is at Merck  
22 and was formerly at FDA. And for both of

1 these individuals for providing scientific  
2 leadership to this project. And actually  
3 generating many of the background materials  
4 that I'll be presenting today.

5           And also thanks to Dr. Winkle and  
6 Dr. Yu. Now, here's the outline of my  
7 presentation. As we know, single ingredient  
8 ICS are approved for asthma in the U.S.  
9 Therefore, the disease of interest is asthma.  
10 I'll use one slide to introduce the disease  
11 to you. I'll then use two slides to discuss  
12 relevant regulatory framework for the  
13 development of NDA (?) products, and AND (?)  
14 product.

15           I'll then discuss the challenges in  
16 developing generic corticosteroids very  
17 briefly. I'll spend most of my time talking  
18 about why we think ENO is a suitable marker  
19 for ICS bioequivalence, or BE, studies. And  
20 we have funded a study to assess the  
21 feasibility of using ENO for showing ICS dose  
22 response. At the last part of my

1 presentation, I'll discuss the protocol with  
2 you.

3           Asthma is a chronic inflammatory  
4 disease, it is probably well-known to the  
5 audience here. And there are various cell  
6 types which infiltrate the lungs, resulting  
7 in wheezing, shortness of breath, airway  
8 hyperresponsiveness, and airflow obstruction.

9           And the current signs leads us to  
10 believe that ENO can also be considered as  
11 another characteristics of asthma.

12           There are two types of drugs used  
13 in asthma treatment. First are reliever  
14 drugs, which are used as needed. And second  
15 are controller drugs which are used  
16 continuously. ICS are controller drugs and  
17 are widely used in treatment of patients with  
18 persistent asthma.

19           ICS are marketed as either single  
20 ingredient products or as combination  
21 products with long-acting betagonist (?), or  
22 LABA, as fixed-dose combination products in

1 the U.S.

2 But new drugs are brought to the  
3 market in the U.S. by the NDA process, which  
4 you are very familiar with. And the essence  
5 of the process is to bring drugs of  
6 better-known quality, like CMC attributes.  
7 And safe and effective, a label, which are  
8 based on clinical studies.

9 Generic drugs are brought to the  
10 market by the NDA process. And a generic  
11 drug is identical to a previously approved  
12 drug, or the reference listed drug, or RLD,  
13 in active ingredients, strength, root, et  
14 cetera. Generic drugs are, in essence, copy  
15 of RLD. Sameness of the product quality of a  
16 generic and an AND or NDA drug is assured by  
17 CMC processes.

18 And the sameness of safety and  
19 efficacy of the two are assured by  
20 bioequivalence, which I will discuss  
21 subsequently.

22 Critical to the approval of a

1 generic drug is demonstration of  
2 bioequivalence to the RLD. Regulatory  
3 definition of BE is in the slide. The key  
4 operating principle in the definition of BE  
5 is demonstration that between a generic drug  
6 and RLD there's no difference in the rate and  
7 extent of availability of the active moiety  
8 at the site of drug action.

9           But for most drugs such as oral  
10 drugs, BE is demonstrated based on drug  
11 concentration in the blood, because the drug  
12 reaches the site of action through the system  
13 of circulation. This approach is not  
14 applicable for locally acting drugs such as  
15 inhaled corticosteroids, because the emitted  
16 dose does not rely on systemic circulation  
17 for delivery and action in the lungs.

18           To demonstrate identical rate and  
19 extent of availability of a generic drug and  
20 RLD, it is necessary to show bioequivalence  
21 on relevant clinical or pharmacodynamic  
22 endpoint. As you have heard before, the



1 relatively flat dose response curve of ICS,  
2 unusual efficacy measures such as trough  
3 FEV-1 makes demonstration of bioequivalence  
4 challenging.

5           And here is an example of an  
6 inhaled corticosteroid that shows flat dose  
7 response on trough FEV-1. Flovent is  
8 marketed in the U.S. in three dosage  
9 strength. There are three dosing  
10 recommendations based on asthma severity. In  
11 phase 3 studies, these doses virtually did  
12 not separate from each other on the typical  
13 measure, which is trough FEV-1 over the  
14 duration of treatment of 12 weeks.

15           Here is another example, which is a  
16 dry particle inhaler, actimoete (?) is  
17 mometasone. The trade name is Asmanex.  
18 Again, the same flat dose response curve.

19           Now, how does one show  
20 bioequivalence of inhaled corticosteroids?  
21 Just as a frame of reference, I'm putting up  
22 a picture of albuterol and a warfarin

1 generic, which Dr. Adams briefly touched on  
2 before. For an inhaled corticosteroid, the  
3 way we look at it essentially is total kind  
4 of a package approach, if you would call it.  
5 In the various areas where one needs to look  
6 at to ultimately conclude bioequivalence,  
7 these are drug product characteristics, in  
8 vitro performance, systemic exposure, and  
9 local action.

10 Let me expand on this very briefly.

11 The generic ICS and the RLD should have the  
12 same formulation and device. Formulation  
13 meaning, they should be qualitatively and  
14 quantitatively same, meaning that actives,  
15 inactives are the same -- and be within  
16 5 percent of each other.

17 The devices should be generally  
18 similar, and operation characteristics  
19 generally similar, allowing  
20 interchangeability.

21 The applicable in vitro performance  
22 should be similar, primarily being similar

1 emitted dose for inhalation and a similar  
2 particle size distribution.

3           The systemic exposure should also  
4 be similar, and is really in forms of safety  
5 and not efficacy. The ideal way of doing  
6 similarity of that are standard PK approaches  
7 using the standard PK parameters. If, for an  
8 inhaled corticosteroid, exposure is very  
9 less, one could use appropriate  
10 pharmacodynamic models such as HP access.

11           The generic and the RLD should have  
12 same local action. To assure that there is  
13 no difference in the rate and extent of  
14 availability of the active moiety to the site  
15 of traction in the lungs. This requires, as  
16 we heard before, a demonstration of dose  
17 response on the relevant clinical or  
18 pharmacodynamic endpoint so that generic and  
19 RLD can be characteristically compared on the  
20 slope of dose response curves. As we heard  
21 before, and I mentioned earlier for ICS, this  
22 has been challenging because there is no

1 established model that shows dose response in  
2 the relevant clinical or pharmacodynamic  
3 endpoint.

4           There are some models that we are  
5 exploring. You have heard Dr. Adams talk  
6 about one model, which was asthma stability  
7 model. And I'll discuss and present to you  
8 the other model which we are exploring, which  
9 is the ENO.

10           Now, ENO appears to be a good  
11 marker for use in ICS bioequivalence study.  
12 ENO is a clinically relevant marker of  
13 asthma, its response to inhaled  
14 corticosteroids, and the responsiveness  
15 behaves in a fashion that makes it suitable  
16 for crossover BE study. And the methodology  
17 and measurement are standardized and  
18 harmonized for the exhaled nitric oxide.

19           I'll expand on these points using  
20 data from published literature, and bring  
21 back to this slide again and kind of wrap it  
22 up saying why we think ENO is a good model.

1                   Nitric oxide, or NO, has several  
2   important biological functions, such as  
3   regulation of vascular constriction, later  
4   degradation, neurotransmission, et cetera.  
5   In the airway respiratory tract, it acts as a  
6   selective pulmonary vasodilator. Source of  
7   NO in the exhaled air is upper airways,  
8   particularly sinuses, and lower airways, or  
9   the lungs. Once the nasal airway or the  
10  sinuses are excluded, NO in breath is  
11  originates all from the lower airways.

12                   NO, or nitric oxide, is synthesized  
13  by NO synthase, or NOS. And these are found  
14  in several cell types. Let me go over that.

15                   There are three types of NOS, which  
16  are numbered as 1, 3, and 2. These are  
17  distinct gene products, and the chromosomal  
18  occurrences in the humans are different. And  
19  all three of them are expressed in the  
20  airways.

21                   Functionally, NOS are of two types.  
22  Constitutive and Inducible. The constitutive

1 NOS is produced intermittently at very low  
2 concentrations, femtomolar or picomolar.  
3 Inducible NOS is induced by pro-inflammatory  
4 cytokines such as TNF, (inaudible) IL-1,  
5 which are, again, markers of inflammation  
6 important in asthma. And they're produced at  
7 larger concentrations, nanomolar. And they  
8 are there for several hours after exposure to  
9 an inciting agent. And importantly,  
10 inducible NOS is steroid-sensitive.

11 Here's a picture of NOS. And this  
12 one is NOS 1, or cNOS. Which is shown in an  
13 endobronchial biopsy specimen taken with a  
14 patient who has asthma, showing the  
15 expression here in the epithelial cells.

16 Now, this slide shows the levels of  
17 ENO, or exhaled nitric oxide, in various  
18 respiratory diseases. In asthma, it is  
19 elevated. And I'll show some data later on  
20 that shows it elevated quite high. Other  
21 diseases where ENO levels are elevated are  
22 pretty much clinically distinct from asthma

1 and can be clinically easily distinguished.

2 ICS reduces ENO in patients with  
3 asthma. ENO is quite sensitive to ICS. I'll  
4 show data from two studies, one here and the  
5 second study will be in the next slide.

6 This slide shows results of a  
7 cross-sectional observational study. Here  
8 is the ENO level in control subjects, ENO  
9 levels in patients with asthma not on  
10 steroids -- quite a high increase -- and here  
11 the ENO levels in asthma on steroids  
12 comparable to control.

13 Here is a study where 11 patients  
14 with asthma were treated with inhaled  
15 steroid, 18 microgram Budesonide or placebo  
16 for three weeks in a crossover design. And  
17 if we see the results, the baselines are  
18 right here for the ENO. With steroid  
19 treatment, the ENO level went down. Quite a  
20 large reduction. With the placebo, there was  
21 virtually no change.

22 The important point for us is the

1 reduction of ENO by ICS in patients with  
2 asthma shows dose response. I'll again show  
3 results from two studies. One in this slide  
4 and the second one in the next slide.

5           This slide shows results of a  
6 crossover design study where patients with  
7 asthma were treated with beclomethasone for 1  
8 week, 3 doses used; 100, 400, and 800  
9 micrograms per day. And there were five  
10 visits. Visit one was the baseline, visit  
11 two was the placebo, and then these three are  
12 the three doses. If you look at all  
13 subjects, there was a dose response. Perhaps  
14 not that steep, but there was. If you look  
15 at patients who were taken out of these who  
16 had high baseline ENO level, the curve was  
17 much steeper.

18           The second study showing dose  
19 response is presented here, and this was a  
20 parallel group design study. Patients with  
21 asthma were given placebo, Budesonide 100 or  
22 400 once a day for three weeks. And ENO



1 levels were measured on days 0, 3, 5, 7, 14,  
2 and 21. The time course of ENO reduction was  
3 rapid, occurring approximately in seven days.  
4 A numerical dose response trend was seen  
5 during the first seven days. And then, the  
6 slope was flat. Between the two steroid  
7 doses, there was a dose response. And if you  
8 look at this four points, which creates the  
9 dose response curves -- and same here -- and  
10 the higher the dose of steroid appeared to  
11 have a steeper curve. And the rate of  
12 decline was more rapid with the lower dose of  
13 steroid, with the placebo being flat.

14 Another important point is that the  
15 reduction of ENO by ICS in patients with  
16 asthma is not affected by bronchodilators.  
17 Bronchodilators being albuterol, the classic  
18 drug as a short acting agent. And low acting  
19 bronchodilators such as salmeterol and  
20 formoterlol.

21 To make the point, I'll show two  
22 study results. One here, and the second one

1 in the next slide. And in this slide, I'm  
2 showing results of a crossover design study  
3 where patients were given fluticasone  
4 propionate plus salmeterol for two weeks. Or  
5 fluticasone propionate for two weeks. The  
6 doses were different here. Here is the  
7 baseline level of ENO, which is quite high.  
8 And the two levels, either with agonist or  
9 without, they're virtually very similar.

10 And this is another study. And  
11 this was a crossover design study. And  
12 patients here were given Budesonide plus  
13 formoterol for four weeks followed by  
14 Budesonide for one week or fluticasone plus  
15 salmeterol for four weeks followed by  
16 fluticasone for one week. And if you see the  
17 results, this is the baseline. Quite high  
18 here, no levels.

19 It came down with the treatment,  
20 either with or without steroid -- or without  
21 long acting agonist, formoterol, the numbers  
22 were similar. And same here, with or without

1 salmeterol in presence of fluticasone. The  
2 numbers were similar, showing virtually no  
3 effect of with agonist.

4           And lack of a bronchodilator effect  
5 of ENO is important, and beneficial in  
6 several ways. First, in ICS BE studies,  
7 patients will need to take rescue medications  
8 for symptom control. Particularly when  
9 they're washed off ICS. And risky  
10 bronchodilator use will not interfere with  
11 ENO changes in such studies.

12           And second is lack of effective  
13 bronchodilator will allow ENO to be used for  
14 comparing long acting betagonist plus ICS  
15 combination to test the isolated effect of  
16 ICS complement without the worry of LABA  
17 complement interfering with the ENO.

18           Another important point is the  
19 reduction of ENO in patients with ICS in  
20 patients with asthma is consistent, and  
21 reproducible. And to make the point, I'm  
22 showing results of a parallel group study

1 where patients were treated with Budesonide  
2 for four weeks, and then washed out for four  
3 weeks, and then given eight weeks randomized  
4 treatment with a steroid or placebo. Here's  
5 the baseline. After four weeks of treatment,  
6 the ENO levels came down. After wash out, it  
7 came up again, virtually going back to  
8 baseline. And then, when the patients  
9 randomized to either steroid or to placebo,  
10 the levels came down with the steroid or  
11 without steroid, it came up. Showing quite a  
12 bit decent reproducibility of the effect.

13           And the reduction of ENO by ICS in  
14 patients with asthma is fairly rapid. And  
15 reverses fairly rapidly as well. And this is  
16 one thing that shows that point. The ENO  
17 level coming down quite quickly with the  
18 steroid treatment and staying low as long as  
19 the patient is on steroid. And coming back  
20 quite rapidly once the steroid is tapered  
21 off.

22           A time course of ENO reduction with

1 ICS compared to other outcome measures such  
2 as symptoms, hyperreactivity of production is  
3 quite rapid. It's quite rapid compared to  
4 others. And one point to note that the  
5 expelled ENO does not correlate with  
6 pulmonary function parameters. However, it  
7 does correlate with other markers of  
8 inflammation.

9           So with this information, in  
10 clinical practice ENO is gaining some  
11 traction and is being used in asthma  
12 monitoring. And there's some points, I'll  
13 get to it in a later slide. But one point I  
14 want to touch on is the methodology of  
15 exhaled ENO measurement.

16           You may have noticed in the  
17 previous slides, the ENO values from various  
18 studies were quite variable. And this was  
19 because these studies were done at different  
20 time points, in different labs, using  
21 different methodologies. The measurement of  
22 ENO has recently been standardized and

1 harmonized. There's a European Respiratory  
2 Society document on this, and the ATS, the  
3 American counterpart, has subsequently a  
4 joined document, which basically standardizes  
5 the procedures. And we expect the  
6 standardizing of procedures will actually  
7 help in using this in our biostudy.

8           So going back to what I was  
9 mentioning earlier, there is quite a bit of  
10 use of ENO in clinical practice. And a lot  
11 of publications on that. And mostly  
12 revolving around the use of ENO of a measure  
13 of inflammation, as a measure of steroid  
14 treatment, compliance, and so on. And it's  
15 pretty encouraging, because ENO is becoming  
16 more recognized as a valid pharmacodynamic  
17 endpoint that actually is even making a sway  
18 in clinical practice.

19           So based on existing data which I  
20 shared some of it, ENO appears very suitable  
21 for use in ICS bioequivalence study. And  
22 I've showed you various characteristics of

1 ENO in patients with asthma, in the previous  
2 slides. And I've showed you that it is a  
3 relevant marker for asthma. I've shown you  
4 that it has increased in asthma, is  
5 responsive to INS -- ICS. It decreases by  
6 ICS. And it also decreases with clinically  
7 relevant doses, and not affected by  
8 bronchodilators.

9           It's a quite rapid onset and offset  
10 of reversibility, and the effect is  
11 reproducible, making it very suitable for use  
12 in bioequivalence studies. And as I  
13 mentioned earlier, there is quite a bit of  
14 standardized methodology for measurement  
15 which is harmonized.

16           So encouraged by these  
17 observations, we put forward an RFP for study  
18 exploring ENO as a biomarker for potential BE  
19 study. And ultimately funded a study to test  
20 this. And the study was contracted to a  
21 National Jewish Medical Center in Denver,  
22 Colorado.

1                   So before I describe the study, I  
2 would like to acknowledge some colleagues of  
3 mine who are in the project advisory group.  
4 Besides myself, there's Dr. Adams, Bing Li,  
5 Robert Lionberger, and Sally Seymour.

6                   So here is the study design and the  
7 conduct. Patients to be enrolled in the  
8 study would have asthma according to standard  
9 ADS criteria, should be reversible. Standard  
10 ages. And exhaled nitric oxide quite high,  
11 and the cutoff use in the study is over 45  
12 parts per billion.

13                   The study has four phases, which  
14 I'll get to in the next slide. And these are  
15 being called 1 through 4. Idea of the first  
16 three phases is to enroll patients who are  
17 likely to show ENO response.

18                   And the phase 2, which is the main  
19 phase of the study, will test those response.

20                   Let me show you the design and the  
21 conduct of the study using the slide. As I  
22 said, the study has four phases. The first



1 phase is a placebo running phase, where  
2 patients will be coming into the study,  
3 they'll be washed out of the ICS, and ENO  
4 will be measured every other day. The intent  
5 here is to have patients who have got ENO  
6 level above the cutoff of 45 parts per  
7 billion.

8           Then, the patients will go into a  
9 14 day treatment with fluticasone 88  
10 microgram twice a day.

11           Again, frequent measurement of ENO.  
12 And the expectation here is the ENO will  
13 decrease, and the decrease will be captured  
14 as something which we are calling as  
15 "responders" where the decrease is over  
16 25 percent. Then, there will be placebo wash  
17 out again. And the expectation here is the  
18 ENO level will return to baseline or within  
19 10 percent of it.

20           So the idea for this phase is to  
21 get patients with high ENO, show that they're  
22 responsive, and show they come back up again.

1                   And then, on the final phase which  
2                   is the phase 2, patients will be given 44,  
3                   88, 352 microgram as in the other study that  
4                   Dr. Adams mentioned. With 88 microgram being  
5                   repeated.

6                   The major article of interest in  
7                   the phase studies are changes from baseline  
8                   in ENO with different doses of ICS, magnitude  
9                   of those response, intra-subject variability  
10                  in those response. And our anticipated  
11                  outcomes are a large decrease of ENO from  
12                  baseline with ICS. A good dose response, and  
13                  a low intra-subject variability.

14                  The number of patients to go  
15                  through the study, expectation is  
16                  approximately 80 patients will be enrolled in  
17                  the placebo run in. Some will drop out  
18                  because they probably would not have the  
19                  elevated levels. And then with the  
20                  treatment, again, some will drop out.  
21                  Ultimately, will go into phase 2. The  
22                  expectation is that 39 will complete.

1           The measures will be standard. ENO  
2 will be measured by FDA-cleared -- the NIOX  
3 instrument. In addition, other measures of  
4 asthma control such as spirometry and  
5 methacholine challenges will also be done.

6           So in conclusion, then, development  
7 of generic ICS has been challenging. So has  
8 been challenging the development of  
9 combination products of generic ICS, and low  
10 betagonist. As I mentioned earlier, standard  
11 BE approaches are not applicable.

12           Because of with the doses, it does  
13 not rely on systemic circulation for deliver  
14 and action in the lungs. And the relative  
15 flat dose response curve on typical efficacy  
16 endpoints have made demonstration of  
17 bioequivalence difficult.

18           The study is (inaudible) to develop  
19 models that can shorten the response and  
20 therefore two such models today. And I, and  
21 we, hope that these studies will succeed and  
22 lay a path for developing generic inhaled

1 corticosteroids, either as a single entity  
2 product or as combination products with low  
3 acting betagonist.

4 With that, I stop and thank you  
5 very much.

6 DR. MORRIS: Thank you. All of you,  
7 for those excellent presentations. There is  
8 questions for our speakers, here, and start with  
9 Mel.

10 DR. KOCH: Mel Koch. Just a  
11 clarifying question. The measurement of the NOS  
12 is made by NIOX instrument? What's the basis of  
13 that, electrochemistry or?

14 DR. CHOWDHURY: I am not a chemist,  
15 I'm looking at some chemist to help me here.  
16 Chemiluminescence.

17 DR. KOCH: Chemiluminescence. And  
18 that part per billion sensitivity? That's --

19 DR. CHOWDHURY: Yes.

20 DR. KOCH: Method's well-established?

21 DR. CHOWDHURY: The method is  
22 well-established. As I was showing you in the

1 earlier slides, initially back 10, 15 years ago  
2 some laboratory based methods were used. And  
3 you saw a lot of variability. And the ATS ERS  
4 got together and the method has been very  
5 well-established. There's actually position  
6 statement with the ATS and ERS. And the mission  
7 is they're commercially available after they're  
8 cleared. So that portion is actually very  
9 easily addressed.

10 DR. MORRIS: Marilyn?

11 DR. M. MORRIS: Marilyn Morris. I  
12 just had a question regarding the initial levels  
13 of exhaled nitric oxide for your study. You  
14 indicated that patients had to have levels of  
15 greater than equal 45 parts per billion? And I  
16 was wondering, overall, what percentage of  
17 patients would have levels?

18 DR. CHOWDHURY: Approximately half.  
19 And we are still in discussion with  
20 investigator, we already have discussed with  
21 investigator, and they have a large database at  
22 National Jewish where ENO has been measured over

1 years. And based on the existing database, the  
2 expectation is if they screen 160 patients,  
3 approximately 80 would have that number.

4 DR. M. MORRIS: So do you anticipate  
5 if a patient has levels less than that, that  
6 they may not show this dose dependent decrease  
7 in exhaled nitric oxide in these studies --

8 DR. CHOWDHURY: From my standpoint --

9 DR. M. MORRIS: And is this the reason  
10 why in some studies they haven't shown sort of a  
11 dose dependent decrease?

12 DR. CHOWDHURY: Yeah, if you see one  
13 of the studies which I showed showing dose  
14 response, I showed two studies. The first one,  
15 the higher the dose -- the higher the baseline  
16 ENO was, chances are showing the dose response  
17 was higher is simply a matter of that having a  
18 lesser or larger room to decrease. So we're  
19 choosing 45 sort of with that idea.

20 And it is a first kind of a test of  
21 our hypothesis. It is entirely possible that  
22 a lower baseline ENO may also do it. The

1 question becomes, then, the sample size may  
2 need to be increased. So we are going with  
3 that 45 number as initial test.

4 DR. MORRIS: Lawrence?

5 DR. YU: Yeah, I should have  
6 mentioned. I was in a hurry finish my opening  
7 remark, that this topic is just for awareness.  
8 So there's not question to ask you. We will  
9 come back hopefully in the next time with the  
10 recommendations seeking your input and advice.  
11 Thank you.

12 DR. MORRIS: Yeah, I should have  
13 mentioned that, too. And please, Art?

14 DR. KIBBE: In that case, I get to ask  
15 some interesting questions and then not make a  
16 recommendation. It seems from the information  
17 that we were given that there is a really poorly  
18 defined dose response in the actual measures of  
19 the clinical manifestations of the disease,  
20 right? That that's relatively flat. That a  
21 change in dose seems not to have a dramatic  
22 change in response, in that -- that's why you're

1 looking for a secondary marker. Right?

2 DR. CHOWDHURY: Let me just address  
3 this not as a question but just as a comment.  
4 Inhaled corticosteroids do have a dose response.  
5 It is quite flat. And if you go back and ask a  
6 patient, they know it very well. That if your  
7 patient has got, say, asthma which is poorly  
8 controlled, the first thing the clinician would  
9 do is increase the dose. And they don't  
10 respond. And then, we cut the dose, and the  
11 response stays.

12 There is a dose response. If you  
13 go to large clinical studies for approval of  
14 a drug where we use trough FEV-1, it becomes  
15 rather flat. But I have not shown data --  
16 but in the development programs, again, there  
17 are often other models he used. Such as  
18 showing response to methacodine (?)  
19 challenge, or some other markers or asthma  
20 stability or exacerbations (?), or use of oral  
21 corticosteroids. In those -- you see those  
22 response. Again, not necessarily all the



1 time excessively significant.

2 DR. KIBBE: So that what I hear you  
3 saying to me is that if you have a  
4 non-responsive patient and you initiate  
5 corticosteroid therapy they feel a real benefit  
6 of it. But if their dose was changed slightly  
7 or even dramatically, like go up by another  
8 50 percent, they wouldn't necessarily feel or  
9 notice a change. That's where the flatness  
10 comes from. Is that not right?

11 DR. CHOWDHURY: No, that is not really  
12 true. I mean, patients often would feel a  
13 change of reduction or increase of 2 or 44. And  
14 that's the reason currently marketed --

15 DR. KIBBE: Two or fourfold.

16 DR. CHOWDHURY: Correct.

17 DR. KIBBE: But 50 percent, 25 percent  
18 difference wouldn't necessarily --

19 DR. CHOWDHURY: That is --

20 DR. KIBBE: It is relatively flat.

21 Otherwise, we could you use that.

22 DR. CHOWDHURY: That's correct.

1 DR. MORRIS: But aren't you saying  
2 that the patient will feel it but the FEV won't  
3 show it?

4 DR. CHOWDHURY: Patients will feel it,  
5 and FEV will not often show it.

6 SPEAKER:: Right.

7 DR. CHOWDHURY: And for the purpose of  
8 approval, FEV-1 is still a surrogate of asthma  
9 control. It's an indirect measurement of  
10 inflammation.

11 DR. MORRIS: So the measure doesn't  
12 show it but the patient can feel it.

13 DR. CHOWDHURY: Yes.

14 DR. MORRIS: I think is what you're  
15 saying.

16 DR. CHOWDHURY: Yes.

17 DR. ADAMS: You know, and that's  
18 another aspect of this, too, which is that on  
19 the data which Dr. Chowdhury has shown, those  
20 are population means.

21 You know, those are average data.

22 The individual subject is capable -- many

1 individual subjects will be capable of  
2 showing an increase in response with an  
3 increase in dose. But when you look at the  
4 average data, it may not be seen as markedly.

5 DR. KIBBE: Now, here's where I'm  
6 going to go down one of those dangerous roads.  
7 This particular dosage form is fairly well  
8 defined as a dosage form, because we are very  
9 careful to measure and accurately reproduce the  
10 particle size generated so that we get it into  
11 the right place in the lung, or else if it's the  
12 wrong particle size it will be exhaled easily or  
13 whatever. So we're tight on that. And we can  
14 measure the drug load produced in each puff, and  
15 those are relatively tight. And so the question  
16 that I have in the back of my mind is -- and I'm  
17 bringing it to the front -- is how -- where is  
18 the problem that causes an individual puff to  
19 not be equivalent to another individual puff,  
20 physiologically, that we have to measure for?

21 I don't -- I mean -- we're going to  
22 an exquisite level to get a bioequivalence

1 study which is actually a pharmacodynamic  
2 based bioequivalence study to evaluate two  
3 different products which the standard testing  
4 of those products is relatively tight. And  
5 maybe I'm not even on that, but I would  
6 expect that the agency expects a particle  
7 size analysis of each puff and a content  
8 analysis of each puff, and those are the two  
9 criteria that matter to whether or not the  
10 drug -- the active ingredient -- gets to the  
11 right part of the lung to start with. And so  
12 now, if there is no additional ingredient  
13 which Q1, Q2, and we're getting the same  
14 particle size and the same total load, and  
15 we're delivering it to the lung, then the  
16 real variability is how well trained the  
17 patient is who's using it. And then we're  
18 going to go and do what is a fairly extensive  
19 study using a pharmacodynamic second  
20 generation or two removed measure to  
21 establish equivalence.

22 I mean, are we beating a dead horse

1 with this study?

2 DR. MORRIS: Can I -- I think the way  
3 I read it -- and please feel free to  
4 comment -- I sort of the way I was looking at  
5 these, at both the asthma stability model and  
6 the ENO, was that the bioequivalent studies that  
7 are required now would be prohibitive in terms  
8 of the size of them and that this was a way in  
9 addition to a more accurate measure was to be  
10 able to do it with fewer -- for the asthma  
11 stability model, was to be able to do it with  
12 fewer patients. And the clinical study --

13 DR. KIBBE: And my heretical question  
14 really is, why are we doing it at all?

15 DR. MORRIS: At all? You're saying  
16 why at all. Right.

17 DR. ADAMS: You know, we've got issues  
18 with these products in that it's not simply the  
19 drug and the formulation. It's a device  
20 formulation combination product. And there can  
21 be differences in terms of the device that's  
22 used by the -- a test product manufacturer

1 versus the referenced drug manufacturer. There  
2 may be differences in the actuator orifice,  
3 performance of the actuator, maybe the metering  
4 valve differs in some regard.

5 But in addition to that -- you  
6 know, you talk about the same particle size  
7 distribution. And why -- we, in fact, by  
8 using the cascade impactor, we would know the  
9 aerodynamic particle size distribution.

10 DR. KIBBE: Maybe I'm missing  
11 something, but when the actuator works and you  
12 get a fog produced, and you can accurately  
13 determine the particle size distribution of that  
14 fog, and compare that particle size which is a  
15 bell skewed to the right, approximately -- it  
16 comes up skewed to the right a little bit. And  
17 you can compare those two between different  
18 product formulations, and you can compare the  
19 total amount of drug coming out, and you know  
20 the content because you know the propellants in  
21 question -- which are not even part of the  
22 actual dose, they're dissipated -- and whatever

1 adjunct is in there. Then, what variable am I  
2 missing that I have to do this study to make  
3 sure it doesn't affect something? That's where  
4 I am.

5 DR. ADAMS: You know, we -- you know,  
6 the agency has participated in a profile  
7 comparison working group in which it's looked at  
8 aerodynamic particle size distribution. And  
9 that's been very challenging, because when you  
10 look at the cascade impactor, that which is  
11 aerodynamic particle size.

12 A test and reference product are  
13 not going to be identical. And depending  
14 upon what your metric is, it may seem like  
15 it's the same mass, median, aerodynamic  
16 diameter. Maybe very close. However, when  
17 you look at the individual deposition on the  
18 various stages, they may not be as close as  
19 the MMAD might imply.

20 And so products will differ. And  
21 we don't know what the importance of those  
22 differences between a test and reference

1 product will be based upon in vitro data  
2 only. We don't have an in vitro in vivo  
3 correlation to know what the significance of  
4 those differences may be. The differences  
5 will be there. And so as Dr. Chowdhury's  
6 indicated in his slide presentation, our  
7 approach for establishing bioequivalence is  
8 one in which it's a weight of evidence  
9 approach based upon the sum of a number of  
10 different criteria being met in order to  
11 establish equivalence.

12           There's the formulation and  
13 device -- formulation being Q1 and Q2 the  
14 same qualitatively and quantitatively, the  
15 same. Device comparability to the extent  
16 that that can happen. There's the in vitro  
17 performance data, there's the PK data, and  
18 there's the (inaudible) local efficacy data.  
19 It's all a package, and it's only -- because  
20 each one of those has weaknesses, to stand  
21 alone and say that the products are  
22 equivalent, we put them all -- all this



1 information together and say that package of  
2 information confirms that bioequivalence has  
3 been established.

4 DR. MORRIS: Could I just -- one quick  
5 thing during the -- I'll turn it over to you.  
6 So I mean, the equivalence for the DPI devices  
7 is even more problematic, I know. And I think  
8 that sort of enters into it. But it seemed to  
9 me like the real win here is to combine the  
10 asthma stability model with the ENO. Will that  
11 work? Will you see the decrease in ENO after  
12 you ramp up in the asthma stability model?

13 DR. ADAMS: You're saying, would we  
14 expect that a test to reference product would  
15 show the same relative bioavailability in both  
16 of those study designs?

17 DR. MORRIS: Well, in other words, so  
18 my read was that the asthma stability model was  
19 actually to have a more sensitive and efficient  
20 way of doing the study. I mean, in terms of  
21 numbers of patients, you said you had the 1,400  
22 patients versus 25. So could you use ENO as

1 your marker --

2 DR. ADAMS: Of course.

3 DR. MORRIS: In those studies.

4 DR. ADAMS: That could also be used.

5 I mean, as Dr. Chowdhury indicated, the -- both

6 of these study designs are two ways of looking

7 at the same issue, which is one of equivalence.

8 And we hope that both of these will work fine.

9 We won't know until completing the study --

10 DR. MORRIS: Right.

11 DR. ADAMS: What the study power will

12 be in order to --

13 DR. MORRIS: But the ENO is a

14 marker --

15 DR. CHOWDHURY: Yeah, the issue here

16 is that these are different designs, different

17 models, and either or, if it wins, can be used

18 in BE studies.

19 If you look at the asthma stability

20 model, you are still using airflow. Some

21 measure of airflow, which is more close to

22 the basis of the approval of this drug.

1     However, being that, it may or may not be as  
2     sensitive as they want it to be. Whereas  
3     ENO, being a biomarker, looking at the  
4     existing data, appears to be equally  
5     promising if not more.

6             So these two doesn't have to happen  
7     together one or the other --

8             DR. MORRIS: No, no, no. I  
9     understand. But am I correct in saying that the  
10    asthma stability model, in a sense, can use any  
11    marker if it's an appropriate marker?

12            DR. CHOWDHURY: Understood. It can  
13    actually use any marker.

14            DR. MORRIS: Okay.

15            DR. ADAMS: You know, one --

16            DR. CHOWDHURY: It does.

17            DR. ADAMS: It does. It includes a  
18    measure --

19            DR. MORRIS: It does.

20            DR. ADAMS: We are measuring ENO that  
21    study.

22            DR. MORRIS: In the asthma -- oh.

1 DR. CHOWDHURY: I think your question  
2 is a hybrid. Can the asthma stability model be  
3 used, and rather than using FEV-1, can it be  
4 used --

5 DR. MORRIS: Yeah.

6 DR. CHOWDHURY: As an ENO. Answer is  
7 yes, and actually, ENO is being looked at.

8 DR. MORRIS: Good, that's good.

9 Question --

10 DR. ADAMS: You know, one point I'd  
11 like to make is, the take home message -- one of  
12 the take-home messages here should not be that  
13 it looks as if a 25 subject study conducted at a  
14 crossover design with the asthma stability model  
15 will be suitable -- powerful enough to establish  
16 bioequivalence.

17 Recall back the -- one of the  
18 criteria was that the bioequivalence fall  
19 within 50 to 200 percent. Now, that's an  
20 exceptionally broad range, and it's far  
21 greater than the 80 to 125 that we normally  
22 think about. So those numbers are diminished

1 by the width of that proposed confidence  
2 interval --

3 DR. MORRIS: Sure.

4 DR. ADAMS: That Dr. Ahrens used.

5 DR. MORRIS: Yeah, that's a good  
6 point. But presumably your study will hone in  
7 on that.

8 DR. ADAMS: And it's critically  
9 dependent, too, upon the actual slope. And  
10 that's why it's important that we look at these  
11 three different doses, to get as good a measure  
12 as we can of the slope during that study. So we  
13 don't know -- you know, this is -- we're trying  
14 to fine tune the study, enrich the population,  
15 get a good measure of the slope, get a good  
16 measure of the variability -- that's why we're  
17 doing the replicate design at the 88 microgram  
18 dose. And putting all of these things together  
19 to give us added confidence in the performance  
20 of that asthma stability model.

21 DR. MORRIS: Sure, sure.

22 DR. ADAMS: And it's similarly on the

1 nitric oxide study. We're looking at various  
2 aspects, too, as Dr. Chowdhury indicated, to  
3 enrich the study population. And we have  
4 questions about, do we really need to follow the  
5 decrease in ENO over a four-week period? Maybe  
6 not. There's a learning process going on here  
7 in terms of what we may need to ultimately ask  
8 for in the study design -- in the actual  
9 bioequivalence study design.

10           Recognizing that these two studies  
11 are not bioequivalence studies --

12           DR. MORRIS: No, no. No.

13           DR. ADAMS: They're solely dose  
14 response studies. And they're based, too, upon  
15 a model drug.

16           What we're hoping to do is to  
17 develop an approach which can be used for  
18 basically all inhaled corticosteroids with  
19 whatever modifications in dose or whatever  
20 may be needed. But we're trying to put  
21 together a model. It wouldn't need to be  
22 fluticasone. It could have been some other

1 drug.

2 DR. MORRIS: Sure.

3 DR. ADAMS: Fluticasone was  
4 convenient.

5 DR. MORRIS: Jerry?

6 DR. COLLINS: Jerry Collins. What I  
7 thought I heard was that the population FEV in  
8 the flat range does not predict for clinical  
9 benefit that's occurring over that dose range,  
10 that there's still clinical benefit even though  
11 FEV is flat.

12 DR. CHOWDHURY: That's correct. And  
13 in these studies, the benefits are actually  
14 being measured.

15 DR. COLLINS: But just remind  
16 everybody that the agency's definition for more  
17 than 20 years has been, if you call something a  
18 surrogate endpoint, it has to predict for  
19 clinical benefit. So be careful when you  
20 describe FEV, because it doesn't sound like it  
21 meets the agency's criterion for being called a  
22 surrogate endpoint.

1 DR. CHOWDHURY: I'm not sure why you  
2 say that. Because FEV-1 is actually used quite  
3 extensively in clinical practice, and has been  
4 used over years and it actually does correlate  
5 quite well with real outcomes such as  
6 macerations and other factors.

7 DR. COLLINS: Well, what I heard is  
8 for individual patients, you can see some change  
9 that may have a dose response curve that  
10 correlates with clinical outcome. But for the  
11 population, which is what the current standards  
12 are in the existing OGD guidances, for the  
13 population FEV does not predict clinical  
14 benefit.

15 DR. CHOWDHURY: Well, I think -- I  
16 mean, I think one has to look at the data  
17 carefully and we, I think, are more careful in  
18 making that conclusion.

19 Because even in the population, if  
20 you look at this data which forms the basis  
21 of approval and other -- many of the studies  
22 which NHLVI (?) has done over years, FEV-1 is



1 one of the measures but there are many other  
2 measures. And they actually go in the same  
3 direction. And many of them are actually  
4 equally significant.

5 DR. COLLINS: If I submit a product --

6 DR. HENDELES: Parallel versus  
7 crossover --

8 DR. CHOWDHURY: Yeah.

9 DR. HENDELES: Parallel design might  
10 sound (inaudible).

11 DR. MORRIS: Actually, if we  
12 could -- if you could come to the mike if you're  
13 going to, so --

14 DR. HENDELES: Leslie Hendeles,  
15 University of Florida. I don't know -- now it's  
16 on. Part of the issue is is that the flat dose  
17 response is particularly a problem with the  
18 parallel design, which has been required in most  
19 of these studies because of the fear of the  
20 carryover effect. And what's happening -- and  
21 in fact what improves the power is the fact that  
22 the lung function is maximized to its best

1 before each treatment regimen, and there's a  
2 crossover, and what is being observed is a  
3 decline in the patient worsening. And so it  
4 isn't correct to say that FEV-1 is not a good  
5 marker. It is, and in fact it's a harbinger of  
6 asthma attacks, which is another endpoint.

7 DR. MORRIS: Any other questions? If  
8 not, let's thank our speakers again.

9 And with that, I think we're down  
10 to comments from Helen.

11 DR. WINKLE: In the interest of time,  
12 I'm just going to shorten my comments. I know  
13 everyone's anxious to get out of here.

14 I think the conversation about all  
15 five of the topics was extremely interesting.  
16 And at times very provocative. I think we in  
17 FDA need to take a lot of these comments back  
18 and apply them to our strategy as to where we  
19 are going on each of these topics. I think  
20 there's a number of them that we will need to  
21 bring back after we develop our strategy.  
22 Bring back to the Advisory Committee. And

1 seek further advice.

2 But I want to thank all of you for  
3 your input. I really, really feel that we  
4 learned a lot.

5 And again, we will be using that to  
6 either apply in our guidances or apply to our  
7 studies or whatever strategy we're going to  
8 take.

9 So thank you again.

10 DR. MORRIS: And let me add my thanks  
11 to everybody for participating, and for the  
12 honor of serving as chair. And all of your  
13 guidance over the years, Helen.

14 So with that I think we're  
15 adjourned, officially.

16 Is that right? Yes.

17 Thank you. Safe home.

18 (Whereupon, at approximately 4:46  
19 p.m. the MEETING was adjourned.)

20 \* \* \* \* \*

21

22