

ENDOCRINOLOGIC AND METABOLIC DRUGS  
ADVISORY COMMITTEE #65

0447 '97 FEB 18 AM 5:50

Topic: "Troglitazone for Diabetes Mellitus"

This transcript has not been edited  
on content. It is the property of BETA  
and is not to be distributed outside  
of the room. All rights reserved.  
Drug Administration and  
Representation as to its accuracy.

Wednesday, December 11, 1996

8:00 a.m. to 3:14 p.m.

Bethesda Holiday Inn  
The Versailles Rooms I and II  
8120 Wisconsin Avenue  
Bethesda, Maryland

**BETA**

1 ENDOCRINOLOGIC AND METABOLIC DRUGS  
2 ADVISORY COMMITTEE

3 CHAIRMAN:

4 Bone, Henry G. III, M.D.  
5 Senior Staff Physician  
6 Bone and Mineral Division  
7 Henry Ford Hospital  
8 2799 West Grand Boulevard  
9 Detroit, Michigan 48202-2689  
10 313/876-7040 FAX: 313/876-7155

11 EXECUTIVE SECRETARY:

12 Reedy, Kathleen R.  
13 Advisors and Consultants Staff  
14 Food and Drug Administration  
15 CDER/HFD-21  
16 5600 Fishers Lane  
17 Rockville, Maryland  
18 301/443-5455 FAX: 301/443-0699  
19 reedyk@cderr.fda.gov

20 MEMBERS:

21 Colley, Colleen A., Pharm.D.  
22 Clinical Pharmacist  
23 Pharmacy Service, 119-9  
24 Veterans Affairs Medical Center  
25 3710 SW U.S. Veterans Hospital Road  
26 Portland, Oregon 97201  
27 503/220-8262 ext. 6978 FAX: 503/402-2919  
28 colley.colleen\_a@portland.va.gov

29 Sherwin, Robert S., M.D.  
30 Professor of Medicine  
31 Yale University School of Medicine  
32 Department of Internal Medicine  
33 Section of Endocrinology  
34 Fitkin 1, FMP 101  
35 333 Cedar Street  
36 New Haven, Connecticut 06405  
37 203/785-5442 FAX: 203/785-6015

BETA REPORTING  
1-800-522-2382

(202) 638-2400

(703) 684-2382

## MEMBERS (CNT'D):

1  
2 Cara, Jose Francisco, M.D.  
3 Section Head, Pediatrics, Endocrinology,  
4 and Diabetes  
5 Department of Pediatrics, CFP-2  
6 Henry Ford Hospital, 2799 West Grand Blvd.  
7 Detroit, Michigan 48202-2689  
8 313/556-9752 FAX: 313/876-1771

9 Critchlow, Cathy W., Ph.D.  
10 Assistant Professor, Dept. of Epidemiology  
11 Dept. of Dental Public Health Sciences  
12 Suite B-509 SM35  
13 Seattle, Washington 98195-9960  
14 206/543-7304 FAX: 206/685-4258  
15 cwc@u.washington.edu

16 Marcus, Robert, M.D. (not present)  
17 Director, Aging Study Unit,  
18 Geriatric Research  
19 Veterans Administration Medical Center  
20 GRECC, Room 182-B  
21 3801 Miranda Avenue  
22 Palo Alto, California 94304  
415/858-3933 FAX: 415/855-9437  
robert.marcus@forsythe.stanford.edu

15 New, Maria I., M.D. (not present)  
16 Chairman, Department of Pediatrics  
17 Cornell University Medical College  
18 New York Hospital-Cornell Medical Center  
19 525 East 68th Street  
20 New York, New York 10021  
21 212/746-3450 FAX: 212/746-0300

19 Illingworth D. Roger, M.D., Ph.D.  
20 Professor of Medicine  
21 Division of Endocrinology, Diabetes and  
22 Clinical Nutrition - L-465  
Oregon Health Sciences University  
3181 S.W. Sam Jackson Park Road  
Portland, Oregon 97201-3098  
503/494-2004 FAX: 503/494-6986

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

## MEMBERS (CNT'D):

Kreisberg, Robert A., M.D. (not present)  
Director, Internal Medicine  
and Transitional Education  
Baptist Health System, Inc.  
840 Montclair Road, Suite 310  
Birmingham, Alabama 35213  
205/592-5135 FAX: 205/592-5694

Hirsch, Jules, M.D.  
Sherman Fairchild Professor  
Senior Physician  
Laboratory of Human Behavior & Metabolism  
The Rockefeller University  
1230 York Avenue (Box 181)  
New York, N.Y. 10021-6399  
212/327-8426 FAX: 212/327-7150  
hirsch@rockvax.rockefeller.edu

Molitch, Mark E., M.D.  
Professor of Medicine  
Northwestern University Medical School  
Center for Endocrinology, Metabolism and  
Molecular Medicine  
303 East Chicago Avenue  
Tarry Bldg., Room 15-731  
Chicago, Illinois 60611  
312/503-4130 FAX: 312/908-9032

## FDA REPRESENTATIVES:

Saul Malozowski, M.D., Ph.D.  
Medical Officer, Division of Metabolic and  
Endocrine Drug Products, FDA

Dan Marticello, M.D., FDA

Alexander Fleming, M.D., Medical  
Officer, FDA

Solomon Sobel, M.D., FDA

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

## P R O C E E D I N G S

1  
2 DR. BONE: Good morning. I'm Dr.  
3 Henry Bone. I'm calling to order Day 2 of the  
4 Endocrinologic and Metabolic Drugs Advisory  
5 Committee's 65th meeting. The topic for today  
6 is troglitazone for diabetes mellitus.

7 I think we'll start by asking the  
8 members of the committee and the FDA members  
9 who are at the table here to introduce  
10 themselves. Representatives of the sponsor  
11 will be introduced or are introducing  
12 themselves as they go through their  
13 presentation.

14 If we can start with Dr. Hirsch.

15 DR. HIRSCH: Jules Hirsch,  
16 Rockefeller University in New York.

17 DR. ZAWADZKI: Joanna Zawadzki. I'm  
18 in private practice in endocrinology in this  
19 area, and I'm a clinical associate professor at  
20 Georgetown University.

21 DR. CARA: Jose Cara, pediatric  
22 endocrinology and diabetes, Henry Ford

1 Hospital.

2 DR. CRITCHLOW: Cathy Critchlow,  
3 epidemiology, University of Washington.

4 DR. ILLINGWORTH: Roger Illingworth,  
5 Oregon Health Sciences University, Portland,  
6 Oregon.

7 MS. REEDY: Kathleen Reedy, the Food  
8 and Drug Administration.

9 DR. BONE: Henry Bone, Henry Ford  
10 Hospital, Detroit, Michigan.

11 DR. SHERWIN: Bob Sherwin, Department  
12 of Medicine, Yale University.

13 DR. COLLEY: Colleen Colley, VA  
14 Medical Center, Portland, Oregon.

15 DR. STEIGERWALT: Ron Steigerwalt,  
16 pharmacologist, FDA.

17 DR. FLEMING: Alexander Fleming,  
18 medical officer.

19 DR. SOBEL: Sol Sobel, Endocrine  
20 Metabolic Division, FDA.

21 DR. BONE: Thank you. The next item  
22 is a statement to be read by Kathleen Reedy,

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 executive secretary.

2 MS. REEDY: The following  
3 announcement addresses the issue of conflict of  
4 interest with regard to this meeting and is  
5 made a part of the record to preclude even the  
6 appearance of such at this meeting.

7 Based on the submitted agenda for the  
8 meeting and all financial interests reported by  
9 the committee participants, it has been  
10 determined that all interests in firms  
11 regulated by the Center for Drug Evaluation and  
12 Research which have been reported by the  
13 participants present no potential for an  
14 appearance of a conflict of interest at this  
15 meeting when evaluated against the agenda, with  
16 the following exception: Dr. Mark Molitch will  
17 be excluded from participating in all matters  
18 concerning Rezulin and Prelay.

19 In addition, we would like to  
20 disclose for the record that Dr. Sherwin  
21 previously had a limited involvement in a study  
22 concerning troglitazone. This study has

1 clinically ended. Currently, he has no  
2 financial interests or involvement related to  
3 this product. Since this study is only  
4 referenced but not included in the study  
5 submitted in the support of Rezulin and Prelay,  
6 Dr. Sherwin may participate fully in the  
7 discussions and vote relating to these  
8 products.

9 In the event that the discussions  
10 involve any other product or firms not already  
11 on the agenda for which an FDA participant has  
12 a financial interest, the participants are  
13 aware of the need to exclude themselves from  
14 such involvement, and their exclusion will be  
15 noted for the record.

16 With respect to all other  
17 participants, we ask in the interests of  
18 fairness that they address any current or  
19 previous financial involvement with any firm  
20 whose products they may wish to comment upon.

21 DR. BONE: Thank you, Ms. Reedy. The  
22 next point in the meeting is the open public

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382



1 hearing component of the meeting.

2 As you know, this is an extraordinary  
3 thing in the United States, that we have the  
4 opportunity for members of the public to  
5 address the advisory committee when drugs are  
6 being reviewed.

7 We have a letter from the American  
8 Diabetes Association which is distributed to  
9 the committee, and we have one person who will  
10 be speaking. We'll ask Margaret Himmelfarb to  
11 come up and make a statement and disclose any  
12 conflicts of interests or financial  
13 involvements, please.

14 MS. HIMMELFARB: Good morning. Thank  
15 you for allowing me to speak to you today. My  
16 name is Margaret Himmelfarb, and I am from  
17 Baltimore. I am a member of the international  
18 board of the Juvenile Diabetes Foundation and  
19 its research grant review committee. I have no  
20 financial interest in the product under  
21 discussion today, and I appear at my own  
22 expense.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

I am here not as a spokesperson for the Juvenile Diabetes Foundation, nor to endorse this or any specific product. Rather, I speak today from the perspective of a parent of a child with diabetes who is concerned about the well-being of the millions of people who like my son Michael wage a daily battle to beat the odds against this killer disease.

Diabetes, as you know, is one of the deadliest diseases known to man, frequently leading to serious complications: blindness, heart attack, stroke, kidney failure, and amputation. It is estimated that diabetes shortens life expectancy by as much as a third. This year, treating diabetes and its complications will cost our nation \$138 billion, 15 percent of the total health care budget.

My son has had diabetes since he was four years old. Today he is a junior at Princeton University. While most kids his age believe that they are invulnerable, Michael is

1 acutely aware of his mortality. He has known  
2 since far too young an age that, in a very real  
3 sense, he controls his own destiny. His future  
4 depends in large measure on how he manages his  
5 diabetes.

6 The diabetes' complications and  
7 control trial prove conclusively that  
8 maintaining normal glycemia can reduce the  
9 likelihood of diabetic complications by as much  
10 as 76 percent. That study made it quite clear  
11 that the primary goal of diabetes management  
12 must be to maintain optimal blood glucose  
13 control.

14 I welcome troglitazone to our arsenal  
15 of therapeutic weapons, as I recently rejoiced  
16 at the addition of metformin and humilog  
17 (phonetic) to our portfolio of treatment  
18 options. As troglitazone is purported to  
19 enhance insulin sensitivity, I expect that it  
20 will be the treatment of choice for many people  
21 who are insulin-resistant.

22 But a note of caution is in order.

1 Troglitazone, because it is an oral agent, will  
2 attract the interests of primary care  
3 physicians who want to avoid prescribing  
4 insulin for their Type II diabetes patients.  
5 And of course, it will appeal to those people  
6 with diabetes who want to avoid taking insulin  
7 shots.

8 But troglitazone should be considered  
9 as a substitute for insulin only where it  
10 proves equally effective. Its effectiveness  
11 must be assessed on a case by case basis with  
12 the aid of home blood glucose monitoring and  
13 routinely scheduled glycosylated hemoglobin  
14 tests.

15 In cases where troglitazone does not  
16 provide normal glycemia, it must not be used as  
17 a substitute for insulin. The cost would be  
18 too great. Health care professionals must  
19 keep in mind that insulin is the most effective  
20 agent for reducing blood glucose.

21 Most people imagine that taking shots  
22 is the hardest part of diabetes management.

1       Ironically, it turns out to be the easiest.  
2       Worrying about and, for the less fortunate,  
3       coping with complications are the real  
4       challenges of diabetes. Insulin shots become  
5       as routine as brushing your teeth, even for  
6       little children.

7                 In conclusion, if the members of this  
8       august advisory committee determine that  
9       troglitazone is ready for the marketplace, and  
10      I certainly hope that you are able to do so, I  
11      urge you to mandate that specific information  
12      accompany this product detailing the importance  
13      of continually evaluating diabetic control  
14      using home blood glucose monitoring and  
15      hemoglobin A1cs. This information should be  
16      included in package labeling, insertion  
17      materials, and advertising copy, and be  
18      designed for the edification of the consumer as  
19      well as the physician.

20                 Thank you.

21                 DR. BONE: Thank you very much. The  
22      next item on our agenda is the introductory

1 remarks of Dr. Fleming, who is a group leader  
2 for the group responsible for this review at  
3 the Division of Endocrine and Metabolic Drugs.

4 DR. FLEMING: Well, ladies and  
5 gentlemen, again thank you very much for being  
6 here. We welcome you, and on behalf of my  
7 colleagues, Dr. Sobel at the FDA, we again want  
8 to express our gratitude to the members of the  
9 advisory committee for being here and for  
10 participating in this key step in the drug  
11 evaluation process.

12 I want to also thank Mrs. Himmelfarb  
13 for coming, taking this time and effort to give  
14 her testimony. Again, it reminds us that after  
15 all it is the patient for which we must place  
16 our primary consideration.

17 Now, the results of the diabetic  
18 control and complication trials have with good  
19 reason energized the community of patients and  
20 health care providers who contend with this  
21 disease in striving for better control.  
22 Unfortunately, the implications of this trial

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 for treatment of non-insulin dependent  
2 diabetics are limited.

3 Now, there is no reason to doubt the  
4 potential for achieving the relationship  
5 between improved glycemic control and reduced  
6 complications that were seen in the DCCT. But  
7 achieving it is another thing. The reason is,  
8 of course, that we are talking here about two  
9 entirely different but related disorders.

10 We can view insulin dependent  
11 diabetes, such as suffered by Mrs. Himmelfarb's  
12 son, as a classic hormone deficiency state.  
13 Now, it is true that we don't have an entirely  
14 physiologic replacement therapy for this  
15 condition. But we come a lot closer to that  
16 than we do for Type II diabetes.

17 Now, insulin-dependent diabetes --  
18 or, I'm sorry, non-insulin-dependent diabetes  
19 -- is clearly a much more complicated disorder,  
20 that it involves at least for a time in the  
21 natural history of the disease an absolute  
22 excess of endogenous insulin.

1           Now, adding on additional insulin  
2 through insulin therapy has a number of  
3 established and supposed drawbacks. Insulin  
4 therapy itself may therefore be a two edged  
5 sword in this sense.

6           Oral therapy, at least with  
7 sulfonylurea agents, has its own drawbacks.  
8 They may be in some ways worse compared with  
9 insulin in the way that they work and the  
10 specific toxicities that this drug class  
11 carries.

12           The point is we do not have effective  
13 therapy, drug therapy, for Type II diabetes  
14 presently, and we urgently need new therapies  
15 for this condition in order to achieve the  
16 potential that the DCCT has suggested. And  
17 this should explain why we are here earlier  
18 than might otherwise be the case.

19           The agency is making every effort to  
20 expedite the evaluation of urgently needed  
21 treatments. Does this mean that we lower the  
22 bar at the same time? Let's be very clear



1 about this: absolutely not. We are going  
2 through the full evaluation process. We are  
3 only attempting to expedite it.

4 And so we have very important work  
5 ahead of us today. The recommendations of the  
6 committee will be instrumental in the ultimate  
7 decision that is made about the benefit and  
8 risk of this drug. And I think you might be  
9 interested in knowing the process that has been  
10 used to, in part, get us here today because it  
11 relates to the format that this meeting itself  
12 will be conducted under.

13 The format, as you can see, involves  
14 a session in the afternoon of interactivity  
15 under a number of defined issues. This is in  
16 contrast to the usual approach that we take,  
17 where the sponsor goes first or in some cases  
18 last, but nonetheless makes a full presentation  
19 followed by a sort of counter presentation from  
20 the agency.

21 Now, we have along the way  
22 participated in the highly interactive process

1 with the sponsor in our evaluation of this NDA.  
2 For example, we have had on-line communication  
3 with the sponsor. This is encrypted to ensure  
4 security, but it allows us to answer questions  
5 and have immediate answers. And by the same  
6 token, the company can communicate with us  
7 about their own questions and problems.

8 So I think this model of  
9 interactivity is very useful, not only for the  
10 development of drugs in general and their  
11 regulation, but for the conduct of this hearing  
12 because it will allow us to focus on the issues  
13 in an interactive fashion, a way that I think  
14 will be very beneficial.

15 Again I thank you, and I look forward  
16 to the proceedings.

17 DR. BONE: Thank you, Dr. Fleming.  
18 The next segment of the committee meeting will  
19 consist of the initial presentations by the  
20 sponsor. The format that has been arranged for  
21 the meeting will have these basic presentations  
22 in the morning by the sponsor, a set of

1 presentations by the agency, and then there are  
2 some suggested issues for discussion in the  
3 afternoon. And we obviously, as a members of  
4 the committee, may have additional points we  
5 wish to discuss or address.

6 The committee are asked to focus  
7 their questions in the morning sessions,  
8 particularly the sponsors' presentations, on  
9 questions and clarifications, and try to put as  
10 much of the discussion as possible in the  
11 afternoon. But obviously we would want to  
12 resolve any questions, ambiguity, or anything  
13 like that that we possibly can, or  
14 interpretation of what is intended by any of  
15 the morning speakers at the time so that we  
16 don't have lingering questions.

17 With that remark, I'll introduce Dr.  
18 Martin.

19 DR. MARTIN: Thank you, Dr. Bone.  
20 I'm Irwin Martin from Parke Davis Regulatory  
21 Affairs. On behalf of Parke Davis,  
22 Warner-Lambert, I'd like to thank the Division

1 of Metabolism and Endocrine for the opportunity  
2 today to present to the committee an overview  
3 of our new drug, troglitazone.

4 Our presentation today will pertain  
5 to both Rezulin, the Parke Davis name for  
6 troglitazone, and Prelay, the Sankyo USA name  
7 for troglitazone. Representatives from Sankyo  
8 USA are here should you have any questions  
9 specifically for them. However, as noted in  
10 your cover letters to your briefing document,  
11 Sankyo USA has given Parke Davis permission to  
12 speak on their behalf on all data related  
13 questions pertaining to their NDA.

14 We'll spend most of our time this  
15 morning providing an overview of troglitazone  
16 with a particular emphasis on the issues  
17 selected by the division for discussion this  
18 afternoon. You will hear shortly of  
19 troglitazone's mechanism of action,  
20 specifically its ability to improve insulin  
21 resistance. There are a number of interesting  
22 therapeutic areas all relating to insulin

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 resistance. These are listed in the slide you  
2 see here.

3 (Slide)

4 Parke Davis has an interest in  
5 troglitazone's utility in all of these areas.  
6 We hope to submit an application for each of  
7 these if the data support such a filing.

8 Today, however, we will concentrate  
9 our presentation on the subject of this NDA,  
10 that is, the effect of troglitazone on Type II  
11 diabetic patients on exogenous insulin therapy.  
12 In the continuum of diseases related to insulin  
13 resistance, these patients are the most  
14 severely affected. Our intent was to make this  
15 drug available to these patients first. We  
16 worked closely with the FDA to assure  
17 development of this intent.

18 Therefore, to put things in  
19 perspective, the proposed indication for  
20 troglitazone, and again the primary focus of  
21 our presentation, is patient with Type II  
22 diabetes inadequately controlled on insulin

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 therapy. In addition, patients controlled with  
2 insulin may benefit from Rezulin by reductions  
3 in insulin use.

4 Allow me to provide a brief overview  
5 of the history of troglitazone. Early work  
6 began in 1979 by Sankyo in Japan. This led to  
7 the discovery of troglitazone. The drug was  
8 first tested in man in 1987, and the U.S. IND  
9 was opened in 1989.

10 A tripartite development strategy is  
11 currently ongoing: Sankyo in Japan, Parke  
12 Davis and Sankyo USA in North America, and  
13 Glaxo-Wellcome and Sankyo Europe in Europe.  
14 The product is approved for marketing in Japan.

15 There are three separate development  
16 programs. All three lead companies are each  
17 conducting or have conducted their own pivotal  
18 trials for their territories. All companies,  
19 however, share information and ideas.

20 The current NDA contains data by all  
21 companies listed here. For this NDA, one of  
22 the pivotal studies was conducted by Parke

1 Davis and the other by Sankyo USA.

2 Let me give you a brief history of  
3 this NDA. The end of phase II meeting for this  
4 indication was held during the phase III  
5 development of troglitazone for the full Type  
6 II diabetes indication. We had conducted a  
7 pilot study of patients with Type II diabetes  
8 on insulin. All 17 of these patients improved  
9 their glucose control while on troglitazone,  
10 and all were able to decrease or sometimes  
11 eliminate their need for exogenous insulin.

12 Due to these dramatic results, we and  
13 the FDA worked closely together to agree on a  
14 program which would allow troglitazone to be  
15 made available for these inadequately treated  
16 patients as soon as possible. We did this  
17 without delaying the development of  
18 troglitazone for the full Type II diabetes  
19 indication. It was noted that the  
20 population in need was far too large for a  
21 treatment IND to be practical, so resources  
22 were added and put towards an earlier NDA. We

1 worked with the FDA to agree on the design of  
2 the study, 991-068, which you will be hearing  
3 about shortly. We also agreed that an ongoing  
4 study by Sankyo USA, which is 991-040, could be  
5 considered a pivotal study for this NDA.

6 In January of this year, the pre-NDA  
7 meeting was held. And in July, we submitted  
8 the NDA. We have therefore come from the  
9 pre-NDA -- from the end of phase II meeting to  
10 today in approximately 16 months.

11 As noted earlier, our presentations  
12 will be relatively brief and focused primarily  
13 on the issues to be discussed this afternoon.  
14 Following this introduction, Dr. Olefsky, from  
15 the University of California in San Diego, will  
16 provide an overview of the proposed indication  
17 and the intended patient population.

18 Dr. Saltiel, from Parke Davis, will  
19 then provide an overview of the mechanism of  
20 action. Dr. McGuire, from our toxicology  
21 department, will summarize the animal  
22 toxicology and carcinogenicity data. He will

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382



1 concentrate on those topics listed under issue  
2 four in this afternoon's agenda.

3 Dr. Whitcomb will then provide an  
4 overview of the safety of troglitazone and the  
5 efficacy results from the two pivotal studies  
6 of patients inadequately controlled on  
7 exogenous insulin therapy.

8 As Dr. Bone has indicated, I  
9 understand this afternoon's agenda will allow  
10 ample time for questions. Please, however,  
11 stop any of the speakers should you have any  
12 need to clarify a particular point, and the  
13 fuller discussion may be held this afternoon.

14 Also with us from Parke Davis, should  
15 you have additional questions -- we have Dr.  
16 Koup from our pharmacokinetics and drug  
17 metabolism department, Dr. Venable from  
18 biostatistics, and Dr. Vassos from our clinical  
19 pharmacology department.

20 Additionally, we have with us outside  
21 experts who may be helpful in answering some of  
22 the questions during the afternoon session.

1 Dr. Finch is professor of medicine emeritus  
2 from the University of Washington. Dr. Newbern  
3 is professor of pathology at Boston University  
4 School of Medicine. Dr. Perez is director of  
5 echocardiography at Washington University  
6 School of Medicine. And Dr. Swenberg is  
7 professor of environmental science and  
8 engineering from the University of North  
9 Carolina.

10 I'd now like to introduce Dr.  
11 Olefsky, who will provide an overview of  
12 troglitazone -- not of troglitazone, sorry --  
13 of the proposed indication and the intended  
14 population.

15 DR. OLEFSKY: Thank you, Irwin, and  
16 good morning to the members of the advisory  
17 panel and others in the audience here.

18 I guess my role here is to point out  
19 the importance of insulin resistance in the  
20 pathophysiology and the etiology of NIDDM, and  
21 then to focus in on the importance of insulin  
22 resistance in the clinical management of these

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 patients, particularly those patients who are  
2 receiving exogenous insulin.

3 Now, we now that in order to develop  
4 Type II diabetes, one needs at least major  
5 metabolic defects. One is insulin resistance,  
6 and the other is either an absolute or relative  
7 insulin insufficiency. And we also know from a  
8 number of studies in pre-diabetic individuals,  
9 particularly those done here in the United  
10 States, that if one examines pre-diabetic  
11 individuals many years before they develop  
12 diabetes one finds that these folks are  
13 insulin-resistant.

14 (Slide)

15 And that leads us to this scheme  
16 depicted on this slide here, which actually  
17 summarizes the etiology or the natural history  
18 of NIDDM. So one starts here with insulin  
19 resistance, which could either be acquired or  
20 genetic in origin. And if one is  
21 insulin-resistant, then the beta cells secrete  
22 increased amounts of insulin, creating a

1 hyperinsulinemic state, and this  
2 hyperinsulinemia compensates for the insulin  
3 resistance such that you don't have diabetes.

4 You can have either normal glucose  
5 tolerance or perhaps impaired glucose tolerance  
6 or IGT. And this is the compensated insulin  
7 resistance syndrome, sometimes called the  
8 metabolic syndrome, other times called syndrome  
9 X.

10 And based on some analyses done,  
11 while the DP, the diabetes prevention program,  
12 was being planned we came to the estimate that  
13 there was 70 to 80 million Americans who have  
14 this compensated insulin-resistant or syndrome  
15 X type syndrome here.

16 We also know that if you have  
17 impaired glucose tolerance here, you have a  
18 very high risk for the development of NIDDM.  
19 And we know that on average in the United  
20 States, about 7 percent per year of these  
21 patients will convert from the compensated  
22 state to Type II diabetes.

1                   And what is the metabolic event that  
2                   causes this conversion? Well, it is indicated  
3                   here. It is beta cell failure, either absolute  
4                   or relative beta cell failure. The beta cells  
5                   no longer can maintain the hyperinsulinemic  
6                   state, insulin levels fall. And when insulin  
7                   levels decline, superimposed on insulin  
8                   resistance, one develops diabetes with this  
9                   characteristic set of metabolic abnormalities,  
10                  and again including insulin resistance is a  
11                  cardinal feature of established Type II  
12                  diabetes.

13                  (Slide)

14                  Now, we also know that insulin  
15                  resistance is a wider problem than simply  
16                  diabetes, which is the focus of discussion  
17                  today. And that is indicated here on this  
18                  slide, which I think is something well known to  
19                  most of the panel members, and I'll just be  
20                  very brief about this.

21                  There are lots of people who have  
22                  insulin resistance. Not all of them develop

1 Type II diabetes. But if you have insulin  
2 resistance, then you are susceptible to other  
3 adverse health events, and insulin resistance  
4 can be associated with other things, such as  
5 the development of certain forms of  
6 hypertension, polycystic ovarian syndrome. It  
7 can lead to the exacerbation or perhaps the  
8 development of atherosclerosis or  
9 cardiovascular disease through the  
10 dislipidemias which are associated with insulin  
11 resistance, and perhaps even by direct effects  
12 of hyperinsulinemia or insulin resistance on  
13 the vascular wall leading to cardiovascular  
14 disease.

15 So this is a very wide problem. A  
16 certain proportion of patients with insulin  
17 resistance go on to develop diabetes.

18 And let's now return back to this  
19 issue right here. And I'd like to show you one  
20 piece of data capturing the insulin resistance  
21 which exists in patients with Type II diabetes.

22 (Slide)

1                   These are euglycemic clamp dose  
2                   response studies which were conducted a number  
3                   of years ago in which we measured the rate of  
4                   in vivo overall total body glucose disposal as  
5                   a function of the steady state plasma insulin  
6                   concentration in a group of normal subjects,  
7                   patients with impaired glucose tolerance, and  
8                   diabetic patients, either obese or non-obese.

9                   Now, the major point I'd like to  
10                  emphasize with this slide is not just that the  
11                  diabetic patients here are insulin-resistant  
12                  compared to controls. That's obvious. The  
13                  point I would like to make is the magnitude of  
14                  the insulin resistance.

15                 This is not a subtle defect. These  
16                 patients have lost anywhere between 60 to 80  
17                 percent of their insulin action, so it isn't a  
18                 mild defect, and these are typical, garden  
19                 variety, unselected diabetic patients. These  
20                 are the kind of patients that walk into  
21                 everybody's clinic. And you can see, these  
22                 patients have rather substantial insulin

1 resistance with a 60 to 80 percent decrease in  
2 insulin stimulated glucose disposal.

3 Okay. With that as a kind of  
4 background, let me turn to some more clinical  
5 management issues. As we heard earlier this  
6 morning, the DCCT has clearly demonstrated that  
7 control of glycemia will lead to prevention of  
8 complications. In fact, what we know from the  
9 DCCT is that for every increment of glycemic  
10 control we gain an increment of complication  
11 prevention.

12 Now, the DCCT study was done in Type  
13 I diabetic patients, but the overwhelming  
14 majority of diabetologists, certainly myself  
15 included, have made the transition from the  
16 DCCT to the treatment of Type II diabetes. And  
17 we believe that control of glycemia is  
18 important for complication prevention in Type  
19 II diabetes as well.

20 In fact, the ADA has recently  
21 suggested some guidelines and some  
22 recommendations on this point. And to kind of



1 paraphrase the ADA's recommendations, it is  
2 something like for the -- in terms of with  
3 respect to glycemic control, the goal of  
4 anti-diabetic treatment should be to reduce the  
5 blood glucose levels to as close to normal as  
6 possible.

7 (Slide)

8 And in recognition of this, they have  
9 published and come out with some specific  
10 glycemic recommendations. And you can see  
11 these recommendations on this slide. And the  
12 point here is we are not trying to treat  
13 patients to take the edge off the glucose  
14 level, but in fact we are trying to treat them  
15 to a very specific, very stringent glycemic  
16 target.

17 So you can here what they are  
18 recommending, is if the fasting glucose in a  
19 treated diabetic patient is above 140 or the  
20 hemoglobin A1c above 8 percent, then this  
21 should trigger an action. And the action is to  
22 intensify your anti-diabetic therapy to drive

1 those glucose levels down more towards the gold  
2 glucose levels, which are depicted here. So we  
3 have specific targets that we are trying to  
4 shoot for in our attempt to prevent  
5 complications in Type II diabetic patients.  
6 Well, if these are our targets, how well are we  
7 doing? I think intuitively we know that in  
8 Type II diabetes we are not doing all that  
9 well. Most patients who are on oral  
10 hypoglycemic agents, whatever the agent is, do  
11 not achieve these kinds of glycemic targets.  
12 Even in the small fraction of those that do,  
13 this effect is usually temporary.

14 Over the course of years, we know  
15 that this is a progressive disease. Type II  
16 diabetes gets worse as the years go by. This  
17 has been shown with the UK PDS study, which  
18 demonstrated that when even though you can  
19 initially control some of these patients on  
20 oral agents, as the years go by the disease  
21 gets worse. You need increasing doses of  
22 drugs, combinations of oral agents. And

1 eventually, many if not most of these patients  
2 will require insulin therapy in order to  
3 achieve glyceemic control.

4 The UK PDS study, of course, is done  
5 in England. But this is true for the United  
6 States, too. And these are some data extracted  
7 from a recent review article by Maureen Harris  
8 in which she points out that in the United  
9 States, 43 percent of all Type II diabetic  
10 patients, 2 to 3 million patients, are already  
11 on insulin therapy.

12 But if you look at the degree of  
13 control achieved, you can see it is not all  
14 that good. The average hemoglobin A1c levels  
15 in insulin treated diabetic patients in this  
16 country is 9½ percent. That is a far cry from  
17 the glyceemic targets that I showed you on the  
18 previous slide.

19 These data are actually somewhat old.  
20 If one looks at the more recent data, it may be  
21 up to 60 percent of all Type II diabetics are  
22 on insulin therapy, but still with the same

1 effect or lack of effect, that is, hemoglobin  
2 Alc levels still 9½ percent.

3 So insulin is being used in large  
4 numbers of Type II diabetic patients, but it is  
5 not being used effectively, at least with  
6 respect to glycemic control. And why is this?  
7 Well, certainly we know we could get better  
8 glycemic control if we just used more insulin  
9 and gave insulin more frequently. But this is  
10 difficult to do. And the reason it is  
11 difficult to do is that these patients are so  
12 insulin-resistant.

13 So what does it really take with  
14 insulin therapy, given this insulin-resistant  
15 population? What would you really have to do  
16 in order to achieve glycemic targets?

17 Well, a few years ago we kind of  
18 looked at this question by doing a study in  
19 which we took a series of garden variety NIDDM  
20 patients, brought them into a metabolic ward,  
21 and put them on insulin pump therapy, that is,  
22 continuous subcutaneous insulin infusions,

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 brought them under euglycemic control, and then  
2 monitored how much insulin on a 24 hour basis,  
3 what was the daily insulin does needed to  
4 achieve glyceimic targets.

5 Here you can see in the upper panel  
6 that we very easily brought the glucose levels  
7 within a few days down to this level, which  
8 certainly achieves the glyceimic targets. And  
9 the lower panel shows you the 24 hour insulin  
10 dose needed to achieve this degree of glyceimic  
11 control.

12 So you can see here that early on we  
13 needed 130 or 140 units of insulin a day. This  
14 then falls somewhat over the next few days due  
15 to improvement in gluco-toxicity. But even out  
16 here at the steady state three weeks later, you  
17 can see that in order to get glyceimic control  
18 in your typical, garden variety NIDDM patient,  
19 one needs in this case 110 units of insulin a  
20 day. And that's a lot of insulin, and this is  
21 given continuously by insulin pump.

22 Now, this study is not done in

1 isolation. There have been other studies  
2 looking at this exact same question, if not  
3 using pump therapy then using intensive insulin  
4 management with multiple insulin injections a  
5 day. And if one surveys these studies, we get  
6 the same result. In order to achieve glycemic  
7 targets, one needs 110, 120, 130 units of  
8 insulin a day in your typical NIDDM patient.

9 If we are trying to prevent  
10 complications, and we are trying to do that by  
11 hitting glycemic targets, and many of these  
12 people are treated with insulin to accomplish  
13 this, you need a lot of insulin. If you are  
14 going to give this much exogenous insulin, it  
15 is obvious you are going to create a state of  
16 hyperinsulinemia. And we examine that in these  
17 patients here by measuring the glucose and  
18 insulin levels after meal tolerance tests.

19 Here are the glucose levels after  
20 breakfast, and then after lunch. This is in  
21 the solid lines here. And you can see that in  
22 these insulin pump treated patients, we

1 actually get very good glycemic control. If we  
2 look at the insulin levels here in the dotted  
3 lines, you can see that the circulating insulin  
4 levels as a result of this exogenous insulin  
5 are really very high: two, three, fourfold  
6 higher than normal, which is not surprising  
7 because we are having to give them 110 units of  
8 insulin a day, which is two to fourfold higher  
9 than a normal person's pancreas will make in a  
10 given day.

11 So in order to achieve glycemic  
12 control, the goal being then to prevent  
13 complications, one needs to give a lot of  
14 insulin with a complicated insulin regimen and  
15 create a state of substantial hyperinsulinemia.  
16 So while it is not being done in the  
17 overwhelming majority of insulin treated  
18 patients, it can be done in a theoretic basis.  
19 And this is good for your glucose level, but  
20 there are some potential downsides to big dose,  
21 multiple insulin injection treatment regimens.

22 (Slide)

1           This slide summarizes some of these  
2 downsides. First of all, we have the problem  
3 of weight gain. We know that when we treat  
4 patients with big dose insulin therapy, they  
5 gain weight. If one looks at a survey of the  
6 studies in which this has been attempted over a  
7 period of a couple of months, these patients  
8 will typically gain 10 to 15 pounds, and this  
9 is not a very satisfactory side effect.

10           These patients are almost always  
11 obese to begin with. They then become more  
12 obese. Obesity can make insulin resistance  
13 worse. So this is a side effect which neither  
14 the patient nor the physician are particularly  
15 happy with.

16           In addition, we have the problem of  
17 hypoglycemia. The hypoglycemia is not as big a  
18 problem in Type II diabetic patients as it is  
19 in type I diabetic patients. But when you are  
20 using large dose insulin therapy with multiple  
21 injections a day, particularly patients who  
22 occasionally skip meals, hypoglycemia can



1 occur. And it is a problem, and it is a fear  
2 in the minds of many practicing physicians when  
3 they come to think about large dose insulin  
4 therapy.

5 We also have a problem that in order  
6 to administer large doses of insulin, you  
7 always need multiple insulin injections per  
8 day. And this engenders very complicated  
9 insulin treatment regimens which are not well  
10 accepted by patients. It requires multiple  
11 injections, large doses of insulin, frequent  
12 home glucose monitoring, lots of adjustments of  
13 diet and exercise. And although this is our  
14 goal, this is what should happen, this is not  
15 well accepted by patients, and unfortunately,  
16 it is also not that well accepted by practicing  
17 physicians.

18 So we have the physician/patient  
19 partnership here really not working in their  
20 best interests and not accepting these kinds of  
21 complicated insulin treatment regimens. And  
22 this is a real hinderance to the institution of

1 these kinds of therapies or this kind of  
2 therapy in the general population.

3 And finally, as I alluded to earlier,  
4 we have the possible effects of  
5 hyperinsulinemia and insulin resistance on  
6 underlying cardiovascular disease, which after  
7 all is a major problem in this target  
8 population. Certainly there are lots of  
9 epidemiologic and basic science evidence  
10 indicating that there is a connection here  
11 between insulin resistance, hyperinsulinemia,  
12 and heart disease, that many proposed  
13 mechanisms -- just as one potential example, if  
14 you have very high circulating insulin levels,  
15 which I showed you on the previous slide these  
16 patients will have when given large doses of  
17 insulin, then this insulin can cross over into  
18 the IGF-1 receptor and perhaps stimulate  
19 perhaps proliferation of either vascular wall  
20 cell, smooth muscle cells, et cetera.

21 There are many possible mechanisms,  
22 but certainly there is a lot of thought about

1 this connection here.

2 So if our goal is to try to prevent  
3 diabetes complications by treating glucose  
4 levels to as close to normal as possible, many  
5 patients come to insulin therapy. Almost all  
6 patients who come to insulin therapy are not on  
7 ideal control. One could achieve ideal  
8 control, but there are some drawbacks. Some of  
9 them are very substantial drawbacks.

10 What could be done about the problem?  
11 Well, if we had a means or a drug that could  
12 actually treat insulin resistance, then by  
13 improving insulin resistance it should allow  
14 physicians to obtain better glycemic control,  
15 and at the same time reduce the insulin doses  
16 that they have to give to these patients and  
17 reduce the number of injections per day that  
18 they have to give to these patients, which I  
19 think would be a significant advantage for our  
20 treatment abilities in this condition.

21 And as you'll hear later on this  
22 morning, troglitazone is a drug which does have

1 this mechanism of action. It does improve or  
2 ameliorate insulin resistance. And as you'll  
3 hear later on this morning, in clinical studies  
4 it has been shown that when used in combination  
5 with insulin troglitazone can allow better  
6 control of plasma glucose levels, improvement  
7 in glycemia, and at the same time allows a  
8 lowering of the insulin injection regimens,  
9 both in terms of total dose as well as the  
10 number of injections per day.

11 Let me now turn the rest of -- or not  
12 the rest of the proceedings, but the next  
13 segment over to Dr. Alan Saltiel from the cell  
14 biology department at the Parke Davis Company.

15 DR. BONE: Excuse me just a moment.  
16 Are there members of the committee who have  
17 questions? Dr. Cara for starters.

18 DR. CARA: From a theoretical basis,  
19 if you were to design a study in which you are  
20 looking at endpoints in terms of drug efficacy  
21 for Type II diabetes, what would you look at?  
22 I mean, I am now asking you as an expert in

1 diabetes.

2 DR. OLEFSKY: Okay. Well, the  
3 question is what endpoints would I look at if I  
4 was designing a trial. I think that the  
5 lessons of the DCCT are so powerful, the  
6 connection between glycemia and complications,  
7 that the endpoints that I would pick are the  
8 usual standard endpoints of glycemic control,  
9 which would be hemoglobin A1c levels and  
10 various measures of glucose.

11 In a particular population, like the  
12 insulin treated diabetic population, I would  
13 add to that, in addition to improved glycemic  
14 control, the ability of an agent to simplify  
15 the insulin treatment regimens and lower the  
16 amounts of insulin that are needed to gain  
17 glycemic control.

18 DR. BONE: Thank you.

19 DR. SALTIEL: Thank you very much,  
20 Jerry. Good morning, everyone.

21 Well, as you have just heard from Dr.  
22 Olefsky, a number of prospective

1 epidemiological studies across several  
2 population groups have indicated that insulin  
3 resistance may be the primary defect in the  
4 development of Type II diabetes. Indeed,  
5 insulin resistance can be detected long before  
6 glucose intolerance occurs, at a time when  
7 insulin secretion may even be increased.

8 As this process advances, insulin  
9 resistance can be further exacerbated due to a  
10 number of factors, including the ensuing  
11 disregulation of carbohydrate and lipid  
12 metabolism, resulting then in the development  
13 of impaired glucose tolerance.

14 Now, eventually the beta cells can no  
15 longer compensate for insulin resistance by  
16 secreting increased amounts of insulin. At  
17 this stage, insulin secretion falls, usually  
18 due to a specific defect in glucose recognition  
19 allowing glucose homeostasis to deteriorate and  
20 leading then to the development of frank  
21 diabetes. Ultimately beta cells fail, as we  
22 have just heard, and diabetes worsens.

**BETA REPORTING**

(202) 638-2400

1-800-522-2382

(703) 684-2382

1                   So this view of the metabolic staging  
2 of diabetes indicates that the treatment of  
3 insulin resistance has great therapeutic  
4 potential for the amelioration of the disease.

5                   Troglitazone is the first drug to  
6 advance to late stage clinical trials that was  
7 designed specifically to target insulin  
8 resistance. And this differentiates  
9 troglitazone from other anti-diabetic agents.

10                  Troglitazone is a member of a family  
11 of compounds known as the thiazolidinediones.  
12 These molecules all share a common structural  
13 motif shown here on the right side, the  
14 thiazolidine- 2-4-dione, which is the active  
15 portion of the molecule.

16                  Additionally, troglitazone was  
17 designed to incorporate a vitamin E molality,  
18 producing a bi-functional drug that combines  
19 the insulin enhancing activity of a  
20 thiazolidinedione with a potent inhibitor of  
21 lipid peroxidation. And I know many of you  
22 know that lipid peroxides have been implicated

1 in the progression of atherosclerosis, and they  
2 also play a role in aggravating insulin  
3 resistance.

4 We have studied this activity of  
5 troglitazone in vitro, that is, its antioxidant  
6 activity. But its contributions to the  
7 clinical effects of the drug are only now just  
8 beginning to be explored.

9 Troglitazone and the other  
10 thiazolidinediones are unique in their ability  
11 to improve insulin action in almost all animal  
12 models of Type II diabetes. And this includes  
13 a number of genetic models, such as the OBOB  
14 and DBDB mouse, as well as several acquired  
15 models, such as the high-fat-diet-adapted rat.

16 (Slide)

17 Shown on this slide is the effect of  
18 troglitazone on glucose tolerance. And one of  
19 these models, the diabetic male Zucker fatty  
20 rat -- as you can see, troglitazone lowers  
21 fasting hyperglycemia and improves glucose  
22 tolerance in these animals. Additionally,



1 troglitazone can correct the hyperinsulinemia  
2 that is associated with insulin resistance in  
3 these animals. Now, this is an indirect effect  
4 of the drug due to the decreased requirement  
5 for insulin.

6 (Slide)

7 On this slide, I have tried to  
8 summarize some of what we have learned about  
9 the effects of troglitazone in various animal  
10 models of Type II diabetes. As I have just  
11 mentioned, troglitazone can lower fasting  
12 hyperglycemia and improve glucose tolerance.  
13 Because of the lowered requirement for insulin,  
14 the drug can correct the hyperinsulinemia  
15 associated with insulin resistance.

16 In a series of studies that are  
17 summarized in your package and described in  
18 detail in the NDA, troglitazone has been seen  
19 to induce beta cell regranulation indirectly  
20 and to increase insulin content in these cells  
21 in diabetic rats, suggesting that troglitazone  
22 may be able to prevent to some extent the

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 deterioration in pancreatic function induced by  
2 diabetes.

3 Another component of the diabetic  
4 syndrome is the development of dislipidemias.  
5 And in certain animal models, troglitazone has  
6 been seen to lower VLDL and LDL while raising  
7 HTL cholesterol, and can also lower the  
8 hypertriglyceridemia associated with diabetes.  
9 Additionally, in some animals troglitazone can  
10 lower systolic blood pressure, especially in  
11 insulin-resistant rats.

12 Now, in addition to these studies  
13 that have been carried out in various animal  
14 models, a number of detailed mechanistic  
15 experiments have been performed in patients  
16 with Type II diabetes in order to learn more  
17 about the mechanisms that account for the  
18 ability of the drug to improve glucose  
19 tolerance.

20 (Slide)

21 Shown here are a group of studies  
22 done in a group of 11 patients with Type II

1 diabetes before and after treatment with 400  
2 milligrams per day of troglitazone. This is a  
3 meal tolerance test in which meals were  
4 administered at zero and four hours. And you  
5 can see in this slide that troglitazone lowers  
6 fasting blood sugar and accelerates glucose  
7 disposal after each meal. Additionally,  
8 troglitazone can lower both fasting and  
9 postprandial insulin levels.

10 (Slide)

11 Now, peripheral insulin resistance is  
12 characterized by an attenuation of insulin  
13 stimulated glucose disposal. A number of  
14 hyperinsulinemic euglycemic clamp studies have  
15 been performed, including the one shown on this  
16 slide among many, to demonstrate that  
17 troglitazone can reverse the insulin resistance  
18 of diabetes by increasing insulin stimulated  
19 glucose utilization.

20 And this is a glucose clamp study  
21 done in the same 11 patients. And you can see  
22 here that troglitazone increases glucose

1 utilization or glucose disposal rates, assayed  
2 here at two different concentrations of insulin  
3 in the infusion. Interestingly, in this study,  
4 every patient showed this kind of response to  
5 the drug.

6 Elevations in hepatic glucose  
7 production are thought to be primarily  
8 responsible for the fasting hyperglycemia of  
9 diabetes. So hepatic glucose production was  
10 evaluated in the same group of 11 patients.  
11 And you can see that troglitazone lowers  
12 hepatic glucose output to levels approaching  
13 those seen in non-diabetic controls. This  
14 effect of troglitazone reflects its ability to  
15 inhibit glucose synthesis in the liver.

16 So to summarize from these  
17 mechanistic studies, we have learned that  
18 troglitazone acts fundamentally as an insulin  
19 sensitizing agent, improving insulin resistance  
20 and glucose tolerance in patients with Type II  
21 diabetes by increasing insulin stimulated  
22 glucose disposal primarily in muscle, and by

1 inhibiting hepatic glucose production.

2 Now, a number of investigators have  
3 tried to get a handle on the molecular  
4 mechanisms that underlie these effects of the  
5 drug and so have turned to various in vitro  
6 tissue culture models in which it is possible  
7 to study insulin action.

8 Now, these studies have revealed that  
9 troglitazone can increase insulin stimulated  
10 glucose uptake in cultured fat and muscle cell  
11 lines, can decrease glucose neogenesis in  
12 cultured liver cell lines, and can potentiate  
13 the insulin dependent differentiation of  
14 adipocytes in tissue culture.

15 Now, while these effects of  
16 troglitazone are consistent with its activity  
17 as an insulin sensitizing agent, these actions  
18 are relatively slow and do not primarily  
19 involve the mobilization of insulin's early  
20 signaling pathways.

21 In addition, troglitazone does not  
22 universally enhance the actions of insulin in

1 vitro. For example, the drug has no impact  
2 whatsoever on the growth promoting effects of  
3 insulin in tissue culture cells.

4 Now, taken together, these findings  
5 suggested that troglitazone's actions are  
6 mediated primarily by a mechanism involving the  
7 specific regulation of gene expression. Now,  
8 probably the primary progress that has been  
9 made in understanding how troglitazone might  
10 regulate transcription has emerged from the  
11 study of genes that are known to be  
12 differentially expressed during adipogenesis.

13 Now, you'll recall a minute ago I  
14 reminded you that troglitazone can enhance  
15 adipocyte differentiation in tissue culture  
16 cells, leading us and others to study the  
17 regulation of genes that are differentiation  
18 dependent and tissue culture cell lines of  
19 adipocytes such as the 323-L-1 cell.

20 (Slide)

21 And shown on this slide is an example  
22 of the regulation of one of these genes, a gene

1 known as AP-2. The AP-2 gene encodes for a  
2 fatty acid binding protein, the expression of  
3 which is known to be increased in adipocytes.  
4 Now, this slide shows an RNA's protection  
5 assay. This is a method used to evaluate  
6 messenger RNA levels.

7 We know the AP-2 gene is under the  
8 regulation of fatty acids. And you can see  
9 here the fatty acid bromopalmitate can increase  
10 messenger RNA levels for AP-2. Troglitazone is  
11 even more effective than bromopalmitate,  
12 markedly increasing the expression of this  
13 gene.

14 Now, let me remind you that gene  
15 expression is controlled by a region of the  
16 gene known as the promoter. The promoter is  
17 under the regulation of transcription factors  
18 which can recognize and interact with discreet  
19 sequences in the promoter known as upstream  
20 activating sequences, or UAS.

21 Upon activation of the promoter by a  
22 transcription factor, RNA polymerase enzymes

1 are activated, inducing transcription of the  
2 gene. Then, of course, the resulting messenger  
3 RNA is translated into protein.

4 Now, the promoter of the AP-2 gene  
5 and other thiazolidinedione genes were found to  
6 contain sequences that -- or signature  
7 sequences or discreet upstream activating  
8 sequences that predicted the interaction with  
9 and binding to a family of transcription  
10 factors known as the nuclear receptors.

11 Nuclear receptors are regulators of  
12 transcription that are themselves activated by  
13 small lipophilic molecules or ligands.

14 Examples of this would include the steroid  
15 hormones or thyroid hormone.

16 Now, more specifically, the sequences  
17 identified in thiazolidinedione responsive  
18 genes were known to interact with a sub-family  
19 of these receptors known as the PPARs, or  
20 peroxizine proliferator activated receptor.  
21 And this finding led to the speculation that  
22 thiazolidinediones like troglitazone might



1 serve as specific ligands for these receptors,  
2 inducing them to interact with domains in these  
3 genes known as PPAR response elements or PPREs,  
4 found in genes that encode for proteins  
5 critical to the control of lipid and  
6 carbohydrate metabolism.

7 Now, thus far there are three major  
8 members of the PPAR family known, alpha, gamma,  
9 and delta. And these receptors all share  
10 considerable sequence identity in their  
11 activation of DNA binding and ligand binding  
12 domain.

13 Based on these findings, it was  
14 hypothesized that thiazolidinediones like  
15 troglitazone might serve as ligands for just a  
16 subset of these receptors. So to evaluate this  
17 hypothesis, a series of binding and  
18 transactivation experiments were performed,  
19 such as the one shown in this slide.

20 (Slide)

21 Now, this slide depicts an  
22 experiment, a transactivation experiment, in

1 which cells were cotransfected with the  
2 receptor PPAR-gamma and a reporter gene  
3 encoding for the enzyme luciferase that was  
4 fused to a promoter containing a  
5 thiazolidinedione responsive sequence which is  
6 called ARE-7.

7 (Slide)

8 Now, in experiments such as this, the  
9 expression of the reporter gene depends upon  
10 the activity of the promoter, which in turn  
11 depends critically on the activity states of  
12 the receptor. As you can see on the left hand  
13 side of the slide, troglitazone, but not the  
14 unrelated compound metformin, increases the  
15 expression of luciferase in these cells,  
16 reflecting an increased activity of the  
17 promoter to which it is fused.

18 Now, importantly, in the absence of  
19 PPAR-gamma, there is no effect at all of the  
20 drug. So this experiment and a series of other  
21 experiments like this and complementary to this  
22 have indicated that there is indeed specificity

1 among these receptors for different ligands.  
2 And I have tried to summarize that on this  
3 slide.

4 (Slide)

5 While we are still not definitively  
6 sure about what natural endogenous ligands may  
7 exist for these receptors, we do know that  
8 PPAR-alpha appears to be the receptor for a  
9 class of compounds known as the fibrates.  
10 Activation of this receptor leads to the  
11 regulation of expression of genes encoding for  
12 different lipoproteins. We're still not  
13 completely sure about the precise role of  
14 PPAR-delta, but we do know that PPAR-gamma is  
15 the receptor for the thiazolidinediones.

16 Well, we clearly still have much to  
17 learn about the physiology and the molecular  
18 biology and the molecular interactions of these  
19 receptors. But a general picture has now  
20 emerged concerning the mechanism by which these  
21 drugs can induce cells to become more sensitive  
22 to insulin. And I tried to outline this on

1 this slide.

2 (Slide)

3 Again, we know that  
4 thiazolidinediones like troglitazone can  
5 interact with the nuclear receptor PPAR-gamma,  
6 inducing this complex to interact with  
7 promoters in genes that encode for proteins  
8 critical to the control of carbohydrate and  
9 lipid metabolism. In some cases, these genes  
10 may themselves be transcriptionally regulated  
11 by insulin through mechanisms emanating from  
12 the insulin receptor that are really not too  
13 well defined but that provide a mechanism for  
14 the potentiation of insulin action by  
15 thiazolidinediones.

16 Alternatively, these effects of  
17 thiazolidinediones may be insulin independent  
18 but insulin mimetic on these genes. And then  
19 some of these genes encode for proteins that  
20 are post-translationally modified by insulin,  
21 proteins that participate in insulin signal  
22 transduction or that end up as targets of

1 insulin action.

2 So, as you can see, we have made some  
3 progress in understanding how  
4 thiazolidinediones induce sensitization of  
5 cells to insulin. And as I have told you  
6 today, we have learned that troglitazone can  
7 bind to and activate the nuclear receptor  
8 PPAR-gamma. This activated complex can  
9 regulate the transcription of genes encoding  
10 proteins that are critical to the control of  
11 carbohydrate and lipid metabolism by insulin.

12 Thank you.

13 Unless there are some questions, I'd  
14 like now to introduce Dr. Ted McGuire.

15 DR. BONE: I believe there are  
16 actually a few questions.

17 DR. SALTIEL: Okay.

18 DR. BONE: Okay.

19 Dr. Zawadzki.

20 DR. ZAWADZKI: Could you please  
21 comment a little bit more about the group of 11  
22 individuals who were clamped before and after

1 troglitazone as to were they on insulin therapy  
2 before troglitazone was added, how old they  
3 were, and how long they had diabetes, and how  
4 long the duration of troglitazone treatment  
5 lasted?

6 DR. SALTIEL: Yeah, thank you.  
7 Actually, I'm going to defer this question to  
8 Dr. Olefsky since these were his studies.

9 DR. OLEFSKY: Does this work? Yes,  
10 this does work. The patients that were studied  
11 were patients who had

12 Not been treated with insulin. They  
13 had either been treated with some oral agent or  
14 not on any oral agent therapy. Had they been  
15 on oral agent therapy, they were withdrawn for  
16 a period of several weeks before they were  
17 started on the troglitazone therapy, which was  
18 given for a period of 8 to 12 weeks.

19 Was there some other aspect of it you  
20 -- oh, how old they were? Yeah. They -- I  
21 couldn't remember the exact age, but they were  
22 in the low 50s. They were typical diabetic

1 patients with BMIs around 30. Their mean,  
2 average age was probably 51, 52, 53.

3 DR. ZAWADZKI: Do we know how long  
4 they had had diabetes?

5 DR. OLEFSKY: We do know. I couldn't  
6 give you the exact details. But they would  
7 have had diabetes from anywhere between five  
8 and ten years. So they were not new onset  
9 diabetic patients.

10 DR. BONE: Dr. Sherwin.

11 DR. SHERWIN: I'm just curious.

12 Activating the PPAR-gamma receptor, does that  
13 itself produce all the effects on carbohydrate  
14 on lipid? Because I still have trouble getting  
15 the leap. I recognize that the drug binds to  
16 the receptor. But are we sure that all of the  
17 effects are due to that? That's really what  
18 I'm asking.

19 DR. SALTIEL: Bob, that's a very good  
20 question. And you know, there is still a bit  
21 of a gap in understanding which are the precise  
22 direct and indirect events that occur after

1 activation of the receptor.

2 I think we have been able to  
3 catalogue a series of genes that we know  
4 respond early and respond late. And these  
5 responses are all consistent with the up  
6 regulation of proteins that are involved in  
7 insulin action. I think we don't really know  
8 all the molecular details yet about this  
9 interaction.

10 DR. SHERWIN: It seems to me a little  
11 more of a growth factor, a differentiation  
12 factor. I've always had trouble translating  
13 that into glucose transport effects and things  
14 like that. So I assume there is still a wide  
15 gap in knowledge to take it from a  
16 differentiation factor and growth factor in  
17 adipocytes to some of the carbohydrate and  
18 lipid effects.

19 DR. SALTIEL: Well, this will take  
20 quite a while, I think, to get into this in  
21 detail.

22 DR. SHERWIN: No. I don't want you



1 to. That's okay.

2 (Laughter)

3 DR. SALTIEL: I think there is a fair  
4 amount of evidence showing the expression of  
5 PPAR-gamma in insulin sensitive tissues, not  
6 just in fibroblasts, but also in mature  
7 dipocytes and elastin skeletal muscle.

8 DR. BONE: I guess the question that  
9 Dr. Sherwin was asking, though, is how  
10 confident are you that that accounts for all of  
11 the actions of the drug?

12 DR. SALTIEL: Well, we -- there are a  
13 series of studies published correlating the  
14 binding affinity and the in vitro activation  
15 with anti-diabetic effects of the drug in vivo.  
16 So those are correlation studies.

17 DR. BONE: But the intermediary  
18 effects --

19 DR. SALTIEL: Pardon me?

20 DR. BONE: But the intermediary steps  
21 are what you are asking about, aren't they,  
22 Bob?

1 DR. SHERWIN: Well, no. It is  
2 basically the question you asked, can we be  
3 sure that that's the only effect of the drug.  
4 In other words, is it working with respect to  
5 its effects on glucose, particularly and also  
6 perhaps lipid, solely on that basis.

7 DR. SALTIEL: Well, you know, I can't  
8 directly answer that until we have a knock-out  
9 animal. And even then I'm not sure.

10 DR. SHERWIN: And we don't have that  
11 yet.

12 DR. SALTIEL: Right, and we don't  
13 have that yet.

14 DR. SHERWIN: Okay.

15 DR. BONE: Dr. Hirsch and then Dr.  
16 Cara.

17 DR. HIRSCH: Well, my question was a  
18 similar -- it's the sort of general question,  
19 Dr. Saltiel, that relates to what we were just  
20 talking about, namely what the evidence is is  
21 that thiazolidinedione is the best known of the  
22 unnatural ligands that we now have for

1 PPAR-gamma-2, and that what PPAR-gamma-2 does  
2 is open up a whole ensemble of molecular  
3 genetic activities that lead to not only  
4 differentiation but to what you are  
5 differentiating for, namely the storage of  
6 triglycerides.

7 A whole variety of things happen.  
8 There are, as you know, a number of papers  
9 supporting this, so that I guess this becomes a  
10 very key issue then, the degree to which the  
11 lessening of insulin resistance, which is in  
12 fact a very advantageous thing to have occurred  
13 at that moment when you are storing lipid,  
14 obviously, is differentiatable or discernible  
15 as a separate entity from these other items.

16 And I guess it also cautions us then  
17 to examine all of the animal and human studies  
18 in terms of any evidence that anything adverse  
19 occurred in terms of there being more  
20 adipocytes or smaller ones or more weight  
21 change or whatever, it is in relationship to  
22 the use of this drugs. So both of the sort of

1 uppermost level of the clinical observation and  
2 the most intense level of how this operates we  
3 sort of have to look for this -- the fact that  
4 there is kind of a double edged sword here.

5 Is that fair or is that not?

6 DR. SALTIEL: No. I think that's a  
7 very important point. And Dr. McGuire and Dr.  
8 Whitcomb will be addressing these issues in  
9 more detail.

10 What I can tell you is that in the  
11 list of in vivo studies that I showed earlier,  
12 these were all studies done at a about one week  
13 of exposure to the drug, during which time  
14 there was no effect at all of the drug on  
15 weight gain, adiposity, or food intake. So I  
16 think in the in vivo setting, we can  
17 differentiate the insulin sensitizing effect of  
18 the drug from any increase in fat cell number  
19 that is detectable.

20 There certainly are, in longer term  
21 studies with higher doses of drug, increases in  
22 fat cell number, prominently in brown fat,

1           though, in rodents. I think Dr. McGuire will  
2 describe this in more detail if you have any  
3 more questions.

4                   DR. BONE: Dr. Cara had a question.  
5 Is that satisfactory, Dr. Hirsch, for the  
6 moment?

7                   DR. HIRSCH: Well, I just wanted to  
8 add that there are also some other studies, as  
9 you know, of increase in the amount of adipose  
10 tissue and increase in appetite, et cetera, in  
11 animals under the same circumstances with a  
12 repeated -- you know, continued units of the  
13 drug.

14                   DR. SALTIEL: Yes.

15                   DR. BONE: Dr. Cara.

16                   DR. CARA: Can you clarify for me  
17 whether activation of PPAR-gamma by  
18 troglitazone is dependent on the presence of  
19 insulin? I mean, I guess I am more confused  
20 now than I was before.

21                   DR. SALTIEL: I'm sorry. I'm sorry  
22 about that. That's a very good question.

1       Actually, activation is not dependent on the  
2       presence of insulin. But the phenotypic  
3       changes that one sees in cells are dependent on  
4       the presence of insulin. So --

5               DR. CARA: How do you explain that?

6               DR. SALTIEL: Well, I think what  
7       thiazolidinediones do is to increase the  
8       synthesis of proteins that make cells more  
9       responsive to insulin, proteins like the  
10      glucose transporters, fatty acid synthetase,  
11      and a number of enzymes such as that. So there  
12      are more targets of insulin action.

13              DR. BONE: Are there other questions  
14      from other committee members?

15              I had a question, sort of a follow-on  
16      to the earlier questions, and maybe it has two  
17      parts. It has to do with the significance of  
18      this sequence in other respects.

19              One is -- one part of this question  
20      is the potential role of this sequence in tumor  
21      proliferation, oncogenesis. Has that been  
22      studied in other model systems? Are there

1 similar sequences -- this is probably just an  
2 ignorant question. Are there similar sequences  
3 that might be activated in any of the oncogenes  
4 that have been studied, for example?

5 DR. SALTIEL: You're referring to  
6 thiazolidinedione responsive sequences?

7 DR. BONE: PPAR -- yes.

8 DR. SALTIEL: Yeah. This is a very  
9 good question. I don't know of any examples in  
10 which thiazolidinedione action can result in  
11 the activation of oncogenes. And in fact, we  
12 have looked in a variety of cells and have  
13 found that troglitazone does not really  
14 potentiate the growth effects of insulin or of  
15 serum or of IGF-1.

16 So I think this is another example of  
17 how this is not a universal -- does not have a  
18 universal impact on insulin action. And we  
19 don't really think that there is any evidence  
20 -- again, Ted will discuss this in more detail  
21 in a few minutes. But we don't really think  
22 there is any evidence at the cellular level to

1 indicate that troglitazone would sensitize  
2 cells in any way to the activation of growth.

3 DR. BONE: Has the question I asked  
4 been explicitly investigated?

5 DR. SALTIEL: Well, I guess we have  
6 never identified or learned about any PPRES in  
7 oncogenes, if that is the question.

8 DR. BONE: Yeah. Have you looked?

9 DR. SALTIEL: Yes.

10 DR. BONE: Yeah, okay. Then the  
11 other part of that question had to do with  
12 exactly a follow-up to Dr. Hirsch's question.  
13 The implication behind much of what we have  
14 been discussing is that lowering insulin levels  
15 would be good because we are concerned about  
16 the adverse effect of hyperinsulinemia, for  
17 example, on vascular smooth muscle or other  
18 cardiovascular implications of long term  
19 insulin use.

20 But from what you've told us, it is  
21 conceivable that the response element you were  
22 talking about here might actually have just the



1 same effect as insulin. Is there any -- has  
2 that been looked at experimentally?

3 DR. SALTIEL: Well, again we have  
4 looked at fibroblasts and other cell lines that  
5 we know respond to thiazolidinediones, looking  
6 specifically at whether or not these drugs will  
7 by themselves or in combination with growth  
8 factors increase thymidine incorporation, for  
9 instance. And the drug has no effect at all on  
10 the growth of those cells.

11 So we don't really think that the  
12 growth promoting effects of insulin or IGF-1  
13 that you would expect to see in the scenario  
14 that you describe would be at all impacted by  
15 the drug, from the in vitro data.

16 DR. BONE: But those are strictly in  
17 vitro studies.

18 DR. SALTIEL: Yes.

19 DR. BONE: Thank you.

20 DR. McGUIRE: Thanks, Al. Good  
21 morning.

22 The pre-clinical toxicology program

1 that has been conducted with troglitazone is  
2 very extensive, and it consisted of 139  
3 different studies. And the program very  
4 effectively characterized the toxicology  
5 profile of the compound.

6 The main findings that I would like  
7 to address before the specific findings,  
8 troglitazone was not genotoxic. It did not  
9 induce reproductive toxicology, and it poses no  
10 significant carcinogenic risk. As Dr. Martin  
11 indicated, the issues that I'll address are  
12 indicated in your agenda. I'll discuss  
13 primarily cardiac enlargement and vascular  
14 tumors, but also fluid accumulation.

15 Cardiac enlargement was observed in  
16 rats and mice given high doses of troglitazone  
17 in repeated dose studies. And contrary to --  
18 what happened here -- got going in the wrong  
19 direction, excuse me. And although there has  
20 been fluid accumulation noted with other  
21 compounds in this particular class, there was  
22 no evidence of abnormal fluid accumulation in

1 these studies. Troglitazone did not induce  
2 pericardial effusion, pulmonary edema, or  
3 ascites.

4 To provide some perspective on the  
5 cardiac enlargement, a number of factors were  
6 considered: first of all, exposures associated  
7 with cardiac enlargement in rodents in  
8 comparison with human exposure, an assessment  
9 of cardiac function, histopathologic evaluation  
10 of cardiac tissue, and species specificity.

11 The cardiac enlargement was observed  
12 at plasma concentrations that were  
13 significantly higher than human exposures, 14  
14 to 47 times the AUC at the 400 milligram human  
15 dose. In a one year study in monkeys, which  
16 included serial echocardiographic evaluations,  
17 there was no cardiac enlargement and no  
18 evidence of fluid accumulation. The exposures  
19 achieved were up to six times the human levels.  
20 And in dogs, at exposures that were comparable  
21 to humans, there also was no cardiac  
22 enlargement.

1                   With respect to an assessment of  
2 cardiac function, there were no adverse effects  
3 on performance in enlarged hearts of rats that  
4 were evaluated using an isolated perfusion  
5 model. There were no effects on heart rate,  
6 left ventricular function, which included a  
7 contractility and relaxation performance. And  
8 there were no effects on coronary flow or  
9 resistance. And in both mice and rats, this  
10 cardiac enlargement was non-progressive, and it  
11 was reversible following withdrawal of drug  
12 treatment.

13                   What I mean by non-progressive is  
14 that the majority of the enlargement occurred  
15 during the initial 26 weeks of treatment, and  
16 the weights essentially stabilized thereafter.

17                   The histopathologic evaluation of  
18 heart tissue from mice treated up to two years  
19 and rats and monkeys treated up to one year  
20 revealed no microscopic changes associated with  
21 this cardiac enlargement.

22                   And in terms of species specificity,

1 the cardiac enlargement was confined to  
2 rodents, and it was not seen in monkeys or  
3 dogs. And with respect to a potential  
4 mechanism of the species specific effect, the  
5 renin angiotensin system seems to be involved,  
6 since the administration, co-administration, of  
7 ACE inhibitor prevented the enlargement.

8 So, in conclusion, the cardiac  
9 enlargement was again confined to rodents. It  
10 was non-progressive and reversible. There were  
11 no effects on cardiac performance. The  
12 enlargement occurred at plasma concentrations  
13 that were significantly higher than human  
14 exposures. There were no cardiac effects in  
15 monkeys treated chronically. And the exposures  
16 that were achieved were up to six times the  
17 exposure at 400 milligram human dose, and  
18 approximately three times at the 600 milligram  
19 human dose.

20 Therefore, troglitazone was not  
21 cardiotoxic in the three species that we  
22 studied, mice, rats, and monkeys. And as will

1 be discussed by Dr. Whitcomb, there were no  
2 treatment related adverse effects in patients  
3 treated chronically.

4 The other issue that I'd like to  
5 address briefly is rodent carcinogenicity. The  
6 troglitazone rodent carcinogenicity studies  
7 were conducted at maximum tolerated doses, with  
8 the compound administered for essentially the  
9 entire lifespan of the animal. The  
10 experimental designs and the doses that were  
11 selected were discussed with the Food and Drug  
12 Administration prior to initiation of the  
13 studies.

14 In the mouse carcinogenicity study,  
15 troglitazone was administered for 104 weeks,  
16 daily by gavage to 60 animals per sex per group  
17 at dose levels of 5400 and 800 milligrams per  
18 kilogram, the 800 milligrams per kilogram being  
19 100 times the dose at 400 milligrams in humans.  
20 There were also two control groups in this  
21 study, one receiving the vehicle and the other  
22 the formulation excipients.

1                   The findings in the mouse  
2                   carcinogenicity study included increased  
3                   incidence of vascular tumors in male mice at 16  
4                   times the AUC at the 400 milligram dose, and in  
5                   female mice at 18 to 23 times the human  
6                   exposure. Liver tumors were also increased in  
7                   females, but in females only, and only at the  
8                   highest dose, an exposure of 23 times the human  
9                   levels. There was no increase in tumor  
10                  incidence at 14 times the AUC at the 400  
11                  milligram human dose.

12                  To evaluate the relevance of this  
13                  increased tumor incidence in mice, a number of  
14                  considerations were addressed, a process that  
15                  we referred to as weight of evidence analysis.

16                  Considerations included genotoxic  
17                  potential, extent of exposure in rodents in  
18                  comparison with human exposures, data from rat  
19                  carcinogenicity study with troglitazone, and a  
20                  discussion of biological characteristics of the  
21                  vascular tumors in mice in comparison with  
22                  those seen in humans.

1 With respect to genotoxic potential,  
2 information is available from a complete  
3 battery of both in vitro and in vivo assays.  
4 And in those assays, troglitazone was not  
5 mutagenic in either salmonella or E. coli.  
6 There was an increase in chromosome aberrations  
7 in Chinese hamster lung cells, but that was  
8 only seen at cytotoxic doses.

9 Troglitazone was not clasogenic  
10 (phonetic) in V-79 cells. And based on some  
11 data that has recently been submitted to the  
12 NDA that unfortunately is not part of your  
13 background package, the compound has been found  
14 to be neither clasogenic nor mutagenic in most  
15 lymphoma cells. And based on all of these in  
16 vitro mammalian cell assays, troglitazone is  
17 not considered to be clasogenic in vitro.

18 Additional confirmation was provided  
19 by the fact that troglitazone was not  
20 clasogenic in vivo, in mouse bone marrow  
21 micronucleus assays, and did not induce  
22 unscheduled DNA synthesis in rat hepatocytes.



1                   Therefore, based on the results of  
2                   the entire battery of genetic toxicology  
3                   assays, troglitazone does not pose a genotoxic  
4                   risk.

5                   The second consideration in the  
6                   weight of evidence analysis is exposure. The  
7                   exposures in the mouse carcinogenicity study  
8                   were significantly higher than the exposures at  
9                   the 400 milligram human dose represented by  
10                  this yellow line here, a human AUC of 13.4  
11                  microgram hour per mil.

12                  Multiples of the human exposure in  
13                  male mice were 2 to 16 times, and in female  
14                  mice 4 to 23 times. Groups where we saw  
15                  increases in tumor incidence were in the high  
16                  dose males and the mid and high dose females,  
17                  at exposures of 16, 18, and 23 times the human  
18                  level. There were no increases in tumor  
19                  incidence at 2, 4, and 14 times the human  
20                  level.

21                  A third consideration in the weight  
22                  of evidence analysis is data that we have from

1 a rat carcinogenicity study conducted with  
2 troglitazone. And based on that study, there  
3 were no increases in tumor incidence in either  
4 male or female rats at exposures up to 47 times  
5 the human exposure. And as you can see, the  
6 exposure here in rats is three times higher  
7 than the exposure in mice where we saw an  
8 increased incidence in tumors.

9 A final consideration is the  
10 biological characteristics of these mouse  
11 vascular tumors. The background incidence of  
12 these vascular tumors in mice is highly  
13 variable. And also, in the concurrent control  
14 groups in our mouse study, there was an  
15 unusually high incidence of vascular tumors  
16 which may have affected the increased incidence  
17 in the troglitazone groups.

18 An important consideration, the  
19 compound induced vascular tumors did not  
20 metastasize. This differs from humans in that  
21 the vascular tumors noted in humans are quite  
22 infrequent, and they also have a tendency to

1 metastasize.

2           So, in conclusion, the increased  
3 incidence in vascular tumors in mice was  
4 observed at exposures significantly higher than  
5 the human exposures, and the effects were  
6 clearly species specific. There was no  
7 increase in tumor incidence at 14 times the AUC  
8 at the 400-milligram human dose or nine times  
9 at the 600-milligram human dose.

10           And therefore, based on the entire  
11 weight of evidence analysis, troglitazone has  
12 no significant genotoxic or carcinogenic risk  
13 to humans.

14           If there are any questions before Dr.  
15 Whitcomb reviews the clinical findings, we'll  
16 address them here now.

17           DR. BONE: Dr. Sherwin has a  
18 question.

19           DR. SHERWIN: Yes, a couple. The  
20 cardiac effects, do you envision them as being  
21 indirect or direct, and are there PPAR-gamma  
22 receptors in the heart?

1 DR. McGUIRE: With respect to the  
2 cardiac enlargement that we see, we have no  
3 knowledge that that is related to the PPAR  
4 receptors. And as to whether PPAR receptors  
5 are found in the heart, I'm not sure if Al  
6 Saltiel could address that or not.

7 DR. SALTIEL: There are some, but  
8 they are at very low levels expressed.

9 DR. SHERWIN: I mean, do you think  
10 this is volume overload? Or do you have any  
11 sense of what is the etiology of -- I mean, it  
12 is possible that an ACE inhibitor could reverse  
13 some of the effects without it being directly  
14 involved in the mechanism.

15 DR. McGUIRE: Yeah. It seems that  
16 the alteration in fluid homeostasis may be  
17 involved to some extent. The co-administration  
18 of the ACE inhibitor of course reverted the  
19 enlargement or prevented the enlargement. But  
20 in the case where diuretics were administered  
21 concurrently, the enlargement still occurred to  
22 a certain extent.

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1           So the actual mechanism is not well  
2 understood as to whether it is due solely to  
3 volume overload or some other component of the  
4 enlargement.

5           DR. SHERWIN: One other question. Is  
6 it possible that a metabolite of the drug could  
7 have some of this effect? Because I noticed in  
8 the handout that there are metabolites that are  
9 generated. Do we know if they are biologically  
10 active?

11           DR. McGUIRE: The actual M3, which is  
12 the quinone, is active. And the quinone is at  
13 similar concentrations to the actual parent  
14 compound. The metabolites are very similar in  
15 all of the species that we studied. And the  
16 fact that we are not getting cardiac  
17 enlargement in non-rodent species would not  
18 suggest that one of the metabolites might be  
19 involved.

20           DR. BONE: Other questions? Let's  
21 see, Dr. Cara and then Dr. Hirsch.

22           DR. CARA: I don't know if you feel

1 comfortable addressing these questions or not.  
2 But I am specifically concerned about the  
3 pharmacokinetic and pharmacodynamic data  
4 concerning troglitazone.

5 DR. McGUIRE: We have Dr. Koup here  
6 from our pharmacokinetics and drug metabolism  
7 group who might be able to address those  
8 questions more directly.

9 DR. BONE: Did you plan to make any  
10 presentation about that information?

11 DR. McGUIRE: Not this morning.

12 DR. BONE: Did you have something  
13 planned for this afternoon on that specific  
14 topic?

15 DR. McGUIRE: Not on that specific  
16 topic, no.

17 DR. BONE: Why don't we plan to add a  
18 little discussion at that point? Would that  
19 suit you, Dr. Cara?

20 DR. CARA: Well, it might be related  
21 to the --

22 DR. BONE: Oh, go ahead. Why don't

1 you ask the question now?

2 DR. CARA: -- some of the clinical  
3 studies because --

4 DR. BONE: I see.

5 DR. CARA: My concern, and please  
6 correct me if I am wrong, is that my  
7 understanding is that there isn't a very good  
8 dose response relationship between troglitazone  
9 dose and observed response in terms of glucose  
10 values and other clinical indicators.

11 How do you explain that? And my  
12 concern is that it raises issues regarding not  
13 only those related to selection of appropriate  
14 dosing, but also regarding some of the data  
15 that you have presented in terms of whether or  
16 not we are really looking at appropriate  
17 dosages.

18 DR. McGUIRE: The dosages that I  
19 showed you are extraordinary doses in  
20 comparison to the human doses, ours up to 800  
21 and 1200 milligrams per kilogram. So those are  
22 significantly higher doses. Now, in looking at

1 the exposure in comparison to humans based upon  
2 AUC values, the monkey is up to around six, the  
3 mouse is up to around 20, and the rat can get  
4 up to as much as 47 times the human plasma  
5 concentration.

6 DR. BONE: Perhaps the way to handle  
7 that, if sponsor is agreeable, is for the  
8 discussion of the rationale for the dose I  
9 think would be an appropriate part of the  
10 clinical presentation that is upcoming, and  
11 that can just be incorporated without  
12 necessarily going into all of the  
13 pharmacokinetic studies, if that wasn't  
14 planned.

15 But I'm sure we'll have questions  
16 about that. I'm not sure that is really  
17 appropriate to ask about when we are talking  
18 about the toxicology.

19 Dr. Hirsch had a question about tox.

20 DR. HIRSCH: I just wanted to perhaps  
21 highlight if I could two things that were  
22 mentioned in the report about histologic



1 findings that you might want to comment on.

2 One was that the rather odd enlargement of  
3 atrial cells specifically in the heart, I  
4 wonder whether this was related possibly to  
5 atrial natriuretic factor or other issues that  
6 could be studied in terms of the --

7 DR. McGUIRE: Those are interesting  
8 possibilities for additional work, but have not  
9 been studied yet.

10 DR. HIRSCH: The second item was of  
11 course my perpetual interest in adipose tissue.  
12 And I noticed that there were changes noted in  
13 the brown fat.

14 DR. McGUIRE: Yes.

15 DR. HIRSCH: It seems, though, and it  
16 leads -- I'm sorry, if the brown fat changes  
17 were in fact of significance physiologically,  
18 they would act in the direction of removing  
19 brown fat as being active because apparently  
20 the fatty droplets were coalescing,  
21 indicating --

22 DR. McGUIRE: That's right, yes.

1 DR. HIRSCH: -- reduction in brown  
2 fat activity, presumably induced by the drug.

3 DR. McGUIRE: Yeah. What we  
4 observed, and it was observed first in rats,  
5 although we did see the effect also later in  
6 mice, was that as these long term studies  
7 progressed there was significant accumulation  
8 of the brown fat, hypertrophy and hyperplasia  
9 of the brown fat, to the extent that you could  
10 actually palpate the interscapular brown fat  
11 pad in the rat.

12 These animals survived very well for  
13 two years with no apparent effect associated  
14 with that finding.

15 DR. HIRSCH: I'm sorry. So there was  
16 an increase in the amount of brown fat with it.

17 DR. McGUIRE: Exactly, exactly.

18 DR. HIRSCH: I thought the histologic  
19 findings were in the direction of showing if  
20 not atrophy at least an enlargement of the fat  
21 droplets and coalescence, making the brown fat  
22 turn into white fat, as it were.

1 DR. McGUIRE: Histologically, with  
2 the transition from this multilocular to  
3 unilocular occurrence, there actually is -- it  
4 actually histologically does tend to look more  
5 like white fat. But based upon some  
6 immunocytochemistry that we did, this remains  
7 brown fat.

8 DR. BONE: Were any functional  
9 studies done on that tissue?

10 DR. McGUIRE: No functional studies,  
11 no.

12 DR. BONE: Okay. Did other committee  
13 members have questions? Dr. Illingworth has a  
14 question.

15 DR. ILLINGWORTH: In the background  
16 information, there is mention of hepatic  
17 carcinomas in mice.

18 DR. McGUIRE: That's right.

19 DR. ILLINGWORTH: Do you assume that  
20 this is analogous to the PPAR-alpha situation  
21 that is unique to rodents?

22 DR. McGUIRE: No. We assume that

1 that's related perhaps to minimal microsomal  
2 induction. And this phenomena has been seen  
3 with other microsomal inducers over long term  
4 studies in mice that eventually form liver  
5 tumors. The fact that this occurred only in  
6 one dose, one sex -- it was the very highest  
7 exposure of 23 times the human levels. We  
8 don't consider this to be clinically  
9 significant.

10 And the reference to PPAR and perhaps  
11 an effect on liver tumors, we looked at  
12 peroxone proliferation in our rat studies, and  
13 this compound is definitely not a peroxone  
14 proliferator as the term is generally used.

15 DR. BONE: I think Dr. Fleming had a  
16 comment or a question.

17 DR. FLEMING: Yes. Dr. McGuire, if  
18 you could provide a clarification. You are  
19 quite correct in stating that the standard  
20 chronic toxicity studies did not show any  
21 evidence of fluid accumulation, nor were there  
22 any histologic changes seen in the heart in

1 those studies.

2           However, in the carcinogenicity  
3 studies themselves, there were such  
4 observations. And I wonder if you could just  
5 comment about the significance of those.

6           DR. McGUIRE: Yes. What we saw in  
7 the rat study, the carcinogenicity study which  
8 was the two year duration evaluating tumor  
9 induction, what we saw late in that study was  
10 an increase in mortality. And with that  
11 mortality, there also were animals that had  
12 fluid accumulation. And the fluid accumulation  
13 was largely confined to the thoracic cavity.  
14 And that fluid accumulation we associated with  
15 the late stage and moribund state of the  
16 animal, as the fact that it is occurring very  
17 late and the fact that it is associated with an  
18 animal that actually dies during the study.

19           When we looked at animals that  
20 survived to termination, there was no  
21 indication of any fluid accumulation in those  
22 animals.

1 DR. FLEMING: And the histological  
2 changes in the myocardium?

3 DR. MCGUIRE: There is a background  
4 incidence in these Wistar rats of myocardial  
5 lesion that has ventricular dilatation, atrial  
6 thrombosis, and myocardial fibrosis. And in  
7 those animals that died, in the rat  
8 carcinogenicity study, there was an increased  
9 incidence of this background spontaneous  
10 lesion.

11 But the lesion itself, the  
12 histopathologic lesion in that case was very,  
13 very similar between the one that we ordinarily  
14 see in control animals and the ones that were  
15 seen in the troglitazone treated animals.

16 DR. FLEMING: But at least there was  
17 a difference between the control group and the  
18 high dose group in which it was in.

19 DR. MCGUIRE: Yes, there was.

20 DR. BONE: Just to pursue this a  
21 little further, because I sense there are a  
22 number of people that aren't completely --

1 don't completely feel they understand this yet,  
2 the hearts are larger, but they appear to be  
3 histologically normal, and there was no  
4 evidence of fluid. Or was there ventricular  
5 dilatation or was there hypertrophy?

6 It seems to me that there had to be  
7 one or the other to be bigger.

8 DR. MCGUIRE: It is very difficult to  
9 see that picture histopathologically. You did  
10 see the ventricular dilatation as you got out  
11 into the long term studies in these animals.  
12 But these hearts of these animals up to one  
13 year treatment -- and in fact, mice up to two  
14 years and monkeys up to one year histologically  
15 look completely normal.

16 DR. BONE: But the weights were  
17 increased? When you say --

18 DR. MCGUIRE: The weights were  
19 increased in the rodents only, not in the  
20 monkey.

21 DR. BONE: Yeah, okay. But you  
22 couldn't see anything to explain this

1 histologically, but you in effect you had --  
2 you must have had hypertrophy to have increased  
3 weight.

4 DR. MCGUIRE: There is a component of  
5 hypertrophy that occurs probably under chronic  
6 treatment. In some of our earlier studies,  
7 there may have been an increase in heart weight  
8 acutely for no reason that we can identify.  
9 The actual cardiac enlargement occurs very,  
10 very quickly, within five, seven days of  
11 treatment in these animals.

12 DR. BONE: Oh, really? Just to  
13 pursue this question about the renin  
14 angiotensin axis, did you see any changes in  
15 the juxtaglomerular apparatus or other changes  
16 to --

17 DR. MCGUIRE: No, we did not.

18 DR. BONE: And you mention in the  
19 report hepatocellular hypertrophy, but you  
20 didn't mention it in your presentation. Would  
21 you care to comment about that?

22 DR. MCGUIRE: The hepatocellular



1 hypertrophy to us is not a manifestation of  
2 toxicity. What that is is a microsomal  
3 induction that occurs with the administration  
4 of the compound. And there is no associated  
5 histopathology with that hypertrophy.

6 DR. BONE: Thank you. Dr. Cara had  
7 another question.

8 DR. CARA: Can you tell me if that  
9 hypertrophy was dose dependent?

10 DR. MCGUIRE: In the liver?

11 DR. CARA: In the heart.

12 DR. MCGUIRE: The cardiac enlargement  
13 is dose-proportional, yes.

14 DR. BONE: Are there further  
15 questions from the committee concerning Dr.  
16 McGuire's presentation? If not, I guess we can  
17 proceed.

18 Thank you, Dr. McGuire.

19 DR. MCGUIRE: Okay. Dr. Randy  
20 Whitcomb will now review the safety and  
21 efficacy of the compound.

22 DR. WHITCOMB: Good morning.

1           As I go through the clinical data  
2 here, I will try to draw some correlations  
3 between some of the animal information that we  
4 have just looked at to perhaps give a slightly  
5 different perspective on the information, and  
6 perhaps clarify some of the points that have  
7 been raised.

8           The review that I am going to do for  
9 you this morning is going to involve looking at  
10 efficacy, issues around dose recommendation,  
11 questions about weight changes, and then review  
12 the safety database as it was submitted within  
13 the NDA.

14                           (Slide)

15           I apologize for this first slide.  
16 Anybody that is not sitting beyond about the  
17 first row can't see this. But this is just an  
18 overview of the program that was included in  
19 the NDA. And I will be going in more detail  
20 later when I review the safety data as to this  
21 overall situation.

22           As Dr. Martin mentioned, this has

1       been a tripartite relationship between Parke  
2       Davis, Sankyo, and Glaxo-Wellcome.  And  
3       basically, what we have are 28 studies in the  
4       United States that are included in the NDA.  
5       Sixteen of those are clin/pharm, 12 clinical  
6       studies divided amongst a number of different  
7       populations of patients.  And again, I'll be  
8       going back to this in more detail in a few  
9       minutes.

10               From the Glaxo data, there are 19  
11       studies with approximately 1,000 participants.  
12       That's three clinical studies with 629 patients  
13       in it.  And from Sankyo in Japan, there are 27  
14       studies.  A total of 15 of these are clinical,  
15       with a few over 1,000 patients.  And again, I  
16       will go back in more detail in just a few  
17       minutes.

18               I want to come back and focus for the  
19       next few minutes on the efficacy data, the  
20       primary endpoints from the two pivotal studies  
21       which were submitted in support of the  
22       application.

1           We conducted two trials. The first  
2           which I will review is 991-40. It was a six  
3           month placebo controlled study which included  
4           doses at 200 and 600 milligrams of Rezulin.  
5           The primary endpoint of this study was HbA1c.  
6           This study was conducted by Sankyo US under our  
7           IND.

8           The second complementary study was  
9           991-068. Again this was a six month placebo  
10          controlled study. And 200 and 400 milligrams of  
11          Rezulin were included in this trial. The  
12          primary endpoint was a combined endpoint, which  
13          included insulin dose reduction and very  
14          importantly, glycemic control. And as I'll go  
15          through this in more detail in a few minutes  
16          for patients to have been reaching target in  
17          this study, they had to have both things.

18          And one of the points I want to  
19          emphasize this morning, because it has been  
20          raised earlier, is that in our opinion Rezulin  
21          is not a drug that just has people taking less  
22          insulin. This is a drug which improves