

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ARTHRITIS ADVISORY COMMITTEE

DESIGN AND ASSESSMENT OF CLINICAL TRIALS OF DRUGS,
BIOLOGICS AND DEVICES THAT ARE BEING DEVELOPED
FOR TREATMENT OF SYSTEMIC LUPUS ERYTHEMATOSUS

Pages 1 thru 259

Silver Spring, Maryland
February 23, 1999

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ARTHRITIS ADVISORY COMMITTEE

DESIGN AND ASSESSMENT OF CLINICAL TRIALS OF DRUGS,
BIOLOGICS AND DEVICES THAT ARE BEING DEVELOPED
FOR TREATMENT OF SYSTEMIC LUPUS ERYTHEMATOSUS

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Tuesday, February 23, 1999

8:15 a.m.

Holiday Inn Silver Spring
8777 Georgia Avenue
Kennedy Ballroom
Silver Spring, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Steven B. Abramson, M.D., Acting Chairperson
Kathleen Reedy, Executive Secretary

MEMBERS

Nigel E. Harris, M.D.
Daniel J. Lovell, J.D., M.P.H.
Leona M. Malone (Consumer Representative)
Frank Pucino, Jr., Pharm.D.

FDA CONSULTANTS

Kenneth D. Brandt, M.D.
Leigh F. Callahan, Ph.D.
David T. Felson, M.D., M.P.H.
Felix Fernandez-Madrid, M.D., Ph.D.
Ildy M. Katona, M.D., CAPT, MC, USN
Matthew H. Liang, M.D., M.P.H.
Harvinder S. Luthra, M.D.
Kevin R. McConnell, M.D.
Larry W. Moreland, M.D.
Michelle A. Petri, M.D., M.P.H.
Yvonne Sherrer, M.D.
Earl D. Silverman, M.D., FRCPC
Barbara White, M.D.

GUEST EXPERTS

James E. Balow, M.D.
James V. Donadio, M.D.
Paul Fortin, M.D., M.P.H., FRCP(C)
Ellen Ginzler, M.D., M.P.H.
Ken Kalunian, M.D.

GUEST SPEAKERS

Dimitrios T. Boumpas, M.D.
Dafna D. Gladman, M.D., FRCPC
David Isenberg, M.D., FRCP
Edmund J. Lewis, M.D.
Vibeke Strand, M.D.
John H. Klippel, M.D.
Sterling West, M.D.

FDA

Robert Delap, M.D., Ph.D.
William D. Schwieterman, M.D.
Jeffrey N. Siegel, M.D.

C O N T E N T S

	<u>PAGE</u>
Design and Assessment of Clinical Trials of Drugs, Biologics and Devices that are Being Developed for Treatment of Systemic Lupus Erythematosus	
Call to Order, Introductions: Steven Abramson, M.D.	5
Meeting Statement: Kathleen Reedy	7
Introductory Comments:	
Robert DeLap, M.D.	8
William Schwieterman, M.D.	8
Jeffrey N. Siegel, M.D.	12
Efficacy Assessment for Clinical Trials	
Disease Activity: Health Status	
David Isenberg, M.D., FRCPC	19
Definition of Flare: Responder Index	
Michelle A. Petri, M.D., M.P.H.	37
Damage: Drug Toxicity	
Dafna Gladman, M.D., FRCPC	51
OMERACT	
Vibeke Strand, M.D.	68
Open Public Hearing	
Marc Gurwith, M.D., J.D.	82
Jill Buyon, M.D.	90
Panel Discussion	94
Discussants: Paul Fortin, M.D., M.P.H.	
Ellen Ginzler, M.D., M.P.H., Ken Kalunian, M.D.	
Jack Klippel, M.D.	
Clinical Trials In Lupus Nephritis and Other Organ-Specific Manifestations of SLE	
Surrogate Markers	
Susan Ellenberg, Ph.D.	145
Lupus Nephritis	
Dimitrios Boumpas, M.D.	154
Lupus Nephritis	
Edmund J. Lewis, M.D.	172

C O N T E N T S (continued)

	<u>PAGE</u>
Open Public Hearing	185
Panel Discussion	185
Discussants: James Balow, M.D., James Donadio, M.D., Sterling West, M.D.	

P R O C E E D I N G S

Call to Order, Introductions

DR. ABRAMSON: Good morning. I am Dr. Abramson.

We would like to begin this morning, prior to the presentations, to ask people on the panel to please introduce themselves.

Dr. DeLap, would you like to begin?

DR. DeLAP: Robert DeLap, Center for Drugs, FDA.

DR. SCHWIETERMAN: Bill Schwieterman, Clinical Trials, CBER, FDA.

DR. LUTHRA: Harvi Luthra, rheumatologist from Rochester, Minnesota.

DR. WHITE: Barbara White, rheumatologist, University of Maryland.

DR. FELSON: David Felson, rheumatologist from Boston University.

DR. FERNANDEZ-MADRID: Felix Fernandez-Madrid, rheumatologist from Wayne State University, Detroit.

DR. LOVELL: Dan Lovell, University of Cincinnati.

DR. SHERRER: Yvonne Sherrer, rheumatologist, Fort Lauderdale.

DR. McCONNELL: Kevin McConnell, nephrologist, Charlottesville, University of Virginia.

DR. PUCINO: Frank Pucino, pharmacy, National Institutes of Health.

1 DR. LIANG: Matt Liang, rheumatologist, Harvard
2 Medical School.

3 DR. CALLAHAN: Leigh Callahan, University of North
4 Carolina, Chapel Hill.

5 DR. MORELAND: Larry Moreland, rheumatologist,
6 University of Alabama.

7 MS. REEDY: Kathleen Reedy, Food and Drug
8 Administration.

9 DR. ABRAMSON: Steve Abramson, rheumatologist,
10 Hospital for Joint Diseases.

11 DR. BRANDT: Ken Brandt, rheumatologist, Indiana
12 University.

13 MS. MALONE: Leona Malone, Consumer
14 Representative.

15 DR. HARRIS: Nigel Harris, rheumatologist, Dean,
16 Morehouse School of Medicine.

17 DR. SILVERMAN: Earl Silverman, rheumatologist,
18 Hospital for Sick Children, University of Toronto.

19 DR. KALUNIAN: Ken Kalunian, rheumatologist, UCLA.

20 DR. GINZLER: Ellen Ginzler, rheumatologist, SUNY,
21 Health Science Center at Brooklyn.

22 DR. FORTIN: Paul Fortin, rheumatologist, McGill
23 University, Montreal.

24 DR. STRAND: Vibeke Strand, rheumatologist,
25 Clinical Faculty, Stanford.

1 DR. GLADMAN: Dafna Gladman, rheumatologist,
2 University of Toronto.

3 DR. PETRI: Michelle Petri, rheumatologist, Johns
4 Hopkins.

5 DR. ISENBERG: David Isenberg, rheumatologist,
6 University College, London.

7 DR. ABRAMSON: Thank you. Clearly, we have all of
8 the rheumatologists in this country around this table.

9 We next would like to have a meeting statement by
10 Kathleen Reedy.

11 **Meeting Statement**

12 MS. REEDY: The following announcement addresses
13 the issue of conflict of interest with regard to this
14 meeting and is made a part of the record to preclude even
15 the appearance of such at this meeting.

16 Based on the submitted agenda for the meeting and
17 all financial interests reported by the committee
18 participants, it has been determined that since the issues
19 to be discussed by the committee will not have a unique
20 impact on any particular firm or product, but rather may
21 have widespread implications to all similar products in
22 accordance with 18 United States Code 208(b)(3), general
23 matters waivers have been granted for today's meeting.

24 In the event that the discussions involve any
25 products or firms not already on the agenda for which an FDA

1 participant has a financial interest, the participants are
2 aware of the need to exclude themselves from such
3 involvement, and their exclusion will be noted for the
4 record.

5 With respect to all other participants, we ask in
6 the interest of fairness that they address any current or
7 previous financial involvement with any firm whose products
8 they may wish to comment upon.

9 DR. ABRAMSON: Thank you very much.

10 We will now move to the introductory comments.

11 Dr. DeLap, please.

12 **Introductory Comments**

13 DR. DeLAP: I would just like to say welcome and I
14 really appreciate our distinguished panelists taking time
15 from their busy schedules to be here and to help us in
16 thinking about some of the issues on clinical trials in
17 lupus, and I would like to thank our guests for also
18 attending. I look forward to interesting and informative
19 discussions today.

20 DR. ABRAMSON: Thank you.

21 Dr. Schwieterman.

22 DR. SCHWIETERMAN: I have only five brief slides
23 that I mean to provide as simply a brief perspective and
24 orientation for this particular meeting.

25 [Slide.]

1 As I was driving in to work here today, I realized
2 that I had omitted the most obvious perspective and
3 orientation, and that is, that the field of rheumatology is
4 rapidly changing toward many agents that are directed
5 including some of the biological agents of particular
6 elements of the immune system, and because of these new
7 advances in therapeutics, many of which are in biologics,
8 some of which are in the Center for Drugs, we are at indeed
9 a threshold at which we can begin thinking about larger
10 clinical trials for this particular disease and all the
11 details that are included therein.

12 [Slide.]

13 The five points I want to make are simple ones,
14 but I think they bear mentioning. We definitely are at the
15 beginning of understanding how best to do clinical studies
16 in SLE. I did a literature search myself late last night,
17 looking to see just the relative numbers of clinical trials
18 in some different diseases.

19 These are controlled, randomized clinical studies.
20 It is probably not surprising, but, nevertheless, it is a
21 little bit informative to find that there are only nine
22 references to any clinical studies in lupus. This is any
23 sort of study to do with SLE, 52 in rheumatoid arthritis and
24 277 in diabetes.

25 I think these numbers are going to change in the

1 near future as we become more sophisticated about how to
2 study this disease's biological therapies and the other drug
3 therapies evolve. I think this is an exciting time to be
4 thinking about how to perform and how to improve the
5 performance of these agents.

6 [Slide.]

7 Second is an obvious point to almost everyone in
8 the room. SLE is a difficult disease to treat and a
9 difficult one to study. For a number of reasons I have
10 picked three here. There are different disease
11 manifestations and subpopulations. It's a chronic waxing
12 and waning disease. Surrogate markers can be difficult to
13 interpret as indicators of clinical efficacy.

14 I think this is something to keep in the back of
15 your mind as we have the discussions today because in all
16 likelihood, we are going to get a variety of opinions about
17 how best to use endpoints, how best to use inclusion
18 criteria, how best to orient the trials toward a particular
19 endpoint, and I don't think that the field is particularly
20 acrimonious, I just think that the disease is particularly
21 difficult, and I think we have to keep this in mind as we
22 talk about all the different details.

23 Thirdly, Vibeke is going to talk about this later
24 today, but I just did want to mention it in brief mention
25 here. The OMERACT conclusions from last year provided a

1 framework for today's discussion. Many rheumatologists from
2 around the world got together, decided on four particular
3 parameters that ought to be included in any study of SLE
4 including the following four: disease activity, health-
5 related quality of life, damage assessment, and toxicity and
6 adverse events.

7 From here, I think we can use this as a
8 springboard into the more detailed discussions of how best
9 to measure disease activity, how best to measure quality of
10 life, damage, and so forth. I think this is a good start,
11 and I think today can be a progression on that.

12 [Slide.]

13 Fourthly, good guidance practices are in effect
14 today. The FDA has thought about this, but we are keenly
15 interested in people's opinions here simply because we need
16 a starting point by which to put together a straw man that
17 we can bring back to this committee, with which to then
18 write a definitive guidance document.

19 It can be a long arduous process, and we have no
20 illusions about it being an easy one, but we have every
21 intention, following this particular meeting, of sitting
22 down and discussing what people thought or think about the
23 broad issues toward improving product development including
24 things like whether claims are appropriate for a guidance
25 document, what are the best endpoints, the types of

1 analyses, the types of patient populations that ought to be
2 studied, and so forth.

3 [Slide.]

4 Last but not least, I wanted to end on an
5 optimistic note because I feel optimistic about this. The
6 rheumatologists have in fact, in my experience anyway, been
7 quite successful in tackling the difficult clinical trial
8 design issues. It is a long history of fruitful meetings
9 spanning over the past two decades.

10 I think that the ACR criteria in RA in 1993
11 greatly facilitated the development of that field. The
12 guidance document that has been written by the FDA has not
13 only been reasonably well received, I think quite well
14 received in many respects, but also it has been used as an
15 example for other guidance documents within the agency with
16 regard to the kinds of things, the kinds of ideas that were
17 first put into that, claims, and so forth.

18 Finally, I know that there are a number of other
19 similar endeavors in other areas.

20 So, with that as an orientation, I very much look
21 forward to this meeting, and look forward, then, to taking
22 the results of this meeting forward even further.

23 DR. ABRAMSON: Thank you very much, Bill.

24 Next, Dr. Siegel.

25 [Slide.]

1 DR. SIEGEL: Welcome to the special Advisory
2 Committee Public Workshop on Clinical Trials in Lupus.

3 [Slide.]

4 The effort that we are undertaking today is part
5 of a general effort at the FDA that has been undertaken over
6 the last few years to develop guidance documents for the
7 clinical development of new agents for a study in rheumatic
8 diseases. These efforts are intended to provide guidance to
9 industry about their clinical development programs on these
10 various indications.

11 The first document which was worked on was
12 rheumatoid arthritis, and this was completed a while ago and
13 has now been published in its final form.

14 The agency then began on developing a guidance
15 document on osteoarthritis, and there have been several
16 meetings on this, and this guidance document is in progress.

17 The third area that we are beginning to undertake
18 is lupus, and that is the purpose of this meeting. This
19 meeting is really the initial stage of developing a guidance
20 document for lupus.

21 We begin, as Bill Schwieterman mentioned, the
22 process of developing a guidance document by seeking
23 community input about how new agents should be developed for
24 lupus, and then it will go through a series of other steps
25 before a final document with several times available for

1 public comment.

2 [Slide.]

3 Some of the issues that the FDA is seeking input
4 from the community on with regard to clinical trials in
5 lupus are shown on this slide.

6 The first one, we would like to learn what the
7 community feels are responses which represent a meaningful
8 clinical improvement in lupus, and this would presumably be
9 the basis for determining what claims could be recognized
10 for new agents as studied in lupus.

11 Second, we are very interested in finding out what
12 the community feels are appropriate assessments which could
13 be used as a primary efficacy endpoint in the clinical
14 trial.

15 Let me just talk a little bit about that. I think
16 some people feel it should be straightforward, you just
17 measure many different things which cover all of the
18 important areas in lupus, and then look at the results of a
19 trial, but it is somewhat more complicated than that,
20 because the more endpoints you study, the higher likelihood
21 there is that any one will show a positive result by chance
22 alone.

23 So, a common practice in clinical trials conducted
24 by industry sponsors is to choose a single primary endpoint
25 which determines the success or failure of a clinical trial.

1 So, we are very interested in hearing what the group feels
2 could be used as primary endpoints for clinical trials.

3 The third area that we are interested particularly
4 in input on is what the role of surrogate markers of
5 efficacy should be in lupus. I think the best way to
6 explain this is that if you can use a clinical marker to
7 determine efficacy, that is usually the best way to conduct
8 a clinical trial, but in many cases, the clinical endpoint
9 takes many years before it can be assessed, and it would be
10 impractical to wait five or 10 years to determine the
11 benefit, and if there are reliable surrogate markers,
12 laboratory markers or otherwise, which are highly associated
13 with, and predictive of, a beneficial clinical outcome,
14 these can used as clinical markers of efficacy, and we are
15 very interested in certain areas in determining what you all
16 feel could represent adequate surrogate markers.

17 This is particularly true for lupus nephritis
18 where many of the clinical endpoints, such as mortality and
19 progression to end-stage renal disease and dialysis, may
20 take many years, but there are other potential markers that
21 can be used as surrogates.

22 [Slide.]

23 I just wanted to talk for a minute about an
24 example of a claim structure, and I have chosen the claim
25 structure that was used in rheumatoid arthritis as the

1 example here.

2 This is the claim structure that was decided upon
3 as a result of many public meetings, as well as internal
4 discussions within the FDA, and it is the basis for a
5 guidance document that was just published.

6 It was felt that a single claim was not adequate
7 to capture the full range of disease manifestations in
8 rheumatoid arthritis, and instead, five separate claims were
9 decided on as the basis for determination of efficacy.

10 The first is a signs and symptoms claim, which is
11 based on a clinical trial of at least six months in
12 duration, showing a benefit in signs and symptoms, often
13 based on a validated index, such as the ACR 20.

14 The reason why six months was chosen -- and I go
15 into this because this may be something that you all will
16 want to consider -- the reason that six months was decided
17 upon was that we wanted to make sure that we had evidence
18 regarding the durability of a clinical response, that it
19 wasn't just transient, and also to determine the long-term
20 safety and short-term trials are not adequate often to
21 determine the long-term safety.

22 The second claim is prevention of structural
23 damage, and the idea here was that signs and symptoms alone
24 didn't capture the tendency of rheumatoid arthritis to lead
25 to joint destruction over time and disability. So,

1 prevention of structural damage usually based on x-ray
2 endpoints was considered as the second claim.

3 The third claim is a major clinical response
4 intended to go well beyond the modest benefit that had been
5 seen with some previously available agents, and it was
6 decided that while an ACR 20 percent response was sufficient
7 was a signs and symptoms claim, it was decided that a 70
8 percent response for six consecutive months would be
9 required to demonstrate a major clinical response.

10 Next was a complete clinical response based on
11 absence of disease for six consecutive months or remission,
12 the same thing without requirement for antirheumatic agents,
13 and finally, a durability claim, the last one, entitled
14 "Improved Physical Function Disability," based on improved
15 function in the two- to five-year trial.

16 Now, that is rheumatoid arthritis.

17 [Slide.]

18 I think some of the challenges that we face in
19 trying to devise clinical development guidelines for lupus,
20 some of the challenges are shown here.

21 Unlike rheumatoid arthritis which attacks
22 primarily the joints, there is marked heterogeneity of which
23 organ systems are attacked in lupus.

24 Second, there is no single index which has the
25 broad acceptability of the ACR 20, although there are a

1 number of candidates which are highly promising.

2 Third, there is generally a paucity of randomized
3 controlled interventional trials showing efficacy to be used
4 to validate endpoints as being sensitive and specific.

5 [Slide.]

6 In contrast, there have been a number of recent
7 advances over the last several years, which will make our
8 job somewhat easier.

9 First, there has been tremendous progress in the
10 measurement of disease activity both by devising reliable
11 and valid disease activity in disease which allow patients
12 with varying manifestations of disease to be compared, and
13 also there has been a lot of work in defining flares of
14 lupus.

15 Second, there has been a lot of advances in the
16 area of pathophysiology of disease and the genetics of human
17 disease, as well as animal models, giving insights into
18 human disease, and I think we stand at the point now where
19 there are a number of promising therapeutic agents which are
20 currently in clinical trials, which will hopefully be the
21 basis for improved therapies in the future.

22 [Slide.]

23 Now, I want to say that we know that there are
24 many controversies in the field right now, and we do not
25 expect to develop a consensus in many areas. Rather, we are

1 interested in hearing the feeling at the present time about
2 what would be an adequate basis for conducting a clinical
3 trial.

4 Some of the potential outcomes of this meeting and
5 of devising a guidance are shown here. First, we hope to
6 define claims which would cover the full range of potential
7 clinical benefits in lupus. As a result of devising some
8 kind of structure for clinical development, we hope this
9 will provide incentives for the development of effective
10 therapies in the future.

11 Finally, in those areas where there is a lot of
12 uncertainty, we hope that this work will define areas in
13 need of further research.

14 With that, I will stop and listen to the
15 presentations.

16 DR. ABRAMSON: Thank you very much Dr. Siegel.

17 The way the day is divided, as you can see from
18 the agenda, is that in the morning we will try to grapple
19 with lupus as the broad disease, the heterogeneous disease,
20 and hear from individuals who have spent a great deal of
21 time thinking about this disease and developing disease
22 activity indices and the definitions of flare and damage
23 indices.

24 We will have thereafter a panel discussion to open
25 these presentations up to discussion.

1 In the afternoon, we will deal more directly with
2 specific end organ damage particularly lupus nephritis.

3 I think this morning is a particularly arduous
4 task to try and hear how people have tried to develop
5 indices and what we will do is we will ask each presenter to
6 make their presentation. We will hold questions until the
7 period of the panel discussion unless there are specific
8 questions of clarity or specific to the presentation.

9 With that, I would like to introduce Dr. Isenberg
10 from the University College of London to discuss disease
11 activity and health status.

12 **Efficacy Assessment for Clinical Trials in SLE**

13 **Disease Activity: Health Status**

14 DR. ISENBERG: Let me start by thanking you for
15 the pleasure and the privilege of being here. I was
16 interested to see downstairs in the lobby that there is a
17 big notice which says, Welcome to the American Hypnosis
18 Society, and I thought if anybody goes to sleep in the next
19 20 minutes, that would be good because it could mean I could
20 have another job.

21 [Slide.]

22 The guts of what I want to tell you about this
23 morning is really on this first slide, and that is, in order
24 to understand the totality of the effect of a disease like
25 lupus upon a patient, I am a firm believer that we have to

1 have measures of disease activity, by which I mean clinical
2 features which can be corrected or improved; damage, by
3 which I mean permanent change; and patient perception.

4 The importance of patient perception was brought
5 out to me very forcefully some years ago when we asked 100
6 of our patients in London to name the one feature of their
7 disease which troubled them the most.

8 In my naivete I had assume that the answer would
9 be most likely fear of facial disfigurement or death or
10 renal failure, and the answer was fatigue. That was the
11 thing which troubled the patients the most.

12 So, it is terribly important I think that we have
13 to have good parameters for measuring all three of these
14 concepts.

15 [Slide.]

16 As Matthew Liang has pointed out, between the
17 middle fifties and the middle eighties, some 60 different
18 global score activity indices were produced by different
19 groups around the world, and they were all unsatisfactory
20 because they were unreliable, they had never been validated,
21 and I feel as guilty as anybody else having contributed at
22 least two of those disease global score indices to the
23 literature, and it was really for the reasons which we have
24 already heard about, the remarkable heterogeneity of lupus,
25 that in the UK some 15 years ago, a group of rheumatologists

1 began to meet, and we continue to meet to this day every
2 three or four months, to try to devise a rather better way
3 of looking at disease activity.

4 [Slide.]

5 We thought in particular we wanted to come up with
6 a better scheme which could reflect the remarkably
7 heterogeneous nature of the disease, and in order to do that
8 we introduced what is called the BILAG system, and this
9 divides lupus activity into eight different systems as
10 indicated on this slide - the general features, the
11 mucocutaneous features, CNS, musculoskeletal,
12 cardiovascular, respiratory, vasculitis, renal, and
13 hematological, and we based it on what we called the
14 "physician's intention to treat." In other words, we, as a
15 group of clinicians, got together, went through all of the
16 features that we felt were due to lupus, and found that
17 there was a considerable measure of agreement as to what we
18 thought we would do were a patient to develop that
19 particular problem.

20 The system is computerized, so that once the data
21 has been given to the machine, the computer will
22 automatically work the score out for you, and I am going to
23 show you a bit of that information later on.

24 [Slide.]

25 Now, within each of these eight organs or systems,

1 we have a number of clinical questions which the patients
2 and we answer, and the disease activity for each of these
3 eight organs or systems is divided into A for action,
4 meaning that major immunosuppressive therapy is required; B
5 for beware, meaning that the patient is already known to be
6 active, and no major change in treatment is required; down
7 to C for contentment, low level activity only; D for
8 discount in the sense that the disease in this system or
9 organ is not completely resolved; and E for no evidence of
10 any disease in the system now or previously.

11 So, this applies to each of the eight organs or
12 systems.

13 [Slide.]

14 Now, this is just one single example of how this
15 works. So, a patient comes into the clinic and we inquire
16 whether there is any evidence of pericardial pain, dyspnea,
17 evidence of cardiac failure, and so forth, through this
18 list.

19 The clinician fills in "1" meaning the symptom is
20 improving, "2" meaning that it is the same as the last
21 visit, "3" that it is worse, "4" that it is new. In some
22 cases, we simply require yes/no answers. This is for
23 cardiovascular/respiratory system.

24 [Slide.]

25 Now, in order to get a Category A in this

1 particular system, the patient has to have either cardiac
2 failure or symptomatic effusion plus two other criteria as
3 listed on this slide.

4 Now, I have with me one of the main papers that we
5 produced, which describes this index in great detail, and if
6 anybody has not seen this, I will be very happy to give you
7 the full paper subsequently.

8 Category B will be any two of the criteria listed
9 under A, but in the absence of cardiac failure or
10 symptomatic effusion, whereas, Category C in this group will
11 be mild, intermittent chest pain or just one of those other
12 features, D for previous involvement but no evidence of
13 activity currently, and E for no evidence of previous
14 involvement.

15 The same applies to each of these other seven
16 organs or systems.

17 [Slide.]

18 So, in practice, what actually happens is the
19 patient comes to the clinic, a patient assessment form,
20 which I also have with me today if anyone wants to see it,
21 can be completed manually or, if we have a laptop, it can be
22 inserted into the computer. In the current system, the IBM
23 or Apple Mackintosh versions are available, but we are just
24 switching over to a new system that I am going to tell you
25 about in a moment.

1 Once the information has been provided to the
2 computer, the hematology scores have to be added later, the
3 patient can be identified on an A to E category in eight of
4 these eight organs or systems.

5 The beauty about it is that the clinical score can
6 then be matched to a stored serum sample if that is what you
7 want to do with it.

8 [Slide.]

9 In practice, this is the sort of thing that we can
10 see. So, here is one patient, a real live patient, and
11 here are some dates during which she was seen, and you can
12 just see just by glancing at this, you get a very good idea.
13 You can see not only when this patient became active, but in
14 which particular systems. If you want to use the word
15 "flare," you can see that this patient flared exactly seven
16 years ago. She went to a Grade A from a C, and the general
17 features, and she went to a B from a D, mucocutaneous.

18 She developed serious arthritis, as you can see,
19 and there was also evidence of renal disease for the first
20 time.

21 I think you can see that this would lend itself
22 very nicely to a drug study, and I will show you how we are
23 doing that a little bit later on.

24 [Slide.]

25 Now, clearly, you wanted to validate this system,

1 and one of the beauties of the systems is that it is a
2 testable hypothesis. So, here what we have done is to look
3 at the patients who actually developed a BILAG Grade A to
4 see if that actually meant that they had disease-modifying
5 treatment, were they actually given large doses of steroids
6 after they developed the Grade A.

7 We got an independent observer to go back through
8 the hospital notes of several different hospitals, several
9 of the lupus clinics in the UK, and you can see that in five
10 out of six cases, an A in the general system was indeed
11 followed by disease-modifying treatment, in 24 out of the 29
12 cases judged to be Grade A, mucocutaneous, and so forth.

13 You can see that in general terms, with the
14 exception of the CNS, which I still think remains the most
15 difficult aspect of lupus to assess, you can see that the
16 system stands up pretty well to examination.

17 [Slide.]

18 We wanted to see how reliable it was, and in order
19 to do this, we got an independent observer to go around to
20 five different lupus clinics in the UK, and this naive
21 observer was asked to examine the patient after the patient
22 had been examined by the local rheumatologist, and to work
23 out the score.

24 You can see that there was general agreement.
25 This was on two occasions, the first assessment and the

1 subsequent assessment, and you can see there was a
2 considerable measure of agreement down each of these
3 clinical features, each of these organs or systems.

4 [Slide.]

5 As I said, one of the beauties of the system is
6 that it enables us to look at stored serum samples. We can
7 use this BILAG system to look for disease activity and to
8 see whether this correlates with any particular organ or
9 system.

10 A colleague of mine, Michael Ehrenstein, as an
11 example here, has identified an idiotypic on a monoclonal
12 antibody to double-stranded DNA B3id, so the B3id is over
13 here, and he wanted to determine whether or not this
14 idiotypic was associated with any particular aspect of lupus
15 or indeed whether it was present in any disease control.

16 You can see that what raised levels of this
17 idiotypic do is to pick out the patients with activity in the
18 musculoskeletal system, but really very little evidence of
19 it being raised in patients with renal lupus,
20 cardiovascular/respiratory lupus, mucocutaneous lupus, or
21 indeed any of the disease controls that we looked at,
22 Sjogren's, rheumatoid, or myositis.

23 We have also looked in serial bleeds in a study
24 with Mericin, asking the question whether any eight or nine
25 different antibodies that we were looking at, whether they

1 were correlated with disease activity in any of the eight
2 organs or systems, and again the answers are pretty clear-
3 cut, antibodies to double-stranded DNA strikingly associated
4 with renal disease, to a considerable extent with
5 cardiovascular/respiratory disease, much weaker associations
6 of DNA with global score, and antibodies to ribosomal P with
7 musculoskeletal involvement or hematological involvement,
8 and with general features, but relatively few good
9 correlations were found, in fact, in this study.

10 [Slide.]

11 Now, we were hardly alone in wanting to try to
12 improve in a sense the lot of lupus research and the lot of
13 the lupus patients. This picture was taken 12 years ago
14 when, under auspices of NATO, a number of groups with an
15 interest in lupus activity got together, and some of you
16 will recognize Matt Liang over here, Dr. Gladman over there,
17 and myself over there.

18 [Slide.]

19 The purpose of this meeting was to try to agree a
20 set of principles. We wanted to explore various lupus
21 activity indices that we felt were worthwhile. We wanted to
22 look in detail at the BILAG and compare it to the SLEDAI
23 system, the global score system, and the SLAM global score
24 system, which I am going to tell you about in a moment.

25 We wanted to consider the possibility of setting

1 up a database, we wanted to consider deriving a damage
2 index, and we wanted to consider deriving a patient health
3 assessment questionnaire. This was back in 1987, well
4 before the OMERACT ideas came forth and really confirmed
5 that these were worthwhile pursuits.

6 [Slide.]

7 A little bit about the SLEDAI index, which was
8 developed by Dr. Gladman and Murray Urowitz, and their
9 colleagues, and this index was constructed following studies
10 in which clinicians rated the importance of 37 variables
11 which had been preselected as likely to define lupus
12 activity. An analysis by this group showed that 24
13 variables were the most important and appeared to be
14 contributing majorly to the clinical judgment of activity.

15 [Slide.]

16 So, the SLEDAI system includes these 24
17 descriptors in nine organs or systems with weighting being
18 assigned that is based on multiple regression techniques.
19 Each item is considered to be present or absent over 10 days
20 before the assessment, and obviously, the hematology and
21 immunology results were found to be of little benefit, and
22 that was the same as largely true in the BILAG system where
23 we did not find many autoantibodies to be very helpful to
24 us, and they form only a minor part of this index.

25 [Slide.]

1 At much the same time, Matt Liang and colleagues
2 were developing the SLAM index. This uses disease
3 manifestations which had been culled from the literature and
4 have been refined by a group of interested clinicians. The
5 items were chosen on the basis of those which could be
6 graded in preference to those that could not be graded, and
7 those which could be operationally defined and reliably
8 tested in preference to those which could not be.

9 [Slide.]

10 They devised a scale which includes these 24
11 clinical manifestations and 8 laboratory tests which
12 evaluated the organs which cannot be assessed otherwise.
13 The hematology system is the classic example.

14 The scale refers to the month prior to the
15 assessment, as indeed does the BILAG system.

16 [Slide.]

17 Two dimensions are incorporated into the SLAM if a
18 manifestation is active or not, and then severity is then
19 used to expand the scale judged by the need to treat with
20 immunosuppressives, the need to follow the patient more
21 closely, and the functional or prognostic consequences of
22 the manifestation.

23 [Slide.]

24 Now, we were very anxious to compare these
25 indices, and in order to do that, we had to convert the

1 BILAG into a global score although as I have said, this was
2 not its fundamental intention.

3 The way that we did that was to ascribe 9 points
4 to an A score, 3 points to a B, 1 point to a C, and no
5 points to a D or an E.

6 There have been a number of studies that have been
7 published by this group, which has worked very
8 collaboratively together. Again, I have some publications
9 with me, if anybody wants to, I can get them afterwards.

10 This is just one study that was undertaken by the
11 Toronto group in collaboration with physicians from around
12 the world, in which we examined seven patients with lupus,
13 seven different observers from I think five different
14 countries.

15 Seven centers were brought together in Toronto,
16 representing a spectrum of lupus manifestations and
17 activity. They were each examined by up to four of a panel
18 of seven observers using the Youden square design, and each
19 observer completed all three indices and a category rating
20 scale for disease activity.

21 The results were very satisfying in that the p-
22 values were all highly significant and, as I said, we went
23 on to undertake a number of other studies including studies
24 of sensitivity to change, for example.

25 [Slide.]

1 Subsequently, some of you I am sure will know
2 this, our colleagues in Italy have developed a so-called
3 ECLAM scoring system, which is also a global score system,
4 and Stefano Bombardiere and colleagues then performed a
5 study in which they compared five scoring systems - the SLAM
6 I have told you about, the SLEDAI, and the BILAG, the ECLAM
7 and the SIS system are two other global score systems. In a
8 study of 75 patients, they showed a remarkably good level of
9 correlation between each of these different indices.

10 [Slide.]

11 I want to turn now for a few moments to the
12 question of the SF36 and patient assessment. Nobody as far
13 as I am aware has devised a health perception index purely
14 for lupus, but it does appear, as I will show you, that the
15 SF36 can provide us with such an instrument.

16 This was developed by John Ware and his colleagues
17 initially at the Rand Corporation, subsequently in Boston.
18 They developed a series of questionnaires designed to
19 measure health attributes using multi-item scales.

20 In the original questionnaire there were over 200
21 questions. They subtracted these down to what is called the
22 SF20 or the Short Form 20, and this has been used in a
23 number of studies, as I will show you in a moment.

24 However, it was felt that the SF20 was failing to
25 capture certain important problems in lupus patients, and in

1 particular, the question of fatigue is not directly tackled
2 in SF20, so a more extensive questionnaire, the SF36, has
3 been devised.

4 It represents a compromise between what is
5 regarded as user-friendly and what is regarded as
6 sufficiently detailed.

7 [Slide.]

8 The SF36, therefore, tries to identify problems of
9 physical functioning, role limitations due to physical
10 problems, emotional problems, social functioning, mental
11 health, general health perception, and general health
12 change. It also incorporates energy/fatigue, and fatigue in
13 separate scales.

14 [Slide.]

15 Now, we were interested in comparing the SF20-plus
16 which is simply the SF20 with one extra question on fatigue
17 and the SF36, and in collaboration with colleagues in
18 Birmingham, England, we have looked at 150 lupus patients
19 and have shown that there is a very strong correlation in
20 all those matching areas of SF20 and SF36.

21 So, whether we are using the SF20-plus or the
22 SF36, it does appear to provide a useful measure of health
23 assessment in lupus patients.

24 [Slide.]

25 This is just to show you very briefly a study of

1 141 patients in which we have looked at the damage index,
2 which we are going to hear about a little bit more from
3 Dafna Gladman in a moment, and health status, and you can
4 see that as might have been predicted, there is relatively
5 little correlation between the SF20-plus, the patient
6 perception index, and aspects of damage, which is shown up
7 here in the yellow, the purple indicates that there is
8 correlation.

9 [Slide.]

10 There are more correlations between the BILAG
11 disease activity and the SF36 in particular. The general
12 features under the BILAG system correlate with a number of
13 health perception problems. Again, that might have been
14 predicted.

15 [Slide.]

16 Where we see correlations between damage and
17 activity, those tend to be in areas which make sense, so
18 disease activity in lupus does show correlation with the
19 damage in the musculoskeletal system. Likewise, activity
20 and cardiovascular/respiratory disease associated with
21 damage in the cardiovascular/respiratory system.

22 [Slide.]

23 Finally, just to update you on a couple of ongoing
24 things just to let you know what is going on at the moment.
25 One of the criticisms that the BILAG group has tried to

1 correct is that we have not previously and up until recently
2 used the BILAG system in a clinical trial. That is what we
3 are now doing at the moment.

4 We are comparing cyclosporin against azathioprine
5 in patients with active disease. So, the aims are to
6 compare the effectiveness of Neoral versus azathioprine in
7 patients with severe lupus, and we look for steroid-sparing
8 effects as a primary endpoint, and we are also looking at
9 the number of flares, which we defined as a new BILAG,
10 Category A, or a B score coming from a D or an E.

11 We look at immunological outcomes, we look at
12 toxicity, we look at patient perception using SF36, and we
13 are using the damage score, and that is an ongoing study at
14 the moment.

15 [Slide.]

16 Also, something which I think may be useful for
17 some of the lupologists here in the audience is that we have
18 just developed a new computer system. What this system does
19 or what it provides for us is a considerable amount of basic
20 demographic information. It incorporates the year in which
21 individual ACR criteria for lupus were met and thus the date
22 of diagnosis.

23 It gives us an activity index every time the
24 patient visits the clinic. It provides full details of the
25 therapy at each clinic visit. It records laboratory results

1 at each clinic visit. It records the damage index, which
2 can be done every 6 to 12 months. It records a patient
3 perception index at 6 to 12 months. It also provides a
4 notable graphing capacity, so if we wanted to compare, for
5 example, C3 against a particular item of damage, we can do
6 it. If we want to compare DNA antibodies against a certain
7 measure of disease activity, we can do that also.

8 [Slide.]

9 Finally, just to confirm that in my view we now
10 have available a number of validated good, useful global
11 score systems including SLAMs, SLEDAI, SIS, ECLAM, and the
12 LAI score, but if you want something a little bit more
13 sophisticated, I don't think the BILAG score can give you
14 that.

15 [Slide.]

16 But more than anything, I do want to try or hope I
17 have convinced you that in order to, as I say, to study the
18 effects, the totality of effects of lupus on a patient, we
19 really have to have disease activity scores, disease damage
20 scores, and patient perception index.

21 Thank you very much.

22 DR. ABRAMSON: Thank you, David.

23 We have a couple of minutes if people have
24 questions for clarification of Dr. Isenberg.

25 David.

1 DR. FELSON: I have a bunch of questions for you,
2 David. First, it looked like the BILAG was a transitional
3 question-based instrument meaning that for each of those
4 organ systems you asked whether there was increased
5 involvement, static involvement, decreased involvement. It
6 isn't a state, it is a transition-based instrument.

7 Is that true of the other instruments also?

8 DR. ISENBERG: It is probably more appropriate to
9 actually ask the guys who actually developed the instrument
10 including Matt and Dafna what they feel about that. You are
11 right, that is the way that the BILAG was constructed.

12 DR. FELSON: So, conceivably, one could have
13 extraordinarily active disease and have an unchanged or even
14 an improved BILAG score because there was essentially no
15 change from that extremely active disease.

16 DR. ISENBERG: In practice, I don't think that
17 really works.

18 DR. FELSON: But theoretically, that could work,
19 right?

20 DR. ISENBERG: It could work. What it does,
21 though, what the BILAG system does is it enables you to see,
22 if you like, at a glance what is going on in particular
23 organ systems at a particular time.

24 DR. FELSON: But what you are describing is a
25 state, but the way you put it up in terms of how things are

1 measured is transition. So, the other issue with that is if
2 you don't see the patient for six months or you see the
3 patient one time and another doc sees the patient the next
4 time, they don't have any sense of what the transition
5 accurately ought to be.

6 DR. ISENBERG: Well, as I have said, the BILAG
7 score refers to what has happened in the previous month. An
8 assumption is made, if you like, that these patients are
9 going to be followed up at times that are appropriate to
10 their degree of sickness.

11 DR. FELSON: If it is a transition question, then,
12 how do you compare that previous month to what time in the
13 past is this compared to, is that defined?

14 DR. ISENBERG: Well, it is simply compared to what
15 was going on at that time the patient was previously seen.

16 DR. FELSON: Let me also ask. You showed its
17 lovely validation and reliability stuff you guys have done,
18 and it has been impressive to read about it also, but is
19 there data here on the relative sensitivity to change of any
20 of these instruments or on their redundancy?

21 DR. ISENBERG: That has been done and it has been
22 published as a comparison. All three were shown to be very
23 sensitive to change. When I say "three," I mean the BILAG,
24 the SLAM, and the SLEDAI were compared in a study which we
25 published four or five years ago, and I have a copy of that

1 with me if you want to see it.

2 DR. FELSON: The correlation matrix you showed
3 suggested there is a lot of redundancy, but one of the
4 questions you brought up very nicely at the beginning was
5 how I think from a validation perspective, one would be
6 concerned about the concept of content validity meaning that
7 there is a broad spectrum of disease activity, and if one
8 wishes to sample from all of those different elements of the
9 spectrum, and one of the ways you did that very nicely was
10 to comment on the use of SF36 as a measure of sort of the
11 other dimension of disease activity.

12 One of the questions that comes into play with the
13 instruments that you commented on is whether some of them
14 sampled from certain domains of disease activity that others
15 don't sample from, and whether those domains of disease
16 activity are important with respect to measuring activity
17 and with respect to change in activity.

18 Therefore, one of those instruments might be
19 preferable to another. Do you know if one can evaluate that
20 by doing factor analyses, one can evaluate that by just
21 looking at elements of the instruments to see which are not
22 incorporated in some versus another?

23 Do you have a sense from the instrumentation of
24 what elements are involved with one and not the other?

25 DR. ISENBERG: I think each of these different

1 instruments have tried very hard to provide the interested
2 lupologists with a comprehensive overview of what is going
3 on. Now, whether you divide lupus activity up into eight
4 systems, as BILAG does, or nine as SLEDAI does, I think it
5 is just a question of cutting up the cake in a slightly
6 different way.

7 I really don't think any of them are missing
8 anything terribly major, if you like. I think they are
9 looked at from slightly different perspectives. What I
10 think has been very interesting for us to look at over the
11 years is the realization that although we have approached
12 this problem from very different philosophical standpoints,
13 these indices seem to come up with pretty similar numbers or
14 pretty similar ideas of activity whichever way we looked at
15 it.

16 DR. FELSON: The other question is you commented
17 on the difference between SF36 and SF20 and noted
18 prominently the importance of fatigue questions. What does
19 SF36 contribute to lupus evaluation in terms of either
20 sensitivity to change or information over and above that
21 single question on fatigue?

22 DR. ISENBERG: Well, I think those questions are
23 actually being asked right now. We, for example, are doing
24 a five-year prospective study in a sense to try to answer
25 that precise point. Indeed, what I showed you was a cross-

1 sectional analysis of the data over the first year that we
2 have on these 140-odd patients that we have entered into
3 this cohort.

4 We are now into the fourth year of the study, and
5 I think we will be able to answer that question definitively
6 for you in about a year's time.

7 DR. ABRAMSON: I think we will have to move on
8 now. Thank you, David.

9 Next is Dr. Petri from Johns Hopkins, Definition
10 of Flare and Responder Index.

11 **Definition of Flare: Responder Index**

12 DR. PETRI: Ten years ago several of my colleagues
13 and I got together to define flare in lupus.

14 [Slide.]

15 We defined it as a change of 1.0 or greater on a
16 zero to 3 visual analog scale of disease activity. We then
17 looked at the first 185 patients who had entered the Hopkins
18 lupus cohort and found that 53 percent had this pattern of
19 flare.

20 The incidence of flare was 0.65 flares per patient
21 year of follow-up, and the median time from the first study
22 visit to a flare was 12 months. We thought this was very
23 important information in the design of clinical trials that
24 wanted to look at a decrease in flares as an outcome.

25 [Slide.]

1 More recently, with my colleague Susan Barr and
2 Abraham Zonana-Nocach, we have determined that
3 rheumatologists can look at these disease activity measures
4 over time in individual patients and determine several
5 patterns of disease activity. This can be done with
6 excellent agreement using the visual analog scale of the
7 physician's global assessment or using the SLEDAI as
8 described by David Isenberg.

9 [Slide.]

10 I want to show you one of these patterns that we
11 think is very important. We call this the relapsing
12 remitting pattern basically, borrowing from the MS
13 terminology, but it is fine to call it a flare, as well, and
14 you can see in this patient using either the physician's
15 global assessment or the SLEDAI modified to remove
16 complement and anti-DNA descriptors it is very easy to see
17 this pattern of relapse-remission, relapse-remission going
18 on for many years in a patient who is under treatment.

19 This kind of patient poses some problems for those
20 of us who are thinking about enrolling patients in clinical
21 trials. If you enroll this patient in a clinical trial at
22 this time, the relapse time, obviously, the natural history
23 is for that patient to get better and for there to be many
24 months perhaps before the next relapse.

25 On the other hands, you could enroll a patient in

1 a clinical trial at this time of remission and have a
2 problem. There may be many months before that patient's
3 natural history will allow her to have another flare.

4 [Slide.]

5 Here is a second pattern that I think people
6 weren't quite as aware. This is the chronic active pattern.
7 This is a lupus patient who always has disease activity
8 regardless of whether we use a visual analog scale or the
9 modified SLEDAI. This patient never achieves "no activity."

10 I think this, in fact, is a patient who might be
11 better entered into clinical trials because it is a little
12 easy to show improvement in someone who has chronic
13 activity.

14 [Slide.]

15 There are some patients who have a period of long
16 quiescence although we prefer not to use term "remission,"
17 because as you can see in this patient, after three years of
18 "no activity," there is again evidence using either the
19 visual analog scale or the modified SLEDAI of SLE activity.

20 [Slide.]

21 How often do patients show these patterns? In our
22 most recent analysis, we almost completely duplicate our
23 results from 10 years ago. Fifty-one percent of patients
24 show a relapsing-remitting or flare pattern, 80 percent at
25 some point have a period of chronic activity, and only 17

1 percent have a period of long quiescence.

2 This does not add up to 100 percent because
3 patients can change patterns. I think that is going to be a
4 very important thing to look at in clinical trials
5 especially of stronger agents, such as intravenous
6 cyclophosphamide, do the stronger agents change the pattern
7 from flare and chronic activity to one of long quiescence.

8 [Slide.]

9 When we look at the second index, the modified
10 SLEDAI, we don't see exactly the same percent of time in
11 these different patterns, and I think we all recognize this,
12 that some instruments capture disease activity better in
13 some organ systems than in others.

14 [Slide.]

15 We were very interested in predictors of the flare
16 pattern, the relapsing-remitting pattern, and we found that
17 there are some demographic issues that are of importance.
18 Female patients with lupus are more likely to have a flare
19 than males. We also found a hormonal issue in women in that
20 postmenopausal women were less likely to flare than
21 premenopausal women. These kinds of things need to be taken
22 into account when patients are enrolled in clinical trials.

23 [Slide.]

24 We, as everyone else in this field, would like to
25 find surrogate markers, but we have not found that

1 serologies are helpful. Specifically, when we look at C3,
2 C4, and anti-double-stranded DNA by crithidia, we cannot
3 find that changes are predictive of a flare in the next
4 three months.

5 There is great controversy in this field. Some
6 people believe that a Farr assay might be a better predictor
7 of flare than crithidia. Some people believe that these
8 assays, in order to be predictive, need to be done on a
9 monthly basis. We do the assays on a quarterly basis.

10 [Slide.]

11 I want to show you, though, how complicated it is
12 to look for surrogate markers. We have looked very
13 carefully in our longitudinal database at whether serologies
14 correlate with disease activity at the same visit. In fact,
15 they do, but in such a weak way that clinically it is not
16 useful.

17 For example, if we look at C3, the very lowest
18 values of C3 do associate with higher levels on the visual
19 analog scale or on the modified SLEDAI. When you consider
20 that the physician's global assessment goes from a zero to 3
21 scale, and 1 is mild, you can see that there is very little
22 spread here. Similarly, on the SLEDAI, very little spread.

23 [Slide.]

24 For C4, we appear to have found a U-shaped
25 relationship where at the very lowest levels of C4 are

1 associated with higher levels on the physician's global
2 assessment, but so are the very highest levels of C4.
3 Looking at the SLEDAI again, that same U-shaped
4 relationship, the lowest levels and the highest levels are
5 associated with greater disease activity.

6 [Slide.]

7 Again, for anti-double-stranded DNA, the very
8 highest levels of anti-double-stranded DNA are associated
9 with higher levels on the visual analog scale or on the
10 modified SLEDAI, but the spread is very small, so this is
11 not clinically useful.

12 [Slide.]

13 We have actually constructed random effects models
14 to look at this, and all these different serologies are
15 significantly associated with disease activity at the same
16 visit. The problem has been when we interpret these models,
17 they would not be clinically useful and probably would not
18 be useful in the research arena either.

19 I want to go over with you in detail the results
20 for C3. The average value for the beta in the population is
21 minus 0.0029. What does this mean? It corresponds to a
22 change in the expected physician's global assessment of
23 0.029 for each 10-point change in C3, which would be a large
24 change. Remember, the physician's global assessment is on a
25 zero to 3 scale, so this amount of change is infinitesimal.

1 The problem, though, is that people vary in the
2 lupus population with respect to beta, so considering the
3 middle 95 percent of the values of beta in the lupus
4 population, some are as low as minus 0.01, but some are
5 actually in the opposite direction and are as high as
6 0.0095. The clinicians in the room understand this. This
7 is why in some patients you keep flow sheets. In some
8 patients, these are useful, in other patients they are not.

9 [Slide.]

10 I wanted to show you that anti-double-stranded DNA
11 is not a good surrogate marker even if we limit its use to
12 those patients who have shown the capability of making anti-
13 double-stranded DNA. Changes in anti-double-stranded DNA
14 even in this subgroup do not associate with the probability
15 of flare or with a change in the physician's global
16 assessment.

17 [Slide.]

18 As part of the SELENA trial, which is the safety
19 of estrogen in lupus national assessment trial, Dr. Buyon is
20 the co-principal investigator, and we have multiple
21 enrollment sites, many of whom are in the audience.

22 [Slide.]

23 We took a very tough committee look at both flare
24 and disease activity. We decided to use the SLEDAI as the
25 disease activity measure in this trial using the same

1 descriptors and the same weights, but we made modifications
2 to the definitions of the descriptors to ensure that we
3 truly captured disease activity.

4 [Slide.]

5 In the SELENA trial, we defined flare. This was
6 defined by our investigator group in meetings, and we
7 defined both mild, moderate, and severe flares by consensus.

8 [Slide.]

9 I want to show you the mild, moderate flare
10 definition. It included a change in SLEDAI of 3 points or
11 more, new involvement or worsening of these disease activity
12 descriptors, the physician increasing prednisone, the
13 physician adding an NSAID or Plaquenil for disease activity,
14 or a change in the visual analog scale because occasionally,
15 even with our modifications in SLEDAI, we have a flare in an
16 organ system that SLEDAI would not measure.

17 [Slide.]

18 We also defined severe flares, a change in SLEDAI
19 to greater than 12, new or worsening involvement of these
20 descriptors, an increase in prednisone to greater than 0.5
21 mg/kg/day, addition of an immunosuppressive drug for active
22 lupus or hospitalization for active lupus, and an increase
23 in the visual analog scale to greater than 2.5 on a 3-point
24 scale.

25 [Slide.]

1 We then basically did a reliability validity
2 study. It had two purposes - to determine the reliability
3 of the SELENA flare definition and to determine the
4 reliability of the SELENA SLEDAI. We actually did two
5 reliability studies with physician training in between.

6 [Slide.]

7 The first study involved 7 paper patients. These
8 patients were derived from our Hopkins lupus cohort, and six
9 of the participating sites looked at these paper patients.

10 The inter-class correlation coefficient for the
11 SELENA SLEDAI was superb. For severe flares, the kappa for
12 agreement was also substantial. Our problem was that for
13 mild-moderate flare, the amount of agreement was
14 unacceptable.

15 [Slide.]

16 Physician training occurred by our comparing our
17 answers, and we then did a second reliability study. This
18 time the patients actually came from the SELENA trial, the
19 same six physician raters. Once again, the SLEDAI had
20 excellent inter-class correlation coefficient, and for
21 severe flare, the kappa remained substantial. We were able
22 to show that for mild-moderate flare, with physician
23 training, the kappa improved dramatically.

24 Remember that the people enrolling in this trial
25 are all lupus experts, but it still took training for us to

1 agree on how we define mild-moderate flares.

2 [Slide.]

3 I think the important message for industry and for
4 everyone on the panel is that physician agreement on the
5 SELENA SLEDAI is excellent without any training, but as we
6 get into this definition of flare, we all seemed to agree on
7 what a severe flare is, but we need to be trained if we are
8 going to capture mild-moderate flares reliably.

9 [Slide.]

10 Now, there are other issues that have come out in
11 the SELENA trial that I want to present to you this morning
12 because they are going to be important in other trials, as
13 well. I want to show you the problem in comparing mean
14 disease activity scores versus looking at changes in flare
15 rates. These analyses were done by Mimi Kim, who is the
16 biostatistician for the SELENA trial, with the help of Mary
17 Lou Skovron, who is a member of this steering committee.

18 [Slide.]

19 When we look at patients who have had a severe
20 flare, a mild-moderate flare, or no flare using the SELENA
21 definition, and we then look at what their SELENA SLEDAI
22 scores were, in both the estrogen replacement therapy trial
23 and the oral contraceptive trial, you can see that the
24 SELENA SLEDAI gives higher scores in patients who had a
25 severe flare versus a mild-moderate flare versus no flares

1 as you would expect.

2 Now, obviously, severe flares are rare, mild-
3 moderate flares are more common, but there are, of course,
4 the patients who have that chronic disease activity, as
5 well, who are not flaring.

6 [Slide.]

7 So, if we look at the end of the trial, these are
8 models, SELENA is obviously ongoing, and we look at the
9 expected difference in the mean SLEDAI between treatment
10 arms, we are going to have problems.

11 Let's assume, for example, that there is a 9
12 percent difference in severe flare rates and a 20 percent
13 difference in the mild-moderate flare rates. Would looking
14 at just SELENA SLEDAI capture this difference?

15 The expected difference in the SELENA SLEDAI in
16 the ERT trial, if these percentages remained true, would
17 only be 0.62 between the two groups; in the OCP trial, only
18 0.46 between the two groups. These are very small, expected
19 differences in SLEDAI and what is in fact a very large
20 trial. Both of these trials are very large.

21 So, this is somewhat daunting. I don't think we
22 can expect one instrument, such as the SLEDAI, to be able to
23 capture differences in disease activity as well as
24 differences in flare, and I am going to suggest to you that
25 we have to be very careful to include flare as an outcome,

1 as well.

2 [Slide.]

3 We think that it is very important that this
4 committee consider responder indices. With the help of
5 IDEC, a group of us, including Dr. Buyon, Ginzler, Kalunian,
6 Merrill, and Wofsy, have started work on a responder index
7 that we call the RIFLE. This is a work in progress, and we
8 welcome the help of everyone.

9 [Slide.]

10 This is a little bit hard to see, but what I want
11 to go over with you is the philosophy of the RIFLE. There
12 are many different ways to decide at the end of the trial
13 that a patient has either responded or not.

14 We can decide that on an overall basis, and we
15 have decided to define that a patient has responded if organ
16 systems stayed the same or got better, and no organ system
17 got worse, but there is another way to determine that
18 someone has responded during a trial, and that is by organ
19 system.

20 [Slide.]

21 So, for example, you could at the end of a trial
22 actually come up with a count of how many people with renal
23 disease responded, how many people with cutaneous disease
24 responded, and this might be very important for some drugs,
25 a drug such as Plaquenil, for example, which is expected to

1 help cutaneous and musculoskeletal activity, but would not
2 be expected to help CNS or renal activity.

3 There is a third way to look at response in a
4 clinical trial that was suggested by David Wofsy.
5 Basically, physicians know which organ system is most
6 important in that patient, and is the reason that they have
7 entered the patient in a clinical trial.

8 So, for example, if a patient is enrolled in a
9 clinical trial because she has renal disease, and her skin
10 and joint manifestations improve, but her renal disease did
11 not, that patient is not a responder in the organ system
12 that the physician ranked as most important.

13 So, there are at least three different ways, and
14 perhaps others, in how to define a patient or an organ
15 system or a rank-order has responded in a clinical trial.

16 [Slide.]

17 This is an example of how the RIFLE is organized.
18 We think it is very important that there be definitions for
19 everything. We learned this when we did SELENA. I think
20 many of the industries that have been working in lupus
21 trials have learned this, as well.

22 Basically, for each descriptor in an organ system,
23 we think it is important to actually define what is
24 worsening, what is no change, what is a partial response,
25 what is resolution. These are still being worked on by the

1 committee and by members of the SLICC group, but hopefully,
2 we can reach a consensus on how to define these.

3 [Slide.]

4 Now, David Isenberg mentioned that the patient
5 cares most about fatigue, and I think everyone who treats
6 lupus patients will agree. One problem we are having in
7 clinical trials right now is that fatigue is of two types in
8 lupus patients. There is an acute component of fatigue that
9 seems to occur during flares, and there is a chronic
10 component of fatigue that seems in many centers to associate
11 very highly with fibromyalgia.

12 [Slide.]

13 I want to show you in our longitudinal study that
14 a whopping 29 percent of our lupus patients meet ACR
15 criteria for fibromyalgia on the basis of number of tender
16 points, chronic fatigue, and pain.

17 [Slide.]

18 I want to show you the effect this has in a
19 clinical trial setting. I want to thank Genelabs for
20 allowing me to show this. This is in the qualifying visit
21 of the Genelab study showing you the SLEDAI disease activity
22 measure on this axis and the fatigue severity scale on this.

23 You can see how most lupus patients cluster at the
24 worst end on the fatigue severity scale, but most
25 importantly, there is no association of disease activity

1 with fatigue when patients enter this trial. So, this is a
2 major issue, if improvement in fatigue is an outcome measure
3 it may not actually be an outcome measure closely related to
4 disease activity.

5 [Slide.]

6 It does not make any difference using the Genelabs
7 data whether SLAM is used. At the qualifying visit, SLAM
8 does not associate with fatigue either.

9 [Slide.]

10 Now, I know there are many industry
11 representatives in the audience today, and I wanted to end
12 with a little bit of philosophy about why you should care
13 about lupus clinical trials and why you should get involved.

14 We are ready for you. We have the disease
15 activity measures. Some of them require refinement, but
16 they are ready. In other words, we built the ballpark, now
17 we want you to come, and the reason you should care is that
18 lupus still has a major problem with mortality in the United
19 States.

20 This is the study recently published in Arthritis
21 and Rheumatism from the Mayo Clinic, Sherri Gabriel's group,
22 showing at 10 years that there is less than 80 percent
23 survival, and this is in middle-class caucasians. You can
24 imagine what survival data are like in academic centers in
25 inner cities.

1 [Slide.]

2 But here is the second reason why you should get
3 involved. Dr. Gabriel's study showed a 3-fold increase in
4 the incidence of lupus since what I will call the early era
5 to the current era. This is using exactly the same criteria
6 for the classification of lupus, so this is not due to
7 diagnosis of milder cases. In fact, the frequency of renal
8 disease is exactly the same in the current era as it was in
9 the fifties to seventies.

10 So, this is a major problem in our population
11 where now 1 out of 800 middle-class caucasians in Rochester
12 having lupus, and if we had these kinds of excellent
13 epidemiologic data to reflect the other racial groups in the
14 United States, I think we would find it was even more of a
15 problem.

16 I thank the committee for their attention.

17 DR. ABRAMSON: Thank you, Dr. Petri.

18 We have time for maybe one question.

19 DR. FERNANDEZ-MADRID: Your data on anti-DNA
20 antibodies is mainly on crithidia?

21 DR. PETRI: That is correct, and that is a
22 limitation. It is possible that the Farr assay might be
23 more responsive to disease activity changes.

24 DR. FERNANDEZ-MADRID: Yes, I think we have to
25 have an open mind on that, and also the Farr assay has

1 problems depending on the antigen involved. So, I think
2 further information is needed in this area, it seems to me.

3 DR. PETRI: I think everyone agrees.

4 DR. ABRAMSON: Thank you, Dr. Petri.

5 The next speaker is Dr. Dafna Gladman from the
6 University of Toronto to discuss damage and drug toxicity.

7 **Damage: Drug Toxicity**

8 DR. GLADMAN: Well, I have a task that is a little
9 bit easier because the concept of damage in lupus is a
10 little bit less controversial partly because the groups
11 involved in the development of the concept of damage and the
12 damage index got together early enough in the course without
13 having individual indices that were already attached.

14 [Slide.]

15 So, you ask yourself why do we need a damage index
16 in lupus. Despite the fact that there is still a
17 significant mortality, in fact, patients with lupus have a 3
18 time risk of mortality than the general population even in
19 the 1990s, there has been a reduction in mortality, and the
20 survival rates that were quoted by Marilyn Schulman in 1955
21 of 50 percent in five years, are now close to 70 percent in
22 20 years, therefore, mortality is no longer an appropriate
23 outcome measure in lupus.

24 Moreover, disease activity may result in organ
25 damage, and we are all aware of the fact that when a patient

1 has lupus nephritis, they on occasion go on to dialysis and
2 renal transplant. When a patient has neurological
3 involvement, they may end up with a seizure disorder or the
4 result of a stroke, again resulting in an organ damage.

5 The management of patients with lupus would then
6 include not only the prevention of death, but also the
7 reduction of morbidity which results from those organ damage
8 items that we are going to discuss.

9 So, we felt that a method to estimate morbidity,
10 i.e., damage, was necessary.

11 [Slide.]

12 Now the first group to get together was the
13 Conference on Prognosis Studies in Lupus, which took place
14 in Toronto in October of 1985, and again you will recognize
15 some of the individuals like Matt Liang and Peter Schurr,
16 and others, including the late John Decker, who were
17 included in the group that actually developed the SLEDAI,
18 the SLE Disease Activity Index.

19 During the same conference, the participants were
20 asked to include in their thinking the concept of patient
21 perception that David Isenberg has already talked to us
22 about, and the concept of damage.

23 [Slide.]

24 During the 1985 conference, three steps were taken
25 to try and arrive at a damage index. The first step was to

1 review a list of items that were considered to be damage
2 resulting from the disease or its consequences.

3 The participants then ranked the damage items in a
4 similar way that was done for the activity items.

5 Unfortunately, for the damage, we were not able to find a
6 point between items that should be included and items that
7 could be excluded from an index, and therefore, the
8 variables were defined just based on the ranking system.

9 [Slide.]

10 During that 1985 conference, the definition of
11 damage that evolved was that it was an accumulated end organ
12 irreversible effect of persistent disease activity, drug
13 therapy, or intercurrent illness.

14 [Slide.]

15 Now, in 1990 or 1991, the Systemic Lupus
16 International Collaborative Clinic group was formed
17 including individuals from Canada, Italy, the United States,
18 Great Britain, Sweden, Mexico, and Australia, and this is
19 the SLICC group, and members of the SLICC group went on to
20 develop a damage index which could be tested for validity,
21 reproducibility, and sensitivity to change, and the
22 generation of the index was by a nominal group process based
23 on the 1985 conference template.

24 [Slide.]

25 During that meeting in Boston, 20 patient profiles

1 containing damage concepts were assessed. An analysis
2 revealed patient variability which was what was desired, but
3 it also revealed significant variation among raters, and
4 therefore a review of the items and definitions had to be
5 carried out again.

6 [Slide.]

7 In order to generate the index, we again went
8 through the process of assessing the items to be included,
9 define each item for ascertainment, agree on the item and
10 the definition, and eventually came up with an index of 12
11 organ systems, and content validity was demonstrated at
12 least within the SLICC group.

13 [Slide.]

14 The damage index was defined based on an
15 irreversible change since the onset of lupus, ascertained by
16 clinical assessment, so that it would be useful to anybody
17 who wished to use it as opposed to only areas where very
18 high technology could be used, and the other important item
19 is that for most items, unless stated otherwise, they had to
20 be present for at least six months.

21 [Slide.]

22 The end result of that initial SLICC meeting was
23 that here is the damage index with the 12 organ system and
24 the items that were included in each area.

25 [Slide.]

1 I will show you examples of a couple of them. The
2 ocular, for example, if a person had a cataract ever, so
3 here there is no need to be a six-month window, a cataract
4 ever gets a score of 1. If there is retinal change or optic
5 atrophy which is present for six months, gets a 1, so
6 therefore, the ocular system gets a total score or a
7 possible total score of 2.

8 [Slide.]

9 Looking at the neuropsychiatric system, a
10 persistent cognitive impairment or major psychosis, again
11 six-month window, seizures requiring treatment for greater
12 than three months gets a 1. A CVA, the first one gets a
13 score of 1. If another CVA occurred six months later, it
14 now becomes a 2, and so on. So, the CNS system gets a total
15 possible score of 6.

16 [Slide.]

17 In the renal system, an estimated or measured GFR
18 less than 50 percent scores a patient 1, and, of course,
19 that has to be there for six months, so as not to just
20 reflect disease activity.

21 The presence of persistent proteinuria of 3.5
22 grams or more again scores a 1. However, if a patient has
23 gone into end-stage renal disease, regardless of dialysis or
24 transplantation, they are scored as 3, and they don't get
25 scored for those.

1 [Slide.]

2 Now, in order to validate the index, we asked the
3 members of the SLICC group to provide four patients who have
4 had disease duration for at least five years, and of the
5 four scenarios based on their own patients, two had to have
6 active disease and two had to have inactive disease at two
7 time points, one with and one without damage.

8 [Slide.]

9 So, 19 physicians completed damage index on 42
10 case scenarios based on this accumulated information from
11 the various centers. We looked at time, one or two, the
12 damage and activity, and found that they were all
13 statistically significant and that interaction of time with
14 activity and damage with activity was significant, however,
15 the effects due to physicians were small, in fact, it was 1
16 1/2 percent, suggesting that the physicians scored those
17 scenarios in a very similar way.

18 [Slide.]

19 Here is what happened with the physicians' score
20 over time. In patients that were active, but stable, there
21 was very little change in damage. Patients that were
22 inactive and stable, very little change in damage. However,
23 patients that were thought to have an increased damage,
24 whether they were active or inactive, clearly reflected that
25 change based on the scores of the scenarios for the 19

1 physicians.

2 [Slide.]

3 In addition, we asked our local rheumatologists at
4 each of our centers of the SLICC group to review the index,
5 and there was major agreement with the index by the local
6 rheumatologists. There were minor changes that were
7 incorporated in the final index, and we were now ready to
8 test for validity.

9 [Slide.]

10 One of the things we wanted to do was to confirm
11 the reproducibility of the SLICC in live patients as opposed
12 to just the paper exercise. So, we got together in Toronto
13 to test the reliability and validity in the assessment of
14 live patients and to correlate the presence of damage score
15 with disease activity scores.

16 [Slide.]

17 So, 10 patients representing a spectrum of damage
18 and activity were recruited from the then lupus clinic in
19 Toronto. Ten physicians from five countries representing 10
20 lupus clinics assessed the patients, and remember, these 10
21 physicians from five countries represent different concepts
22 and also different health care systems.

23 The order of the physicians and the patients was
24 randomized according to a Youden square design.

25 [Slide.]

1 Each patient was examined by six different
2 physicians, and each physician examined six different
3 patients, and the SLICC ACR damage index was used to assess
4 damage while the SLEDAI was used to assess the activity.

5 [Slide.]

6 These are the average scores for the measures.

7 [Slide.]

8 The reliability of the SLICC score is shown here
9 where the patients contributed the variance, and this of
10 course was by design, but you can see that the order of the
11 assessment or the physician had no effect on the variability
12 here.

13 [Slide.]

14 Likewise, for the SLEDAI, the patients were
15 selected appropriately, and there was no patient order
16 effect.

17 [Slide.]

18 So, we have demonstrated that the SLICC ACR damage
19 index detected differences among patients, and that the
20 SLEDAI also detected differences among patients, and that
21 there was no detectable observer difference with either the
22 SLEDAI or the SLICC ACR damage index, and there was no order
23 effect.

24 [Slide.]

25 The conclusion from it is that physicians from

1 different centers can use the SLICC ACR damage index to
2 assess damage in patients with lupus with a spectrum of both
3 disease activity and damage.

4 You will notice that I changed the title. It is
5 not just the SLICC damage index, the damage index was then
6 approved by the American College of Rheumatology and adopted
7 as the damage index for lupus, and in fact is published.

8 [Slide.]

9 We further went on to see whether the damage index
10 could actually discriminate between patient populations, and
11 we asked physicians from our group again to provide us with
12 information about patients that they are following in their
13 practices, and we had a database of 1,297 patients.

14 You can see that there is representation from
15 Baltimore, Birmingham, England, Halifax, Lund, Sweden,
16 Middlesex, England, Montreal, Canada, New York, and Toronto
17 showing that the majority of the patients were females, and
18 the majority of the patients were caucasians, although you
19 can see that in the American centers, there was a higher
20 representation of non-caucasians.

21 The mean age at presentation was similar, although
22 again there was a slight nonsignificant variation.

23 [Slide.]

24 Now, you can see the ranges of the damage index
25 scores between the centers. The initial score in Baltimore

1 was quite low, on the other hands, Birmingham had an average
2 score of 1.24, New York had an average score of 2, and
3 Toronto was similar to Birmingham. The score in Lund,
4 Sweden, was very similar to the one in Baltimore. This is
5 the initial score.

6 [Slide.]

7 Five of the centers were able to provide us
8 information that would allow us to look at the change in the
9 SLICC damage index over time, and this would be within the
10 first two years of disease, this would be after five years,
11 and this would be after 10 years, and you can see that
12 regardless of the center, there is an accumulated damage
13 which occurs over time, suggesting that the SLICC does
14 record changes over time.

15 [Slide.]

16 Now, is it an important concept to recognize? The
17 answer is yes. If you look at patients who ended up dying
18 in the course of these studies, the score for the damage
19 index for the individuals that remained alive was lower than
20 the score of individuals who ended up dying. This is the
21 initial score, and this was highly significant, suggesting
22 that a damage index is in fact predictive of mortality.

23 [Slide.]

24 So, although there are some demographic
25 variations, we noticed that patients in Baltimore were

1 younger than those in other centers.

2 [Slide.]

3 The SLICC ACR damage index did measure damage, the
4 damage reflected accumulated change over time and higher
5 damage scores were documented early in their course in
6 patients who went on to die.

7 [Slide.]

8 Now, there have been further validations of the
9 SLICC ACR damage index. David has already shown some of the
10 information relating the damage index through patient
11 perception, but the other study, they did show that renal
12 damage at the year was predictive of end-stage renal
13 disease, pulmonary damage was predictive of death, and that
14 Afro-Caribbean and Asian patients accumulated more change,
15 more damage than caucasian patients.

16 [Slide.]

17 The LUMINA study used the SLICC ACR damage index
18 and showed that from the outset of their disease, diseased
19 patients experienced more active disease and more
20 accumulated damage than survivors, very similar to our
21 international study.

22 [Slide.]

23 The Montreal cohort, which is Paul Fortin's
24 cohort, showed that the SLICC ACR damage index scores
25 predicted poor outcome in patients with lupus both in terms

1 of death and in terms of hospitalization.

2 [Slide.]

3 The Dutch treatment study showed that the SLICC
4 ACR damage index has the capacity to detect change over
5 time, again confirming our international study. Further, it
6 was found to reflect the impact of cumulative disease
7 activity particularly in renal and hematologic, and
8 cumulative doses of prednisone, and they felt that it was a
9 useful outcome measure.

10 [Slide.]

11 So, what I hope I have left you with is the
12 conclusion that the SLICC ACR damage index is a valid
13 measure of damage, and that it is a useful measure in
14 studies, and its use in clinical trials may be several fold.

15 First of all, it can be used as a descriptor of
16 the patient in addition to these activity measures.
17 Patients perhaps should be stratified by the presence of
18 damage.

19 [Slide.]

20 In fact, one could use the damage index as an
21 eligibility criteria for clinical trial, because if a
22 patient has already had a major degree of damage in either
23 kidney or brain, they may not be appropriate for clinical
24 trial in a medication which is supposed to prevent damage,
25 and finally, the damage may be used as an outcome measure in

1 a clinical trial, not perhaps for a medication which is
2 supposed to turn off inflammation, but as a long-term
3 outcome in the sense that if the drug is good in terms of
4 controlling disease activity, then, it should demonstrate
5 the ability to prevent the accumulation of damage either
6 globally or within a particular organ system.

7 [Slide.]

8 Now, I was also asked to talk about toxicity, and
9 I actually decided not to bring a lot of slides on toxicity
10 because both the OMERACT group and the NIH have already
11 developed a toxicity index which is a 20- to 30-page
12 document, which reflects toxicity from any type of drug.

13 What I wanted to mention, and I apologize for the
14 quality of this particular slide, I guess it can't be
15 focused any better, but what this slide represents is a
16 table of toxicity from the drugs that are already available
17 and used in patients with lupus, so there is a toxicity that
18 arises from nonsteroidal antiinflammatory drugs that would
19 require assessment prior to using the drug, monitoring using
20 the drug both in terms of clinical assessment and laboratory
21 assessment.

22 This is basically taken from the guidelines for
23 the monitoring of lupus and the management of lupus which
24 the American College of Rheumatology has recently adopted
25 and hopefully will be published soon, but again reflecting

1 toxicity from nonsteroidal antiinflammatory medications,
2 antimalarial drugs, and immunosuppressive cytotoxic drugs.

3 Some of these toxicities are already included in
4 the SLICC ACR damage index.

5 [Slide.]

6 If we go back, you can see that some of the ocular
7 toxicity, for example, cataracts, would reflect changes that
8 have occurred from steroid therapy, and some of the retinal
9 changes may in fact reflect changes that have occurred from
10 the antimalarial therapy.

11 Likewise, some of the changes, for example, in the
12 musculoskeletal system, such as osteoporosis and avascular
13 necrosis, would account for some of the drug toxicities,
14 such as steroid therapy, premature gonadal failure might
15 account for drug toxicity such as cyclophosphamide, diabetes
16 may account for drug toxicity such as steroids, and
17 malignancy may account for some of the toxicity from some of
18 the drugs we are using.

19 Since the SLICC ACR damage index does not
20 attribute, it only records damage, whether it is the result
21 of the disease process itself, whether it is the result of
22 drug toxicity, or whether it is the result from intercurrent
23 illness, since the onset of SLE, it would accommodate some
24 of the toxicity that we would incorporate in toxicity
25 record, but as I say, since there is already an acceptable

1 toxicity record, I did not think that it was appropriate for
2 me to discuss this in more detail, but I certainly would be
3 pleased to answer questions.

4 DR. ABRAMSON: Thank you very much. I just had
5 one question. What is the sensitivity to the SLICC in
6 determining damage in the clinical trial over a shorter
7 period of time, six months and twelve months? Does it have
8 any utility versus the disease-activity indices that we have
9 heard about?

10 DR. GLADMAN: Remember that the damage index
11 reflects nonreversibility. So, you certainly would not
12 expect a sensitivity in the same way that you expect a
13 sensitivity to change in an activity index.

14 By definition, you cannot use it in anything less
15 than six months because in order for an order to be scored,
16 it has to be present for these six months which means that
17 it probably doesn't make sense to use it in anything that is
18 less than a year, because if your trial is six months and
19 you expect the change to occur in six months, then, we are
20 talking about an instrument that would be useful for
21 anything over a year period.

22 Now, you do not expect the damage index to go
23 down, so what you would be expecting from a damage index is
24 not to go up. So, if you are expecting from a drug to be
25 sensitive or the damage to be sensitive vis-a-vis a drug

1 trial, the sensitivity should be that there would be no
2 increase in the SLICC ACR damage index over the year.

3 So, you wouldn't use that if you are trying to
4 justify changes in disease activity, but you would use it as
5 an outcome of the clinical trial or an outcome of the drug
6 to record lack of increase. That is the way we would
7 interpret it.

8 DR. ABRAMSON: Dr. White.

9 DR. WHITE: Dafna, I have two questions. The
10 first is a follow-up on that.

11 If you wanted to use this as a descriptor of the
12 severity of the patients or stratifier of the severity of
13 patients, you showed us a really striking correlation
14 between years after first appearing in a clinic and this
15 score.

16 Does this score offer anything more in terms of
17 initial characterization of severity of disease damage than
18 just years after follow-up?

19 DR. GLADMAN: I am not sure that I understand the
20 question.

21 DR. WHITE: The question is it would be important
22 to be able to stratify and identify damage in patient
23 populations when they are entered in clinical trial, and
24 that is what you have tried to do, but you also showed us
25 that there is a very good correlation between what this

1 score is in a variety of centers and the number of years
2 after a patient showed up for care.

3 So, I wondered, is just duration of disease as
4 good an initial characteristic of disease damage as this
5 index.

6 DR. GLADMAN: Okay. So, first of all, if you just
7 look at the mean of the damage index within the first two
8 years, you can see that there is a variability, right? The
9 mean score for Baltimore was 0.36, the mean score for New
10 York was 2.07, and if you remember, the distribution of
11 patients in those two centers was very similar, almost 50-
12 50, so it shows you that if you wanted to characterize the
13 patients within the first two years of disease, I mean you
14 could characterize it on onset, which would be zero, or at
15 six months, but it shows you that you can say that yes, the
16 group in Baltimore was less damaged within their first two
17 years of disease than the group in New York.

18 So, if you are including patients in a study, you
19 can say that you want their damage index to be less than or
20 not exceed whatever, or you want to have patients where you
21 want to use the mean SLICC as comparison between two
22 populations of patients.

23 DR. WHITE: Were those numbers that you are
24 showing us based on the disease onset defined in some way or
25 the time they showed up in that clinic?

1 DR. GLADMAN: These are patients who showed up in
2 the clinic and had their SLICC scores done for the period
3 two years.

4 DR. WHITE: It may be that patients show up in
5 Baltimore sooner than they show up in New York. That was
6 all. It is just a question about --

7 DR. GLADMAN: No, this is from the time of
8 diagnosis. These people were diagnosed in the centers.

9 DR. GINZLER: Some of the patients weren't seen at
10 the time of diagnosis. It was from the time of entry.

11 DR. GLADMAN: So, that may reflect the difference
12 between Baltimore and New York.

13 DR. WHITE: It is just a point, what is easiest to
14 do, and if this is really by time of entry rather than time
15 of disease onset, it may be useful.

16 Has anyone looked at duration from time of disease
17 onset, not diagnosis, but what seems to be onset, and their
18 characteristics. Maybe that is an easier way to do it.
19 That is all I am asking.

20 DR. GLADMAN: This study is actually being done at
21 the moment, at the University of Toronto, but the
22 information that you have here.

23 [Slide.]

24 For example, for Lund, these are 12 patients that
25 were entered at the time of diagnosis. This is in their

1 first two years of disease. This is at five years of
2 disease, and this is 10 years of disease. You can see that
3 at least for this population, there is a clear difference,
4 and it is possible that the Baltimore group -- Michelle?

5 DR. ABRAMSON: Excuse me, Dr. Gladman. Excuse me
6 one second. I think what we will do is we will have to come
7 back to this during the panel discussion, if you just want
8 to finish your thought, and then we will go on to the next
9 speaker.

10 DR. GLADMAN: Well, if Michelle entered patients
11 in the same way that the Lund group entered them, then, you
12 can see that the first two years, that these two groups are
13 very similar.

14 DR. ABRAMSON: Thank you very much.

15 DR. GLADMAN: But I think it can be used to
16 compare patient populations.

17 DR. ABRAMSON: Our next speaker will be Dr. Vibeke
18 Strand from Stanford University on the OMERACT
19 recommendations.

20 **OMERACT**

21 DR. STRAND: Thank you.

22 [Slide.]

23 I wanted to say that I appreciate very much being
24 invited to participate in these discussions and that they do
25 follow from I hope the efforts with OMERACT, which have been

1 efforts to be inclusive and to build upon the extensive
2 amount of work that you have heard presented here already
3 this morning.

4 I wanted also to point out the Journal of
5 Rheumatology, whether it was wittingly or unwittingly, very
6 nicely published the proceedings of the OMERACT lupus module
7 in this month's Journal of Rheumatology starting on pages
8 490 and further, and what I wanted to do today was to sort
9 of review for you a summary of what occurred at that
10 meeting.

11 [Slide.]

12 We all know that analyses of outcome in lupus are
13 complex. I think that Dr. Schwieterman was very correct
14 this morning to say that it was really the disease that was
15 a problem, and not the people working in the disease.

16 We have had a lot of difficulty in trying to
17 define what our goals are in terms of randomized clinical
18 trials in lupus because there have been so few. We have
19 obviously the example of the nephritis studies, but, in
20 fact, people are now trying very hard to look at treating
21 lupus in the context of not just nephritis, but the other
22 organ system manifestations, or, in fact, in looking at
23 organ system manifestations to the exclusion of nephritis.

24 Of course, right now much has been learned from
25 the cohort studies and a variety of instruments that have

1 been developed in that context, and we are now seeking to
2 apply these to randomized clinical trials.

3 There are quite a few biologic agents that either
4 have been or are starting to go into trials in lupus. I
5 think it is a very exciting time, as Dr. Petri just pointed
6 out, we have now built the field.

7 [Slide.]

8 There are a few pharmaceutical agents, both new
9 and old, that are being applied in different ways and looked
10 at in lupus, and again, much of this data will be of great
11 interest to us.

12 [Slide.]

13 We have discussed a variety of the disease
14 activity indices. In fact, there are five of them. There
15 is also the LAI, which is not listed here, but these five
16 have all been utilized in clinical trials although the data
17 have not necessarily been published, and unfortunately,
18 because of that, we don't have the information as to their
19 reliability and sensitivity to change in a randomized
20 clinical trial.

21 We look forward to publication of a couple of
22 recently described clinical trials because I think this will
23 help us considerably in this field.

24 [Slide.]

25 This is just a comparison of these different

1 disease activity indices. I think it is important to note
2 that each of them does, in fact, offer some benefits. They
3 are all different in the sense that they were developed in
4 different ways, and increasingly now they are scored with
5 the idea that each one is looked at for disease activity in
6 the previous month.

7 [Slide.]

8 What is useful is that there is a computer
9 generation of all five of indices which will allow you to
10 use whichever one you would like to use, and then have all
11 of the others applied.

12 I think this has been of great benefit in terms of
13 the clinical research efforts of the SLICC group that you
14 have heard from this morning, as well as ESCICIT and Euro-
15 Lupus groups, and it would allow us to exchange information
16 between our different groups in terms of our different
17 clinical trials and in terms of our clinical products.

18 From that point of view, Stefano Bombardiere and
19 his group has made this computer program available free of
20 charge to anyone who wishes to use it, and is encouraging
21 people to use it within the academic community, and it is
22 offered also to those of you who are doing or sponsoring
23 randomized clinical trials for a very reasonable donation to
24 continue the efforts of ESCICIT and Euro-Lupus.

25 [Slide.]

1 We have looked about flares in this past
2 discussion, and there are several different definitions of
3 flare. Fortin's group has a specific definition in terms of
4 major and minor flares, whether hospitalizations or
5 emergency room visits are required.

6 Ginzler and group have published using the SELENA
7 SLEDAI definition of flare, which is not dissimilar to what
8 Dr. Petri was just describing, Dr. Gordon's group using the
9 BILAG in terms of the A or the change to a B from D or E, as
10 Dr. Isenberg presented earlier this morning, and in a small
11 study of patients, Fitzgerald using the SELENA flare
12 definition with Ken Kalunian's group.

13 What is important here to me is that these are
14 rather similar incidences of flares be they major or be they
15 minor, in other words, however we go about defining this, we
16 are coming up with a remarkable consistency across these
17 different patient cohorts for the incidence of flare.

18 [Slide.]

19 The damage index was just discussed in great
20 detail, and I think it is a very important assessment that
21 must be included in clinical trials. It obviously may be
22 most useful in terms of stratifying patients at study entry,
23 and it may also be a very good way to define a definition of
24 treatment failure.

25 [Slide.]

1 Health status and health-related quality of life
2 has been looked at in lupus increasingly using the SF36
3 although published data with the health assessment
4 questionnaire and the SF20 have shown them also to be very
5 useful in lupus populations.

6 In general, because of the component of fatigue
7 that seems to be quite present in lupus whether it is due to
8 the disease itself or associated fibromyalgia, it has been
9 decided that the SF36 is a preferable instrument.

10 What we know about the SF36 as a generic
11 instrument is that it really is very helpful for us as
12 rheumatologists in whatever disease we are studying to allow
13 comparison of our rheumatologic patients to other patient
14 populations.

15 The SF36 is undergoing extensive cross-cultural
16 translations and validations, and there is a large patient
17 normative database at this present time, and it has been
18 shown in a variety of cohort studies that the SF36 is
19 sensitive to change over time in lupus.

20 [Slide.]

21 What we also know is that baseline subscale scores
22 in lupus patients tend to be very low. This has been
23 published in cohort groups from Canada, Norway, and the UK,
24 United States, and that the subscale scores tend to look
25 like women of age and sex matched, who have serious medical

1 problems, such as diabetes or coronary artery disease.

2 We also know that lupus affects psychological
3 social well-being, as well as physical well-being.

4 [Slide.]

5 A variety of groups have shown that, in fact,
6 changes in the SF36 are reflected also by changes in disease
7 activity measures, that, in general, decreases in disease
8 activity show improvement in physical functioning, bodily
9 pain, and general health profile of the SF36.

10 This was published by Gordon and group, but also,
11 Fortin and Ginzler, and so on, have shown that increasing
12 levels of disease activity tend to correlate with worsening
13 SF36 subscale scores, especially physical functioning,
14 however, the correlations are not tight, indicating that, in
15 fact, the disease activity measure, the damage measure, and
16 the SF36 or the health status measure are, in fact,
17 measuring different domains of health-related quality of
18 life or of health status.

19 I think it will be important to see what data from
20 randomized clinical trials that incorporate the SF36 show
21 us.

22 [Slide.]

23 Now, we have talked about the confounding issue of
24 fatigue. We all feel that at least to some extent fatigue
25 is part and parcel of the condition of active lupus. We

1 also know that there is concomitant fibromyalgia, and it has
2 become quite controversial to some extent as to what we are
3 measuring - are we measuring the disease itself or an
4 associated condition?

5 Now, the Krupp fatigue severity scale has been
6 utilized in lupus patients, and it has been shown that the
7 fatigue that is present in patients with lupus is not
8 secondarily to psychological stress alone.

9 Questions about fatigue are included in the SLAM
10 and the revised SLAM. We know that fatigue can
11 significantly impact SF36 and other patient assess measures
12 there is no question about it. So, then we have to ask are
13 we looking at disease-associated fatigue or are we looking
14 at fibromyalgia, in fact, can we look at fatigue separately
15 from manifestations of lupus.

16 [Slide.]

17 In my assessment, with my patients and a review of
18 the literature, I think in general we can't really clarify
19 this. There is a varying association of fibromyalgia in
20 different cohort studies. Petri has shown that as many as
21 30 percent of her patients may have fibromyalgia.

22 Gladman and group have published that it may be
23 about 20 percent. Drs. Gordon and Isenberg have recently
24 looked at their patient populations in Birmingham and
25 London, and say that it ranged somewhere between 3 and 5

1 percent.

2 Now, we have talked about the difference in
3 fibromyalgia across the Atlantic, on this side of the
4 Atlantic, but I think the question really may have to do
5 with the fact that we do have very heterogeneous patient
6 populations.

7 However, fatigue can be accurately measured, it
8 can be accurately assessed over time for change, even if it
9 does influence patient assessments, and I think it is
10 important to note that it was just recently published in
11 this month's Journal of Rheumatology from the group in
12 Israel that the people with the worsening patient assessment
13 were those with primary fibromyalgia.

14 The patients that had intermediate levels were
15 those patients with lupus and associated fibromyalgia, and
16 the ones with the least significant decrement were the
17 patients with lupus alone. So, perhaps what we need to do
18 at least is to look at the question of fatigue and
19 fibromyalgia and stratify for its presence or absence at the
20 beginning of the trial.

21 I don't think that we should try to force
22 ourselves to exclude patients with associated fibromyalgia
23 from our randomized controlled trials because I think it
24 would be very difficult, and we would then define a patient
25 population that will not be referable to say labeling of a

1 product in the real world.

2 [Slide.]

3 Disability really in lupus encompasses all domains
4 of health status. We know that fatigue is important, we
5 know depression is important, but we also know they are
6 quantifiable, and what we have really learned is that
7 disease activity, damage, and health status, as I said
8 before, are different domains and they do not closely
9 correlate, although they will vary together to some extent.

10 [Slide.]

11 Transition questions are obviously very important.
12 Patient and physician assessments of disease activity don't
13 necessarily agree, nor necessarily does their assessment of
14 their global health agree. In fact, as much as 51 percent
15 of patients will disagree with their doctors about how their
16 lupus is doing, as published by Arenow and group.

17 I think what we realize is that psychosocial
18 stresses will parallel patients' perceptions of their
19 illness severity, and the physicians' evaluations, when we
20 are looking at our patients, we are thinking of in the
21 context of disease activity, thinking in the context of
22 damage. We are often thinking in the context of those
23 things that are occurring to the patient which may not, at
24 the present time, be symptomatic, the prime example of that
25 being renal disease. The patient really doesn't experience

1 renal disease until they develop end-stage renal disease.

2 So, we have to understand how to ask the question
3 and what are we asking - are we asking about global health,
4 are we asking about disease activity, are we asking about
5 disease severity, and understanding that disease activity,
6 disease severity do not necessarily correlate.

7 [Slide.]

8 Now, OMERACT 4 occurred in Cancun last April, and
9 the vast majority of the people in this room, I am happy to
10 see were at OMERACT 4, and we tried to discuss these issues
11 in the sense of what would be the important domains to be
12 assessed in clinical trials in lupus, be they randomized
13 clinical trials or be they longitudinal observational
14 studies, and we agreed that we needed to disagree, that we
15 couldn't necessarily assign a specific disease instrument or
16 a specific outcome measure to the domains that we wanted,
17 instead, to reach consensus on the domains.

18 [Slide.]

19 The discussion document that I just referred to is
20 now in the Journal of Rheumatology prepared by this group of
21 authors, and there was a great deal of feedback to this
22 discussion document by the participants at OMERACT.

23 [Slide.]

24 The presentations were not dissimilar to the ones
25 that you have heard here today, and after that, the module

1 committee made the following recommendations to the six
2 groups that were to sit down and use group consensus process
3 to develop recommendations.

4 [Slide.]

5 So, we proposed to the groups that disease
6 activity, damage, health status, toxicity, and economic
7 costs, health utilities, be included as domains in every
8 randomized controlled trial or longitudinal observational
9 study.

10 [Slide.]

11 However, we also asked that the groups consider
12 all of these other domains as possible important ones to
13 include - death, disability, disease severity, fatigue,
14 fibromyalgia, hypertension, psychosocial measures,
15 serologies, working status, and global assessments by both
16 patient and physician.

17 [Slide.]

18 Interestingly, the nominal group process result
19 was very similar in all six groups. Now, what occurred in
20 the nominal group process was that the groups got together
21 and discussed in great detail publicly, among themselves,
22 what of these particular domains they felt were important.

23 Then, the voting was done on a confidential basis
24 where everyone scored the most important domain to them on a
25 scale of 100, and the voting was then taken back

1 confidentially, looked at, and the three groups with the
2 randomized controlled trials were then meaned, and the three
3 groups for the longitudinal observational studies were
4 meaned.

5 This is the mean vote for the randomized,
6 controlled trials. Disease activity was first, health-
7 related quality of life was second, damage was third, and
8 toxicity was fourth, and M.D. global, economics, and patient
9 globals were all very high out there, but did not meet the
10 cutoff of 10.

11 [Slide.]

12 When we looked at the nominal group process for
13 the longitudinal observational studies, we now see disease
14 activity was first, damage was second, health-related
15 quality of life or health status was third, and toxicity was
16 fourth. Again, we had patient globals right here, and then
17 comorbidities and the others were all scored very low.

18 [Slide.]

19 This was a bit of a surprise to us, but, in fact,
20 we had a very nice consensus, and we ratified this in the
21 general assembly meeting the next day. So, that in fact,
22 agreement about these domains, that they should be assessed
23 in virtually any kind of clinical trial with systemic lupus
24 was extremely high, 85 percent yes for RCTs, 83 percent yes
25 for longitudinal observational studies, 13 to 15 percent

1 no's, and a couple of percentages here who did not assess
2 it.

3 [Slide.]

4 So, I think that we have discussed what the
5 domains are. We can argue about which instruments would be
6 the best ones to reflect changes in those domains, but
7 ultimately, we do need to develop a responder index, and
8 that responder index or that responder analysis is going to
9 have to take into account these different domains, and it
10 therefore is probably going to have to find a way to score
11 multiple instruments simultaneously.

12 I think that is probably the only way we are going
13 to find a primary outcome measurement in a disease as
14 heterogeneous as lupus that will, in fact, become useful to
15 us.

16 Now, in order to do that, we really need to
17 understand what the minimum clinically important differences
18 are in the instruments that we are going to use that reflect
19 the domains that we think are important to measure.

20 We are going to have to obviously look at these
21 things on a per-patient basis. We knew that rheumatoid
22 arthritis was heterogeneous, but I think lupus has made this
23 clearest to any of us who have tried to look at changes in a
24 patient population with a therapeutic intervention over even
25 a short period of time.

1 Obviously, if we can develop some type of a
2 responder analysis, we can then compare treatments and
3 compare treatments across patient populations. These would
4 become much easier to use, they would be much easier to
5 report our data, and you can gain statistical power provided
6 we are using the components of a responder analysis that do
7 not vary so closely together as to maximize an effect that
8 is otherwise not there.

9 I think that although there is some controversy,
10 what we have all come up with is that we have agreement on
11 what we need to look at in lupus. We are just not clear on
12 how to put it together.

13 But, in fact, if we can do it correctly and find
14 the right instruments that reflect those domains which seem
15 to vary somewhat independently from each other, we will
16 actually add statistical power, and we will be able to do
17 studies with smaller cohorts of patients.

18 Thank you.

19 DR. ABRAMSON: Thank you very much, Dr. Strand.

20 Are there questions? Dr. White.

21 DR. WHITE: I have a question that has to do sort
22 of with theoretical considerations.

23 How do you think those outcomes that you all
24 agreed upon would be appropriate in the context of a
25 clinical trial that would be based more on an organ-specific

1 approach to the therapy of lupus?

2 What you have described would seem to be very
3 reasonable if one were going to have an agent that you
4 thought globally was going to affect the disease, but is it
5 not possible that clinical trials might focus on renal
6 disease or CNS disease?

7 DR. STRAND: Good question. I think, first of
8 all, we think we may have some products which globally
9 affect the disease and therefore we think health status
10 should improve, damage should not increase, disease activity
11 should go down, but then what do we do about flares which
12 obviously are some of a component over time when you are
13 treating a patient, and what about when we look at the
14 patient assessments, and they don't reflect improvement.

15 So, I think it is maybe questionable about whether
16 we do have products that actually globally affect the
17 disease and result in meaningful improvement to patients.

18 On the other side of it, looking at organ system
19 manifestations, for instance, in terms of a very nice set of
20 clinical trials that have taught us a lot about lupus
21 nephritis, I think what is important there is that we would
22 want to say that the nephritis had not worsened, but at the
23 same time, the patient's health status had not worsened, or
24 that their disease activity had not worsened, or that they
25 had not, over time, now developed new damage in another

1 organ system.

2 I think that there what we are trying to do
3 increasingly is look at the patient in the context of the
4 whole person. Now, as to whether this is all doable is a
5 whole other question, but I think it is a laudable goal and
6 I think it is an important goal because when we ask our
7 patients how they are doing, that is an extremely important
8 question.

9 I think one of the nice things about using an
10 instrument, such as the SF36, is we are asking patients how
11 they are doing in a variety of ways as opposed to just how
12 do you think you are today vis-a-vis what you have just had
13 as a therapy.

14 DR. ABRAMSON: Thank you very much.

15 We will now move to the open public hearing. Our
16 first presenter will be Dr. Marc Gurwith, Vice President of
17 Development and Chief Medical Officer of Genelabs
18 Technologies.

19 **Open Public Hearing**

20 DR. GURWITH: Good morning.

21 [Slide.]

22 As you know, Genelabs has been conducting a number
23 of clinical trials, large randomized clinical trials in
24 lupus, and these are probably among the largest, if not the
25 largest, so far in lupus. So, we would like to share our

1 experience and some comments about efficacy endpoints based
2 on these trials.

3 [Slide.]

4 Our first study, GL94-01 used steroid sparing, one
5 of the endpoints for discussion, as the primary efficacy
6 variable. The study was initiated in 1994 and completed two
7 years later. The entry criteria required that the patients
8 be steroid dependent, and this was defined as needing 10 to
9 30 mg of prednisone per day.

10 The endpoint was sustained steroid reduction to
11 physiologic levels, and we defined this as being able to
12 reduce the prednisone or prednisone equivalent to 7.5 mg/day
13 or less for at least the last two months of the study.

14 This design required a forced titration or
15 tapering based on patient's SLEDAI.

16 [Slide.]

17 The principal advantage of steroid sparing in that
18 it is fairly easy to measure, and it is easy to quantitate,
19 it is unequivocal, and it has a pretty well accepted
20 clinical benefit.

21 We did encounter some problems with this as an
22 endpoint. First, the goal of forced tapering of steroids is
23 to maintain disease activity while lowering the steroids as
24 much as possible, but this design, as you probably realize,
25 interferes with the ability to see if there are actually any

1 changes in disease activity between groups.

2 The study design also requires including patients
3 who are steroid dependent, who really need the steroids. We
4 attempted to do this by requiring that the patients not have
5 had a flare within the previous year or be on a steady dose
6 of prednisone for a defined period of time.

7 Nevertheless, once patients were on the study, a
8 large number, more than we expected, were able to taper
9 their steroids, and this suggested that some patients were
10 receiving more steroids than they really needed to keep
11 their disease constant.

12 [Slide.]

13 However, if you tried to avoid the problem of
14 entering the patients with the minimal required steroid
15 dose, this really means some sort of baseline period or run-
16 in period where you force titration, where you reduce
17 steroids again by some type of algorithm.

18 This kind of design may be fairly difficult in
19 lupus. It is certainly much harder than it is in a trial
20 for hypertension where you can fairly easily reduce the
21 medication until the blood pressure comes up. You may not
22 want to do that in lupus and get a serious flare, and also
23 it may take a much longer period.

24 It is also difficult to devise a schedule for
25 increasing steroids once you start tapering them and the

1 patient flares or has an increase in disease activity. We
2 found, first of all, that the physicians varied in how they
3 increase steroids at the time of a flare, and secondly, that
4 some patients had a very large increase in their steroids,
5 and that made it very difficult for them to taper back down
6 to the desired physiological level of steroids.

7 [Slide.]

8 Despite the problems with this endpoint, we were
9 able to show some differences between active treatment and
10 placebo. This slide summarizes the results of that trial.
11 I am sure many of you have seen it before.

12 On the left I have the responder results for all
13 patients. Again, a responder is a patient who had sustained
14 reduction of steroids. The blue bar is placebo, and there
15 the rate was 41 percent, and it increased to about 55
16 percent in the 200 mg/DHEA group, and the 100 mg group is in
17 between.

18 On the right is the responder rates in the
19 subgroup defined before the blind was broken of patients
20 with more active disease, and those were patients with the
21 SLEDAI of greater than 2 at baseline. There, you can see an
22 even more substantial difference in steroid reduction of 29
23 percent for the placebo group to 51 percent for the
24 treatment group.

25 Not shown on this slide is the steroid reduction

1 rate or responder rate for the patients with inactive
2 disease, patients whose baseline SLEDAI was 2 or less, and
3 there the rates were almost identical and substantially
4 higher, over 60 percent for all three groups and again
5 suggesting that a number of patients who had inactive
6 disease were getting more prednisone than they needed to
7 maintain disease activity.

8 [Slide.]

9 Our second trial, which is an even larger double-
10 blind, placebo controlled trial, was initiated in 1996. It
11 is still ongoing and we anticipate completing it late this
12 spring. It has enrolled 382 patients. Since it is double-
13 blind and still ongoing, our observations are based on just
14 looking at individual patient data and blinded data, so the
15 blind has not been broken.

16 The goal in this study, in contrast to the
17 previous one, it is more straightforward, and we are just
18 trying to look at changes in disease activity and comparing
19 placebo with active treatment.

20 So, we do attempt to keep the patients' lupus
21 medications, particularly the prednisone, constant over the
22 one year. The endpoint in this study is a by-patient
23 responder definition.

24 This definition was developed after a lot of
25 discussion in collaboration with many of our investigators

1 and with the FDA, but it still should be looked upon as a
2 first-generation product.

3 I am sure there will be better responder
4 definitions following this study and as other definitions
5 are developed, but in our definition, the patient had to
6 have an improvement or stabilization, and that was defined
7 as the mean of all on-study visits had to be improved that
8 is less than the mean of the baseline visits for each of
9 these four instruments - the SLAM, SLEDAI, patient VAS,
10 visual analog scale, and the KFSS.

11 So, to be a responder, the patient had to be
12 stable or improved in all four of those instruments. In
13 addition, we required that there not be significant clinical
14 deterioration, but that was a fairly stringent definition.

15 [Slide.]

16 So, the advantage of this kind of endpoint is
17 fairly obvious. It allows for integration of many different
18 systems, and it is a by-patient analysis, which may
19 translate to clinical benefit.

20 There are some disadvantages, too, of course. Not
21 surprisingly, we found that it was very difficult to
22 maintain a constant steroid dose over the one-year period.
23 Frequently, the referring physician or the patient
24 themselves would prompt an increase in steroids, and this
25 would obviously impact or confound the responder analysis

1 because the increased dose of steroids would alter the
2 SLEDAI score or other scores.

3 [Slide.]

4 Similarly, there is really no well accepted
5 definition of what is a clinical benefit in lupus. So, a
6 patient who met all the criteria of our responder analysis
7 might not recognize that she had responded, that she had
8 received some clinical benefit.

9 The scoring instruments aren't routinely used in
10 the clinic, so they are not readily translated to clinical
11 benefit, and again, in looking at monitoring the study,
12 looking at individual patients, we see patients who, taken
13 as a whole, clearly have had a clinical benefit and yet they
14 fail to be responders by the analysis, and vice versa,
15 patients being responders, but they don't really look like
16 they improved.

17 Then, the scoring instruments, although validated
18 in some ways, have not really been used or at least not used
19 widely in these kind of clinical trials to assess change in
20 differences between treatment groups.

21 [Slide.]

22 So, because of these problems, we also have an
23 additional endpoint of our study, which is time to first
24 flare. Our flare definition is very similar to the SELINA
25 definition, a significant increase in lupus medications

1 especially steroids and/or a major new manifestation of
2 lupus.

3 This kind of analysis also integrates many
4 different types of disease activity, so that is an
5 advantage, and the other advantage is that increases in
6 steroid because of worsening are incorporated into the
7 definition.

8 [Slide.]

9 The disadvantages are, one, although most
10 physicians feel they can recognize a flare in a lupus
11 patient, there is really no consensus as to what a flare is,
12 there is no widely accept definition of flare, and then an
13 analysis based on time to flare is really an all or none
14 analysis.

15 The duration and severity of different flares are
16 difficult to quantify, and then the flare analysis may be
17 inordinately impacted by a single point in time or otherwise
18 two patients, neither of whom have a flare, might differ
19 substantially in how they did over the trial. One might
20 improve and one might not, and yet that wouldn't be
21 recognized in the flare analysis.

22 [Slide.]

23 So, based on these considerations, these are our
24 thoughts. We think you should look to the rheumatoid
25 arthritis model where the responder index, which now seems

1 accepted and used for evaluating drugs, was really developed
2 retrospectively and after a large number of clinical trials,
3 and prior to that, there wasn't any accepted primary
4 endpoint or at least widely accepted one, and drugs were
5 evaluated and approved based on looking at the entire
6 pattern results in a number of efficacy variables, not just
7 a single primary index in each trial.

8 Much more than rheumatoid arthritis, lupus is a
9 waxing and waning disease, as has been mentioned several
10 times. There is little experience with defining what
11 clinical benefit is. So, it is going to be much more
12 difficult to develop a meaningful single clinical benefit
13 responder analysis. It is going to take several iterations,
14 and that is being done right now, of course.

15 [Slide.]

16 Because of these factors, we believe that the RA
17 model should be followed because it is premature to demand a
18 single primary by-patient endpoint for any one single trial.

19 Ongoing trials, including our own, are going to
20 help improve the definition of responder, and until then, it
21 is going to be more important or better to again look at the
22 pattern of results and make the assessments as was done in
23 arthritis.

24 Thank you.

25 DR. ABRAMSON: Thank you very much.

1 The next presenter will be Dr. Jill Buyon,
2 Professor of Medicine at NYU and the Hospital for Joint
3 Diseases.

4 DR. BUYON: I thought I would really like to
5 present four slides that represent true user issues.

6 [Slide.]

7 These reflect the input of several investigators -
8 Joan Merrill, Ellen Ginzler, Michelle Petri, Ken Kalunian,
9 Mike Belmont, and they really reflect our usage of these
10 instruments as participants and also as consultants to some
11 of the pharmaceutical trials that have been launched.

12 [Slide.]

13 How do you meet the needs of a multicenter trial?
14 I think one of the most important issues that has come up
15 from a practical point of view is educating investigators to
16 ensure uniformity of data collection.

17 I really thank LaJolla Pharmaceuticals and Abbott
18 who recognized this before their launching their trial, and
19 so in most trials, we would suggest the provision of
20 specific guidelines for each instrument to be used in the
21 trial, for BILAG, for example, a glossary of terms or a
22 user's manual, agreement on how to score the descriptors,
23 what exactly is being measured, emphasis on attribution of
24 signs and symptoms to lupus, use of paper patients to assess
25 investigators' use of the instrument, and lastly, education

1 of the study monitors who actually are coming to look at
2 your books.

3 [Slide.]

4 This all really came about with the first
5 multicenter trial that we have just heard about from Dr.
6 Gurwith, and I want to give you three examples. You take a
7 simple instrument like SLEDAI. It seems very
8 straightforward, and these were questions that came out of
9 scoring the descriptors, and these were the answers.

10 First, people asked, "What is the definition of
11 new or recurrent for purposes of scoring the ulcers,
12 alopecia, and rash on the SLEDAI?"

13 And these were the answers given actually by Dr.
14 Kenneth Schwartz, and you will see how they bring up several
15 problems. He answered this question as, "New or recurrent
16 means either the finding has never been noted before or has
17 come and gone previously, but has recurred within the last
18 10 days. Lesions which have recurred outside the 10-day
19 window should not be scored."

20 How do you score a rash which worsens on the
21 SLEDAI? If it is the same rash which either extends or
22 worsens within the same localization, it does not get scored
23 on the SLEDAI. If it was a new rash, it does get scored.

24 Should rashes which are improving be scored on the
25 SLEDAI? No, unless they have not been previously been

1 scored on the SLEDAI.

2 [Slide.]

3 Now, how does this pose major problems for a
4 trial? I illustrate this in a sort of mock chi square, if
5 you will, the advantage of SELENA SLEDAI over SLEDAI, and
6 one of the changes we made was from descriptor adjectives
7 new or recurrent to ongoing, and I am going to give you two
8 examples.

9 Look at the SLEDAI at the qualifying visit. The
10 patient on placebo has a new rash, 2 points, the patient
11 with active drug has a new rash, 2 points. At the one-month
12 visit, the patient on placebo has a worsening rash, but we
13 already heard the definition, and now the score has to be
14 zero, and the person on the active drug has no rash, again
15 scores zero. Your interpretation would be that drug is
16 equivalent to placebo.

17 Let's look at the SELENA SLEDAI. Qualifying
18 visit, the patient on placebo has a new rash, 2 points, the
19 patient on active drug has a new rash, 2 points. At the
20 one-month visit, patient on placebo has a worsening rash,
21 and this is again scored as 2 points. The patient on active
22 drug has no rash, receiving a zero. Interpretation, drug
23 superior to placebo.

24 [Slide.]

25 What about evaluation of drug efficacy? That is

1 important to acknowledge the heterogeneity of SLE. We have
2 discussed that by organ system. Are we treating severe
3 lupus, mild-moderate lupus? DHEA and cytoxan would be for
4 very different populations of patients. So, we need two
5 kinds of indices.

6 An activity index defines how ill is this patient.
7 A responder index defines whether the patient got better and
8 by how much. So, an objective in many trials would be to
9 compare across the spectrum of patients, not to ask how sick
10 is this patient, but how well did the drug work for that
11 initial objective.

12 So, I will give you some examples. At qualifying
13 visit, arthritis in six joints, SELENA, they would receive a
14 4. In the RIFLE, which is done only at two time points,
15 beginning and end, it would be present.

16 What about thrombocytopenia, 500 per millimeter
17 cubed? One point on SELENA SLEDAI, which does illustrate
18 some of the inherent problems in the SLEDAI because of some
19 weighting issues. RIFLE, present. What about catatonia, 8
20 points on SELENA SLEDAI; RIFLE, present.

21 Now, we will look at the termination visit.
22 Arthritis in three joints, SELENA SLEDAI, 4; but in the
23 RIFLE, this would be recognized as a partial response.
24 Thrombocytopenia now 99,000, one point on SELENA SLEDAI;
25 partial response.

1 The patient who was catatonic is now awake, but
2 still has impoverished thought, 8 points, but a partial
3 response.

4 Our conclusion would be from a pharmaceutical
5 point of view, we have just gone from 13 to 13. In every
6 category, there was no change, but in the RIFLE, this
7 patient would be considered a winner.

8 Thank you.

9 DR. ABRAMSON: Thank you, Dr. Buyon.

10 We will now take a break until 10:40 and try and
11 reconvene promptly at that time. Thank you.

12 [Recess.]

13 **Panel Discussion**

14 DR. ABRAMSON: We have been asked to address five
15 questions this morning pertinent to the presentations that
16 we heard. We have invited panelists to join us as well this
17 morning - Dr. Paul Fortin, Ellen Ginzler, Ken Kalunian, and
18 Jack Klippel.

19 What I would like to do to start off addressing
20 these questions, which we would like to finish each of them
21 by 12:15, is begin with our expert panel members and ask
22 them, looking at Question 1 particularly if they would like
23 to open up the discussion with a comment or two about
24 Question 1: What claims would represent a clinically
25 important benefit in SLE looking at some of the potential

1 claims that are listed below.

2 DR. FORTIN: Reading this, I first thought, and
3 being a participant in both SLICC and OMERACT, I obviously
4 have given some thought about that, and I think that disease
5 activity is certainly an obvious important outcome.

6 I was just thinking, though, that as was discussed
7 a little bit earlier, the problem is how to report on
8 disease activity in a randomized, controlled trial, and this
9 is obviously a little different than in a longitudinal
10 observational study, and that methodology, there are some
11 challenges. The challenges are if you use a mean change for
12 a group, you may lose a lot of the actual impact of your
13 intervention in a population of lupus patients where the
14 disease is waxing and waning over time.

15 So, there is the issue of the duration that will
16 be linked to how you decide to define your disease activity
17 and the issue of the methodology. If you use a group mean,
18 you would probably need to have a very large, consistent
19 response in order to detect a clinically relevant outcome.

20 If you look at disease activity within patients,
21 then, that is a different issue, and you may be able to
22 identify subgroups of patients that would respond. So, that
23 is with the disease activity.

24 The disease damage is much more difficult. I
25 think it is more of a characteristic of the population you

1 are going to be including in your clinical trials. You
2 would have to have either a very, very large sample size to
3 detect no progression in disease damage, since it cannot
4 change over time towards decreased damage, it can only go
5 up. So, you do a very large sample size or a very long
6 duration in follow-up since damage won't be changing for at
7 least six months by definition if we use the SLICC as your
8 damage index.

9 For health status, in my mind, it is also an
10 important outcome since we are obviously interested in
11 determining what will be the impact on patients. It will
12 depend a little bit of what the focus of interest will be.
13 I think activity and damage have been defined by physician
14 and most likely to reflect the physician's view. The health
15 status is really reflecting more of the patient's view.

16 The other questions were for the organ-specific
17 disease, and there were some comments a little bit earlier,
18 I think by Dr. White, about how can one instrument, if a
19 drug intervention will be only aimed at, let's say, renal
20 damage, how will it involve the general activity in lupus.

21 I would think that even though an intervention may
22 be with primary outcome an organ-specific instrument, organ-
23 specific outcome like renal disease, it may be very
24 interesting to know if there is an impact on global disease
25 activity and, generally speaking, the health-related quality

1 of life.

2 The issue of fibromyalgia and fatigue, again,
3 there was some discussion by Dr. Strand about this issue.
4 Specifically, clinicians, we all know that fatigue is always
5 a very important complaint of our patients and that it may
6 or may not be correlated with fibromyalgia, however, if we
7 are to single that specific characteristic of lupus out, it
8 is methodologically challenging, but it may not be alone, a
9 stand-alone criteria unless the aim of the trial is only to
10 improve that specific outcome.

11 I just wanted to comment in flares, I guess the
12 correlate of flares, we haven't talked about remission. I
13 think people don't like too much the word remission, but
14 maybe response, and yet it is interesting to document per
15 patient the number of exacerbations of flare and whether
16 these are complete, you know, severe, mild, or moderate, but
17 the response also needs to be defined further and also the
18 study design of your randomized, controlled trial will have
19 to integrate whether your primary objective is to define
20 response or whether it is to define the number of flares or
21 reduction in number of flares, and they are very different
22 questions and will imply very different study designs.

23 DR. ABRAMSON: May I ask, as we go down the panel,
24 just to focus things a bit among these questions, is the
25 relative validity of disease activity measurements in a

1 global way in a heterogeneous disease like lupus versus the
2 other question effective for organ-specific diseases, and
3 how you weigh those two elements in thinking about this
4 process.

5 Dr. Ginzler.

6 DR. GINZLER: I would like to focus my comments I
7 think on some conceptual issues. Clearly, the drugs or the
8 modalities that are being developed and tested now appear to
9 be aimed at our understanding, which is ever-increasing, of
10 the pathogenetic mechanisms in systemic lupus.

11 So, whether a new treatment is aimed at specific
12 disease activity or global disease activity, and therefore
13 specific end organ function and/or damage versus overall
14 patient outcomes may depend to some extent upon whether that
15 modality is aimed at changing some specific immunologic
16 dysfunction or some specific pathogenetic mechanism, and
17 clearly we see differences in that in terms of the agents
18 that have been brought before us now.

19 I mean certainly the rationale for testing a drug
20 like DHEA is related to the known hormonal effects of the
21 disease. So, one might expect that there would be
22 differences in an agent like that compared, say, to a B-cell
23 tolerogen in terms of what we can expect, and I think those
24 things have to be kept in mind.

25 The other issues which I think again are of a

1 conceptual nature relate to the assessment of damage and how
2 that relates in a short term versus a long term trial.

3 I think that there are both short and long term
4 damages. I mean we have already said that it has to be
5 irreversible, and a proxy for irreversible is that it is
6 present for at least six months, but there are elements of
7 damage which do occur by six months or a year which might be
8 within the realm of the time that a specific trial is
9 ongoing as compared to damage which may accrue over five or
10 10 years, and Dafna did show that that accrual of damage
11 continues over that long period of time.

12 Damage is very much related to quality of life,
13 and there are again short term quality of life issues versus
14 long term quality of life issues, and for some of our
15 patients, those may be quite individualized.

16 I mean one patient may find that spending the rest
17 of their life on hemodialysis is really not a bad thing as
18 long as they maintain their attractive appearance, and, in
19 fact, I have had patients who have refused treatment with
20 steroids and other agents.

21 One in particular, a beautiful, young teenager
22 whose sister was on dialysis, and she said, well, I know
23 what dialysis is, I can live with this, I refuse treatment,
24 and, in fact, her kidneys failed and she went on dialysis
25 and is doing extremely well with it. For someone else, that

1 quality of life might be intolerable.

2 So, some of these issues have to be considered,
3 but they don't alter the actual definitions of whether a
4 particular agent satisfies the criteria for response. So,
5 those things have to be thought about as we do this.

6 Finally, since we are learning more about
7 pathogenetic mechanisms, some of the things which we might
8 attribute as damage -- and remember in the SLICC damage
9 instrument we don't count attribution -- it is merely damage
10 that occurs after the diagnosis of lupus, but not separated
11 out whether it is due to lupus per se, toxicities of
12 treatment, or to intercurrent illnesses.

13 Remember that there are, as I said, short term, as
14 well as long term, effects, and a perfect example is the
15 issue of atherosclerosis. For many years it seemed just
16 unquestioned that corticosteroids caused accelerated
17 atherosclerosis.

18 I am happy to say that I was one of the early
19 people to say that I didn't think that that correlation
20 existed, and, in fact, I think it is probably a proxy for
21 severe disease, and now that we are learning more and more
22 about the interrelationships of the immunopathogenesis of
23 atherosclerosis, and the abnormalities that occur in the
24 immune system in terms of endothelial cell function in
25 lupus, I think that is becoming much more clear.

1 We are not going to have the luxury in almost any
2 trial of looking at damage, say, in development of
3 atherosclerosis over the life of most trials.

4 I mean if a trial takes six months or a year or
5 even two years, the likelihood of a statistically
6 significant proportion of patients developing clinical
7 manifestations of atherosclerosis during that period of time
8 is very small, but over a long period of time, we will have
9 to look at those issues, just like we have looked at how
10 often and in what population infertility develops after
11 cyclophosphamide treatment.

12 So, I think those are all issues that we need to
13 keep in mind when we decide how we are going to use these
14 measures, as well as specifically what the measures are.

15 DR. ABRAMSON: Dr. Kalunian, which of these things
16 are potential claims that drug companies should go after?

17 DR. KALUNIAN: I think all of these things are
18 potential claims really, and I think that they need to be
19 measured concurrently.

20 For example, if you are trying to understand
21 whether a novel agent affects disease activity, I think you
22 have to understand what impact any incurring damage has had
23 on the ability for activity to change.

24 For example, if you have damage in a target organ,
25 that organ may not be able to change, and you need to know

1 that in terms of assessing a drug's effect on the individual
2 person.

3 I think that it is important to sort of ferret out
4 the effect of a novel therapeutic agent on fibromyalgia or
5 fatigue to understand what effect you are having on other
6 aspects of that disease.

7 So, it is important to understand where you are
8 targeting, what the drug is actually targeting, whether it
9 is drug targeting global effectors of health quality under
10 which fibromyalgia and fatigue may fall, or other aspects.

11 I think that when you get into the issue of organ-
12 specific diseases, you really need to assess your
13 measurements of disease activity, damage, quality of life,
14 et cetera, on instruments that actually can focus on those
15 target areas.

16 I think Dr. White brought this point up earlier
17 about which of the different disease activity instruments
18 are good for different aspects of disease, and as somebody
19 who has actually used these instruments extensively in
20 clinical trials, as well as in following my patients, I
21 think, for example, the SLAM instrument is very good at
22 looking at patients who have relatively lesser degrees of
23 disease activity, whereas, the BILAG and the SLEDAI tend to
24 address patients who have a more severe, if you will,
25 spectrum of disease activity.

1 So, I think all of these potential claims are
2 necessary, but you need to also look at the ability to
3 measure them and you need to be practical, and they also
4 have to address the issue of response of the target organ
5 depending on whether you are looking at a target organ or
6 more global disease activity or spectrum of disease.

7 DR. ABRAMSON: Thank you.

8 Dr. Klippel, are each of these stand-alone
9 potential claims?

10 DR. KLIPPEL: No, I think this list really only
11 has two items that are important for claims. One is the
12 effect of a drug on organ-specific manifestations, and the
13 other is on disease activity, and on those two, I believe
14 that it is the organ-specific one that is by far the most
15 important.

16 I believe that drug development is likely to be
17 biologically based, so that one will go into the trial not
18 as an empiric trial, but with some understand of what the
19 drug or biologic is likely to do, and that will help with
20 the targeting of how the drug should actually be tested, so
21 that the claim likely will come forward based on the effect
22 of the drug's known biologic effect on an organ-specific
23 manifestation.

24 So, I think that far and away, in my opinion, is
25 the most important on the list. I do believe that it is

1 important to measure disease activity with one caveat. It
2 seems to me that that almost implies that one is using an
3 empiric-based notion for drug testing.

4 You are testing the effect on lupus activity, and
5 I don't know how then the product would be marketed, because
6 a practitioner then wouldn't know exactly how to use the
7 drug when he or she has a patient in front of them with the
8 exception, if the disease activity were to go to zero, that
9 is, I think we are hunting at this stage.

10 One of the things that distinguishes lupus from
11 other rheumatic diseases is that we do have therapies which
12 affect this disease, and that the next step forward needs to
13 be to identify therapies which actually induce a state of
14 quiescence, if you use Michelle's term, or state of
15 remission, and for that purpose, I think you need to measure
16 disease activity and ask the question does it go to zero.

17 DR. ABRAMSON: Thank you.

18 I would like to open it up now to members of the
19 panel and this morning's presenters to look at these
20 potential claims. Obviously, in Question 2, we will talk
21 about what is the best way to measure these outcomes, but
22 what do people think about each of these as claims?

23 Dr. Lovell.

24 DR. LOVELL: I have a question for Jack Klippel.
25 Why don't you think damage is an appropriate claim for

1 lupus?

2 DR. KLIPPEL: I think the ability to measure
3 damage is terribly important for people who do longitudinal
4 studies, who are trying to understand the clinical course of
5 the disease. Unless there is a major change in drug
6 testing, it doesn't strike me that clinical trials of drugs
7 are going to go on long enough to actually see an impact on
8 damage.

9 DR. LOVELL: How long do you think that has to be,
10 and isn't that somewhat equivalent to what we are asking
11 people to do in RA trials in terms of prevention of
12 radiographic damage, and that sort of thing?

13 DR. KLIPPEL: It is much simpler in RA. You are
14 talking about one organ system in which you are measuring
15 damage, and, of course, the current ability to assess damage
16 is very complicated.

17 There is multiple systems involved, and Dafna is
18 the better one to answer this, but I think you are talking
19 about a year or more, and that may be an add-on to all
20 clinical trials, that you may want to stipulate to ask the
21 question about damage, but I think it is quite unlikely that
22 anyone is going to be able to show with a drug that you are
23 truly minimizing damage or altering the course of it.

24 DR. ABRAMSON: Dr. Silverman.

25 DR. SILVERMAN: When I look at these, it strikes

1 me that they are a dichotomy, and I think Dr. Ginzler hit on
2 it, the difference between quality of life and fibromyalgia
3 versus the actual disease activity, and she mentioned the
4 patient who went on to dialysis, I don't think anybody at
5 this table would think that is a good outcome.

6 We all know that steroids certainly alter the
7 quality of life for the worst, but we all use them, so I
8 think that when we are measuring quality of life and effect
9 on fibromyalgia, they are almost different endpoints, and
10 one has to be careful if you are going to include them in an
11 endpoint, especially off a short term trial, under a year,
12 that if we put too much emphasis on things like quality of
13 life, I hate to say it, and effect on fibromyalgia, i.e.,
14 steroids, can certainly make it worse, that we may in fact
15 weight it to an outcome that we actually don't want, end
16 organ damage, so I think we have to really be careful and
17 maybe go for two separate claims.

18 DR. ABRAMSON: Dr. White.

19 DR. WHITE: I would like to echo Jack's comments.
20 From my standpoint, it would seem to me to be particularly
21 important to have the flexibility to have some organ-
22 specific claim. I think there are probably more objective
23 measures of an individual function of an organ in some of
24 the indices that we have developed.

25 I think that it would be easier to define a

1 homogenous group among a heterogeneous population, and I
2 think that it would be critically important to allow that
3 claim to be established without necessarily requiring that
4 you show global disease modification as assessed by damage
5 or activity.

6 I think it would be very important to ask such
7 sponsors to collect those data. It may give them a better
8 claim in the long run, but I don't think that that should be
9 required.

10 I also have some concerns about the damage claim.
11 Given what we were told about the damage index, it seemed to
12 me that a lot of the scoring depended upon drug toxicity or
13 at least some of the scoring, as was clearly acknowledged.

14 Therefore, that seems to me to be a very difficult
15 experimental design, and I just have some concern that that
16 might be a difficult claim to actually meet given we have an
17 instrument, but we also know there are some limitations.

18 DR. ABRAMSON: Dr. Gladman.

19 DR. GLADMAN: I think that there are actually
20 three separate claims, and it was pointed out in the fact
21 that three different people got asked to discuss things, and
22 Vibeke actually put it all together.

23 The first claim that I think is important in a
24 drug trial is it needs to reduce disease activity, and
25 whether it reduces disease activity globally or whether it

1 reduces disease activity in an individual organ will depend,
2 as Jack Klippel said, on the nature of the drug, the biology
3 of the drug, and the expectation from the drug.

4 But regardless of which organ we are talking
5 about, we cannot use the organ outside of the patient. The
6 patient has disease activity usually in lupus in more than
7 one system, and therefore, a global measure of disease
8 activity, and if we are talking about claims, an improvement
9 in the global measure of disease activity is just as
10 relevant as the improvement in the particular organ, because
11 to treat the one organ and wreck several others at the same
12 time, is not a winning situation either.

13 Now, Jack was talking about damage as if it is a
14 totally different approach, however, in all the drug trials
15 of lupus nephritis, one of the outcomes has always been
16 going on to renal failure. Well, that is damage. That
17 doesn't say anything about the activity of the kidney, it is
18 a failure of that kidney.

19 So, inherently, people have already incorporated
20 an organ-specific damage into a number of observations and
21 outcomes that have been incorporated into drug trials.

22 What the damage index provides is the ability to
23 look at individual, as well as global, damage in a patient,
24 because within the damage index, there are 12 different
25 organ systems, and one can look at them individually related

1 to the organ that we are interested in, in terms of activity
2 and in terms of damage.

3 But I think it is important, though, that the
4 activity is separate from the damage, is separate from the
5 function of the patients, because we have shown, and other
6 people have shown, that the patient's perception of their
7 quality of life and health-related function is a distinct
8 domain.

9 There may be some relationship to disease
10 activity, but it is weak, and it is a distinct domain.
11 People feel how they feel for their own reasons, not
12 necessarily related to the disease process itself, and this
13 has been shown for lupus, it has been shown for rheumatoid
14 arthritis, it has been shown for diabetes, AIDS, whatever
15 disease you want to look at.

16 It is an important outcome, though, because at the
17 same time that we are trying to improve the disease process
18 for the patient, we are not trying to make them more
19 uncomfortable or less functional, so that one does not
20 necessarily expect that the patient function would get
21 better, because there are so many other contributors, but we
22 certainly do not want to make it worse.

23 I think that claims can be made about decreasing
24 disease activity, preventing worsening of disease activity.
25 For example, we recently presented at the ACR meeting an

1 approach to the SLEDAI which is based on data that we
2 collected that suggested a remission is a SLEDAI of zero.
3 An improvement is a decrease of SLEDAI by more than three
4 points. A worsening or flare is an increase of the SLEDAI
5 by four or more.

6 We have the concept that if the SLEDAI had
7 increased or decreased by less than three, the patient is
8 either persistently active or unchanged, and therefore one
9 would not claim that a drug has actually improved the
10 patient if the change in the SLEDAI was so minimal.

11 So, there are ways to approach the assessment of
12 disease activity. Now, if you take the BILAG score, you
13 actually can address the individual organ. If you want to
14 choose kidney, you choose the renal system. If you want to
15 choose hematologic, you use the hematological system,
16 respiratory, whatever.

17 Then, you can actually measure an improvement or
18 worsening by going up and down the scale of A to B, so those
19 are available.

20 DR. ABRAMSON: Thank you.

21 Dr. Strand and then Dr. Isenberg.

22 DR. STRAND: Well, I think the point here that I
23 feel is confused, if you would consider each of these as
24 separate claims, is that you could treat the disease and
25 throw the baby out with the bath water, and the patient

1 could be worse.

2 I think we don't want do that however we want to
3 look at it. Even when we look at renal disease, 81 percent,
4 70 percent of patients have other manifestations, other
5 organ system manifestations, so it doesn't seem to me that
6 we are actually looking at a very accurate reflection of the
7 disease process if we limit ourselves to an organ-specific
8 manifestation.

9 We could still make one of those particular
10 outcomes primary until such time as we can put everything
11 together into a responder index, but I just cannot see us
12 looking at disease activity without noticing whether damage
13 has increased or not, and without noticing whether patients
14 at least have the same health-related quality of life and
15 health status or at least some improvement of it.

16 From that point of view, it seems to me that that
17 is why we are talking about at least those three domains in
18 each clinical trial. From that point of view, we ought to
19 consider all three domains within a claim as well.

20 DR. ABRAMSON: We would agree that a drug does not
21 have to achieve all A through E, that a drug can achieve a
22 specific organ effect obviously and still be valuable and
23 approved so long as it wasn't doing negative things to other
24 organs.

25 Dr. Isenberg.

1 DR. ISENBERG: I think Jack Klippel is right in
2 that one might want to consider whether there were one or
3 two prior claims or particular claims with respect to
4 activity in particular and to individual organs, and I think
5 Dafna has argued the case for why the BILAG system provides
6 us with the ability to do that.

7 But I would certainly want to push the issue of
8 damage. After all, it is exactly 50 years since the first
9 human being was given a form of ACTH for their rheumatoid
10 arthritis. I think it is very unlikely that those who gave
11 that patient the ACTH expected that that patient many years
12 later might develop cataracts, might develop proximal
13 myopathy.

14 The things about lupus is that you have to expect
15 the unexpected, and I think the damage index allows us to do
16 that.

17 DR. ABRAMSON: Any other questions? Dr. Lovell.

18 DR. LOVELL: I have a comment. I think, Vibeke,
19 that if you take the NIH cytoxan trials for kidney disease
20 as a prototype, then, I think there can be a very strong
21 case made for looking at organ-specific indications. I mean
22 I think that was a major breakthrough in our understanding
23 of treatment of lupus, and that was a very organ-specific
24 sort of approach.

25 So, I would think that historical precedent sets

1 the importance of looking at organ-specific treatment for
2 lupus disease.

3 DR. STRAND: I wasn't disagreeing. I was simply
4 saying that if you are going to look at the organ-specific
5 manifestation and make that the primary outcome, for which I
6 have no problem, it is important to include as secondaries
7 that these other manifestations do not worsen.

8 DR. ABRAMSON: Dr. Fernandez-Madrid, do you have a
9 comment or a question, and then we will move on to the next
10 question.

11 DR. FERNANDEZ-MADRID: I think there is a definite
12 relationship between disease activity, persistent disease
13 activity will lead to organ damage and organ-specific
14 disease, and I think there is a relationship among them.

15 I think that what we want to prevent is organ
16 damage and organ-specific disease, but I would like to make
17 a comment on something that was said this morning in
18 reference to that the mortality is not an outcome measure in
19 lupus anymore.

20 I think we know that survival has improved. After
21 10 years, maybe 80 percent of these patients survive and
22 have a normal life, but 20 percent may die. Particularly in
23 vulnerable populations like young African-Americans, this is
24 maybe a more important problem.

25 So, I would not eliminate this issue from the

1 possible claims.

2 DR. ABRAMSON: Thank you.

3 We are going to move on to the second question. I
4 guess the first question is agreeing upon what outcomes we
5 are looking for, and the second question is how best to
6 measure those outcomes. We heard a variety of indices that
7 are available this morning.

8 We can open the discussion. Which of the
9 following represent potential endpoints? We will begin I
10 guess with the responder indices and talk about the pros and
11 cons of perhaps what we heard this morning.

12 Someone raised the question of can we have an ACR
13 20 equivalent for lupus like we have in RA. Do any of the
14 indices lend themselves to that kind of assessment,
15 comparative assessment of drugs?

16 DR. FORTIN: I would like to even broaden the
17 issue if I can. Could an indices include a disease activity
18 or disease damage and have related quality of life?
19 Obviously, we are not only measuring joint count, which has
20 a very finite variability, but we have notable composite
21 indices that we would then pool, so that we increase the
22 challenge, but we can think of a responder index like the
23 RIFLE, which is one single instrument, but couldn't we also
24 think of a composite of these three other domains?

25 DR. ABRAMSON: I would like to turn to the panel.

1 Dr. Liang has been very quiet. I am curious if Matt wants
2 to begin this discussion on this side of the panel.

3 DR. LIANG: Which one do you want me to be allowed
4 on? I have really enjoyed the discussion. I think it is a
5 little too soon to close on this issue. I have heard
6 response index described. That is combining things.

7 I heard from Vibeke that the implication was that
8 we might try to mesh quality of life with fatigue, with one
9 of these disease activity indices, and I think that perhaps
10 it would be more strategic and more useful, and it is
11 probably not so important that we be right, but be
12 consistent in our terminology, that we define on an organ-
13 by-organ basis what is a clinically meaningful response, and
14 the most physiologic and convenient way that we can do that,
15 and then later on perhaps to see if we can improve
16 statistical power by combining things as diverse as fatigue,
17 quality of life, and disease activity.

18 So, I would be inclined to sort of crawl before we
19 walk, before we run.

20 DR. ABRAMSON: David.

21 DR. FELSON: I have a lot of related sort of
22 concerns and questions. I am struggling a lot with how
23 incredibly messy this is, messy from almost every
24 measurement perspective I can think of, and I wanted to
25 offer a number of other than just use the deconstructing

1 term messy, offer a number of thoughts and suggestions that
2 might help with the messiness.

3 One is the notion that one might increase power to
4 detect change by doing what Dafna started to suggest, that
5 the Canadians had begun to do, which is characterizing an
6 individual patient in a trial by several levels of
7 transition rather than just one.

8 What the ACR 20 is an example of is improvement or
9 no improvement, and that happens to work pretty well in
10 rheumatoid arthritis because it so happens there is a
11 bimodal distribution of change over time. That is what we
12 sort of found.

13 But it probably is not likely to be the case in
14 SLE, and I think for an individual patient, one might
15 characterize their changeover time as worsening, as what
16 Michelle characterized as a mild flare or a severe flare or
17 improvement, so there might be four states over time that an
18 individual traverses - no change, improvement, mild flare,
19 severe flare.

20 That gives you a state of four, and at multiple
21 time points. So, it is not time to flare. What one could
22 do is then use time information to get more information. I
23 mean the more information one can get on both an individual
24 in terms of their severity or improvement -- and that is
25 another issue, by the way, transition versus state, and I

1 think it is really incredibly messy -- and using multiple
2 time points to get that measure starts to give you
3 efficiencies in doing trials that really we all need here.

4 Getting away from the measurement, the incredible
5 measurement complexity to just say, look, from a clinical
6 trials design perspective, what would help. What would help
7 would be probably to define each person's outcome
8 nondichotomously and each person's outcome at each time
9 point, so that one could then use multiple time points for
10 each person to get information. That would make it more
11 efficient.

12 Then, there are two measurement issues which
13 relate to disease activity measure, which I am mind-boggled
14 at their complexity. Matt just introduced another issue
15 that is also bothersome, which is whether you need to score
16 each organ, get an organ-specific severity, and then sort of
17 summarize them.

18 But all of these instruments seem to have been
19 transition instruments, so at every point in time, patients
20 are assessed as to whether they have developed a new
21 manifestation, a changed manifestation or an improved
22 manifestation.

23 So, essentially, what you are doing is measuring
24 the change of change in these trials, which we debated this
25 in the rheumatoid arthritis efforts we went through. There

1 is a considerable amount of data from pain studies as to
2 whether transition versus state instruments are more or less
3 sensitive, and the data is somewhat conflicting, but the two
4 correlate extraordinarily highly, by the way, transition
5 instruments are very messy to use in trials.

6 For one thing, people don't remember the baseline,
7 so the further you go from the baseline, the more inaccurate
8 and noisy the transition measure becomes.

9 The instruments that I saw don't have the base
10 state defined well, and that introduces variability, so what
11 happens is you say yes, in the last month, has the patient
12 developed pleuritic pain, compared to what baseline time,
13 and does the patient remember the baseline time, and was the
14 patient examined at the baseline time.

15 I don't know how all these instruments are
16 constructed to address that concern, but one would have to
17 think about that concern.

18 The last extraordinarily messy issue that I
19 wouldn't know how to suggest or even think about dealing
20 with is whether to incorporate other treatments as measures
21 of response.

22 Generally speaking, in RA and OA, that is
23 something that we have strongly discouraged. So, you don't
24 usually use as a primary measure of efficacy in an OA trial
25 the use of acetaminophen.

1 The sponsor who presented data on steroid use
2 response using that primary efficacy measure very nicely
3 pointed out all the pros and cons of that, and I realize in
4 lupus, it is not so straightforward because you have got
5 prednisone widely used, and it really could determine
6 disease activity, et cetera, but I am not sure exactly what
7 to suggest here, but I would strongly suggest that you try
8 to develop, or one, try to develop or adopt a disease
9 activity measure that doesn't weight heavily the dose of
10 steroid use because that in itself introduces physician
11 treatment variability, and if you move from SLEDAI
12 definitions to steroid response, it introduces a whole other
13 set of issues that he commented on, ceiling and floor
14 effects of steroid doses and whether steroid doses are
15 needed at certain levels. It is just very messy.

16 DR. ABRAMSON: Just to pick up on that to try and
17 get some clarity as we go forward, I just want to pose a
18 couple of questions, and, Ellen, if you could hold your
19 comment maybe in the context of this.

20 Because so much work has gone into the various
21 indices that we have, and they clearly are indices in
22 evolution and trying to adapt to some of the changing drugs
23 available, et cetera, if we looked at these top four items
24 that are presented to us as endpoints - responder indices,
25 flares, disease activity, and damage, I want to see where we

1 have consensus and where we have disagreement among those
2 four things among the experts who have spent years looking
3 at these issues carefully.

4 So, damage, we have a SLICC instrument that I
5 guess is the only one on the block, but those of you who
6 have spent time doing this, could you look at these and talk
7 about damage first, where do we have consensus among these
8 four items and where do we need to develop a finer
9 instrument that addresses some of the things that David
10 Felson was raising? Ellen.

11 DR. GINZLER: Well, I think obviously, you have to
12 move drug toxicity up into the issue of damage. I mean it
13 is the first kind of damage.

14 DR. ABRAMSON: I want to focus on where we have
15 instruments, just damage and above, where do we have an
16 instrument?

17 DR. GINZLER: In fact, we have a damage instrument
18 which fortunately or unfortunately has been agreed upon, so
19 it is the only one where there aren't competing instruments.
20 I mean there is an accepted instrument.

21 As I mentioned before, I think that the biggest
22 problem with it is short term versus long term damage in
23 terms of which manifestations we might expect to see occur
24 during the lifetime of a clinical trial as opposed to the
25 much broader experience of a longitudinal observational

1 study. But the instrument itself has been validated and it
2 has been well accepted, so I think that that is not a
3 problem.

4 We have a number of disease activity scales. A
5 number of them have been not only validated, but compared,
6 and I echo the comments of people who have said that you may
7 select a particular disease activity index based upon what
8 kind of agent you are testing and what outcome you are
9 looking for.

10 So, again, I don't think there is a need to
11 develop a new disease activity index, and I think that the
12 ones we have are available and useful.

13 The definition of flare has not been codified. I
14 mean there have been a number of studies that the Toronto
15 group, the Hopkins group have looked at that. There have
16 been definitions of flare. They haven't been tested in
17 other cohorts. They are based primarily on numbers, not on
18 conceptual changes in disease.

19 I think those of us certainly in SLICC would agree
20 that flare is not well defined, and we do not have a good
21 instrument that is acceptable for all studies. Likewise,
22 the issue of responder indices, I mean RIFLE is a work in
23 progress.

24 It has an enormous number of problems and issues.
25 It is extremely complex at this point, and I think there is

1 no doubt that from the point of view of statistical power,
2 if we are going to proceed with that as a potential
3 instrument, a lot of work needs yet to be done.

4 Some of the items may need to be condensed. We
5 need and we have been looking at this, a head to head
6 comparison with BILAG, which was not developed as a
7 responder index, but certainly has many of the features
8 which could be used as one, and certainly many of the same
9 concepts that are in RIFLE.

10 So, I think it is a work in progress, but we don't
11 have an instrument at this point for either flare and
12 concomitantly remission, nor do we have one for responder
13 index.

14 DR. ABRAMSON: Thank you. Dr. Isenberg.

15 DR. ISENBERG: I would like to try to help tidy up
16 the mess. I think, briefly, there is one agreed damage
17 index. Most people accept SF36 as the health perception
18 index. As far as activity indices are concerned, although I
19 think David is right to be concerned about, shall we say,
20 some of the more precise formulations of how these things
21 came to be developed, and so forth.

22 Practically everybody agrees that we look at
23 activity over the previous month. As I have shown you, a
24 number of different groups have compared the global score
25 indices and found them to be remarkably comparable, and

1 these are studies done in the States, done in the UK, and
2 done in Europe, and furthermore, we have from Stefano
3 Bombardiere a computer program which, from the same
4 database, will give you a SLAM, will give you a SLEDAI, will
5 give you a BILAG, will give a SIS, you know, that already
6 exists.

7 If you want something a little more sophisticated,
8 then, you could either use the BILAG or, you could, if it is
9 perhaps modified a little, use the RIFLE.

10 I think although there are legitimate concerns
11 about the minutia of precisely how we have come to define
12 things, and we could always work on improving that, I think,
13 by and large, there is much more clarity here than perhaps
14 one needs to be concerned about.

15 DR. ABRAMSON: Dr. Strand.

16 DR. STRAND: I would like to echo that comment
17 from David. I think the important thing here is that we are
18 trying to look at an intervention that is now going to
19 result in some type of improvement in manifestations of
20 lupus.

21 Now, disease activity indices, in and of
22 themselves, were never designed to be endpoints, so I think
23 in the context of that, they cannot be a stand-alone
24 endpoint by any means, but we certainly can use any one of
25 them.

1 Now, if we look at those scores, if we look at
2 those in the context of what the health status or health
3 related quality of life of the patient is, and then we
4 ensure that damage has not increased, then, we have some
5 kind of a feeling that at least we are not, shall we say,
6 sliding backwards.

7 In terms of flares, a flare may happen in the
8 context of a treatment because a treatment has not had such
9 a rapid onset of effect or whatever else, or because we
10 already know this is a disease that flares and remits, and
11 flares and remits, or shall we say, improves and worsens.

12 Really, aren't we better to look at an area under
13 the curve analysis and over time analysis, as David is
14 suggesting, where we don't necessarily have to have an
15 agreed definition of flare so long as we can say that over
16 the majority of period of time that we have looked at a
17 patient when a certain intervention has been made, they are
18 at least the same or hopefully improved to what they were
19 before.

20 I think we can move on to responder indices over
21 time. We are not ready for them. We don't have the data
22 from randomized, controlled trials in lupus that we had from
23 RA, so we could define a responder analysis and then, in
24 fact, validate it.

25 We are not there yet, and I think that if we try

1 to do something too quickly, as Matt has said, we will throw
2 the baby out with the bath water, but the other part of it
3 is most of our instruments are developed from observational
4 studies, and we are going to have to use them in RCTs before
5 we can be sure that they will do everything we want them to
6 do.

7 I think that is our most recent experience with
8 these disease activity indices.

9 DR. ABRAMSON: If we all look at this list
10 provided to us, are there items that are not potential
11 endpoints? David, were you suggesting that steroid sparing
12 was not a potential endpoint, for example?

13 DR. FELSON: I would struggle with that, I think.
14 I think it is a secondary endpoint for sure. It is
15 obviously of great importance, but I think I would be
16 inclined to say it isn't a primary one.

17 DR. ABRAMSON: Dr. Silverman.

18 DR. SILVERMAN: I can understand David's point
19 from a methodological point of view, but I can't understand
20 it from a clinical point of view. Steroid has to be, I mean
21 it is the number one use. I can design a trial, a six-month
22 trial that every single drug will work if I set my steroid
23 dose, it will work in 90 percent of the patients.

24 So, it is so crucial, and I understand that it
25 muddies the water, it makes it messy from a methodological

1 point of view, but I don't see how we can have a trial that
2 steroids aren't controlled in or at least taken into
3 consideration as a major endpoint.

4 DR. ABRAMSON: Dr. Fernandez-Madrid.

5 DR. FERNANDEZ-MADRID: It seems to me that what
6 muddies the water is the excessive use of corticosteroids in
7 clinical practice, and when you see, and everybody sees
8 these patients, they are taking doses of steroids which are
9 far excessive of the needs to control activity.

10 So, I think a steroid-sparing effect in this
11 context will have to be preceded by a critical appraisal of
12 the dose of steroids in these patients prior to a trial.

13 DR. ABRAMSON: Any other comments on this piece?
14 We are going to come back to some of these in Question 4, I
15 suspect.

16 Let's move on to Question 3. What is the
17 appropriate duration of clinical trials in SLE?

18 Dr. Kalunian.

19 DR. KALUNIAN: I think that really depends on what
20 drug you are studying and what organ you are targeting.

21 DR. ABRAMSON: Dr. Klippel.

22 DR. KLIPPEL: Twelve months.

23 DR. ABRAMSON: I thought your data took five years
24 to see that steroids didn't work.

25 DR. KLIPPEL: The thing that Michelle nicely

1 pointed out is that this is a disease that is so variable
2 over time that the danger here is that the trial is going to
3 be so short, that that is going to override your ability to
4 interpret anything, and the practicality is that you have to
5 identify something between a matter of hours or days and the
6 patient's life and what society can afford, and 12 months is
7 right there.

8 DR. ABRAMSON: So, that is a minimum.

9 Other suggestions? Dr. Harris.

10 DR. HARRIS: Certainly, 12 months seems rational
11 depending on what you are measuring, because certainly if
12 you are looking at disease activity, for instance, I can
13 imagine that there are agents wherein one would have
14 decreased disease activity in a period less than 12 months.
15 On the other hand, if you are looking at organ-specific
16 damage, I would, in fact, argue 12 months or even more.

17 So, from my perspective, it depends on what
18 parameters you are measuring.

19 DR. ABRAMSON: Dr. Moreland.

20 DR. MORELAND: As I see the relapsing-remitting
21 issue here, has the group given any thought as to whether
22 you should have a run-in period, standard run-in period of a
23 month, or should you just randomize everyone, so that there
24 is standardization among the clinical trials?

25 DR. ABRAMSON: Dr. Petri.

1 DR. PETRI: I suggested that the ideal patient to
2 randomize in a lupus clinical trial is someone with chronic
3 activity. I don't think a run-in, where we reduce
4 prednisone is ethical in a patient who has chronic activity,
5 but if we pick the patients with the chronic activity, we
6 are going to find it, I think, easier to evaluate them, and
7 it would allow us, I think, to have trials that are shorter
8 than 12 months.

9 So, to give an example, I would only enroll
10 patients who have active discoid lesions if I am doing a
11 cutaneous lupus trial, because those lesions should
12 hopefully respond to an effective treatment, let's say, in
13 three months, why would I have to wait 12 months.

14 DR. ABRAMSON: Dr. Liang.

15 DR. LIANG: I was just going to add another "it
16 depends." I think the goal may be to find a remittive agent
17 or it may be a maintenance agent, and I would be happy to do
18 a one-month trial in an induction study where I am looking
19 to wake up people in coma, whereas, I might be more
20 interested in the long term issue in terms of whether a drug
21 can maintain a person in a certain state.

22 So, I think there are a lot of factors that go
23 into the duration.

24 DR. ABRAMSON: Dr. Ginzler.

25 DR. GINZLER: I echo that completely. I think

1 there are two things that you can look at, and obviously, it
2 depends upon the feature. I mean if you have something like
3 skin disease, you can look at time to response and then you
4 can look at area under the curve over a given period of time
5 like a year.

6 I mean we have all seen rebound, so if someone
7 responds very quickly, but then a month later, they are sick
8 again, that might be a great drug if there is a second drug
9 that is useful for maintenance.

10 I think these are things that we need to consider,
11 and as Matt says, if someone is comatose, or if their serum
12 creatinine is rising very quickly, I mean you don't have the
13 luxury of observing them for a month to determine what their
14 premorbid pattern is.

15 So, I think that we can measure both time to
16 response and area under the curve, which will show us the
17 pattern over time as they remain on that agent with the
18 modifications based upon what the particular manifestation
19 is.

20 DR. ABRAMSON: Shall we move on? Let's go on to
21 Question 4. What parameters should be measured in all
22 clinical trials in SLE? I imagine this brings us back in my
23 mind to the issues under Question 2.

24 Would anyone like to start this discussion?

25 Dr. Gladman.

1 DR. GLADMAN: I think it is clear from everything
2 that has been said so far that one must measure disease
3 activity, one must measure patient-related quality of life.
4 One needs to measure damage at the beginning and at the end,
5 and one needs to measure drug toxicity, and whether there is
6 a separate responder index or whether a responder index is
7 derived from these components is something that needs to be
8 clarified, but at least all of these things need to be
9 measured.

10 DR. ABRAMSON: Dr. Harris.

11 DR. HARRIS: When Matt made his comment about
12 starting organ by organ, I hadn't thought about it, but now
13 I am, in fact, thinking with respect to what parameters
14 should be measured.

15 I actually am more persuaded that this time
16 perhaps we should go organ by organ, in which case one need
17 not include, as I see it, all parameters. It depends on
18 which organ you are looking at. I am very in favor now of
19 saying, well, if we are looking at something that affects
20 that integument, then, there are specific features relative
21 to that, the kidney, and so on, because these global
22 measurements, I feel, where everything is included, I feel
23 uncomfortable about that.

24 DR. ABRAMSON: Did you mean that if you have a
25 primary outcome like skin or kidney, that you would not

1 collect data for one of these other disease activities?

2 DR. HARRIS: I may not. Certainly, if I am
3 looking at the skin alone, I can imagine that there are
4 specific things that might deal with the skin in lupus, that
5 may affect nothing else. I don't feel as if there should be
6 an obligation to do so.

7 DR. ABRAMSON: Dr. Gladman.

8 DR. GLADMAN: I think for anybody who looks after
9 lupus patients not to examine and record the whole patient
10 is ludicrous. The disease activity indices, it doesn't
11 matter which one you use because they all measure the same
12 thing. There are only so many parts to the body, there are
13 only so many systems that we have, and all of them get
14 involved in the disease process.

15 So, the reason the instruments are all so
16 comfortable is because they are all measuring the same
17 things, they just give it a little bit of different weight,
18 but the recording needs to occur for each patient. What you
19 use as your outcome can be varied, and in individual
20 patients, the outcomes may be different.

21 One patient may have renal disease, another
22 patient may have CNS disease, and you may choose to look
23 only at patients with individual organs, but I think that in
24 terms of recording, the whole patient needs to be recorded.

25 DR. ABRAMSON: Dr. Fortin.

1 DR. FORTIN: I certainly cannot agree more, but I
2 would like to also add if we are really trying to focus on
3 which measures, I think I agree with Dafna at the beginning
4 and at the end, at least to describe the patients. They may
5 not show any differences in a short randomized trial, but
6 disease activity could capture the notions of flares and
7 responders index in some of those instruments.

8 I know Dr. Buyon made a point at the SLEDAI
9 meeting that had changed, and that was quite well
10 demonstrated on her slide, but the SLEDAI has fixed weights
11 to each item, so you don't have a choice of the score, but
12 some other disease activity instruments have a breadth in
13 the way you can score each item, so you could actually
14 measure response, and you could actually measure a flare.

15 DR. ABRAMSON: In terms of we will be answering
16 this question for the members of the FDA, let me make a
17 statement and see if people agree.

18 We would have the consensus that a damage index
19 like SLICC would be included, that a quality of life index
20 like the SF36 would be included, and we now have differences
21 of opinion perhaps as to whether a SLEDAI or a BILAG or some
22 other instrument would be included, but some disease
23 activity index would be included.

24 We perhaps can discuss the pros and cons of those
25 two instruments as part of this discussion, but first, Dr.

1 Silverman.

2 DR. SILVERMAN: I have a conceptual problem with
3 not dividing it down by organ. I think Jack Klippel's study
4 again, in lupus nephritis, showed us and we all know that
5 lupus nephritis as an example isn't lupus nephritis. The
6 outcome definitely differs from the outcome of DPGN.

7 How can we lump these things together? So, I
8 think that an indication for skin disease, for arthritis, is
9 a very valid indication in lupus. Lupus isn't a disease,
10 and here we are trying to fit everything into a single
11 disease.

12 Now RA -- it is easy for me to say because I am a
13 pediatrician -- is a more homogeneous disease. So, I think
14 the idea of going for specific indications is very, very
15 valid, and measuring each patient as a responder or not is
16 the way to go because if we go lumping, we may again throw
17 out drugs that may have specific indications,
18 hydroxychloroquine being an example, may or may not be
19 effective, but if it is, it is in a specific group of
20 patients.

21 DR. ABRAMSON: Dr. Klippel.

22 DR. KLIPPEL: I think anybody who has taken the
23 microphone has made the point that this is a process that is
24 in evolution, and the perfect instrument isn't there, and
25 that is going to continue for who knows how many more months

1 or years.

2 One of the things that concerns me, when I was
3 listening to Jill Buyon talk about the modified SLEDAI,
4 let's just say you chose to collect information using the
5 SLEDAI, I am not sure how you would get from a SLEDAI to a
6 RIFLE when you were using her example, because you wouldn't
7 have the data that the joints went from 4 to 2. I mean you
8 would be collecting --

9 DR. BUYON: Marc collected that data. I really
10 think the point is that --

11 DR. KLIPPEL: Let me finish, Jill. When people
12 give me a SLEDAI, I am supposed to check a box, you know,
13 that says 1, 2, or 3 or something, and I thought the point
14 of your exercise was I would check a 3 or whatever the
15 number I would write down, but the joints only went from 4
16 to 2, so I wasn't actually picking up improvement because I
17 wrote down 3.

18 DR. BUYON: Actually, that was the point. So, I
19 think what we are saying here is yes, we understand the
20 concept of organ specificity, but I believe what we are all
21 agreeing to is that that may be an outcome measure, that may
22 be the primary outcome measure, but you need to look at all
23 of these different things, otherwise you might miss
24 something. Who could have predicted necessarily that
25 Plaquenil would be good for what it is good for?

1 So, what we are suggesting is a combination of
2 things to be looked at. So, you looked at the SLEDAI for
3 one thing, that is, flares that occur over time during your
4 study. The RIFLE says where did you start and where did you
5 end, and did you win in a particular organ system.

6 DR. KLIPPEL: So, Jill, I am arguing for RIFLE, so
7 stay calm. But the point is, is that all the instruments
8 that I have seen, I have never filled one out that says how
9 many joints are actively involved, is it 4, is it 2. It
10 asks me is the rash active or not, and then I have to use
11 some definition, and as you pointed out, I would be entering
12 something that you -- unless I am now going to collect RIFLE
13 -- I am entering data that can't go back and be constructed
14 into a responder index because I am locked in. I have never
15 filled out one of the RIFLE things.

16 DR. BUYON: Well, no one has filed out RIFLE yet,
17 because that doesn't exist in a clinical trial. But also
18 what we didn't mention is that whatever instrument you
19 choose, you don't just fill in the box. If you don't have a
20 physician encounter form that gives you a level of activity,
21 you have done nothing, and that is why you need to be an
22 educated investigator. No company at all, no monitor is
23 going to accept a SLEDAI without a physician encounter form.

24 So, if you wanted to derive back to BILAG, you
25 might very well be able to. That is the point.

1 DR. KLIPPEL: Can I ask the OMERACT people who
2 have given us the core set, what form am I filling out?

3 DR. STRAND: Well, the agreement that we had
4 suggested is that you pick whichever disease activity index
5 you would like to use, and you use it, but in the
6 computerized Bombardieri thing, you answer the questions,
7 and then it calculates the disease activity index, each one
8 of them.

9 So, you can then have it written down in the form,
10 in the chart, or whatever else, you are going to follow this
11 patient according to SLAM, but if we look back, we will have
12 BILAG calculated for each encounter, or we will have SLEDAI
13 calculated for each encounter.

14 I think the issue there is that disease activity
15 indices are helpful, but they do not reflect everything that
16 we are looking for as an outcome measurement.

17 DR. ABRAMSON: Dr. Katona.

18 DR. KATONA: I am sitting here and telling myself
19 that thank God that we are living in the computer age and
20 that this is going to be a job that you going to have to be
21 doing in the 21st Century, because I just can't see any easy
22 answer.

23 I am listening to everyone and would characterize
24 there is probably one group which is more the organ-specific
25 group, the other one is the general lupus group, and I think

1 everybody is right. I mean I have not heard one comment
2 here which I did not agree with today.

3 It is hard to summarize and hard to get drugs for
4 that patient, and I think it is not going to be one drug, it
5 is not going to be five drugs, it is probably going to be 10
6 or 15 drugs, and it is probably going to be like a sushi bar
7 what you are going to wind up with, and the only way we are
8 going to know it, which one to choose, if today we design
9 the instruments and our goals to keep it really wide, I
10 think we have to give so much latitude to the companies, but
11 require them to collect the data because that is the only
12 way we are going to know it.

13 So, I think flexibility and inclusibility, as well
14 as education of the investigators is going to be the key,
15 and I just would like to make sure we don't make it too
16 narrow today.

17 DR. ABRAMSON: Dr. White.

18 DR. WHITE: My comment is somewhat similar. I
19 would think that in terms of the question, what should be
20 measured in all clinical trials, I am not sure we can do
21 that. I think it might depend what claim they are going on,
22 and I would rather see can there be agreement, if you are
23 going for this claim, what should be measured.

24 It would seem to me that if you are going for a
25 claim of a potentially disease modifier global agent, then,

1 that is a different set and clearly ought to be required.
2 If you are going for something a little more limited in its
3 efficacy, you might encourage the sponsors to gather all
4 this other data, but given the cost and time, it might be
5 difficult for the FDA to require that those other data on
6 global issues be accumulated for a very specific issue.

7 DR. SHERRER: I have a question that is going back
8 to what Dr. Klippel said in terms of the practicalities of
9 filling out some of our measures, and I had the same
10 problem.

11 In going back to the question, for instance, of
12 the joint count on the SLEDAI, if you miss that fall from 6
13 joints to 3 because you basically checked off a summary or
14 an assessment, I don't see how you could recover that, at
15 least in the studies that I do, because contrary to what we
16 do on the RA patients, in the lupus studies we don't do
17 which actual joint count, and you could recover that later
18 on lupus patients if you did do a joint count, but if you
19 are just checking off an assessment that doesn't have that
20 sensitivity.

21 The other thing is I just wanted to say that as a
22 practitioner, I think patients consider it very important
23 that we look at global measures of quality of life even when
24 or as we also look at specific, organ-specific outcomes,
25 because patients are interested in their whole being, and

1 not exclusively interested in one aspect, although that is
2 important to them and to us.

3 So, I really do think that every study should look
4 at, at least one global measure.

5 DR. PUCINO: One other issue would be the use of
6 Phase IV trials if we are talking only eight randomized,
7 double-blind trials. We need some long term observations.

8 DR. ABRAMSON: Dr. Ginzler.

9 DR. GINZLER: I think it is very important to
10 distinguish between taking care of the patient, which needs
11 to occur even in the course of a clinical trial, that
12 patient is still an individual who you are treating and
13 filling out the appropriate documents.

14 As Jill pointed out everything starts from the
15 individual patient and the source document, and I am sure
16 that Ken Schwartz could speak to this from having gone
17 through every source document for the DHEA trials in minute
18 detail.

19 Yes, of course, the instruments, because they are
20 computerized instruments, do strip away some of the
21 individual information, but it has to be there, it has to be
22 back in the original source document, so you don't fill
23 these out in a vacuum. You take care of the patient and you
24 document, just like you can't bill for things unless you
25 document. Those things have to be in the source document.

1 DR. SHERRER: Absolutely, because they are not in
2 the computer.

3 DR. GINZLER: Well, if they are not in the
4 computer, it is because that particular instrument was not
5 designed to include them. Then, what that says is that
6 instrument may or may not be useful for every possible
7 purpose.

8 But if the information is available, one could
9 presumably go back to the medical record, to the source
10 document, and fill out retrospectively a new instrument. I
11 am not saying that that will always be there and that you
12 will have always considered every aspect, but if you have a
13 patient with active arthritis, hopefully, you don't just
14 write down patient has active arthritis.

15 You write down which joints are involved, whether
16 they are tender or swollen, red, et cetera, and you can go
17 back to that information if you have a good source document,
18 and that is a necessary part of every clinical trial.

19 DR. ABRAMSON: Dr. Petri.

20 DR. PETRI: I think people have become very
21 unhappy because we have the lumpers versus the splitters,
22 and I think in lupus clinical trials, we can have both. I
23 want to give again the RIFLE as an example, because the
24 philosophy of the RIFLE was it could be interpreted in two
25 ways. Let's call one way the global way, does global lupus

1 activity get better.

2 That could be determined from the RIFLE simply by
3 telling people what percent of patients improved in at least
4 one organ system, and didn't worsen in any.

5 So, let's say for drug X that answer turns out to
6 be 40 percent. That means if you have a patient with
7 multisystem disease and you look at drug X, you can say to
8 your patient you have about a 40 percent chance that this
9 drug will help you.

10 But from the RIFLE, you can do the other thing, as
11 well, the splitting, the organ-specific response. So, from
12 the RIFLE, you can determine for drug X that 80 percent of
13 people with cutaneous lupus got better, but only 5 percent
14 of people with renal lupus. Again, that is very useful
15 information for both the physician and the patient.

16 So, with instruments that we are already thinking
17 about right now, you can get both, and both are important
18 for both the physician and the patient.

19 DR. ABRAMSON: As we close this question, may I
20 just ask perhaps Michelle and/or Dafna, on the one hand, and
21 David Isenberg, on the other, to list two of the major
22 advantages of each of these disease activity indices, the
23 BILAG versus the SLEDAI, and two of its disadvantages.

24 David, do you want to start, what is the best part
25 about BILAG and the weakest part about BILAG?

1 DR. ISENBERG: First, just to back up a little
2 bit, just to reiterate, that we already have in existence
3 the mechanism, a computerized mechanism whereby from one
4 single form, which you fill in when you see a patient, you
5 can derive all of the major global score indices and also,
6 if you want it, the BILAG index.

7 The BILAG index offers you, as I said in my talk,
8 a kind of at-a-glance view of what is going on in the
9 patient both at the time that you see them in the clinic,
10 and also looking back over the previous visits.

11 It enables you to make very simple comparisons
12 with serologic data, if that is what you want to do, and
13 although it wasn't really designed as a drug responder
14 index, we are actually pretty much using it that way at the
15 moment in this study that I told you about, of looking at
16 azathioprine versus Neoral.

17 Disadvantages, well, if you are not used to it, it
18 seems a little daunting to start with, I have one of the
19 forms that we use here, but like most of these forms, once
20 you have done it a dozen times, it really becomes pretty
21 simple and very easy to use. We have been using it in the
22 UK and in some centers overseas for some 10 years now.

23 So, we have a lot of data. It has been validated.
24 It has been shown to have construct validity, and so forth.
25 We think it could be adapted relatively easily for these

1 sorts of studies.

2 DR. ABRAMSON: Michelle.

3 DR. PETRI: Let me start out by saying that no one
4 is at the point where we know what is the best disease
5 activity instrument, and that some may be more appropriate
6 in some situations than others, but I wanted to address
7 David Felson's concern about transition versus state.

8 One thing that is an advantage of the SELENA
9 SLEDAI is it truly is a state instrument. You can fill that
10 out in a patient you had never seen before. The other great
11 advantage I think of the SELENA SLEDAI is it is as objective
12 as the human beings in the SELENA trial could make it.

13 The interclass correlation coefficient is very
14 high. A big problem with the more complicated instruments
15 is that we start disagreeing among ourselves on how to fill
16 them out.

17 So, I don't want anyone to think the SELENA SLEDAI
18 is perfect. There are a limited number of descriptors, it
19 can miss some things. So, you know, you lose some, you lose
20 some, you gain something, and in the correlation coefficient
21 you may lose some in that it is not completely all
22 inclusive. So, I think in a lot of the clinical trials we
23 need to have some of these instruments go head to head, so
24 we learn more about them.

25 DR. ABRAMSON: Thank you. I think I see a

1 consensus conference in Cancun coming up.

2 DR. SCHWIETERMAN: Dr. Abramson, I think this has
3 been a very helpful discussion. I think that the issues are
4 becoming clearly framed, and I would like to thank all the
5 participants for that, but the one thing I would like
6 perhaps some additional information on, if the agency were
7 to consider organ-specific claims, aside from nephritis,
8 which is an obvious one, which others ought to be considered
9 more heavily than others, or are the data not yet there to
10 make that call?

11 DR. PETRI: I would say skin, joints, and, you
12 know, I think what the patients want is the
13 constitutional/fatigue/fibromyalgia drug.

14 DR. ABRAMSON: We may get into that a little bit
15 later in the afternoon in a discussion on organs.

16 DR. McCONNELL: Could I ask a question?

17 DR. ABRAMSON: Yes, sir.

18 DR. McCONNELL: If you use the single form that
19 allows you to get all five activity instruments
20 concurrently, how long does it take to fill it out?

21 DR. GLADMAN: It takes the same length of time
22 that it takes to see the patient. I mean it takes about 20
23 to 30 minutes to assess a patient with lupus completely.
24 This is provided you have known them.

25 The first time it may take an hour. But a follow-

1 up visit on a patient with lupus takes 20 to 30 minutes, and
2 it takes 2 minutes to fill the questionnaire once you have
3 actually assessed the patient.

4 The more you have done, the less time it takes to
5 check the spots in, unless you do it while you are examining
6 the patient, while you are questioning and examining the
7 patient, at which time your 30 minutes is your 30 minutes.

8 DR. ABRAMSON: No. 5. What serological and
9 laboratory markers are useful in the outcome assessment for
10 SLE clinical trials? These are clearly important biological
11 markers of disease, but what are useful and should be
12 captured?

13 Dr. Isenberg.

14 DR. ISENBERG: Michelle has told us very clearly
15 this morning about the concerns that exist about the classic
16 ones, which are to say the complement C3/C4 and DS-DNA. She
17 has also touched on the subject of the particular assay that
18 is used, measuring DNA antibodies is clearly critical, and I
19 personally would certainly agree with that.

20 I think of the complement components, it is
21 probably true that the breakdown component C3D/C4D are
22 probably better than just the basic C3/C4 although they are
23 not as widely available.

24 What we have been doing using the BILAG in the
25 current study is to measure double-stranded DNA and C3/C4

1 and C3D/C4D where they are available.

2 DR. ABRAMSON: Dr. Gladman.

3 DR. GLADMAN: For the calculations of the SLEDAI,
4 one certainly requires complement components, either CH50,
5 C3/C4, and DNA antibodies. So, if one were to use these
6 instruments, those two serologic markers are certainly
7 necessary. There may be others that it would be more
8 important. The C4D and C3D didn't make it into the SLEDAI
9 because they weren't available.

10 DR. ABRAMSON: My own view is that while we don't
11 know yet how useful they are in terms of predicting disease,
12 and that is under study, to not capture this information is
13 like treating a diabetic without knowing what the blood
14 sugar is with respect to what that means to the disease
15 pathogenesis.

16 Hopefully, we have some studies going on over the
17 last year that maybe in a year or two we will have some data
18 piggybacked onto the SELENA to look at these various
19 analytes, but I am not sure what is useful right now.

20 DR. FELSON: I wanted to answer that question with
21 a single word - none, strongly stated. I think there is
22 evidence presented today and through many other studies that
23 it is not appropriate to include as any measure of SLE
24 disease activity any of the measures mentioned.

25 They don't correlate highly with what matters,

1 which is activity in particular organs or clinical
2 manifestations in order to include them as measures in
3 clinical trials.

4 I think you are commenting and I think everyone
5 else is on their promise as potential surrogate measures
6 when perhaps better identified and better studied, and I
7 don't think anyone would disagree with that, but these are
8 not gold standard measures of what matters in SLE. These
9 are measures we use to clinically anticipate someone's
10 potential flare.

11 DR. ABRAMSON: I think Michelle commented on this
12 also. One of the problems with the literature is that we
13 don't have -- when you do a randomized clinical trial,
14 people are being seen every month or so.

15 The literature is filled with complement
16 determinations, three-month basis, when they come to clinic,
17 and it is very hard to put together that literature and make
18 predictive value validity out of those data, but that needs
19 to get done.

20 David.

21 DR. ISENBERG: I wouldn't entirely agree with what
22 David said, although I think he is right, and I think those
23 of us who have formulated the indices have been very careful
24 to make sure that DNA antibody levels and C3 levels score
25 either nothing or very little. We are testing against

1 those. But there are quite a number of studies in the
2 literature which have suggested that there are correlations,
3 for example, between DNA antibody levels and nephritis
4 measured serially.

5 Certainly, the patients that I worry about, the
6 patients whose C3 is serially falling, and whose DNA binding
7 is serially rising, and although it is a little
8 controversial, most of you will be aware of the studies that
9 were published in Lancet by Bootsma and his colleagues some
10 years ago suggesting that those patients whose DNA antibody
11 levels are raising rapidly over a period of several months
12 are the ones who are most likely to flare.

13 DR. ABRAMSON: I think it is the deltas that are
14 very important that haven't been captured, as well.

15 DR. KALUNIAN: It is also important to look at
16 those surrogate markers if that is what the mechanism of
17 your drug's action is. I mean if you have a B-cell
18 tolerogen that its role is to downregulate the DNA response,
19 then, obviously, it becomes that much more important to look
20 at that.

21 DR. ABRAMSON: Dr. Fernandez-Madrid.

22 DR. FERNANDEZ-MADRID: I think that all the
23 studies that look at the flare, a renal flare, exclude
24 patients that don't have anti-native DNA antibodies, and we
25 have followed a number of patients, quite large, with

1 systemic lupus erythematosus without anti-native DNA
2 antibodies, and I think there is a lot that we don't know
3 about other antigen antibody systems in lupus nephritis.

4 DR. ABRAMSON: Are there any other comments?

5 If not, we will break until 1:15. Thank you very
6 much.

7 [Whereupon, at 12:15 p.m., a luncheon recess was
8 taken.]

1 Our first speaker will be Dr. Susan Ellenberg on surrogate
2 markers.

3 **Surrogate markers**

4 DR. ELLENBERG: Good afternoon.

5 [Slide.]

6 First, a disclaimer. I have not worked in this
7 area of disease so I am not going to talk about specific
8 issues in lupus. This is a general presentation on
9 considerations in the use of surrogate endpoints.

10 [Slide.]

11 This is a clinical definition of surrogate
12 endpoint. I think it is useful. "A laboratory measurement
13 or a physical sign used as a substitute for a clinically
14 meaningful endpoint that measures directly how a patient
15 feels, functions or survives." The implication is that the
16 surrogate does not measure those things. It is something
17 that really doesn't have anything to do with how a patient
18 is doing at that moment in time in terms of how the patient
19 feels.

20 [Slide.]

21 This is a more statistical definition. This was
22 put forward by Ross Prentice in 1989. "A surrogate endpoint
23 is something that is known to be prognostic for the clinical
24 outcome of interest." And this is the key, that, "The
25 treatment effect on the surrogate provides full information

1 about the treatment effect on clinical outcome." That is
2 all the information about the effective treatment is
3 contained in the surrogate.

4 This is a very demanding criterion and one that I
5 am not sure anybody thinks will ever be met by any possible
6 surrogate. But conceptually, I think it is useful in terms
7 of what we would really like to think of as a surrogate
8 endpoint.

9 [Slide.]

10 We all know why we are interested in using
11 surrogate endpoints. The results can be made available
12 faster. We can identify good therapies earlier. Your study
13 is cleaner when you do a shorter study. You have less non-
14 compliance, less dropout. An issue particularly when you
15 are looking for a surrogate for survival is that the
16 patients in the trial, themselves, are more likely to
17 benefit if you can identify a treatment that prevents death
18 and you can identify it before there are any deaths observed
19 in the trial, then even the people in the trial who are,
20 perhaps, getting a placebo or a less effective therapy are
21 going to benefit.

22 Faster studies are cheaper. Sometimes, you look
23 for a surrogate when the true endpoint is something that is
24 evasive, difficult to measure and it is less subject to
25 influence of extraneous factors like changes in standard of

1 care or competing risks.

2 [Slide.]

3 In drug development, surrogates have essentially
4 always been used to identify new agents with promising
5 biological activity. In fact, they are developed
6 specifically to have particular biological activity so we
7 are often not surprised when they do.

8 They can be used for prioritizing active agents
9 for a definitive study. If there are a number of things
10 that are going through phase I, the ones that seem to have
11 the greatest activity and the strongest effect on the
12 biologically relevant endpoints are going to be the ones
13 that go into further studies faster.

14 The areas where there has been more controversy
15 have been in actually assessing efficacy of new drugs and
16 supporting drug approvals and then, ultimately, comparing
17 active or effective treatments. Those are more difficult.

18 [Slide.]

19 A lot of markers have been used, have been
20 considered surrogate endpoints. Some of these are arguable.
21 Some people would say, "That is not a surrogate endpoint.
22 That is the real endpoint." Things like tumor size and
23 viral load, people have made those arguments. But these are
24 all parameters that, in terms of the patient at any moment
25 in time, wouldn't really affect the way that patient was

1 feeling except, perhaps, psychologically, if they knew what
2 the value of that endpoint was.

3 [Slide.]

4 The fundamental problem of surrogate endpoints and
5 the thing that gives us pause is that we recognize they may
6 be associated with a clinical outcome but not causally; that
7 is, by affecting the surrogate, you may not, ultimately,
8 affect the clinical endpoint.

9 Furthermore, when you measure the surrogate, you
10 don't account for adverse effects which may be going through
11 some other pathway which may cancel out part or all of the
12 apparent treatment benefit or whether it is making the
13 actual endpoint that you want to measure, the efficacy
14 endpoint, worse or whether it is making something else worse
15 that is counterbalancing the benefit you get from what you
16 are trying to do.

17 Another problem, and we have seen this from time
18 to time, is that a surrogate doesn't account for beneficial
19 effects of a drug which might occur by a pathway that
20 doesn't include the surrogate. There have been examples
21 where we would have been misled if we hadn't gotten the
22 clinical endpoint because there was no effect on the
23 surrogate but there was a strong effect on the clinical
24 outcome. So we worry about that a little bit, too.

25 [Slide.]

1 This is the big question; how do we validate
2 surrogate endpoints? Identifying is not so hard but
3 validating is hard. These are sort of things that we
4 intuitively consider, obviously a biologic rationale based
5 on the known mechanisms of the drug and the known pathways
6 of disease, if we have good natural-history data, we can
7 assess--we know which markers have some prognostic value.

8 If there have been a series of studies, if there
9 has been a history of drug development, one would want to
10 know whether these markers respond to effective drugs.
11 Ultimately, you need to know that there will be a
12 correlation of treatment-induced effects on the surrogate
13 with the effects on the true endpoint.

14 If you improve the surrogate, do you see
15 improvement on the clinical outcome in a variety of
16 circumstances?

17 [Slide.]

18 Those are all sort of natural and intuitive.
19 There has been a lot of effort over the last ten or twelve
20 years in thinking about how to statistically validate
21 surrogate endpoints.

22 Prentice's criterion which is that you have got to
23 show that all information on the clinical effect is mediated
24 through the surrogate is, as I said, very restrictive. In
25 1992, Freedman and his colleagues at the National Cancer

1 Institute proposed that, "Well, maybe we don't need to show
2 that all the information is there but we can estimate the
3 proportion of information on the clinical effect that is
4 mediated through the surrogate and if that is high enough,
5 then maybe we can consider that as a surrogate."

6 There was a workshop at NIH last December on
7 statistical methods for evaluating surrogate endpoints.
8 There were a number of people who talked about modeling
9 approaches, basically, rather than considering the question
10 of validation, just estimating the treatment effect on the
11 true endpoint from the data on the surrogate.

12 We heard of a variety of presentations in
13 different disease areas. These were mostly coming from
14 studies where data were collected on both types of
15 endpoints, surrogate endpoints as well as the clinical
16 outcomes.

17 In some cases, there was very little clinical data
18 and people used metaanalytic approaches to combine data from
19 studies that had been done to model the relationship between
20 the proposed surrogate and the clinical outcome. These got
21 pretty complicated so that it got to be sort of a black-box
22 kind of thing. It was not intuitive what was actually going
23 on.

24 Others at this workshop proposed doing some direct
25 modeling of what was happened, pathophysiological modeling,

1 where you tried to actually model what was happening, the
2 dynamics of the treatment on the disease process. That work
3 is much more preliminary, but there is a lot of excitement
4 about that.

5 [Slide.]

6 One of the vexing problems in all of this is
7 knowing, even if we define a surrogate with a particular
8 drug, how could we be sure that it was going to work for
9 another drug, whether it was in the same class but a little
10 but different or even in a different class.

11 There may be different causal pathways for
12 efficacy. There may be different toxic effects. So even if
13 we get to the point where we think we have a valid surrogate
14 based on the data that we have, we are always going to be a
15 little bit uncomfortable about whether it is going to apply
16 to the next new treatment that comes along.

17 [Slide.]

18 So, what do the regulations say about surrogate
19 endpoints. Interestingly enough, when I was putting some
20 material together for an earlier talk, I discovered this
21 section in the Biologics regulations. I don't quite know
22 how far back it goes, but it certainly predated the
23 accelerated approval regulations.

24 It says that, "Effectiveness means product must
25 serve a clinically significant function, must be

1 demonstrated in controlled clinical investigations, but
2 serological response data may be used to demonstrate
3 effectiveness when a previously accepted correlation between
4 data generated in this way and clinical effectiveness
5 already exist."

6 This sounds like all the surrogate endpoint stuff
7 that we have been talking about. This is in there almost
8 surely because of the vaccine issue and the fact that
9 vaccines are often evaluated based on serum antibody levels.
10 But I guess we were ahead of the curve here.

11 [Slide.]

12 In 1991, the accelerated approval regulation was
13 proposed by FDA and this was made final in 1992. It is
14 limited to serious and life-threatening diseases and it
15 provided for the marketing of drugs shown to have a positive
16 effect on a surrogate endpoint where there is real potential
17 for advantage over existing therapeutic options. This was
18 never meant to be for "me too" kinds of drugs.

19 There is a requirement that studies to evaluate
20 the clinical effective treatment be ongoing; that is, there
21 is an expectation that ultimately the effect of a treatment
22 on the clinical parameters of real interest will be
23 completed successfully and it also provided the drugs
24 ultimately found to have no clinical effect could be
25 withdrawn.

1 [Slide.]

2 Why did this come up in 1991 and 1992? At that
3 point, or I guess for decades before, a relationship between
4 some of these surrogate measures and clinical outcomes was
5 implicit for a large number of products. Nobody worried too
6 much about blood pressure as a surrogate endpoint. Lots of
7 drugs were approved for controlling blood pressure.

8 Drugs had been approved for shrinking tumors.
9 There wasn't a lot of angst about surrogate endpoints until
10 a few dramatic counter-examples arose in the late 1980s and
11 possibly earlier. An example that most of you are probably
12 familiar with is the antiarrhythmic example where a drug
13 that prevented arrhythmias in post-myocardial-infarction
14 patients was found to not only not improve survival, as had
15 been expected, but drastically worsened mortality.

16 That was sort of a shakeup. I think it made
17 people start to think more surrogate endpoints. There were
18 other examples in the cardiovascular field that produced
19 counterintuitive results in terms of mortality. So there
20 was a lot of rethinking about how much we should be relying
21 on surrogate endpoints.

22 Almost at the same time, the emergence of AIDS
23 created intense pressures to make promising drugs rapidly
24 available. So you have these two opposing things, a
25 realization that surrogates were problematic together with

1 an incredible urgency to get AIDS drugs out sooner.

2 [Slide.]

3 What has been the history? I have been able to
4 count twenty-seven--I don't guarantee that is not plus or
5 minus something, but that is what I have been able to come
6 up with--twenty-seven accelerated approvals through the end
7 of 1998. These have been mostly AIDS and cancer drugs but
8 there have been some important exceptions.

9 I don't believe that any of the drugs that have
10 been approved by accelerated approval have actually been
11 withdrawn from the market due to failure to confirm the
12 results with clinical studies.

13 [Slide.]

14 I just have a couple more comments on regulatory
15 documents. The International Conference of Harmonization
16 produced a document entitled Statistical Principles for
17 Clinical Trials. This was published a few months ago as an
18 FDA guidance document. It discusses, among other things,
19 the issue of surrogate endpoints in clinical trials.

20 It states that, "This may be the primary basis of
21 evaluation in some cases." It notes that caution is needed.
22 It reminds us that it won't always predict accurately
23 clinical outcome and acknowledges that we don't have--we are
24 not ready to set forward a statistical criterion for
25 validating a surrogate and that evaluation case-by-case is

1 unavoidable at the present time.

2 [Slide.]

3 Finally, surrogate measures are explicitly
4 measured in the guidance document for rheumatoid arthritis
5 that was just released. This document specifies
6 radiographic progression of disease as a potential surrogate
7 endpoint but notes that phase IV studies demonstrating
8 ultimate effects on clinical endpoints will still be
9 necessary.

10 I will stop there. Thank you.

11 DR. ABRAMSON: Thank you very much.

12 Are there any questions for Dr. Ellenberg?

13 The next speaker will be Dimitrios Boumpas from
14 the National Institute of Arthritis and Musculoskeletal and
15 Skin Disease on lupus nephritis.

16 **Lupus Nephritis**

17 DR. BOUMPAS: Good afternoon. I would like to
18 thank the organizers of this meeting, Bill Swieterman and
19 Jeffery Siegel, for inviting me over. Jack Klippel was one
20 of the most practical people this morning. I will try to
21 follow his example. I will give you specific answers and, I
22 hope, clear answers.

23 I am not a nephrologist. I am a rheumatologist.
24 But Jim Balow has made me an honorary nephrologist. It is a
25 good thing to see in this panel both nephrologists and

1 rheumatologists. I think it is very healthy. It is an
2 interaction that we have enjoyed over the years at NIH which
3 has been very fruitful.

4 [Slide.]

5 This is a list of outcome measures that somebody
6 could use for lupus nephritis. You will see here most of
7 the things that were discussed in the morning session. I
8 guess everybody has agreed that outcome measures for lupus
9 nephritis have to be scientifically valid, they have to be
10 clinically relevant and they have to be, also, feasible and
11 practical.

12 It is very difficult to find criteria that meet
13 all of these three requirements. The previous speakers this
14 morning have discussed things like lupus disease activity,
15 lupus activity measures, or things like the damage index or
16 the changes in the health-related quality level.

17 These are things that I know the list about so I
18 will not comment on them. But I think, based on the
19 previous discussion this morning, I would feel comfortable
20 if they were included as secondary tertiary outcome measures
21 in trials of lupus nephritis.

22 [Slide.]

23 Another general comment before I start discussing
24 outcome measures in lupus nephritis. Although I understand
25 that this discussion is focused on large, definitive

1 studies, I think it is useful and practical to distinguish
2 the lupus trials in the small pilot studies which will allow
3 you to investigate the potential usefulness of a new,
4 exciting biological agent and, also, the large, more
5 definitive studies.

6 I will try to give you some of the inclusion
7 criteria that we have tried to develop over the last year.
8 Also, I would like to start this discussion by saying that
9 the natural history of membranous lupus nephritis and
10 proliferative lupus nephritis is different, I don't think it
11 is a good idea lumping them together unless there is a way
12 of stratifying the criteria and unless you consider, in your
13 outcome criteria, criteria that will be meaningful to the
14 membranous versus the proliferative because I am not sure
15 that you could use, necessarily, the same outcome criteria
16 for these two types of lupus nephritis.

17 Finally, and that is something that I hope we have
18 some time to discuss at the end of this, I think one of the
19 problems that we have with lupus is how to distinguish
20 active, ongoing neurological injury to the kidney from
21 fixed, irreversible injury. I am referring by that to the
22 fixed proteinuria and the fixed hematuria and how somebody
23 could sort them.

24 It is very useful to sort them out because
25 otherwise you compromise the validity of the study.

1 I will not discuss inclusion criteria for lupus
2 nephritis. In the supplement to lupus or lupus nephritis,
3 Jim Bell and I with the help of the rest of the team at NIH
4 tried to discuss some of the outcome measures in lupus
5 nephritis and some of the inclusion criteria. It was
6 published in December. I don't have time to go over that
7 but, for those of you who may be interested, you can look
8 for more details there.

9 I promised to you that I will be specific and
10 clear. It was very helpful that Jeff gave us clear
11 questions. I will just answer your questions.

12 [Slide.]

13 These are the questions that I was asked to
14 discuss; indicators of clinical benefit and to discuss the
15 role of the doubling of the serum creatinine, normalization
16 of the elevated serum creatinine, the decrease in the
17 frequency of renal flares and decrease in proteinuria. Also
18 I will discuss the decrease in the use of toxic agents as an
19 outcome and also the issue of acceptable control regimens.

20 [Slide.]

21 Starting with the doubling of the serum
22 creatinine, this is something that we have traditionally
23 used at NIH. It reflects at least a 50 percent fall in the
24 GFR. It is not clear whether somebody could use a smaller
25 increase in the serum creatinine, that is 30 percent or

1 50 percent.

2 We tried to answer that retrospectively in our
3 lupus cohort but we did not have enough data. We just
4 finished an extended follow up of the patients who
5 participated in the last two trials at NIH and, hopefully,
6 we may be able to publish something on the validity of the
7 50 percent or the 30 percent change in the serum creatinine.

8 We have maintained that absolute thresholds are
9 less reliable since they reflect variable change in the GFR.
10 Some people, and I am sure we will have some discussion,
11 have used a cutoff, for example, of patients who reach a
12 serum creatinine of 2 or 3 as an outcome.

13 We don't like that because if you start, before
14 the study--if you set the threshold at 2, and you start with
15 a creatinine of 1.7 and another patient starts with a
16 creatinine of 0.8, I am not sure that you are comparing the
17 same thing. So, for that reason, we have stayed away from
18 this and we like the definition that the change in renal
19 function is doubling of the serum creatinine.

20 Also, Jim Balow here has used, and other people
21 have used, the annual decline in GFR or the slope of 1
22 versus creatinine, or the trial in IGA nephropathy for
23 insulin-dependent diabetes mellitus, but the natural history
24 of the disease in lupus is different and I am not sure that
25 they are as reliable.

1 [Slide.]

2 This is a study that Jim Balow presented one or
3 two years ago in a similar FDA meeting. This is comparing
4 the doubling of the serum creatinine versus the end-stage
5 renal disease. The initial NIH studies used end-stage renal
6 disease as an outcome criterion. As you can see here, in
7 order for 20 percent of the patients to reach this outcome,
8 you should wait for at least eight years.

9 You see that doubling of the serum creatinine
10 pretty much parallels the course of the end-stage renal
11 disease but the advantage of that is that you can decrease
12 the follow up to achieve this--for 20 percent of the
13 patients who achieve this outcome from eight years to four
14 years.

15 I will predict that if you are using a 50 percent
16 or 60 percent increase in serum creatinine, you may get a
17 similar slope. We are, as I told you, are just in the
18 process of generating the data accumulated by completing the
19 longer follow up of our patients.

20 So I think, as a single outcome criteria, doubling
21 of the serum creatinine is certainly acceptable and it is
22 clinically meaningful. How practical it is and how feasible
23 is something that we can discuss but I am not sure that
24 there is anything better right now.

25 [Slide.]

1 The next issue that I would like to discuss is the
2 issue of the normalization of the serum creatinine. Ed
3 Lewis is going to, hopefully, discuss their experience.
4 They reported in their lupus nephritis collaborative study
5 in their Annals paper that the normalization of the serum
6 creatinine within 48 weeks predicts a lower risk for renal
7 failure.

8 I think it is a useful outcome criterion but has
9 limitations. Therefore, I don't like it as a primary
10 outcome criteria. Probably we could consider it as a
11 secondary or a tertiary for the following two reasons. The
12 first one is that patients may start with a different--that
13 may reflect different changes in the serum creatinine.

14 For example, you may have a patient who took
15 prednisone who starts from a creatinine of 2.0 and then
16 normalizes the creatinine to 1.2 and the patient who takes
17 an experimental drug who starts with a creatinine of 1.5 and
18 decreases to 1.2. I don't think that the efficacy of the
19 two drugs is similar but this will count as similar. So
20 that is a limitation.

21 The other limitation is, in contrast to the lupus
22 collaborative study, the prevalence of the abnormal serum
23 creatinine. I am talking about the proliferative lupus
24 nephritis. In the last proliferative lupus nephritis trial
25 at NIH that Mark Gurley published in the Annals in 1996,

1 approximately one-third of the patients had an abnormal
2 creatinine at baseline and this is in contrast to the 60 or
3 70 percent in Dr. Lewis' collaborative study.

4 So I am not sure, because of the prevalence and
5 the other problem that I discussed--I don't like it as much
6 and that is why I think it would be important to report it
7 but, again, as a secondary or tertiary outcome criterion.

8 [Slide.]

9 The issue of the normalization and the normal
10 serum creatinine, I think is an important one not only
11 because of the normalization of serum creatinine six months
12 into the study predicts a good outcome but also having a
13 normal serum creatinine, that, in the long term, is also
14 predictive of a good outcome.

15 This is an analysis that Barry Fessler with Howard
16 did in our lupus nephritis trial that was published in 1992.
17 As you can see here, if the patient has normal creatinine at
18 six months into the study--and here we have two groups, one
19 group who took IV methyl for six months versus a group which
20 took IV Cytoxan for six months.

21 The treatment was stopped. Again, having normal
22 serum creatinine at the end of the six months, at the end of
23 the treatment, that is a good prognosis.

24 [Slide.]

25 The decrease in proteinuria; this is the most

1 difficult of the outcome measures in the context of the
2 proliferative lupus nephritis. For the membranous lupus
3 nephritis, it is easier. I am glad there are people in the
4 audience like Howard Dorstin who can speak--who have
5 experience with that. But, in the proliferative nephritis,
6 I am not sure how to classify proteinuria.

7 It is very difficult to make a convincing case
8 that proteinuria, by itself, will be a primary outcome in
9 proliferative lupus nephritis, probably with one exception.
10 I hope we have enough time to discuss that in the discussion
11 period.

12 If you have a patient who has a diffuse
13 proliferative lupus nephritis and has nephrotic-range
14 proteinuria, if that patient decreases the proteinuria to
15 below 2.0 grams, and that is without increasing the serum
16 creatinine, in that case, I would be willing to discuss it
17 as a primary outcome criteria.

18 Otherwise, I see the decrease in the proteinuria
19 is a secondary outcome criteria or something that could be
20 used as a composite in a responder index. But I will get
21 back to that. So these are some general statements that
22 proteinuria reflects the involvement of glomerular capillary
23 groups, that nephrotic-range proteinuria is a mark of
24 disease activity indication of therapy.

25 The problem is what do you do when you have lesser

1 amounts of proteinuria.

2 [Slide.]

3 Another problem that I see with proteinuria is
4 that, at least in our experience, proteinuria rarely recedes
5 to normal levels. We are using the term "fixed
6 proteinuria." Probably that reflects the biases that NIH
7 has. We see patients with more advance disease. They come
8 to us after they have had other treatments. But, in most of
9 our patients, the proteinuria does not go away and I don't
10 think that is because you do not quiet down the disease.

11 We think that probably this is, in most cases,
12 because we have fixed irreversible damage in the basement
13 membrane.

14 This is a capricious test and there is a lot of
15 day-to-day variability. You know that the proteinuria is
16 not very practical, to do the 25-unit collections,
17 especially to do three of them. The patients hate it and
18 the investigators are not very happy about that.

19 [Slide.]

20 Another problem is proteinuria is how to define
21 what is a clinically important change in the protein. There
22 are many people here who propose different definitions. I
23 like Moroni's, who works with Claudio Ponticelli in Milan,
24 who has used in a paper a few years ago this definition of a
25 significant change in proteinuria; "If the baseline is

1 nephrotic, at least 50 percent decrease; if the baseline is
2 non-nephrotic, more than 2 grams per day."

3 We have seen, and other people have reported, that
4 you have a reduction of proteinuria to less to 1 gram, that
5 is always provided that the creatinine does not increase.
6 That identifies a patient with favorable long-term
7 prognosis. So the 1-gram threshold may be a clinically
8 meaningful threshold.

9 Another thing about the proteinuria, and this
10 gives relevance for small pilot studies, is that up to 50 or
11 60 or 70 percent, in some cases, of our patients, they have
12 50 percent reduction in proteinuria in six months. So if
13 you are anxious to see a quick change in a pilot study, that
14 is something that you could use in such pilot studies.

15 [Slide.]

16 This is just, again, from the same analysis from
17 the patients with severe proliferative lupus nephritis
18 treated with IV Cytoxan or IV metal for six months. You can
19 see the resolution of proteinuria to less than 1 gram is
20 associated with a good prognosis provided that the patient
21 does not increase the serum creatinine.

22 [Slide.]

23 Decrease in the frequency of flares; the issue of
24 flares and the issue of remission is very emotional. The
25 rheumatologists, especially the lupologists, have strong

1 feelings about definitions. But I will try to be
2 provocative just to stimulate some discussion.

3 It is a problem how to define a flare because
4 pretty much you have to define what is a remission. The
5 issue of the outcome is an important one, and the frequency.
6 So let me just say a few words about these things before I
7 discuss the usefulness of the flare.

8 [Slide.]

9 I like Ponticelli. Before I start, several people
10 have used definitions of flares and remissions over the
11 years. But I will concentrate on the ones that may be
12 helpful for today's discussion. So this is what Claudio
13 Ponticelli--I got this from something that he wrote in the
14 Supplement in Lupus in 1998.

15 This is based on their experience with 70 patients
16 who had a mixed bag of proliferative nephritis and
17 membranous nephritis. What is different about Ponticelli's
18 cohort with our cohort is that we do not have membranous
19 patients in the studies that I will be describing to you.

20 30 percent of their patients were membranous.
21 That is important to keep in mind. So 46 percent of their
22 patients experienced more than one flare. These are
23 patients who are treated usually with high-dose steroids for
24 two or three months or with daily oral Cytoxan for three
25 months. Then they are on maintenance steroids.

1 They treated all the flares with
2 immunosuppression. They detected 25 proteinuric flares. By
3 proteinuric flare, what they mean is you have at least a
4 two-gram increase in the amount of protein excretion. If
5 your baseline proteinuria is below, non-nephrotic, or a
6 50 percent increase in the proteinuria if your baseline is
7 at the nephrotic range.

8 All patients were treated with immunosuppressive
9 therapy. None of the patients with proteinuric flare
10 developed--after a follow up of approximately ten years, all
11 of them maintained stable renal function. They had 21
12 patients with nephritic flare. By that, they mean active
13 urine sediment with mature serocast and also at least 30
14 percent reproducible increase in the serum creatinine.

15 From the 21 patients with the nephritic flare, ten
16 doubled their serum creatinine. So the message from that
17 was that the renal flare associated with impairing of
18 function has certainly an important effect on the outcome.

19 As far as the incidence of the flares, this is
20 their estimate. In their cohort with this particular
21 treatment, they have 0.31 flares per patient per year and
22 that includes both proteinuric and nephritic flares.

23 [Slide.]

24 We like Ponticelli's definition but we felt that
25 we should try to improve it because that is what we like to

1 do at NIH. So we analyzed our experience with 74 patients.
2 This is out of 120 patients who were treated with
3 immunosuppressive therapy. 74 patients of these patients
4 achieved remission defined as less than 1 gram of
5 proteinuria with inactive urine sediment and no increase in
6 the serum creatinine.

7 So we are able to document--Gabrielli able to
8 document 31 flares in our cohort. These are patients with
9 either pulse Cytoxan or pulse methyl or combination. The
10 median time to the flare was 21 months.

11 46 percent of the patients who received
12 methylprednisolone flared. Less was 20 percent after
13 cyclophosphamide. We only had three proteinuric flares but
14 this is because we do not have any membranous patients in
15 this cohort. We divided the nephritic flares into three
16 different categories; mild, just a reappearance of serocast
17 or worsening urine sediment; moderate, when you have
18 worsening of urine sediment but reappearance of serocast
19 with hematuria plus at least 2 grams increase in a 25-unit
20 protein excretion and severe--the ones that they have what I
21 describe for moderate plus 30 percent at least increase in
22 the serum creatinine.

23 We feel that this is an important distinction to
24 make because clinically, if you see a patient who has the
25 reappearance of serocast or a worsening urine sediment and

1 that is associated with increased proteinuria, I think you
2 will feel differently this patient from the patient who just
3 has the reappearance of serocast with no significant
4 increase in proteinuria.

5 That is why we thought that would be a useful
6 distinction to make. Two out of the three patients with
7 severe flare developed end-state renal disease but, again,
8 all patients, especially the patients with moderate and
9 severe flare were treated aggressively with a high dose of
10 steroids and, in most cases, with cyclophosphamide.
11 We are just extending the follow up.

12 [Slide.]

13 So, in summary, just to give you a specific and
14 clear answer, the flares are useful especially if they are
15 moderate for proliferative lupus nephritis. But I don't
16 think the mild flares, the way we define them, probably
17 should be included. But the moderate to severe flares, I
18 think they are important. They can be useful outcome
19 measures for lupus nephritis but they are less common and
20 occur late after intensive immunosuppressive therapy.

21 That is, if you give a prolonged course of
22 immunosuppressive therapy like our NIH protocols are, very
23 few patients will have them and so you will need a very
24 extended study and many years of follow up and a large
25 patient population to be able to give a satisfactory answer.

1 However, if you have--and I am aware of one
2 European study that tries to address this, that after a
3 fresh lupus nephritis, after a short course of Cytoxan for
4 three months and then these patients are randomized to
5 receive two different regimens. I will measure this in a
6 meeting and I will not mention the drugs.

7 In such a design of the trial, you may be able to
8 experience enough flares to be able to compare between two
9 different drugs. But, still, if you do the sample
10 calculations, you need at least 200 patients to carry such a
11 study. But I like the flares, especially the nephritic and
12 moderate to severe. I am not sure proteinuric flares for
13 proliferative lupus nephritis is a good outcome, at least a
14 primary outcome.

15 [Slide.]

16 Decrease in the use of cytotoxic agents. There is
17 an easy and a difficult answer in this question. The easy
18 answer is when things are clear-cut. For example, this is
19 from our experience with IV Cytoxan. When you compare less
20 than seven pulses or a short Cytoxan course versus a long
21 course of Cytoxan, you can see the irreversible amenorrhea,
22 12 percent in the short Cytoxan versus 39 percent in the
23 long Cytoxan. This is statistically significant.

24 That is the only statistics you are going to hear
25 from me today. So, in this case, I think that it is very

1 clear if you have the regimen that was decreasing by
2 50 percent the pulse of Cytosan and this is associated with
3 a tangible benefit, it is clear that you have got something
4 good.

5 The question is where you should set the
6 threshold. How do you go from ten pulses to seven pulses.
7 That is the different part of that. And that applies to the
8 prednisone and I think the morning session illustrated some
9 of the difficulties of some of these. So this is clinically
10 meaningful.

11 I heard several clinicians say that that is
12 important. I know that is important but it is difficult to
13 standardize it and you don't want to use that as your
14 primary outcome criteria. But it is problematic especially
15 when the clinician is not blinded to the experimental
16 therapy and this is the case for most lupus nephritis
17 trials; so secondary, tertiary, or but not the primary
18 outcome.

19 [Slide.]

20 The final issue is the control group. I think you
21 have to distinguish what kind of trial you are trying to do.
22 I understand that the oncologists are getting away from this
23 concept of induction versus maintenance therapy but, at
24 least for practical purposes for us, at least in lupus, this
25 worked. So I still keep it, although I may be

1 anachronistic.

2 So, for induction therapy in a patient with fresh
3 proliferative nephritis, I think you could use--you have one
4 of the three choices; either high-dose steroids or pulse
5 steroids, as some people do with moderate-dose steroids;
6 daily oral Cytoxan for three months or a bit longer. That
7 is a very popular regimen, at least in Europe; or a short
8 course of Cytoxan, six passes of cyclophosphamide.

9 This will be like a control group. You may argue
10 that cyclophosphamide is not FDA-approved. That is not my
11 problem. But I think you could choose one of the three
12 ones. If you don't like the cyclophosphamide, you could use
13 steroids.

14 As far as the maintenance, you could use low-dose
15 steroids. That is an acceptable dose for a rheumatologist,
16 I would like to believe and also I hope for the
17 nephrologist. But I know it is not for the hematologists.
18 They like a higher dose of steroids for prolonged periods of
19 time.

20 So this is what I would be advisable; low-dose
21 steroids with this dose here, azathioprine or quarterly
22 cyclophosphamide.

23 [Slide.]

24 This is something that I have the least
25 understanding but it is a nice term. It is very fashionable

1 and it has worked for the lupus nephritis. I think
2 initially I was a little bit skeptical about this but I
3 think it is part of aging is just to be a little bit more
4 open minded. So that is something that I think is worth
5 considering. This is the use of the composite versus
6 single-outcome criteria.

7 We have heard about the responder index which
8 integrates several measures of outcome. People in the lupus
9 trials have used this terms. They did not wait for the
10 rheumatoid arthritis investigators to find these terms or
11 these outcome measures.

12 There are people who have used improvement,
13 response, remission, several investigators including Mark
14 Gurley from our own group. So these are terms that have
15 been used in the literature. The theoretical advantage if
16 you use composite versus single-outcome criteria is that you
17 may decrease the sample size and you may reach this outcome
18 earlier which is highly desirable.

19 The problem that I have is that these are not
20 validated. John Davis has started the process of trying to
21 validate some of these composite outcome criteria but the
22 outcome that we had when we started doing this analysis was
23 not long enough and we just were completing the extension.

24 We may be able, retrospectively, to have some
25 data. So it is an attractive idea. It is worth considering

1 but I am not sure--and I will give you an example of how we
2 will apply it in the context of lupus nephritis or how we
3 have applied it.

4 [Slide.]

5 The responder index from the outcome criteria,
6 these are some of the things that somebody could consider in
7 that.

8 [Slide.]

9 A few things about the urinalysis because this has
10 been a very, very emotional--I have been trained at NIH and
11 spending your life at NIH you think that doing an
12 urinalysis, a good urinalysis, is pretty much easy. But
13 when we try to collaborate with some investigators for
14 multicenter studies, we realize that, in the outside worlds,
15 the urinalysis is very problematic. It is not easy to do
16 good urinalysis.

17 So in the center or community-based laboratories
18 this is problematic, even in the context of multicenter
19 trials, at least our experience has been that it is also
20 very problematic and although I like the urinalysis and I
21 was trained to look at the urine of the patients myself and
22 just make the decision based on what the urine shows, I am
23 here to say that urinalysis in the context of multicenter
24 studies is not something easy. It is not practical. It is
25 useful but it is not practical.

1 But I like the notion of using hematuria,
2 hematuria with dysmorphic red blood cells as a categorical
3 measure, either presence or absence. I think in that
4 context it is useful as an inclusion criteria for patients
5 with nephritis or part of an outcome measure, of a composite
6 of outcome measures.

7 But determinations, semi-quantitative
8 determinations, the urinalysis can be very messy if the red
9 blood cells--even the red blood cells can be messy in
10 multicenter studies for the serocast--people have done
11 studies in pretty much the community-based laboratories,
12 they miss them most of the time. So they don't work.

13 DR. ABRAMSON: Dr. Boumpas, can you come to a
14 close soon, please.

15 DR. BOUMPAS: Yes. I'm finished.

16 These are the last two slides.

17 [Slide.]

18 The proposed core criteria for response of lupus
19 nephritis. For small pilot studies, small changes in renal
20 function, urine sediment, proteinuria and lupus
21 glomerulonephritis, these are some of the things that you
22 will consider.

23 [Slide.]

24 But for the large definitive studies, you have to
25 use harder outcome criteria. If there are any questions, I

1 will show you how we have dealt with it and how we have
2 defined remission and relapse.

3 I'm finished.

4 DR. ABRAMSON: Thank you very much.

5 I think we will hold questions because many of
6 these same issues will come up when we address the questions
7 put to us. So we will go to our next speaker, Dr. Edmund
8 Lewis from Rush Presbyterian, St. Luke's Medical Center,
9 also to speak on lupus nephritis.

10 **Lupus Nephritis**

11 DR. LEWIS: Thank you Dr. Siegel, in particular,
12 for inviting me. I think this is a very important meeting
13 and that is why I am here.

14 Whenever I am in a room with a bunch of
15 rheumatologists, I have this feeling that I have to
16 introduce myself. It is like an American Express ad. "You
17 don't know me, but I'm the reason you are not still treating
18 your lupus nephritis patients with plasmapheresis." How's
19 that?

20 [Slide.]

21 I think Stewart put it well. Being a nephrologist
22 and being preoccupied with other renal diseases over the
23 last several years, I can say that, in terms of lupus
24 nephritis, I believe the situation is absolutely appalling.
25 We have not a lot of information about the maximum benefit

1 that patients could derive from therapy or even what the
2 best therapy should be applied.

3 Despite a good deal of literature on the subject,
4 I don't think that there are many people who are in total
5 agreement about even whether alkylating agents should be
6 added to high-dose prednisone in severe lupus nephritis.

7 The reason I am here is because I think that it is
8 important that we don't keep multiplying this by having
9 patients treated off-label with every immunosuppressive that
10 comes down the pike or we will never know how to treat these
11 patients.

12 [Slide.]

13 What I am going to do is review twenty-five years-
14 -well, ten years experience now with a group of patients
15 that we entered into the plasmapheresis trial. They were
16 86 patients who had very well-documented severe lupus
17 nephritis. We had a very rigid histologic criteria, a panel
18 of four well-known pathologists and so forth.

19 That study ended in 1986. So we now have an
20 average follow up of ten years. Mo Reichlin, last week, as
21 a matter of fact, wrote me a letter accusing me of starting
22 a new field of the archeology of lupus nephritis.

23 We have looked at the follow up of these patients
24 and I think that there is some information that comes out of
25 that that could be helpful in terms of the way one might

1 design a study or at least an approach to the way one might
2 design a study.

3 Remember, all of these patients were treated with
4 high-dose steroids for at least four weeks and oral Cytoxan
5 for four weeks. And then, if they did well, the prednisone
6 was tapered. Half of the patients were treated with
7 plasmapheresis. There was no difference between the groups
8 and so all of our data are pooled. This is the total of the
9 86 patients who came in.

10 As I say, it is very important when we talk about
11 lupus nephritis to define that lesion and make sure the
12 patients coming into the study have what you think is severe
13 lupus nephritis. Otherwise, you get into the problem of the
14 Will Rogers phenomenon, Will Rogers talking about the Okies
15 when they left the Dust Belt to travel to California. He
16 said, "When the Okies left Oklahoma and went to California,
17 it raised the IQ in both states."

18 What that essentially means is that if you put
19 patients with lesser renal disease into a several renal-
20 disease study you will not get the answer because they will
21 all respond to therapy.

22 [Slide.]

23 These patients were typical patients with severe
24 lupus nephritis. They were 32 years old. They had, on the
25 average, a serum creatinine of 1.9. The median was 1.5 and

1 they had 6 grams of proteinuria.

2 [Slide.]

3 At follow up, the average being 141 months of
4 follow up at the time that this slide was made, 26 percent
5 of these patients had gone on dialysis. Seventeen had died
6 associated with their renal disease. 10 percent had non-
7 renal deaths and 47 percent had stable renal function.

8 [Slide.]

9 The patients' survival, then, over the ten-year
10 follow up, the blue line, is 75 percent and the renal
11 survival--that is, survival with functioning kidneys--
12 58 percent.

13 [Slide.]

14 I will point out that the survival curves that I
15 show you, then, that go out to ten years, that is all of the
16 patients going out to ten years. This is not a Kaplan Meier
17 curve where three of your hundred patients make it out to
18 ten years and you run it out there. This is a true ten-year
19 follow up.

20 During the course of the treatment, 36 patients--
21 42 percent--achieved what we defined as a remission. Now,
22 remission isn't cure and remission isn't regression.
23 Remission is remission.

24 [Slide.]

25 The way we defined remission is the serum

1 creatinine went below 1.4 or stayed below 1.4 and the urine
2 protein decreased below 330 milligrams per day. As you can
3 see, it took a couple of years. Here is the time to
4 remission for these patients to get in.

5 [Slide.]

6 The reason why it takes this long for a patient to
7 qualify as having remitted is because it takes that long for
8 the proteinuria to get that low when it starts off at 6
9 grams.

10 So that is a consideration for design of the study
11 or for the definition of an endpoint.

12 [Slide.]

13 What is the significance of inducing a remission?
14 The reason I show you these data is because it is
15 significant enough, I think, that we have to look at whether
16 we can determine those parameters associated with remission
17 because that might help us both in designing a study and,
18 perhaps, in determining endpoints in the end.

19 [Slide.]

20 Here you see it; renal survival. Renal survival
21 means that the patient doesn't go into renal failure. Of
22 the patients who achieve a remission, as you can see, over
23 80 percent of those patients going out over ten years,
24 continue to have not adequate renal function but essentially
25 normal renal function whereas those patients who don't have

1 a remission, that is where the renal failure data derives.

2 So a remission is a critical goal. In terms of
3 patient survival, it is the same story, you see. By
4 remission, I mean renal remission. We are not going to be
5 talking about pleurisy and so forth right now. But if they
6 achieve a renal remission, their survival approximates the
7 survival of lupus patients who never had kidney disease
8 whereas if they don't, that is where your patient drop off
9 can be found.

10 [Slide.]

11 The status at final follow up depending on whether
12 they achieved a remission or not you see here. Mean follow
13 up of those who achieved a remission is now 155 months and,
14 of course, it is lower for those who didn't go into
15 remission because many of those patients died or went into
16 renal failure earlier.

17 As you can see, in terms of dialysis, the great
18 majority of these patients never had a remission. Renal
19 death, the same; relatively rare, actually, if they get a
20 remission. Non-renal death; no significant difference.
21 Stable renal function means that they can still have some
22 proteinuria but they have a serum creatinine of less than
23 1.4. As you can see, 83 percent of the patients who
24 achieved a remission were there while only 20 percent of
25 those were not.

1 So remission is a critical goal.

2 [Slide.]

3 Whether a patient goes into a remission or not
4 depends a great deal on whether their renal function is
5 preserved when they begin therapy. The histology in these
6 patients was the same. You would not be able to tell which
7 of these groups a patient falls into by just looking at the
8 histology.

9 However, if they came in with a creatinine of less
10 than 1.4, their creatinine generally went down from where
11 they came in but it tended to stabilize at 1.4 and their
12 proteinuria resolved. Patients who had elevated
13 creatinines, the higher the creatinine, the less likely they
14 were to be able to attain remission status. So I think that
15 tells you something, perhaps, about the window that you
16 might use in terms of developing a study.

17 [Slide.]

18 Can long-term prognosis be predicted by the
19 initial response to therapy?

20 [Slide.]

21 The plasmapheresis trial, for those of you who
22 know it or remember it, was very rigidly administered. The
23 renal status was looked at at four weeks very carefully and
24 a decision was made if the patient was stabilized or
25 improving, they then went on this taper protocol. If they

1 got worse, they would be recycled; in other words, they
2 would get another month of Cytoxan and they would stay on
3 their high-dose steroids and they would get another month of
4 plasmapheresis if they were in that group.

5 As you can see, at four weeks, you are beginning
6 to see a predictive clinical course so that it may not be
7 necessary to follow these patients out for very prolonged
8 periods of time if this holds true. This is 86 patients
9 which, by the way, at least at the time, was more patients
10 than were probably in all the other clinical trials in lupus
11 that had been reported up to that point.

12 You can see that, of those patients who were worse
13 at four weeks, the majority, although it is not quite
14 statistically significant, were ended up on the non-
15 remission group.

16 [Slide.]

17 Does the occurrence of lupus exacerbations predict
18 long-term outcome? This has been discussed. In terms of
19 our own information, we defined all of the extra-renal
20 flares and all of the renal flares that we could think of
21 because these patients were all treated uniformly. So, for
22 each type of flare, they got the exact same amount of
23 prednisone for the exact amount of time, whichever center
24 they were in and so forth.

25 As you can see, in terms of both minor and major

1 flares, there was no difference in terms of the remission
2 and no remission group. That is for extra-renal.

3 [Slide.]

4 But, in terms of renal flares, mild was going from
5 a normal urinalysis to an active urine sediment. Moderate
6 was the creatinine goes up at least 0.3 or proteinuria
7 increases by a gram. Severe, the creatinine had to go up by
8 at least 1.0. As you can see, of those patients who tended
9 to go into remission, they tended to have, certainly, fewer
10 severe renal flares and, therefore, fewer renal flares but
11 probably not dramatic enough to be able to power a study.

12 [Slide.]

13 Does the histologic classification predict the
14 outcome in severe lupus nephritis?

15 [Slide.]

16 In terms of renal survival, there were three types
17 of lesions that could get a patient into this definition of
18 severe lupus nephritis. The red line was DPGN, or so-called
19 WHO class 4. That is diffuse, inflammatory
20 glomerulonephritis. They did the best. The blues were
21 segmental glomerulonephritis. This means only a segment of
22 the glomeruli were involved and at least 50 percent of
23 glomeruli. The yellows were membranous which superimposed
24 either of these two lesions.

25 Interestingly enough, the diffuse proliferatives

1 actually did better in this study than the other two. I
2 don't think that you can power a study around histology and
3 leave the DPGNs out, for example. But I think it is
4 important to point out when you are comparing studies that
5 histology is a determinant.

6 This is a surprise, by the way. I think that most
7 people would have said that the results should have been the
8 opposite.

9 [Slide.]

10 Are there factors which are predictive of renal
11 remission?

12 [Slide.]

13 Looking at our multivariate analysis, no
14 progression at week 4, DPGN as opposed to the other--class
15 4, in other words, as opposed to 3 and 5. Jim will be glad
16 to see that we actually were able to find the chronicity
17 index being significant. In our previous publications on
18 the negative outcomes, it wasn't. But, in terms of
19 predicting a remission, the more chronic changes in the
20 biopsy, the less likely the patient would go into a
21 remission which is another way of saying that if a patient
22 has protracted the disease, they are not likely to go into a
23 total remission.

24 Being white was certainly an advantage over other
25 races and the less proteinuria, the more likely they were to

1 go into remission, the lower creatinine, the more likely
2 they were go to into remission.

3 [Slide.]

4 Are there factors which are predictive of end-
5 stage renal disease?

6 [Slide.]

7 We found, of course, the creatinine and the
8 remission, as you have heard. The only reason I put anti-Ro
9 up, aside from the fact the it is a risk factor for end-
10 stage renal disease is that it was the only serology, of all
11 the serologies that we ran, and we worked with Mo Reichlin
12 on this as well--of all the complements and the anti-DNAs
13 and the rest, cryoglobulin, C1Q-binding activity, all the
14 rest, this is the only one that showed up as a predictor in
15 any of our models.

16 [Slide.]

17 What about the surrogate issues that we have been
18 discussing?

19 [Slide.]

20 The doubling of serum creatinine, I don't know. I
21 think that in our diabetes study, the captopril trial, I
22 think that was the first time in a real trial that doubling
23 of serum creatinine was used as an endpoint. I didn't think
24 it was going to become an industry standard. I won't even
25 tell you how we came up with that.

1 But, clearly, there is a clear relationship to
2 halving of the glomerulofiltration rate, and the advantage
3 of using serum creatinines during a renal study is you get a
4 lot of points on your curve. If you going to do iothalamate
5 GFRs, there is a limit to how often you can do those.

6 [Slide.]

7 In the diabetes trial, when somebody halved their
8 iothalamate clearance, and this was 400 patients with type 1
9 diabetic nephropathy and, I believe, about 60 of time
10 doubled their serum creatinine--at doubling of serum
11 creatinine, the iothalamate clearance actually more than
12 halved to the creatinine and, of course, the BUN doubled, so
13 that there is no doubt that doubling of serum creatinine is
14 a good measure of a major loss of renal function. It is
15 cheap and it is easy to do.

16 [Slide.]

17 The advantage in a disease like diabetes, for
18 example, is that the median time from double of serum
19 creatinine to end-stage renal disease was only nine months
20 so that even if someone was applying a very strict criterion
21 to you of having not creatinine, which is considered a
22 surrogate endpoint in some parts of the FDA, but renal
23 failure, it only takes nine months to get from one to the
24 other.

25 Now, unfortunately, in lupus, I don't think that

1 is the case. That is the problem, that lupus is an episodic
2 disease. It is not one of these progressive diseases. I
3 don't think you can model a study--you can't power a study
4 around it.

5 [Slide.]

6 In terms of what we are talking about using our
7 programs, what we looked at was what would it really take--I
8 think there are a lot of people in this room who want to
9 know whether it is possible to actually study lupus in terms
10 of drug development and so forth.

11 I really do believe it is. This 30 percent value,
12 you could use it either for positive or negative. About
13 30 percent of our patients either died or went into renal
14 failure and 30-some percent went into a remission. So
15 either the positive or the negative outcome could be used
16 here.

17 You can see that, for an 80 percent power study,
18 you need a drug that is really going to work in order to get
19 you into the area that is practical in terms of the number
20 of patients with lupus nephritis that are available within a
21 reasonable period of time.

22 [Slide.]

23 I you go up to 90 percent power, you can see the
24 figures changing and your drug clearly has to work and your
25 failure rate has to be substantial. This is not impossible.

1 It is difficult but it is not impossible. This is doable.

2 [Slide.]

3 What I think is not doable is to try to study a
4 drug for equivalence with the attempted claim that it is
5 safer than cyclophosphamide. Probably almost anything being
6 developed by any manufacturer in the room is safer than
7 cyclophosphamide. But the fact of the matter is that you
8 are going to need these kinds of numbers to show a
9 difference if you are just going for equivalence,
10 equivalence outcome, more safe.

11 In closing, I must say that as difficult as the
12 study of lupus nephritis is, we have done it. It is
13 possible even using difficult endpoints like end-stage renal
14 disease and doubling of serum creatinine and death, or the
15 positive one of remission, it is still doable.

16 I think there is going to be a need for some give
17 in terms of what is required of a study because it is a lot
18 more difficult to do the study, I can tell you, than to
19 study diabetes which is what I have been studying for the
20 last ten years.

21 The alternative to not doing these studies is just
22 what we have right now, these dangerous drugs being used
23 off-label in this population of young women. 30 years ago,
24 we had the same discussions at rounds about the use of
25 alkylating agents that we had last week. I think that is

1 something we have to improve upon.

2 So I think that, in the end, we have to be able to
3 do these studies. Thank you.

4 DR. ABRAMSON: Thank you very much, Dr. Lewis.

5 We will, again, entertain questions when we
6 discuss the questions put to us.

7 **Open Public Hearing**

8 There are no individuals formally registered to
9 speak at the open hearing. Is there anyone present who
10 would like to make a statement at this time?

11 If not, we will reconvene at a quarter to 3:00.

12 [Break.]

13 **Panel Discussion**

14 DR. ABRAMSON: We will have discussion of the
15 clinical trials in lupus nephritis and other organ-specific
16 manifestations of SLE. We will try and go through the four
17 questions that were put to us. Many of the issues have been
18 addressed preliminarily by our speakers.

19 We do also, as we did this morning, have guest
20 expert panelists joining us for the discussion. Actually, I
21 would like them each to introduce themselves and their
22 institution, if they would.

23 DR. BALOW: I am Jim Balow from NIDDK, NIH.

24 DR. DONADIO: I am Jim Donadio. I currently do
25 what I wish since I am retired from the practice of medicine

1 and nephrology at the Mayo Clinic. I am doing some visiting
2 faculty work at the University of Arizona in Tucson which is
3 a good place to be if you are from Minnesota at this time of
4 year.

5 DR. WEST: I am Sterling West. I am a
6 rheumatologist at the University of Colorado.

7 DR. ABRAMSON: Thank you very much.

8 I think, as we did this morning, if the panelists
9 would like to take a couple of minutes just to make a
10 statement about their views, particularly on question No. 1.
11 Why don't we start with question No. 1 and start with your
12 opinions.

13 DR. BALOW: I am certainly going to echo many of
14 things that I think Dimitrios Boumpas has already put on the
15 table in terms of our views of what we would think are
16 feasible measures of outcome in studies of lupus nephritis.

17 Clearly, one can take a range of points of view as
18 to what you would like to see in any study in therapy in
19 lupus nephritis. Ed Lewis has shown that it becomes
20 unequivocal if you can show a difference in the risk of end-
21 stage renal disease. Our early studies, certainly, I think,
22 were least controversial by using the end-stage renal
23 failures in outcome.

24 But, again, as Dimitrios showed, the delay in that
25 outcome and the infrequency of that outcome means that you

1 have to have huge numbers of patients in those trials. So
2 it seemed a number of groups have actually lit on the idea
3 that doubling of serum creatinine, which means at least a
4 50 percent reduction in GFR, is a reproducible and a very
5 good predictor of subsequent end-stage renal disease.

6 So we like it as a measure of outcome. We think
7 it is relatively non-controversial. The doubling, we think,
8 is high enough and, if it is sustained, usually cannot be
9 explained by other intercurrent problems like uncontrolled
10 hypertension, use of ACE inhibitors, use of non-steroidal
11 agents. So those influences don't usually confound the
12 interpretation of that outcome very much.

13 I think what is even more intriguing to us is
14 whether we can actually accumulate longitudinal data which
15 would suggest that, in a meaningful way, we could light on
16 something that is even more sensitive and, perhaps, an
17 earlier predictor such as a 50 percent rise in serum
18 creatinine.

19 I think those are data that we need to collect
20 probably by looking at experience in different centers
21 around the country and seeing how well that predicts
22 doubling and how variable it is and how much lead time we
23 could get in predicting the risk of end-stage renal disease.

24 DR. DONADIO: We are like lawyers in that none of
25 our answers are short, are they? I have a small preamble; I

1 can say this because I have experienced it and that is, over
2 the past nearly half century, there has been a great
3 improvement in both patient and renal survival apart from
4 immunosuppressive agents in clinical trials.

5 It relates to many things that I think come with a
6 maturing practice, the ability to handle things like high
7 blood pressure which hasn't even been mentioned in any of
8 this but I think it should be brought up that that has to be
9 exquisitely monitored and controlled; the development of
10 subspecialties in medicine which devote themselves to lupus;
11 earlier diagnosis and milder disease because of the
12 serologies that allow people to diagnose this condition in
13 its earlier forms than when I started out and saw only very
14 late disease.

15 Concerning the issues of doubling of serum
16 creatinine, it is a conventional endpoint but I think there
17 is some treachery involved in that one is using big
18 medicines and if a doubling of serum creatinine occurs,
19 indicating activity of the renal disease, big medicines are
20 going to be used--and I use that generically to include
21 high-dose prednisone--that will allow that marker to fall to
22 less than a doubling and you may be missing an important
23 endpoint in that improvement.

24 To say it another way, there isn't a linear
25 progression to renal failure once the serum creatinine

1 doubles as there is, and has been pointed out, in other
2 primary renal disease or in diabetic nephropathy, for
3 example.

4 Normalization of an elevated serum creatinine
5 assumes an abnormal baseline serum creatinine and will limit
6 your recruitments so that you must take people who have
7 normal serum creatinine levels who may also have impaired
8 renal function that is hidden in that normal value.
9 Everyone knows the old Doolan curve. Doolan was at the
10 Bethesda Naval Medical Center many years ago and showed this
11 slope of large change in serum creatinine covering a reduced
12 renal function by as much as 50 percent with the creatinine
13 still being normal.

14 A decrease in the frequency of renal flares has
15 already been pointed out. After big medicines are used for
16 severe disease, it takes a while for a renal flare to occur
17 so the trial must go on for a long time before one sees
18 enough events to capture, if you are comparing treatments
19 and using renal-flare outcome as your measures.

20 The decrease in proteinuria, I believe, as a
21 secondary endpoint must be linked to changes in renal
22 function for it to make any sense, as has been pointed out
23 by the decreased, so-called fixed proteinuria, as not being
24 necessarily a bad marker. Remission of proteinuria, as
25 Lewis has pointed out, is an important marker and indicator

1 of the good prognosis.

2 I have covered No. 1, I think, in my view.

3 DR. WEST: I think that most of my comments would
4 basically echo what has already been pretty well discussed
5 here. Doubling of serum creatinine and decrease in the
6 frequency of renal flares, if that can be better defined, I
7 think would be the most valid endpoints.

8 I think, though, that my comments are more for
9 question No. 4 when we get to it.

10 DR. ABRAMSON: I would open it up to the panel if
11 they have discussions of the speakers or the expert
12 panelists.

13 DR. FELSON: I'm glad we waited. I was very
14 exercised at the break and I have gotten less exercised now.
15 I must say that whereas this morning we heard about global
16 lupus, a bunch of activity indices where we are desperately
17 in need of clinical-trials data to look at the sensitivity
18 to change to compare those instruments, I was pretty
19 depressed listening to this set of comments this afternoon
20 because, actually, there are a lot of clinical-trial data
21 here from the NIH especially.

22 The opportunity to look at the relative
23 sensitivity and discriminant validity of any of these
24 definitions or renal deterioration or renal improvement is
25 readily available from those data.

1 It is striking to me that those data haven't been
2 plumbed to ask and answer these questions. This is an
3 answerable question with the data currently available. Why
4 isn't a smaller increment in creatinine over time likely to
5 be more sensitive to change than a doubling of serum
6 creatinine?

7 The proposed measure of renal deterioration that
8 Dr. Boumpas suggested about red cells being present or
9 absent, some proteinuria being incorporated, that is readily
10 testable, I think, in the NIH datasets of clinical trials.
11 Why can't we see the results of that stuff? Why can't we
12 know from data? There is multiple-trial data. There is not
13 just one-trial data.

14 Why can't we know what these data show? It seems
15 like it is an answerable question.

16 Interestingly, Dr. Lewis, then--I think Dr. Lewis-
17 -puts up a slide suggesting that number of patients needed
18 in trials to test out very important treatments for a very
19 important disease are to test, to evaluate or detect an
20 effect of 50 percent which, therapeutically, is a very big
21 effect or 33 percent which many of us would also
22 characterize as a very big effect, are very, very large
23 numbers, larger than any of the NIH trials have had, perhaps
24 as large as the plasmapheresis trials had.

25 This suggests to me, even more, that this field

1 would benefit greatly by some kind of analysis which
2 focusses on maximizing the discriminant validity of these
3 outcome measures. My goodness; one could probably
4 reasonably do a trial with many fewer subjects figuring out
5 what works if one simply knew how to measure the outcome in
6 a more efficient way than doubling of serum creatinine which
7 is a threshold which seems quite high.

8 You figured out yourself, I think, correctly that
9 going from end-stage renal failure to doubling of serum
10 creatinine required many fewer numbers. Going from doubling
11 of serum creatinine to a lower threshold probably requires
12 commensurately fewer numbers.

13 Using mean serum creatinine in treated groups over
14 time probably requires even fewer numbers because it then
15 allows you to pull in the improvement to some patients who
16 were treated. I know it is a rhetorical question, but it
17 would seem awfully useful for that work to be done. I guess
18 I am not sure why it hasn't been done or whether it has been
19 done and perhaps we can get some insights from it.

20 DR. BALOW: Thank you for the comments. Basically
21 I think you were the one this morning that said we have to
22 crawl first and then we walk and then we run. But I think
23 we actually went from a study where we used end-stage renal
24 failure as the ultimate outcome to studies that have looked
25 at doubling serum creatinine. I think it worked quite

1 successfully.

2 We are actually hoping to show additional follow
3 up in that study in the near future and we want to actually
4 go back and look at the utility of using lower increments in
5 creatinine, as you are suggesting.

6 DR. LEWIS: I know I risk sounding pedantic, and I
7 am surely not going to defend--I am sitting in between two
8 people from the NIH and I never really believed in their
9 studies so I am not going to say much about this.

10 But at the risk of sounding pedantic, I have to
11 tell you that you can't do a study by putting a bunch of
12 patients and measuring everything that you want to measure
13 without declaring, at the beginning of your study, what you
14 actually are going to use as your endpoint, analyze things
15 forever until you come up with something positive.

16 That study has no power. That study is invalid.
17 Now, it may be a valuable thing to do for your next study,
18 but it doesn't help. So I think it would be great,
19 actually, if Jim and Dimitrios have this kind of data
20 because it would help us with the next one down the pike.

21 But when it comes down to it, there is a science
22 of clinical trials and there are some pretty well-stated
23 biostatistical requirements of a valid clinical trial. And
24 I am all for that.

25 DR. BOUMPAS: Your point is well taken. We are in

1 the process of reducing these data. We had to extend the
2 follow up of the patients because the numbers we had, we
3 could not come up with the numbers, analyzing the numbers we
4 had. From the short-term follow up, we could not come up
5 with something meaningful. But these data will be coming in
6 the next two or three months, so your point is well taken.

7 DR. PETRI: I have two questions for our
8 discussants. One is wouldn't a true measure of GFR be a
9 more sensitive surrogate variable than looking at
10 creatinine, especially technetium DTPA or iothalamate. My
11 second question is why didn't anyone discuss dysmorphic red
12 cells.

13 DR. DONADIO: Because, as was pointed out, you
14 don't even have to examine the urine sediment to be a
15 reputable clinical lab anymore. The first-morning
16 urinalysis and sediment exams, including dysmorphic red
17 cells, which mean the same thing to me as a red-cell cast,
18 is the most valuable microscopic examination of the kidney.
19 But you can't get it.

20 All of these trials have to be multicenter. So
21 you cannot, I don't think, regulate how urinalyses are being
22 done. That is why somebody said hematuria is good. I don't
23 think it is any good. I think you have to examine the urine
24 sediment on a first-morning voided sample.

25 As far as measure of GFR, and the others can speak

1 to this, that has been done in the so-called end-stage
2 renal-disease MDRD study, the most expensive test of all.
3 It didn't help any more than serial serum creatinines. I
4 may be speaking a little out of school. Very expensive.
5 Again, very hard to examine reproducibly by all labs.

6 DR. ABRAMSON: I have a question. The doubling of
7 serum creatinine appears to have a consensus. But Dr.
8 Boumpas, you didn't think that a significant reduction in
9 the serum creatinine could be used as an endpoint. Why
10 couldn't a similar kind of parameter be a surrogate, at
11 least as I understand the presentation?

12 DR. BOUMPAS: Are you referring to the
13 normalization of the serum creatinine?

14 DR. ABRAMSON: Yes.

15 DR. BOUMPAS: I discussed two limitations that
16 this test has. I think, as a primary outcome, as I told you
17 in our experience, which is different in many ways from the
18 experience that Mr. Lewis presented, only one-third of the
19 patients had an abnormal serum creatinine, at least in the
20 latest study.

21 So that limits its utility. If only one-third of
22 your patients have a normal serum creatinine, that is a
23 problem and that will increase the number of patients. The
24 other issue is the change, the relative change is different.
25 I brought up the example that if the creatinine is 2.0 and

1 normalizes to 1.0, or if it is 1.3 and it goes down to 1.0,
2 I am not sure that you are dealing with the same effect.

3 So these are the two main limitations.

4 DR. KATONA: Dr. Lewis, I would like to ask a
5 question from you. You presented data indicating that a
6 little bit less than half of the patients, their lupus
7 nephritis went into remission and, basically, the long-term
8 outcome for them was excellent.

9 Could you please delineate what therapy were these
10 patients receiving.

11 DR. LEWIS: I can, to a point. The initial
12 therapy, as I indicated to you, was high-dose prednisone
13 which was either 60 or 80 depending upon size. If, at four
14 weeks and then at a variety of node points along the way,
15 they were stable or doing better, that got tapered to 20
16 every other day over about a 30-or-so-week period.

17 The initial cyclophosphamide--and all of the
18 patients got cyclophosphamide because this was
19 plasmapheresis study. The plasmapheresis had to get it so
20 the controls did. They all went on 2 milligrams per
21 kilogram per day of cyclophosphamide for four weeks and one
22 1 milligram per kilogram for another week. So they got
23 about a gram a week for four weeks and half a gram for the
24 fifth.

25 So the patients who did well actually then went on

1 this 20-every-other-day protocol and we had very stringent
2 protocols for each of the problems, complications, that we
3 were expecting so that everyone would be treated the same,
4 the reason being that since most people thought that
5 plasmapheresis would work, we didn't want to compare
6 plasmapheresis to the control group doing worse, getting
7 more prednisone, which might wash out the difference.

8 As it turned out, that wasn't the case. Patients
9 who had severe exacerbations, severe renal flares or severe
10 extra-renal flares, actually went through that original
11 protocol again, and there were a couple of them who went
12 through a couple of times.

13 The last five years or so, we haven't kept the
14 protocol going although the group continues, the
15 collaborative study group continues in other areas. So I
16 have maintained a knowledge of what is going on and I don't
17 think anything much has changed.

18 I think that we were all so happy with the way
19 this protocol worked that, basically, it went into all of
20 our clinical practices.

21 DR. ABRAMSON: Ask your questions, but try and
22 bring your questions around to the point of this question
23 which is the surrogate markers

24 DR. FERNANDEZ-MADRID: I wanted to comment on Dr.
25 Boumpas' discussion of potential surrogate markers. I

1 believe that his discussion was backed up by knowledge of
2 the renal pathology in his cases; is that true?

3 DR. BOUMPAS: What was the question? Are you
4 referring to the proteinuria?

5 DR. FERNANDEZ-MADRID: Your conclusions on the
6 doubling of the serum creatinine were based on knowledge of
7 the pathology of the kidney in these patients? Did they
8 have kidney biopsy?

9 DR. BOUMPAS: Yes. The great majority of our
10 patients have kidney biopsies.

11 DR. FERNANDEZ-MADRID: For me, it is important. I
12 was surprised by the data of Dr. Lewis that showed that,
13 apparently, proliferative glomerulonephritis did much better
14 than segmental and membranous. At least, this was my
15 perception.

16 This is not the experience of others. To double
17 the creatinine in a patient with diffuse glomerulonephritis
18 may take a few days or a few weeks, and the evolution of a
19 membranous lesion, the creatinine may double in weeks,
20 years, maybe. So it is a completely different matter.

21 DR. LEWIS: I think that either I didn't make the
22 point or it may have been missed. In order to get into our
23 study, a patient with segmental glomerulonephritis had to
24 have a clear majority of their glomeruli involved with the
25 process. Most of them, of course, had 60 to 70 percent of

1 their glomeruli involved with the segmental lesion.

2 In terms of membranous, in order to get into the
3 study, it had to be membranous plus either segmental
4 affecting more than 50 percent of glomeruli or usually, more
5 often, membranous plus diffuse proliferative
6 glomerulonephritis. So we are not talking about membranous
7 glomerulonephritis here. We are talking about the
8 inflammatory lesion.

9 DR. FELSON: Let me bring up a point that was, in
10 part, raised by Dr. Boumpas and Dr. Petrie and try to get
11 back to it a little bit which is you commented on
12 improvement being problematic to measure essentially because
13 improvement in certain increments of creatinine didn't
14 necessarily represent the same degree of renal functional
15 improvement.

16 Michelle asked you about different ways of
17 measuring renal function. Let me also add to that question
18 the idea that a doubling of serum creatinine does not
19 represent necessarily the same degree of loss of renal
20 function at every baseline level of creatinine.

21 One of the questions, I guess, then, is should we
22 be using creatinine, should we require a fixed increment in
23 creatinine as a measure of improvement or should we use
24 other measures of renal function to try to get at
25 improvement or deterioration--improvement or deterioration,

1 by the way, because the argument you made holds also for
2 deterioration.

3 You said, "look, a certain amount of creatinine
4 improvement doesn't signify the same improvement in renal
5 function." That is also true of deterioration. You can
6 make the same argument there.

7 DR. BOUMPAS: Just one brief comment. If I had
8 the choice, I think the more sophisticated way is to
9 estimate the glomerular-filtration rate. But I am not sure
10 that this is practical for multicenter trials, in my
11 experience. For the small studies that we do at NIH, they
12 are part of our evaluation. But I am not sure how practical
13 they are.

14 DR. LEWIS: Not practical, I can guarantee you.
15 But the MDRD which had 500-and-some-odd patients, they were
16 doing iothalamate clearance on those patients, I think,
17 every four months. It can be done. I think you have to
18 realize that even in the best of hands, the variance in
19 iothamamate clearance is somewhere between 14 and 18
20 percent. So, even though it sounds like you are describing
21 this gold standard wonderful test, glomerular-filtration
22 rate, there is a substantial variance in iothalamate
23 clearances.

24 There is not that variance in a serum creatinine
25 and you get a lot more points on your curve which is what

1 really gives the power to the curve. So you can do it
2 either way but you ought to realize that, in a multicenter
3 trial, that will involve a number of patients, however many,
4 let's say, it will be between 100 and 200 in a lupus-
5 nephritis trial, that it will be incredibly expensive.

6 DR. DONADIO: It's true, if I may add to your
7 question, that a doubling of creatinine from 3.5 to 7 is not
8 the same as 1.0 to 2.0, obviously. 3.5 to 7 is end-stage.
9 1.0 to 2.0, it could be a 50 to 60 percent reduction in GFR
10 but there is still useful GFR.

11 That is why it is important, I think in any trial
12 that considers lupus nephritis, that the stratum of renal
13 function must be considered. Then you equalize out the
14 baseline creatinines, normal and abnormal, that is a way to
15 stratify or normal to 1.5, 1.5 and higher, so that the
16 changes up or down are equal across both groups with
17 differing baseline levels of renal function by serum
18 creatinine.

19 DR. ABRAMSON: One more comment on this issue by
20 Dr. Balow.

21 DR. BALOW: Just a comment on the GFR issues. It
22 is obviously something one would like to have but, in a
23 disease like lupus nephritis in which there are remissions
24 and exacerbations, there might be enough fluctuations in the
25 absolute GFR numbers to make it very difficult to identify

1 short-term trends. That has always been a bug-a-boo with
2 using either reciprocal serum creatinine as to how to
3 actually draw the slope of change or to use the GFRs, the
4 true GFRs, which are very expensive and actually rely on
5 them to measure before and after.

6 DR. LOVELL: I have a question about the role of
7 renal biopsy and some of the data you presented depended
8 upon the performance of renal biopsy at the beginning to
9 show prediction of outcome based on renal histology. I base
10 this on the fact that some of the new treatments will
11 hopefully be less toxic than cyclophosphamide and so the
12 compulsion to do a renal biopsy, to say who would be
13 eligible for these potentially less-toxic treatments may not
14 be as compelling as it was when you were talking about
15 potential long-term toxicity from cyclophosphamide.

16 So I was wondering what the panel thought about
17 the role of renal biopsy in lupus-nephritis trials.

18 DR. LEWIS: I think every patient with lupus who
19 has any abnormality in their urinalysis whatsoever needs a
20 renal biopsy. That is absolute. I am shocked to hear that
21 it could be different.

22 DR. BALOW: The analogy of the damage index that
23 we actually have used in the study we published in 1984 was
24 actually looking at pre- and serial renal biopsy and
25 actually trying to measure the acquisition of scarring,

1 atrophy and fibrosis in the biopsies.

2 So it was really a pathologic damage index. In
3 fact, it was a powerful predictor of what actually was to
4 eventuate in that patient population in the risk of end-
5 stage renal disease. So it can be used but it is not,
6 again, very practical to consider serial renal biopsies and
7 using pathologic outcomes as a surrogate for later
8 functional outcomes.

9 DR. SILVERMAN: My question is trying to
10 understand the concept--I am using to creatinines changing
11 with age. As a child grows older, we have different limits
12 for creatinine. I hear repeatedly from the nephrologist
13 that a creatinine of 1.2 may be distinctly abnormal,
14 especially if you are a 40-kilogram thin woman versus a 200-
15 kilogram man.

16 Why, then, are we hung up on changes. Why don't
17 we go back to percent changes. Dr. Boumpas commented on 1.5
18 going to 1.0 being different than 2.0 going to 1.0, because
19 there is a significant difference in percent change.

20 Since we are going to have baseline creatinines on
21 everybody, and I am told creatinines are fairly reliable
22 day-to-day, why don't we look at each patient with
23 percentage change so one can see improvement going from 1.2
24 to 1.0. I have been convinced that that is significant
25 because most nephrologists would say if you went from 1.0

1 stable for years to 1.2, that is not good.

2 So I just don't understand the reluctance to go
3 the reverse.

4 DR. LEWIS: You won't find any reluctance here.
5 It varies with the disease state for some reason. But I
6 think that serum creatinine is as an extremely sensitive
7 marker for glomerular filtration rate in the given patient.
8 I think the problem is, and there has been a lot of
9 literature written about this, that people have tended to
10 sell the truthful data that if you have a serum creatinine
11 of 1.2 and it is in a 25-year-old football player, that
12 means that that person's glomerular filtration rate is 140.
13 If you have a serum creatinine of 1.2 in some little old
14 lady in a nursing home, that patient's glomerular filtration
15 is 20 so a creatinine of 1.2 doesn't mean anything.

16 That is not true at all. In a given patient, all
17 other things remaining equal, like they don't take Creatin
18 to hit home runs and things, minor changes, 10 percent
19 changes, certainly, in serum creatinine reflect that much of
20 a change in the glomerular filtration rate.

21 DR. BALOW: The reason it doesn't work is because
22 the kidney has two ways to actually improve its glomerular
23 filtration rate. One is to actually heal the pathologic
24 injury and the other is to compensate by either hemodynamic
25 changes or hypertrophic adjustments.

1 If you are trying to tie that improvement of
2 pathophysiology to your intervention, you don't want to be
3 looking at compensatory adjustments that the kidney can
4 make. That is not what you are trying to evaluate. I think
5 that is the most problematic area of looking at percent
6 change in the population.

7 DR. WHITE: One thing that I was most struck by
8 was Dr. Lewis' data that you could predict those likely to
9 get remission by normal serum creatinine to start with.
10 Those data, to me, would make me think that you would not
11 want to use normalization of elevated serum creatinine as an
12 outcome because that would mean that you would have to
13 exclude people who had normal serum creatinine to start with
14 if that was going to be your outcome. Hence, you would
15 exclude the people most likely to benefit.

16 So I would like your thoughts on that. I would
17 also like to know what you think needs to be done with
18 people with different levels of serum creatinine at the time
19 of entry.

20 DR. LEWIS: I think Dr. Boumpas addressed that a
21 bit. In their studies, of course, a much larger proportion
22 of patients had a normal serum creatinine. I think that
23 what we found was in the patients who had a normal serum
24 creatinine and severe glomerulonephritis, that when they
25 responded to treatment, their serum creatinines actually

1 came down so that the young woman who came in with a serum
2 creatinine of 1.4 probably would end up with a serum
3 creatinine of 1.1 or something of that sort so that you
4 could work out--you could use a delta or a percent decrease
5 and still use patients who had relatively normal glomerular
6 filtration or at least creatinine clearance.

7 In terms of the business of people coming in with
8 different levels of renal function, I have to say that you
9 have to use a sample size that washes that out. That has
10 been the problem with the great majority of studies of lupus
11 nephritis. There are too few patients in the study and you
12 can get hurt by just a few patients who have a marked
13 decrease, for example, in their GFR.

14 If you have enough patients in the study, that
15 should all fall out. That particular problem doesn't
16 trouble me at all. I think that while 100, 150 patients in
17 a study sounds daunting, it is certainly doable and it takes
18 a lot of work. I think if that is what it takes to find out
19 whether a drug works in this problem, then that is what it
20 will have to take. I don't think there are going to be many
21 shortcuts here.

22 DR. ABRAMSON: I think, in view of time, we are
23 going to shortly go on to question No. 2. But I would like
24 to see if we can get a view of consensus among the panelists
25 with respect to the surrogate markers in question No. 1.

1 Does anyone of the invited panel disagree with doubling of
2 the serum creatinine as a surrogate marker? That seems to
3 be a consensus.

4 DR. DONADIO: One qualifier. Only if someone can
5 show that the doubling of serum creatinine in previously run
6 trials clearly relates to a much higher proportion of those
7 who go on to end-stage renal disease, so, as an important
8 marker of relentless progressive disease.

9 DR. LEWIS: It's true in ours, Jim. I showed it.
10 And Dimitrios showed it as well. I just wanted to add
11 something. Dimitrios covered this but the sheet doesn't,
12 and that is--and we found this very useful in both our type-
13 1 and type-2 diabetes studies. There is nothing wrong with
14 composite endpoints. Personally, I feel that doubling of
15 serum creatinine is a valid endpoint in a lupus study. But
16 I think it could easily be doubling of serum creatinine plus
17 renal failure plus death as a composite endpoint which is
18 valid and actually would help the sample size a lot.

19 DR. HARRIS: But can we press just a little
20 further than ask, okay it is doubling. But what about
21 50 percent. How is that? Or 30 percent?

22 DR. LEWIS: I think 50 percent probably is fine.
23 I think we have to show you the data. But I bet the data
24 will show the same thing.

25 DR. BALOW: I think we need to get that data and

1 really see how they correlate. I think that is very, very
2 important.

3 DR. ABRAMSON: There was a view expressed that
4 normalization of the elevated serum creatinine was not a
5 surrogate endpoint. Do we have agreement of the panel on
6 this or not? I am just trying to address the FDA's
7 questions. It is point No. 2, normalization of elevated
8 serum creatinine was not a primary outcome criteria.

9 DR. BALOW: Again, I would like to just emphasize
10 to the group that I assume it is my experience as well that
11 the number of times that one can see normal GFRs in patients
12 who got 40 to 60 percent of nephrons that have been totally
13 and permanently destroyed is rather striking. So I, again,
14 come back to the point that it isn't that you can only
15 normalize serum creatinine by regression of pathology but
16 actually by compensation. So that is why I don't like it.

17 DR. LEWIS: I think that the remission data
18 actually speaks for itself. I think we have to be careful,
19 and I think over many years Jim and I have been unable to
20 agree, at least in part because of a difference in the
21 populations that we see which Dr. Boumpas actually referred
22 to.

23 I think that when a patient with severe lupus
24 nephritis comes to rush, they come to rush and they may be
25 in what before HMOs were our traditional referral lines but

1 they are patients in the wild which is actually the kind of
2 patient that a study should be directed against.

3 I don't know this for a fact but I have always
4 imagined that the patient who comes to Jim for one of his
5 studies has been to one of our referral people, has been to
6 us, has been to Mayo's and has finally ended up on Jim's
7 doorstep. I think that is a somewhat different patient.

8 I believe that these so-called remission kind of
9 criteria should be seriously considered. They occur as
10 often as the negative things like renal failure and death so
11 why not use that. Why not use a positive endpoint rather
12 than a negative endpoint.

13 DR. ABRAMSON: Let me go on in view of the time.
14 The proteinuria question, the decrease in proteinuria not as
15 a surrogate-outcome measure. The obvious question that
16 would come up is the membranous nephritis patient with
17 normal renal functions.

18 We have heard mostly that decreases in
19 proteinuria, a decrease is not a surrogate endpoint from
20 what has been presented.

21 DR. DONADIO: A pure class 5A which is membranous
22 disease similar to idiopathic membranous disease does carry
23 a different prognosis than the Lewis 5C and D which have a
24 proliferative element and, as we saw, they do badly. So
25 reduction in proteinuria in a pure membranous, which is an

1 unusual subset, is a good sign and a laudable one in any
2 trial that directs itself at that, to answer your question.

3 DR. BALOW: I would make the additional comment
4 that I think, too, we could use some collective data on
5 whether or not changes in proteinuria would actually be a
6 good surrogate marker for a subsequent adverse clinical
7 outcome in proliferative lupus nephritis as well.

8 Some of us suspect that it may be but the data are
9 not there on which to make a decision right now.

10 DR. ABRAMSON: I think we need to move on. No. 2;
11 could a decrease in the use of toxic agents such as high
12 doses of corticosteroids and cyclophosphamide serve as a
13 valid outcome measure in a trial of lupus nephritis.

14 DR. PETRI: I am going to answer no for two
15 reasons. One is hopefully there was a reason that the
16 patient was put on the high-dose steroids and Cytoxan and we
17 need to quantify and identify the reason because that is
18 hopefully one of our surrogate variables.

19 My second reason for saying no is we can't get any
20 two rheumatologists to do it the same way.

21 DR. SILVERMAN: I agree with the last statement,
22 but I applaud Dr. Lewis' study. I think we have to use that
23 as the gold standard. Now, nephrologists are probably just
24 more open-minded than rheumatologists are. Certainly, that
25 is my experience. But if they could do it, I challenge the

1 people at this table to say, "Why can't we be less pig-
2 headed and follow a protocol?"

3 One could than say failure to follow that protocol
4 at certain times, it's a flare, et cetera, et cetera, so you
5 have the option. So I am not as pessimistic as Dr. Petri
6 is.

7 If I could make one final comment. My experience
8 in a referral center that sees most patients in a large--
9 differs immensely from the NIH. I just want to echo to take
10 patients who are fresh, not seen by--with normal creatinine
11 and to compare them to the NIH group is apples and oranges.
12 I just have difficulty extrapolating too much from that
13 data.

14 DR. ABRAMSON: Any other comments on question No.
15 2?

16 DR. DONADIO: To reduce toxic agents, require that
17 you have severe disease to begin with that require the use
18 of these at randomized. And a long enough follow up would
19 be required so that there could be reduction of these agents
20 over the time.

21 Remember, in the NIH trials, the immunosuppressive
22 agents were used from four to seven years and many of the
23 toxic complications, including malignancy, opportunistic
24 infection occurred months to years after the start of
25 therapy, not just gonadal dysfunction which we know relates

1 to cyclophosphamide.

2 So two requirements; severe disease and long
3 enough follow up to observe a reduction in toxic or adverse
4 events, severe ones.

5 DR. HARRIS: Just so that there will be at least a
6 2 to 1 vote that I agree absolutely with Michelle that it is
7 very difficult, I think, for numbers of rheumatologists to
8 come to any consensus as to when to lower prednisone and
9 Cytoxan. Nephrologists, nevertheless, think we can't do it.

10 DR. LEWIS: I just want to tell you that we spent
11 endless hours in a locked room fighting among one another
12 before we came up with our protocol and lots of people
13 walked out. The reason that the collaborative study group
14 has been successful for twenty years now is that we happen
15 to be a group of people who could say pretty much anything
16 to one another and get away with it. That is the way you
17 are going to have to do it.

18 DR. ABRAMSON: But what is your opinion about
19 these cytotoxics as endpoints?

20 DR. LEWIS: I think, as I showed you, it is a
21 sample-size issue. If it is a matter of finding one thing
22 more toxic than the other, it is going to take an enormous
23 number of patients. I don't think that is the way to go.
24 It is an inviting way to go because it would be great to
25 find something less toxic than Cytoxan and just replace

1 Cytosan, but I think the n would be too high.

2 DR. ABRAMSON: Any other comments?

3 DR. BALOW: I assume that question No. 2 and
4 question No. 3 are inextricably linked. Are you making the
5 assumption that the control group would actually contain
6 these so-called toxic agents and that the experimental
7 therapy that you would be looking at would potentially be
8 found beneficial because of the ability to stop the toxic
9 agents, or am I misunderstanding the two questions.

10 DR. ABRAMSON: I don't know, in No. 3, that there
11 is any presumption of what the control group would be on in
12 terms of other cytotoxics.

13 DR. BALOW: Then what are we to understand about
14 question 2; under what circumstances would we be trying to
15 avoid the toxic agents?

16 DR. ABRAMSON: Can we get some help from the FDA
17 staff members?

18 DR. SIEGEL: Maybe I can clarify. What we had in
19 mind in question 2 about using an endpoint of decreasing use
20 of toxic agents would be, I think, what Michelle was talking
21 about that you had a protocol for when Cytosan or high-dose
22 prednisone would be used. The patients would be followed
23 along and these agents would be used if they met the
24 clinical criteria.

25 Then the endpoint, at the end of the trial, would

1 be the cumulative use of those agents. Efficacy would be
2 presumed, if the patient is on the new agent, presumably
3 double-blind, those patients required less of those toxic
4 agents because they met the criteria for requiring them less
5 frequently.

6 In No. 3, the idea is that you have patients who
7 are randomized to receive the new agent or placebo, and the
8 placebo patients need to be given some standard of care.
9 The question is what standard of care should there be in a
10 trial like that.

11 Does that clarify it?

12 DR. BALOW: I think so. Again, I would vote no,
13 that this is not a good measure of efficacy. Again, I can
14 cite the plaquenil controversy that is so often brought into
15 the clinical setting where some people feel that that
16 plaquenil can never be stopped once it is started and others
17 feel that, "Hey; try them."

18 DR. ABRAMSON: Let's go to No. 3; what would be an
19 acceptable control regimen in a trial of renal lupus.

20 DR. DONADIO: I will hit the ball. I think
21 prednisone is fundamental. I will define severe disease
22 without a renal biopsy as an elevated creatinine, a
23 hypertensive patient with nephrotic-range proteinuria who is
24 also anemic. These are four prognostic indicators at
25 time 0, patient entering a study that indicated poor

1 prognosis.

2 As most of you know, there are still many
3 thoughtful nephrologists out there both on this and the
4 other side of the Atlantic who think that further randomized
5 trials are still needed with the old standby
6 immunosuppressives that we have been talking about for
7 thirty years, azathioprine and cyclophosphamide.

8 DR. ABRAMSON: I guess that is the corollary of
9 this question, isn't it, can you do a new drug trial in
10 lupus nephritis without using Cytoxan as a comparator.

11 DR. DONADIO: I still believe that you can.

12 DR. PETRI: I would like to divide this question
13 into two because I think we are at the point where we think
14 about induction trials and maintenance trials in lupus
15 nephritis. I think the induction trials might be a lot
16 shorter than maintenance trials and so your choice of a
17 control regimen might differ whether you are looking at
18 induction or maintenance.

19 DR. DONADIO: The Dutch are doing that right now,
20 as you all know. They are using intravenous
21 methylprednisolone at the outset and I think a short--a few
22 weeks, up to 12, of cyclophosphamide. Then the maintenance
23 therapy is azathioprine against nothing. I don't know how
24 far along they are, but that is being done.

25 DR. FERNANDEZ-MADRID: I think this question has

1 ethical and legal implications and I think the panel should
2 answer whether state-of-the-art therapy could be not used in
3 some of these patients as a control.

4 DR. BALOW: I don't know what the correct answer
5 is but this is my perspective on it. I think if a patient
6 like Jim has described which I suspect, on kidney biopsy,
7 would probably have crescence and necrosis in a fair number
8 of glomeruli, I think it would be difficult for a lot of
9 people to accept that patient not receiving aggressive
10 cyclophosphamide therapy.

11 On the other hand, perhaps the more commonly
12 manifesting diffuse proliferative patient will have a more
13 moderate disease expression and, if they didn't have
14 crescence and necrosis, I would have no problem with the
15 trial of steroids or some other agent other than Cytoxan as
16 a control group.

17 DR. LEWIS: I think from a practical point of
18 view, IRBs are not going to accept prednisone arms in this
19 country. I think that we have to look at whether a study
20 can actually ever get done. I don't agree with this. I
21 strongly disagree with it. I really think that the evidence
22 that alkylating agents add much is marginal, at best.

23 But the fact is that in this country, prejudices
24 being what they are on the basis of what has been written
25 and so forth, that the IRBs will not allow a prednisone-only

1 arm.

2 DR. HARRIS: I believe that renal lupus is not all
3 the same and at least we should do membranous and
4 proliferative differently. If it is membranous, I could see
5 a placebo arm, possibly. If it is proliferative, then I
6 think there is a problem with the placebo arm.

7 DR. DONADIO: Placebo to prednisone even?

8 DR. HARRIS: Prednisone I am calling placebo.

9 DR. DONADIO: The data in the lupus-nephritis
10 trials show that, after six months, 85 percent of patients
11 with diffuse proliferative glomerulonephritis, variable
12 degrees of proteinuria renal function, blood pressure,
13 improve, whether it is prednisone alone or prednisone and an
14 immunosuppressive agent. So this is the induction period.

15 Now, treatment could be incrementalized after that
16 if, after six months, there wasn't a good response, and I am
17 really talking off the top of my head here, then there could
18 be randomization to one of the standbys, azathioprine or
19 cyclophosphamide against a new therapy.

20 I am just speculating but I think prednisone alone
21 would fly with certain IRBs with convincing background
22 information. Yes, the patient that I described that Jim
23 Balow just reiterated with all four bad markers, probably
24 none of my colleagues, who I don't even look over any more,
25 we would just use prednisone alone.

1 But my point is that there is some much diversity
2 in how this is being managed that I think it would be
3 difficult to come up with a control group that would satisfy
4 all.

5 DR. ABRAMSON: Can I ask a question of the panel,
6 just a show of hands on two questions, two individual
7 questions. Number one, in a drug designed for lupus
8 nephritis, do all patients need a biopsy for entry into the
9 study? Number two, can you do a control that does not
10 include Cytoxan with a new comparator drug?

11 So just for a show of hands. Who agrees that a
12 biopsy is a requisite procedure prior to entry into a lupus-
13 nephritis trial?

14 [Show of hands.]

15 DR. ABRAMSON: Thank you. Just nephrologists.

16 [Show of hands.]

17 DR. ABRAMSON: Rheumatologists can vote, too.

18 DR. PETRI: But, Steve, you didn't say within what
19 period of time before the trial starts. That is what is so
20 crucial here.

21 DR. ABRAMSON: Do you want to make a proposal?
22 You are starting a new drug trial. You have drug X and you
23 want to--

24 DR. PETRI: This is a hot topic. It is being
25 debated right now about lupus-nephritis trials. Is a biopsy

1 within one year of entering a clinical lupus-nephritis trial
2 sufficient. So let me ask the discussants.

3 DR. LEWIS: In the plasmapheresis trial, I think
4 we gave them six months.

5 DR. DONADIO: I think that is being pretty
6 liberal. I think it should be within one or two months
7 because if a severe lesion is found a year ahead of time,
8 certainly, there is going to be intervention which will
9 modify that lesion and it can't be used as part of the
10 randomization criteria, I don't believe.

11 Look at the intervention. We are not just letting
12 that diffuse proliferative glomerulonephritis stand. It is
13 being modified in any competent physician's hands who has
14 that information with that patient.

15 DR. ABRAMSON: The second question; does the
16 control group have to have an immunosuppressive arm,
17 particularly, let's say, Cytoxan. I guess all who agree
18 that Cytoxan must be included in the control group, I would
19 like to see a show of hands.

20 We are talking about control groups. We are
21 talking about, let's say, proliferative nephritis.

22 DR. BALOW: I think you have to subdivide the
23 severity of the presentation. If it is a patient with very
24 severe disease, nobody is going to be comfortable without.
25 But if it is an average, mild, moderate clinical disease

1 expression or mild, moderate biopsy, then I think there is
2 no problem.

3 DR. ABRAMSON: Does Dr. Lewis agree with that,
4 because I thought you were saying something a little
5 different.

6 DR. LEWIS: I think, in my world, people get
7 histologic diagnoses. The histologic diagnosis, if it is
8 severe lupus nephritis--that is, DPGN or whatever--it is
9 DPGN, mild, moderate, whatever else.

10 So the way I would word it is, I guess, who thinks
11 that the control arm should be high-dose prednisone alone.
12 I think that is the other way of wording it. Biopsy-proven
13 relates to serious disease.

14 DR. FELSON: Let me offer or suggest a different
15 approach. I think the reason we are all struggling here is
16 we are not sure what the comparator group should get because
17 we know there is evidence suggesting it would be unethical
18 to not allow comparator groups to get certain things. I
19 think that is a very important concept.

20 So a design that one might adopt, one design you
21 talked about earlier, is an equivalence trial. That is an
22 extreme way of dealing with that problem. Another way to do
23 it is to look at the marginal efficacy of a new treatment on
24 top of accepted current treatment.

25 So what would happen would be patients would get

1 what they are going to get, what the clinician deems is
2 appropriate treatment; prednisone in some cases may be
3 appropriate treatment. Prednisone and Cytoxan may be
4 appropriate treatment. Prednisone and Inuran may be
5 appropriate treatment.

6 On top of that, they get randomized to either the
7 new treatment or the placebo new treatment. That is the way
8 it would need to work if you have already got something that
9 needs to be base therapy, basically. Otherwise, it is
10 unethical.

11 DR. DONADIO: The problem with that is what are
12 you measuring for an outcome. You are stretching smooth
13 muscle to its maximum. You can't stretch it any more with
14 that little additive drug. You have got to have something
15 in mind, I think, for a better outcome if you are using what
16 is currently thought to be maximal therapy.

17 DR. ABRAMSON: Let me just frame a question for
18 discussion. To the extent that prednisone plus Cytoxan is
19 now a commonly accepted treatment for diffuse proliferative
20 nephritis, if a new drug were to be brought for testing,
21 could that drug be put head-to-head against prednisone plus
22 Cytoxan making it prednisone plus drug X, or would your IRB
23 have a problem accepting that?

24 DR. LEWIS: I think that is the one that IRBs
25 would accept. It would be if the control arm was just

1 prednisone, I think there would be problems. Or if there
2 was a third arm that was just prednisone, I think that there
3 would be problems.

4 There may be ways around this, but I think, from a
5 practical point of view, my opinion is that an alkylating
6 agent has to be in the control arm.

7 DR. BOUMPAS: I would like to add to what Jim said
8 earlier. I would not have any problems with including
9 steroids as a control group if you exclude the patient with
10 severe nephritis defined as Jim defined them. If that
11 population of proliferative nephritis, I don't have any
12 problems and I don't think a lot of people would have any
13 problem with the steroids.

14 If they have severe nephritis, in that population,
15 you have to include Cytoxan, or a cytotoxic regimen.

16 DR. ABRAMSON: So your control group does not
17 necessarily have to include Cytoxan.

18 DR. BOUMPAS: Correct.

19 DR. BALOW: The only additional comment I would
20 have is that, from an ethical standpoint and from just a
21 practical IRB perspective, it depends on how carefully you
22 set the escape valves. This is really critically important.
23 If there are plenty of built-in safety features for the
24 patient to escape with predefined criteria, then the
25 committees are often reassured.

1 DR. ABRAMSON: Any comments from the committee?

2 DR. SILVERMAN: I think, coming from a very
3 conservative IRB, I still think I would have no problems, as
4 long as there was the escape valve of having prednisone only
5 and, of course, the exclusion criteria of severe. So I have
6 argued a long time that you have to have--that it is a much
7 longer disease in children, I still think I would have no
8 problem, especially when the NIH group would now say that it
9 is agreeable to them.

10 With that behind me, I don't see any problem.

11 DR. LEWIS: There is a problem because you can't
12 be a little bit pregnant. There can't be an escape valve
13 here because this is a controlled, blinded trial; right? So
14 a patient who isn't doing so well, you know you can't, then,
15 give--well, yeah. If the experimental group is prednisone
16 plus some immunosuppressant versus X, versus prednisone
17 alone but you have an option of adding Cytosan, somebody who
18 is not doing well on the prednisone-plus-immunosuppressive
19 group would then get a second immunosuppressive.

20 Where is the science there? The other thing I
21 just want to say is that IRB or no IRB, it seems to me that
22 it always comes back to me that I have to be responsible for
23 the sample size. I don't care what anybody here says about
24 what their IRBs will do, I know what will happen when I come
25 looking for patients.

1 DR. BALOW: I think there is no problem. You have
2 to set the escape valves to be exactly parallel in both your
3 treatment arms. As long as you set the rules to be the same
4 for governing all patients, you can actually use the number
5 of escape events as an outcome.

6 DR. LEWIS: Because then, if your experiment drug
7 is no better than placebo, then you are doing prednisone
8 plus Cytoxan versus prednisone plus Cytoxan, basically,
9 maybe. I don't know. I think that has to be run through
10 the biostatistician.

11 DR. ABRAMSON: I think we are going to go on to
12 question 4.

13 DR. SCHWIETERMAN: Dr. Balow, I think, made a good
14 point. But if you could clarify a little bit more about the
15 details of the escape valve since, if you were to design the
16 study whereby a patient would meet that particular failure
17 criterion, that would become the de facto endpoint by which
18 they would then get an alternative treatment.

19 So it is rather important, I think, that agency
20 have a rather clear idea about what you would consider
21 failure. Also, you have to keep in mind about the possible
22 delayed effects from other immunosuppressive therapies.

23 I don't want to necessarily get an absolute from
24 you, but can you give me a general idea about the kinds of
25 early escapes you were thinking about?

1 DR. BALOW: The most obvious one would be a
2 significant and sustained trend in renal dysfunction. In
3 other words, if the creatinine starts rising and it rises
4 steadily without another explanation of some other
5 intercurrent event, some predefined level of rise and
6 nonreversibility could potentially represent a determination
7 of that patient's participation.

8 DR. DONADIO: I would predict, based on what we
9 know already in treating diffuse proliferative
10 glomerulonephritis severe that that would be occurring in
11 about 15 percent of the patients. Not a lot. That is a
12 biased opinion, but I still think it would be safe for the
13 vast majority of patients.

14 DR. ABRAMSON: Any other questions before we leave
15 the renal component? When all is said and done, does it
16 seem that a doubling of the serum creatinine that is the one
17 benchmark that we have all agreed upon today, that is the
18 standard against which the drugs would be judged?

19 DR. BALOW: I think if we are really limiting our
20 comments to large, randomized, controlled trials, that is
21 the one that we all feel the most comfortable with now. We
22 still like to imagine there might be better candidate
23 markers, but I think for less than randomized, controlled
24 trials, early pilot studies, I don't think that we would
25 advise resting all of our money on that late outcome.

1 DR. ABRAMSON: Very good. We will do the rest of
2 lupus in a half hour. Discuss the design of clinical trials
3 for other manifestations of lupus including CNS lupus,
4 antiphospholipid-antibody syndrome, cutaneous lupus,
5 fatigue, arthralgias, malaise.

6 Dr. West, do you want to start off?

7 DR. WEST: I have been studying central-nervous-
8 system lupus in particular for probably the last fifteen
9 years. At the present time, we have no accepted
10 classification, although that may change. We have very
11 little insight into the pathogenesis of the disease. We
12 have no single diagnostic test that can help us make the
13 diagnosis in all cases. And we have no treatment
14 guidelines.

15 So, other than that, we have quite a bit of
16 knowledge about CNS lupus.

17 So I think that if we think designing clinical
18 trial for lupus nephritis is difficult, we haven't seen
19 anything when it comes to CNS lupus. The reason I say that
20 is that whereas there are probably two or three important
21 histologic lesions in lupus nephritis that we have to deal
22 with, there are at least nine or ten different presentations
23 that are generally agreed upon in central-nervous-system
24 lupus that would have to be addressed and that is if
25 everyone could agree on the classification.

1 Trying to come up with what indicates an
2 improvement or a disimprovement in those presentations I
3 think would be particularly difficult. Coming up with
4 surrogate markers would be, I think, extremely difficult.
5 And then to come up with what the control regimen would be
6 for treatment would hard to get consensus agreement upon.

7 So I think we are with Dr. Liang's group working
8 on the classification which will soon be published. That is
9 a start, but we have a long way to go before we are going to
10 be able to come up with exactly the types of markers that
11 you are going to need in order to determine whether certain
12 medications are beneficial or not.

13 DR. ABRAMSON: Do you want to take a stab at how
14 long a study should be and, given the state of the art, what
15 the endpoints, the objective endpoints, or measurable
16 endpoints, are that you would list in a study?

17 DR. WEST: I think I can maybe tell some of the
18 difficulties with that because if we look at, let's say, a
19 one-year study, the chances of recurrence--most people will
20 either respond or stabilize to prednisone alone. Then what
21 we have to look at is, as you are tapering the prednisone,
22 the chance of recurrence.

23 And then the chance of recurrence is only about
24 30 percent. Fortunately, they recur in very similar
25 patterns to what they presented with, but they don't always.

1 So then the problem is if you present with encephalopathy
2 and then your next manifestation is stroke, how do you
3 measure that as far as response to therapy because the
4 therapy may have worked for your encephalopathy, but it may
5 not have done anything to prevent stroke. So I think that
6 would be particularly difficult.

7 There are neurologists in different presentations-
8 -they actually have scales that they use that we could,
9 perhaps, start by borrowing some, for demyelating diseases
10 and dementia and stroke and the like. That could be a
11 start, but we would almost need to come up with pure patient
12 populations of psychosis or stroke manifestations or the
13 like and then see what the intervention did for that
14 particular presentation.

15 If we are talking about a couple of hundred people
16 for lupus nephritis, in ten years, at one institution, I
17 have been able to get probably 150 people with a variety of
18 different CNS presentations. It would probably take every
19 academic center in the world agreeing to do a multicenter
20 trial to come up with the power to look at any individual
21 presentation.

22 DR. ABRAMSON: I guess the corollary is that the
23 presentations may reflect different events, so the that for
24 one may have no effect on the treatment for the other.

25 DR. FELSON: Quick suggestions about this

1 difficult. One is that an n of 1 or multiple crossover
2 trials, given the transient nature of some of these effects,
3 may not be an unreasonable approach which would afford you
4 much greater power and much more efficiency in using
5 individual patients.

6 The other is you said there are no surrogate
7 endpoints, but, in fact, MRI might be a surrogate endpoint
8 for some of these. In myositis, we are using MRI, T2-
9 weighted images, to look at inflammation over time. One
10 wonders whether that correlates well enough with some
11 functional outcomes and with activity of disease to use it.

12 DR. WEST: Along those lines, certainly n of 1 is
13 something that bears another look. As far as the MRIs,
14 those are particularly difficult, at least in the standard
15 techniques that we presently have. The new techniques that
16 are being looked at as far as T2 decrement and the like may
17 actually hold some value there. But as far as looking at
18 white-matter lesions and accumulating those, there are a lot
19 of different things which can cause that that are not
20 central-nervous-system lupus such as hypertension,
21 migraines, cardiovascular disease, a whole variety of them.

22 The more of those risk factors you put together,
23 the more likely you are to have these "unidentified" bright
24 objects. So to decide whether one of them is due to CNS
25 lupus or one of them is due to one of these other

1 confounding factors I think would be particularly difficult.

2 DR. ABRAMSON: I am wondering if Jeff could give
3 us some idea of what you would like to glean from this
4 portion of the discussion because it is, obviously, very
5 complicated.

6 DR. SCHWIETERMAN: It is quite complicated and I
7 appreciate those comments, Dr. West. I guess one of the
8 thinkings we had in the group was ways to increase the power
9 of studies using composite indices even if they weren't
10 primary indices but, rather, secondary. We are faced with
11 this problem not uncommonly in biologics with relatively
12 rare diseases where the clinical outcome data are relatively
13 scant and we are forced to go to some extremes. Admittedly,
14 you have to stretch the rules a little about definitions and
15 so forth.

16 But what I was hoping to get feedback on, frankly,
17 whether in any guise you could envision a CNS outcome event,
18 say, perhaps, coupled with a nephritis event, say, perhaps,
19 coupled with a pruritic event and so forth, by which you
20 would use this as a secondary corroborative measure of what
21 you think you are seeing with the primary endpoint.

22 I am of the opinion, frankly, that the secondary
23 endpoints in these particular studies are going to be as
24 important as the primary, given the few patients and so
25 forth. But it is not clear to me that we are even there yet

1 to include it as a secondary endpoint.

2 Perhaps you could comment on that.

3 DR. WEST: I am not sure we can include it as a
4 secondary endpoint. Again, we don't understand the
5 pathogenesis well enough but as a secondary endpoint, in and
6 of itself, outside of the activity index that we have
7 already had discussions on. So it certainly would be
8 possible in the context that you are looking at all the
9 things included in the activity index to see if something
10 gets worse or something gets better. That certainly is
11 possible.

12 In other words, a medication that you are looking
13 at for lupus nephritis helped the nephritis but the person
14 had five seizures and two strokes during that time, you
15 would be concerned as far as its value in lupus overall.

16 DR. SCHWIETERMAN: How about something like
17 hospitalization for a CNS adverse event with wide latitude
18 given to the definitions. This is something we use with RSV
19 in pediatric disease. You don't necessarily ask that the
20 child has a decreased PO2. You just ask that they have some
21 reason to put them in the hospital for that reason.

22 I guess what I am looking for is variance around
23 the definition of CNS lupus.

24 DR. WEST: I think you touch on an important
25 point. A lot of people have this mild cognitive dysfunction

1 that people are still wrestling with and is that, or small
2 deteriorations of that, considered important. I think that
3 could be argued for an awful long time.

4 On the other hand, to have a significant event,
5 whether that is encephalopathy or psychosis, transverse
6 myelitis, those events are likely to be severe enough that
7 it would require hospitalization. So, certainly, I think
8 all the things that are listed, again, on the activity index
9 is either any of the ones that are out there would require
10 hospitalization because of the severity of the presentation.

11 DR. ABRAMSON: Let's move on to the
12 antiphospholipid-antibody syndrome; discuss the design of
13 clinical trials for other specific manifestations. We have
14 an expert, the lone remaining expert. Dr. Harris?

15 DR. HARRIS: As far as the antiphospholipid-
16 antibody syndrome goes, I think the important point to make
17 is that this may well, certainly, in many patients be a
18 different disorder from lupus. It may be related, certainly
19 part of the family. But it often bears no relationship to
20 lupus activity.

21 The second point to make is that, of course, with
22 respect to the syndrome, we are pretty far behind lupus. We
23 are just about coming up with preliminary classification
24 criteria about which there is consensus, and that is going
25 to be published shortly.

1 The next point is that there are three real
2 elements to any classification. One is these patients--the
3 big things are thrombosis, pregnancy loss and serological
4 tests. As far as the serological tests go, there are many
5 of them. Nobody believes, I think, or can show that the
6 serological tests are absolutely related to clinical
7 outcome. So I don't think one would say to look at the
8 serological tests as a marker, necessarily, of disease.

9 With respect to the two clinical items, thrombosis
10 and pregnancy loss, dealing first with pregnancy loss, there
11 have been at least two more or less randomized clinical
12 trials that have compared prednisone versus heparin and baby
13 aspirin. The outcome there is usually birth of a viable
14 infant.

15 Now, there are, obviously, a number of other
16 things that one should bear in mind. For instance, there is
17 prematurity. There is preeclampsia and so on. So there are
18 secondary measures, but the primary measure there would be
19 birth of a viable infant. So I think it can be defined to a
20 degree.

21 With respect to thrombosis, thrombosis is venous
22 or arterial. One would want to separate venous from
23 arterial. Some patients seem to get only venous, many of
24 them, and some only get arterial. Obviously, what one would
25 want to measure is frequency of recurrence and, indeed,

1 there are a number of retrospective studies, at least, that
2 have looked at recurrence, frequency and the influence of
3 agents to prevent recurrence.

4 The trouble with any trial is that patients, the
5 episodes of thrombosis are episodic and they can occur as
6 far apart as four or five years. So any trial, in fact,
7 would need to go on for a pretty long period of time.

8 The next point to make is that many of us now
9 believe, based on the retrospective studies, nevertheless,
10 that Coumadin is effective. Indeed, if you are going to go
11 forward with a trial of a new agent, it would be very
12 difficult--or one would want to use Coumadin plus or minus
13 aspirin in the control arm.

14 The role for placebo with respect to thrombosis
15 and presentation of recurrence, I think there are very few
16 people that would want to do that.

17 I think those are the comments that I would like
18 to make.

19 DR. ABRAMSON: Thank you, Nigel.

20 I think, as a general statement for this whole
21 area of question 4, each of these syndromes has endpoints
22 that are obviously very specific or characteristic.
23 Obviously, any trial design needs to be geared to them. In
24 the case of CNS lupus, it is four or five or six different
25 diseases with probable different pathogenesis, some of them

1 steroid-responsive or antiinflammatory-responsive and some
2 not.

3 I think that one has to do a little bit of
4 categorization. I guess that is what Dr. West and he says
5 Dr. Liang are working together trying to do with CNS lupus
6 to sort of do more carefully a categorization of those
7 things that we loosely call CNS lupus, for example, and
8 divide them into syndromes and think about some of these
9 syndromes as being prednisone-responsive and not-prednisone-
10 responsive.

11 The antiphospholipid-antibody syndrome
12 characteristically is not a prednisone-responsive disease so
13 that one needs to sort of break these out and then determine
14 what kinds of measurements you are going to do.

15 But I don't think, unless there are other areas--I
16 think that kind of broad statement would apply in each of
17 these. They need to be fleshed out more.

18 Are there other comments that people would make or
19 other questions that the agency has? Have we covered most
20 of the questions?

21 DR. SCHWIETERMAN: Yes, you have. This has been
22 quite helpful. I think that we have enough, plenty, to go
23 back with and write a document that then we would bring back
24 to this committee at some stage for further more detailed
25 commentary. But this has been very helpful. Thank you.

1 DR. ABRAMSON: I guess, on the agenda, I am
2 supposed to make a closing statement. It will be very
3 brief. I would just say that it was remarkable to sit here
4 for all these hours and listen to the amount of expertise
5 and years of study of this disease that was gathered in this
6 room.

7 I think that there is a lot of information that is
8 there that now needs to be culled together. I think the
9 indices, the various disease-activity indices, although
10 there may be some differences, the similarities are so great
11 that I think we are very close to having some tools to
12 measure this disease.

13 I think it is still unclear, in looking for
14 consensus, how valuable measuring a heterogenous disease,
15 the way we do ACR 20s and 30s, how valuable that ultimately
16 is and how this is, in many of our views, an organ-centered
17 disease and you have to think about its treatment in that
18 regard.

19 The only practical issue that that brings up where
20 some of the panel has some concern about if you have a drug
21 targeted to an organ, what is the impact on the cost and
22 design to make people go through filling out all of these
23 disease activity indices and what not.

24 But I think there is a good consensus that we
25 should collect as much data as possible. I am not sure we

1 really came to a consensus about whether this is a disease
2 that you can measure the way you measure rheumatoid
3 arthritis in that respect.

4 DR. SCHWIETERMAN: I agree with you, Dr. Abramson.
5 We will certainly take all of that into consideration. I
6 fully appreciate the need here for a different approach, in
7 many ways, to the ACR and the rheumatology guidance document
8 given those considerations.

9 DR. ABRAMSON: Right. Finally, I guess the
10 afternoon was also very instructive. I guess we need
11 something beyond creatinine eventually to measure response
12 to lupus nephritis.


13 I want to thank everybody for their participation.
14 Thank you very much. The meeting is adjourned.

15 [Whereupon, at 4:10 p.m., the meeting was
16 adjourned.]

17 - - -

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO