

1 going over safety as it relates to creatinine  
2 clearance?

3 DR. KOWEY: Yes.

4 CHAIRMAN PACKER: We'll get some of it  
5 then. But you want to know efficacy. Right?

6 DR. KOWEY: Yes.

7 CHAIRMAN PACKER: Do you have efficacy as  
8 it relates to creatinine clearance combined across the  
9 trunks?

10 DR. KOWEY: We have it for 04 and 05.

11 CHAIRMAN PACKER: Individual.

12 DR. KOWEY: Unfortunately, a lot of these  
13 other studies, Rob, were not in an electronic database  
14 so it's really hard to pull that kind of detail.

15 DR. KONSTAM: The problem with doing that  
16 is, as Bob is suggesting, which I concur, is if it's  
17 likely to be related to the levels and effect on  
18 levels, then it's an interaction between the renal  
19 function and the dosing regimen. Since the dosing  
20 regimes are different, you would have to really think  
21 about that a little bit.

22 I just want to say that I am concerned

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about this creatinine clearance break in 004. Let me  
2 say it the way I see it. It looks like the treatment  
3 effect, which I think to me is more robust in 04 than  
4 it is in 05, is driven principally by the group with  
5 the low creatinine clearance, if you want to say it  
6 that way.

7 The only rationale that comes to mind to  
8 explain that is Bob's rationale that that's a group  
9 that the correction per dose didn't work perfectly so  
10 you wind up saying that it's conceivable then that it  
11 works only with where you have higher concentrations.  
12 We do believe that the adverse effect profile is going  
13 to be influenced by the concentrations.

14 DR. KOWEY: These are the data from 004  
15 looking at the covariate adjustment by Cox or  
16 creatinine less than 60. Does that help you?

17 DR. FENICHEL: This is not the pertinent  
18 analysis.

19 DR. KOWEY: This is not the subgroup  
20 analysis.

21 DR. FENICHEL: No. This is a justified --

22 DR. KOWEY: This is a justified baseline.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FENICHEL: That's right. If you had  
2 something that was driven by some little subgroup,  
3 then you might come up with a different result here  
4 and something might stand out. What you want is to  
5 take any of these various categories such as sex or  
6 age or creatinine clearance and show as you have in  
7 some --

8 DR. KOWEY: The next slide.

9 DR. FENICHEL: -- how it looks with people  
10 with low creatinine clearance and how it looks to  
11 people with higher creatinine clearance. You never  
12 have weight. Weight would be good. How it looks in  
13 big people, how it looks in small people. Of course,  
14 gender is kind of a proxy to that but not perfect.

15 DR. KOWEY: I agree. Both of these, I  
16 think, help a little bit. This one helps a little bit  
17 and the other one helps a lot. The subject analysis  
18 we showed you already.

19 CHAIRMAN PACKER: Any other questions on  
20 04? If not, I think we need to re-energize. We're  
21 going to break for lunch. The study will talk about  
22 and take questions on after lunch until 3:45. We'll

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reconvene at, let's say, 1:30.

2 (Whereupon, the meeting was recessed at  
3 12:44 p.m. to reconvene at 1:30 this same day.)  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:33 p.m.)

3 CHAIRMAN PACKER: We will be starting in  
4 the next minute. Is the sponsor ready? We have an  
5 addition to the administrative issues for this morning  
6 so Joan will complete that at the present time.

7 MS. STANDAERT: Yes. For the record, in  
8 a memorandum I read earlier the exclusions were  
9 omitted. Two committee members were excluded from the  
10 discussions. That would be Dr. Roden and Dr. DiMarco.  
11 Thank you.

12 CHAIRMAN PACKER: Okay. Thank you.  
13 Before we start a discussion on dofetilide 345 I  
14 think, JoAnn, you had one other question you wanted to  
15 address.

16 DR. LINDENFELD: I do. Just a general  
17 question about both studies. As we talk about  
18 symptomatic recurrence, I want to clarify this point  
19 because I think there's a difference in -- I think I  
20 said this earlier but I didn't say it strongly enough  
21 -- there's a difference in a patient who has symptoms  
22 that are bothersome to a patient and the patient who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that just notices they have a rhythm change.

2 I wonder if there was any collection of  
3 symptoms that we might consider important more than  
4 occasional palpitations such as shortness of breath,  
5 fatigue, dyspnea at the time of atrial fibrillation is  
6 noticed? Or when we say symptomatic here, is all we  
7 mean is that the best we know is that the patient  
8 noticed a change in their heart rhythm?

9 DR. KOWEY: We actually have that on a  
10 back up -- what's the number?

11 DR. LINDENFELD: I know we have baseline  
12 symptoms but I haven't really seen --

13 DR. KOWEY: No. We have symptoms. 173?  
14 This is from 004. This is change from baseline to  
15 endpoint for 004.

16 DR. LINDENFELD: So there's no difference  
17 between placebo and sotalol? The symptoms were not  
18 different?

19 DR. KOWEY: That was an endpoint. That  
20 was looking at it from change from baseline to  
21 endpoint. This is the one. This is probably a better  
22 one. This is from 05.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LINDENFELD: I sort of like the other  
2 one. Can we look at that again?

3 DR. KOWEY: Sure.

4 DR. LINDENFELD: The first one. If we  
5 take all the patients, there was no difference in  
6 these important symptoms from baseline to endpoint.

7 DR. KOWEY: No. There was a 13 percent  
8 reduction in the d,l-sotalol for any symptoms compared  
9 to nine percent placebo. There was a 14 percent  
10 reduction.

11 DR. LINDENFELD: But that's not  
12 significant. Is it?

13 DR. KOWEY: I don't think that there were  
14 P values calculated for these observations.

15 DR. LINDENFELD: You don't think it would  
16 be significant if it were probably.

17 DR. KOWEY: You are welcome to look at  
18 this if you want to look at the symptoms which I think  
19 is what you want is the other slide.

20 DR. LINDENFELD: The reason why I'm making  
21 this point is because as we talk about approving this  
22 drug for symptomatic atrial fibrillation, this doesn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 really evaluate symptoms as we usually think about  
2 them and study them; that is, shortness of breath,  
3 fatigue.

4 This evaluated whether or not the patient  
5 noted primarily that they had a difference. I guess  
6 we could look at that and you, if I'm wrong about  
7 that, can show me. So from what symptoms we have, at  
8 least we knew before there was no difference in the  
9 two groups, no major difference.

10 DR. KOWEY: Wait, wait, wait. The  
11 endpoint of the trial was the time to symptomatic  
12 occurrence of AF.

13 DR. LINDENFELD: Right. But I think  
14 there's been some confusion in here in the fact that  
15 we are ameliorating symptoms. In other words, there's  
16 a difference between symptomatic recurrence of atrial  
17 fibrillation which is not serious. It may have been  
18 in some patients. They may have had more shortness of  
19 breath and more fatigue.

20 DR. KOWEY: Here is the percentage of  
21 patients who had specific symptoms during their return  
22 to symptomatic atrial fibrillation flutter by dose in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 05. This is the question you asked which is what was  
2 the symptom that they had at the time that they had  
3 their recurrence. This is it.

4 DR. LINDENFELD: And it doesn't look like  
5 to me there are any major differences.

6 DR. KOWEY: No, no, no, no.

7 DR. LINDENFELD: Okay. Make sure I  
8 understand.

9 DR. KOWEY: This is not an endpoint. This  
10 is just telling you what the symptom was when the  
11 patient had their recurrence.

12 DR. FENICHEL: JoAnn, suppose this were a  
13 mortality trial then you find that at endpoint  
14 everybody is dead.

15 DR. FISHER: If you look at the ends at  
16 the top there are different numbers experiencing the  
17 recurrence. See this at recurrence.

18 DR. KOWEY: You had to have a recurrence  
19 to get on this slide.

20 DR. LINDENFELD: At recurrence. Okay.

21 CHAIRMAN PACKER: Okay. I just want to  
22 keep moving and move on to dofetilide 345. Just to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clarify the record, Dr. Kowey indicated during the  
2 break that the information on dofetilide study 345 was  
3 actually obtained from the Internet. I don't know how  
4 many of you know that everything you see today can be  
5 accessed through the Internet. I guess that shouldn't  
6 be too surprising. You can access anything in the  
7 world through the Internet these days. I just wanted  
8 to --

9 DR. KOWEY: Including what happened at  
10 Center 29.

11 CHAIRMAN PACKER: Peter, it's not common  
12 for a sponsor to utilize another sponsor study to  
13 support approval. There are a lot of reasons for  
14 that. One is that most commonly sponsors don't do  
15 comparisons against drugs not approved for the  
16 indication that is being pursued.

17 DR. KOWEY: Correct.

18 CHAIRMAN PACKER: And also I think  
19 frequently a lot of times the studies that are carried  
20 out by a sponsor tend not to demonstrate that the  
21 competing drug works. Consequently, there is little  
22 enthusiasm to use it. I think the concern that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have, and maybe the other members of the committee  
2 share it, is that when a trial is reviewed by the FDA,  
3 that has an active component that is being reviewed in  
4 the NDA and has the comparator.

5 There is a lot of attention given to the  
6 quality of a comparison for the active drug being  
7 considered and not necessarily a lot of attention  
8 being given to the comparator. In other words, checks  
9 of integrity, completeness, all the things that the  
10 FDA does are frequently not done, for example, in  
11 dofetilide 345 but sotalol arm because the sotalol arm  
12 isn't the arm on which a claim is being sought.

13 I guess one question that I have is to  
14 what degree can we utilize the sponsor's presentation  
15 in what may literally be for the purposes of today's  
16 discussion a study in which the integrity of the  
17 sotalol database has not been as carefully evaluated  
18 as the integrity of the dofetilide database in study  
19 345.

20 DR. KOWEY: Can we ask Bob what his  
21 opinion is about that?

22 DR. FENICHEL: I was hoping you wouldn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ask that. I think the answer is that this committee  
2 can use anything it wants. I have heard members of  
3 this committee refer to their vast clinical  
4 experience. Indeed, we recruit members of this  
5 committee in a slightly different sense of that phrase  
6 because of their vast clinical experience. So even if  
7 that experience is not explicitly referred to in your  
8 every remark, it is taken to carry the weight to a  
9 certain extent.

10 Now, so may you use 345 in supporting  
11 sotalol? Yes, you may. Is the FDA able to use 345 in  
12 supporting sotalol? I'm not really sure. I think the  
13 answer is probably not. What I'm drawing on is we  
14 certainly have experience with, say, competing  
15 sustained release products for common chemicals like  
16 verapamil, propriadin.

17 The question is, well, can the new sponsor  
18 make use of the animal toxicology data for the  
19 existing product? The answer is no, not without a  
20 right, presumably a purchased right, to refer to that  
21 data which is owned by the original sponsor or  
22 sponsors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I imagine something like that applies here  
2 and that if there were some intended claim supported  
3 only by data from 345, I think that would be very  
4 problematic because that's the strict analogy to the  
5 animal toxicology case where there isn't anything that  
6 people know about verapamil in rats except from those  
7 studies that were done on rats.

8 Someone who comes along with a new  
9 sustained release form really doesn't have any  
10 intention of doing experiments in rats if he or she  
11 doesn't want to and doesn't have to. What in some  
12 sense we have done is they have to unless they can get  
13 right to reference the previous work.

14 Here it might be true that this is part of  
15 a big picture that there isn't some unique fact that  
16 can be found only in 345. If there is a unique fact  
17 only in 345, my guess is that this sponsor can't use  
18 it. I'm not sure that is correct.

19 CHAIRMAN PACKER: The reason for bringing  
20 this up is, (1) it's unusual and, therefore, we need  
21 to talk about it. It may or may not be relevant and  
22 the committee will probably make clear in its

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subsequent comments how important 345 might be in  
2 their deliberation.

3 One concern I have is that it's difficult  
4 for us to ask you questions about 345 that you can  
5 answer. In fact, it's almost impossible for us to ask  
6 you the same kind of questions about 345 that we're  
7 asking about 04 or 05 or the other studies. I mean,  
8 if one were to ask about dropouts, completeness of  
9 follow-up, issues related to symptomatic or  
10 nonsymptomatic, it's hard to get those answers because  
11 my presumption is that the details of that, which are  
12 so important to our deliberation of 04 and 05 are  
13 known primarily to the sponsor of 345 and may not be  
14 known to either you or the committee.

15 DR. KOWEY: Let me just say a couple of  
16 things about that. First of all, I don't disagree  
17 with you at all. I also agree with Bob that if this  
18 were coming out of the blue as a totally novel  
19 concept, that we would be concerned and would not have  
20 presented it.

21 Two issues; (1) It is being used in this  
22 context to provide reassurance that a dose of 80

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 milligrams twice per day is effective. In fact, I  
2 could turn this argument around a bit that you are  
3 using about credibility of data and say that as a  
4 positive comparator it was the last thought on  
5 Pfizer's part that they wanted to show up the 80  
6 milligrams twice a day. In fact, when they presented  
7 it to the advisory committee in January, I remember  
8 looking at it and thinking, "Okay." I passed it over.  
9 I didn't even think about it.

10 It was only after it was pointed out to me  
11 later that, gee, 80 milligrams twice per day did  
12 really well in that study. I think in reverse  
13 fashion, although I agree there are problems with  
14 validation, it actually provides me some reassurance  
15 that the observations that we've made in studies where  
16 we have an interest or this sponsor has an interest  
17 were made by somebody who really didn't have an  
18 interest. It is a novel concept and I agree with you,  
19 Milton. I don't remember ever having seen this  
20 before, this drug dofetilide is not yet approved.

21 CHAIRMAN PACKER: The concern I have is  
22 not its novelty. The concern I have is it is our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ability to interrogate the data and the issues with  
2 the same degree. I mean, our reflex would be to say,  
3 gee, that P value looks pretty small.

4 DR. KOWEY: Is there some way for the  
5 agency if they thought they needed to do that to  
6 interrogate the data on a more rigorous basis?

7 CHAIRMAN PACKER: The problem is --

8 DR. FENICHEL: If you buy the rights to  
9 the data from Pfizer, I'm sure he'll do it.

10 DR. KOWEY: I don't have a check with me  
11 but I'm sure --

12 DR. FENICHEL: Look, I think that is a  
13 real problem but what I would recommend to the  
14 committee is I don't think this is different from the  
15 problem that arose with Center 29. I don't think it's  
16 different from the problem that arises implicitly all  
17 the time in looking at files, which is to say, well,  
18 maybe these results will not survive audit. Maybe the  
19 agency was convinced after looking at the data. But  
20 then when we send the DSI person around to the site,  
21 he finds that the patients were made up or whatever.

22 The committee should proceed with the data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 on its face. There may be questions that cannot be  
2 answered by the sponsor because of peculiar  
3 circumstances here. There will be other questions  
4 that are similar to the questions that always arise in  
5 terms of audit not having been done. Of course,  
6 that's true.

7 I think as regards the rights to this  
8 data, if that ever becomes pivotal in trying to  
9 establish some otherwise unsupported fact that the  
10 sponsor wants to assert about sotalol, I think that's  
11 going to go ultimately to -- it's not going to be my  
12 opinion. It's going to be something that the FDA  
13 general counsel deals with. The way to deal with  
14 lawyers is not to ask them what to do. It's tell them  
15 what you want to do and then see how they will allow  
16 you to do it. That's the same thing here.

17 DR. FISHER: Could I ask Bob a  
18 hypothetical? What would happen if sotalol in 345  
19 actually looked harmful or it had a lot of adverse  
20 event data. You could not explicitly consider that?  
21 I mean, that would be a horrible thing to happen.

22 DR. FENICHEL: Well, you're right. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't know what the answer is. I think the answer is  
2 that in that setting this would be like the sponsor is  
3 obligated under law to inform FDA of whatever it knows  
4 about the drug. Very often people tell us, "Well,  
5 here is some literature of some guy's study reported  
6 somewhere. We don't have the data and we don't know  
7 very much about it but we found it so we're passing it  
8 on."

9 Most of the time that stuff doesn't amount  
10 to much. Sometimes it is. Well, sometimes it's stuff  
11 like adverse reaction. Here is a report from some  
12 minor journal somewhere of an adverse reaction to our  
13 drug. We don't even know if it's true. We don't know  
14 very much about it.

15 Sometimes on the strength of that we tell  
16 the firm, "Look. This sounds suspicious. You ought  
17 to study that." The firm goes back and studies it.  
18 We do collect adverse things and we are able to  
19 consider them in that light.

20 You know, I suppose to carry your  
21 hypothetical to the extreme, suppose that 345 were  
22 very large and what it had demonstrated had been not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that sotalol 80 milligrams was pretty good, but rather  
2 that 80 milligrams of sotalol killed everyone who took  
3 it. Well, what would we do with that? That would be  
4 very tough. We would have to deal with that. That's  
5 not at all like the current situation.

6 CHAIRMAN PACKER: Okay. I think we have  
7 at least noted the issues. I think we have addressed  
8 the issue as much as we can. I would ask the  
9 committee that to the extent that 345 influences any  
10 of your votes or deliberations, you should make it  
11 clear so that it is clear to the division. If it's  
12 irrelevant, that's fine. If it's relevant, please  
13 make clear that it is relevant. It's just that we  
14 can't really do a whole lot about asking the sponsor  
15 questions about a study they didn't do.

16 JoAnn, I think you had one more question  
17 before we move on to the next thing. You're fine?  
18 Okay. We'll move on to study 014. JoAnn.

19 DR. LINDENFELD: We're told that three  
20 subjects were entered twice into 161. Is that  
21 correct? I was wondering if you could tell me what  
22 the study looks like without those patients who were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 considered twice, or did it make any difference?

2 DR. KOWEY: The company will address that.

3 DR. LINDENFELD: I don't know if it helps.

4 Page 69 of our briefing document says that three of  
5 the subjects were withdrawn and then re-enrolled. It  
6 seems like an unusual --

7 DR. MARROTT: We will check by the board  
8 and come back to you on this question.

9 DR. LINDENFELD: And then just clarify for  
10 me subject dropouts were followed, not followed?

11 DR. MARROTT: They were not.

12 DR. LINDENFELD: They were not found just  
13 as in 05.

14 DR. KOWEY: Is that correct?

15 DR. MARROTT: That is correct.

16 CHAIRMAN PACKER: Just to clarify, about  
17 20 percent of the patients had AEE's and didn't have  
18 follow-up. Is that about right?

19 DR. KOWEY: That's correct.

20 CHAIRMAN PACKER: Any other questions --

21 DR. KOWEY: It is actually 15 percent. Do  
22 you want to see it?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN PACKER: No. Any other questions  
2 from any other member of the committee on study 014?  
3 Okay. Let's move onto study 9A.

4 DR. LINDENFELD: One question on 9A. Let  
5 me see if I got this right. The time to recurrence of  
6 arrhythmia meantime was six days with placebo and 13  
7 and 18 days in the two sotalol groups. Is that  
8 correct?

9 DR. KOWEY: Give me one second and we'll  
10 put the slide up.

11 DR. LINDENFELD: Just a rough idea if that  
12 is correct.

13 DR. KOWEY: It's slide 54, please.

14 DR. LINDENFELD: I guess maybe other  
15 people want to comment on this. It brings up what is  
16 statistically significant and what's clinically  
17 significant in terms of -- I know these are recurrent  
18 arrhythmia so maybe this makes more of a difference.

19 DR. KOWEY: Yes. Remember this had a  
20 fairly arcane running so that we were collecting data  
21 by frequency of occurrence. It was stratified by the  
22 amount of time the patients were watched. In

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 addition, the analysis was also adjusted for that  
2 period of observation. This is clearly a group of  
3 patients who have very frequent arrhythmias judging  
4 from the placebo time to relapse.

5 Because there was such an enriched patient  
6 population, P values for the differences between the  
7 groups are significant. Not only for d,l-sotalol but  
8 also for d-sotalol. Clearly it was a different  
9 patient population.

10 DR. FENICHEL: Peter, let me take off on  
11 something in JoAnn's question and that is, of course,  
12 each of these trials was analyzed as a survival trial.  
13 If this were literally survival and the endpoint was  
14 death, one might say they all died within a couple of  
15 weeks. Does it really make that much difference?

16 Then, of course, it is recurrent arrhythmia  
17 and what we see in each of the trials going back to,  
18 I think, the flecainide trials is that the analysis  
19 has been a survival analysis with the assumption that  
20 the Poisson parameter, if you like, that the time to  
21 recurrence to the first recurrence is somehow typical  
22 and representative of the subsequent time between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recurrences which is a plausible thing to assert.

2 But I don't know, and maybe Ed Pritchett  
3 wants to speak about this, because I think this is a  
4 conceptual issue in this area. Is that well validated  
5 that this kind of analysis is, in fact, predictive of  
6 what would happen over the course of months or years  
7 of continuing therapy.

8 DR. PRITCHETT: There are several lines of  
9 data that support that. First, we know that in  
10 individual patients that the individual occurrences,  
11 serial occurrences of a symptomatic supra ventricular  
12 arrhythmia constitute a Poisson process as you said.

13 Secondly, we know that in a group of  
14 patients -- by the way, these two observations were  
15 published in the same paper in Circulation in 1981 --  
16 in a group of patients if you measure the time to  
17 first event and then the time between the first and  
18 the second, the distributions are identical. They sit  
19 right on top of each other.

20 Finally, you may recall from the  
21 flecainide program where in that program there was an  
22 attempt to measure to record four events during the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 follow-up period and the primary analysis was timed to  
2 first event. If you look at the average time between  
3 events for four events and the ratio between the  
4 flecainide and the treatment group, it came out to be  
5 the same as for the time to first event. The time to  
6 first event is a good estimate apparently of what this  
7 does to the rate of recurrences over time.

8 DR. THADANI: On this study on the  
9 recurrences, by how frequent ECG is monitored? Is it  
10 Holter or again just the transmission of 25 seconds?  
11 Because if it is not Holter, as you said, the Holter  
12 shows 10 to 12 times more than this one. How much  
13 reliance can one place if it's not the Holter?

14 DR. MARROTT: It's not Holter.

15 DR. THADANI: So it's just transtelephonic  
16 monitoring. As we have heard, the reliance that could  
17 vary from incidents 12 times less than the Holter.  
18 How much reliance with a sample size so small one  
19 can't compare anything on it? Is it possible that if  
20 done more frequently you'll have more episodes than  
21 other groups?

22 DR. KOWEY: It's certainly possible. By

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 the way, the endpoint here, the ECG documented  
2 recurrence of atrial fibrillation. It doesn't  
3 necessarily mean that it has correlated its symptoms.

4 DR. THADANI: Sure. It could be just on  
5 that 20 second recording which is negative and the  
6 event could have been positive.

7 DR. KOWEY: That's right.

8 DR. PRITCHETT: I might just comment with  
9 respect to, as you just said, the important thing is  
10 that this is a symptomatic outcome. Also with respect  
11 to the treatment effect here, I think Peter pointed  
12 out this is a very, very active group of patients with  
13 a median recurrence time of six days.

14 What you see with the 80 milligram dose is  
15 a doubling of the median time and then the 160 a  
16 tripling by the standards that the committee has used  
17 in the past which said that the minimum useful effect  
18 would be a doubling. This certainly meets that.

19 CHAIRMAN PACKER: Abe?

20 DR. THADANI: It's only a nine week study  
21 and the frequency of monitoring could have been two or  
22 three times.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PRITCHETT: No, no. The patients were  
2 called in when they had a symptomatic event.

3 DR. THADANI: It was only a nine week  
4 double-blind study.

5 DR. PRITCHETT: At the median time of  
6 event the placebo group was six days, it could have  
7 been a lot shorter than nine weeks and all the useful  
8 information would have been captured. This is a very,  
9 very active group of patients.

10 DR. KARKOWSKY: What I would do is like to  
11 make a couple of things clear. The first thing is  
12 that the analysis for the overall study if one  
13 includes discontinuation as having bad outcomes I  
14 don't think makes statistical significance. That's  
15 point number one.

16 Number two, the a fib flutter subgroup was  
17 never p specified as a subgroup in this study. No. 3,  
18 that in this group if you look through the study there  
19 were people who had arrhythmias classified as either  
20 a fib and a flutter, a fib and atrial tachycardia.

21 Number three, one could have dissected the  
22 group to decide that the a fib group included those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 people, didn't include those people, or include some  
2 of those people. If you look at the numbers, and if  
3 you have one placebo patient or two placebo patients  
4 who didn't have recurrences, the P value would have  
5 probably gone away.

6 CHAIRMAN PACKER: Maybe we should ask a  
7 question. In general we think that looking at  
8 subgroups of studies are interpretable if the overall  
9 study was positive. Was the overall study -- we don't  
10 know the results of the overall study.

11 DR. KOWEY: We showed you the intention of  
12 treatment on slide 53 for all patients. This is for  
13 all patients.

14 DR. CAIN: Ed, can I ask one follow-up to  
15 your question -- to your comment and that was if there  
16 is a doubling and tripling in this population group,  
17 the confidence that that doubling and tripling would  
18 be applicable to other patient groups, how far can one  
19 stretch that as opposed to the data simply being  
20 specific for this particular population.

21 DR. PRITCHETT: I think that how  
22 generalizable the data are depends on how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generalizable the entry criteria are. One of the  
2 things that made this group so active was the  
3 screening that was done when they set up that  
4 screening period to say put patients in the one-week  
5 bin or the two-week bin which developed a very  
6 enriched population of patients.

7 I believe that those numbers would likely  
8 hold up if the entry criteria were related sort of to  
9 the type of patient, not just -- in other words, I  
10 don't think you have to screen your patients and see  
11 whether they have one episode per week or three weeks  
12 in a row to obtain this benefit.

13 DR. KOWEY: Michael, do you want to see  
14 the inclusion/exclusion criteria?

15 DR. CAIN: It's okay.

16 DR. THADANI: On that issue of paroxysmal  
17 a fib which is happening so often, I personally would  
18 have liked to have seen the frequency of episode  
19 rather than just -- I realize the study was designed  
20 for the first episode, but if a patient is getting 10  
21 episodes on a 24-hour Holter or 20 episodes and then  
22 that information is available, that would be very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 useful to realize that not only you're reducing the  
2 onset of the first episode but are you reducing the  
3 number of episodes. These patients are obviously  
4 bothered with recurring symptoms. Any data they have?

5 DR. PRITCHETT: Well, No. That is very  
6 closely related to the question that Michael Cain  
7 answered. What we know is that --

8 DR. THADANI: In this population was there  
9 any data?

10 DR. PRITCHETT: Well, it wasn't done in  
11 this study. What we know in general about patients  
12 who have recurring arrhythmias are that when you put  
13 them on observation and measure time to first and then  
14 measure time between the first and the second that  
15 that number is the same. And from the previous  
16 clinical trials program done with Flecainide presented  
17 to this committee in October of 1989 and published in  
18 Circulation by Geoff Anderson in 1991, we know if you  
19 follow people to the fourth event and then look at the  
20 average time interval between events compared with the  
21 median time interval, that those numbers are nearly  
22 the same.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           There is a substantial empiric body of data that  
2 tells you that measuring treatment effects by looking  
3 at time to first event is a good way to estimate long-  
4 term effects.

5           The best study done to try and follow  
6 patients for multiple events was the bidisomide study  
7 conducted by Ciro which was published in Circulation  
8 in 1995 which recruited 1,200 patients with atrial  
9 fibrillation and 200 with PSVT, and we tried to follow  
10 patients for a full year no matter how many events we  
11 had.

12           What we found is you could keep them in  
13 the trial for a couple of events before they demanded  
14 to be taken out if the drug wasn't working. While  
15 it's a nice idea to say let's follow patients until  
16 they have eight events or let's capture every event  
17 over the course of a year, in practical terms that's  
18 very, very difficult to do. No one has been able to  
19 do it successfully.

20           CHAIRMAN PACKER: Okay. If there are no  
21 other questions on 9A, let's move on. I guess the  
22 only study remaining is study H. This is the open

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 label comparison of quinidine and sotalol. Any  
2 questions? Okay. Are there any questions at all on  
3 any other issues related to efficacy? Are we in a  
4 post prandial lull? Let's proceed to safety, please.

5 DR. GRABOYS: There's three groups of  
6 patients. This may be extraditable to I think all of  
7 the studies but there are three groups of patients  
8 here that are missing in terms of being able to make  
9 a decision about risk benefit.

10 Two have already been alluded to. One is  
11 the octogenarian population. We are increasingly  
12 seeing people in their 80's who are presenting with  
13 atrial fibrillation. We question then in that  
14 population what kind of data do you have to support  
15 safety in that population.

16 The second is women, particularly in view  
17 of the fact that QT prolongation seemed to occur more  
18 commonly in women with sotalol, and the fact that has  
19 already been alluded to, women seem to be under-  
20 represented in the data.

21 The third is the African-American and  
22 Black population in which you see that 99 to 100

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 percent or even 90 percent in some of the studies are  
2 all white. How do we then interpret that in terms of,  
3 again, safety utilization in the Black population.

4 DR. KOWEY: Tom, I'm going to address, in  
5 the safety presentation, I'll talk about age and  
6 gender. I do have some other backup slides that I'll  
7 show you on age and gender if you want to see them.  
8 Unfortunately, many of these studies were done in  
9 Scandinavia.

10 A couple of these studies, as you've heard  
11 already, were done in countries where there are no  
12 African-Americans, and so the database, as you point  
13 out correctly, does not contain them. It is something  
14 I'm sure that the sponsor would consider doing in a  
15 post-marketing effort.

16 CHAIRMAN PACKER: Okay. There being no  
17 further questions about efficacy, let's move on to the  
18 presentation. Rob, yes?

19 DR. CALIFF: Just one question. This is  
20 a mixture of studies not all of which were done  
21 specifically for marketing for this indication. Out  
22 of the universal study looking at sotalol for atrial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           dysrhythmias, is this 100 percent of those studies?

2                   DR. KOWEY: The only studies that we have  
3           in the database that we did present to you for  
4           efficacy where G, which was a subpopulation study of  
5           MSPT cohort and then the two Stige studies which were  
6           really not done specifically to look at these studies.  
7           Stige II sort of was but it was stopped very early and  
8           there was no useful data.

9                   DR. THADANI: There are no other studies  
10          which have been done, have negative results not  
11          published or not presented or not shown here?

12                   DR. KOWEY: I'm sure there are studies  
13          that have been kind of not published but not by the  
14          sponsors.

15                   DR. THADANI: There is no end date on  
16          those studies?

17                   DR. KOWEY: No.

18                   DR. THADANI: All the end dates have been--  
19          -

20                   DR. KOWEY: There are compassionate use  
21          studies but they are not --

22                   CHAIRMAN PACKER: Any ongoing trials at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the present time?

2 DR. KOWEY: No.

3 CHAIRMAN PACKER: Let's move to safety.

4 DR. KOWEY: I asked Milton and he agreed  
5 so I'm going to hold him to it. I only have few  
6 slides on dosing recommendations, so I'm going to  
7 cover these last two topics. Neither of these areas  
8 are nearly as long as the efficacy discussion so we  
9 should be able to get through it fairly quickly.

10 This is the composite of the clinical  
11 information we are going to use for the safety  
12 presentation, 2,184 patients. I'll be pointing out  
13 that there are four studies that are in an  
14 electronically pulled database that you've already  
15 heard about this morning, 05, 004, 014, and 9A.

16 We have safety information for the most  
17 serious adverse events in the unpulled and I'm not  
18 going to be discussing specifically issues in the  
19 compassionate use studies, although I will present you  
20 a bit of information about the most serious adverse  
21 events in the total database.

22 I do want to point out, Milton, that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are going to show you data for deaths and torsade only  
2 from the 345 study of dofetilide. This will be  
3 grouped basically into three parts of this  
4 presentation. The first part is to look at the most  
5 common adverse events. This will be, as I said  
6 earlier, from the pulled database.

7 I have a discussion of clinically  
8 important adverse events from a larger database. Then  
9 I'm going to present supportive studies showing no  
10 access for structural heart disease. One is the post-  
11 MI Julian study which the agency felt very strongly  
12 that we should show you today, an old sotalol study.  
13 Then the ICD study that was recently completed and the  
14 results are currently in review.

15 Again, I want to make sure that everyone  
16 understands that we will be using different  
17 denominators for the safety discussion. For the most  
18 common AE's we'll be using a pulled placebo controlled  
19 database. We will be showing you data from the double-  
20 blind phase of these four pulled trials. So we will  
21 have 415 patients in the sotalol arm and 282 patients  
22 in the placebo arm for the most common AE's.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   For heart failure, stroke, and myocardial  
2                   infarction, we have data from the controlled phase of  
3                   eight controlled studies. That's the four pulled and  
4                   the four older studies for an end of 656 and a placebo  
5                   group of 358. We've added in for death and torsade  
6                   data from the dofetilide of 137 patients in placebo  
7                   and in the sotalol arm. That's where these numbers  
8                   come from.

9                   This is the most common adverse events in  
10                  the clinical trials. This is looking at the pulled  
11                  placebo controlled trials. And I think the numbers  
12                  speak for themselves. You probably would expect for  
13                  a beta-blocker to see fatigue and dizziness,  
14                  bradycardia, dyspnea, and palpitations as adverse  
15                  events in the d,l-sotalol arm at a higher frequency  
16                  than in the placebo arm. These are the  
17                  discontinuation rates; in the d,l-sotalol arm 17  
18                  percent compared to five percent in the placebo arm.

19                  I'm now moving into more serious adverse  
20                  events. You are going to see this kind of format on  
21                  several of these slides that will be coming up. We  
22                  have put the studies on the left-hand side that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generate the information. The d,l-sotalol placebo, a  
2 comparator if one is in the study or one is included  
3 in these particular studies. That would be d-sotalol  
4 or quinidine.

5 And this is for deaths in the controlled  
6 phase of the eight controlled studies; the dofetilide  
7 trial, 245. Again, we are adding these numbers. This  
8 is the percentage of patients who died in the program,  
9 0.5 percent compared to 0.4 percent in the placebo  
10 arm. These are the numbers for d-sotalol and  
11 quinidine.

12 These are the patients who died in these  
13 trials. In the d,l-sotalol arm three of the deaths  
14 were in study 014. These three patients received  
15 doses of the drug which were in excess of the dose  
16 that we are recommending for this particular patient.  
17 You notice that there are no deaths in 05 or 04.

18 There was one death, as I already  
19 mentioned to you, in H which was a myocardial  
20 infarction in a patient who got 160 milligrams per  
21 day. The cause of death, like I said, was myocardial  
22 infarction. In the placebo arms, in 004 there were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 two deaths, as I have already mentioned. Both  
2 patients have structural heart disease.

3 This is torsade in the controlled phase of  
4 the eight controlled trials and dofetilide trial 245.  
5 The total is four for 0.5 percent, placebo arm 0.2  
6 percent, none in the d-sotalol, and one in the  
7 quinidine arm. I want to emphasize that in this  
8 entire data set all these patients who had suffered  
9 torsade, there were no deaths.

10 These are the torsade cases themselves.  
11 This is, again, the controlled phase of the controlled  
12 trials. Study 014 was where three of the sotalol  
13 related torsade events occurred. These are the number  
14 of days that the patient had been on the dose that led  
15 to the torsade.

16 I want to point out this little cross  
17 heré. That patient required a cardiovert. These  
18 three patients had self-terminating torsade.

19 In this group of controls, again, I  
20 mentioned earlier that there was one patient we  
21 studied each who developed torsade and that person  
22 required a cardioversion. The patient in 004 had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 received a placebo and developed torsade, actually had  
2 torsade, or taken the marketed anti-arrhythmic drug  
3 after stopping placebo. So this is a torsade  
4 experience in controlled clinical trials.

5 I want to show you an analysis of the back  
6 end torsade in the controlled phase of the eight  
7 controlled trials and a dofetilide study segregated on  
8 the basis of dose in the study. This is within the  
9 recommended dose for this indication of 320 milligrams  
10 per day. This one, this is in excess of 320 milligram  
11 dose. There were 62 patients in the controlled phases  
12 of controlled trials who received a dose greater than  
13 320 milligrams.

14 There were 734 patients who received the  
15 dose that we are recommending. This is death. There  
16 was 4.8 percent in this group, 0.1 percent in the less  
17 than 320 milligram group. In the placebo the death  
18 rate was 0.4 percent. This P value is Fisher's Exact  
19 test comparing these two columns. Not comparing  
20 placebo, comparing this column with this column.

21 This is the torsade rate, 3.2 percent for  
22 patients who received greater than 320 milligrams.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There was 0.3 percent in patients receiving less than  
2 320 milligrams. This is the placebo rate which was a  
3 little tiny bit less. This is the P value with the  
4 difference between that group and that group. This is  
5 important because of recommendation of dose for this  
6 indication.

7 This is heart failure in the controlled  
8 phase of the eight controlled trials. Here we do not  
9 have a dofetilide information so at the end the  
10 smaller 656 patients, 1.5 percent in the d,l-sotalol  
11 group. You can see it's 0.8 percent in the placebo  
12 group, 0.6 percent for d-sotalol, 1.3 percent for  
13 quinidine.

14 This is stroke in the controlled phase of  
15 eight controlled studies. d,l-Sotalol, 0.9 percent;  
16 placebo, 0.6 percent; d-sotalol, 0.5 percent;  
17 quinidine, 2.3 percent.

18 This is myocardial infarctions in the  
19 controlled phase of the eight controlled studies; 0.5  
20 percent for d,l-sotalol, 0.6 percent for placebo, 0.6  
21 percent for d-sotalol, and there were no cases in the  
22 quinidine group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   This is a slide now from the entire safety  
2                   database, not just from the eight controlled studies,  
3                   showing the overall incidents of death, torsade, heart  
4                   failure, stroke, and myocardial infarction in the  
5                   entire database including the compassionate use  
6                   studies in the open label experiments following the  
7                   controlled phase and these are the percentages.  
8                   Remember that for death and torsade, the data includes  
9                   patients in the dofetilide trial.

10                   I would like to point out that there were  
11                   28 cases of torsade in the entire database. Of those  
12                   28 patients there were two deaths and torsade.

13                   I very briefly want to run through the two  
14                   supportive studies for no excess mortality and  
15                   structural heart disease. Again, this was an agency  
16                   request and this one is a study which has just  
17                   recently been completed and I'll do this briefly.

18                   The Julian study was a post-myocardial  
19                   infarction study very much in the genre in the early  
20                   1980's of beta-blocker trials after myocardial  
21                   infarction. The goal of this study was to evaluate  
22                   the efficacy of sotalol in reducing all-cause

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mortality reinfarction following acute myocardial  
2 infarction. There were 456 patients, the usual age  
3 group enrolled five to 14 days after MI. The primary  
4 endpoint was all-cause mortality and reinfarction.

5 We are only going to show you data for  
6 all-cause mortality there's been some question about  
7 the way that the reinfarctions were quantitated. We  
8 have those data if you want to see it. A statistical  
9 test and it was a one-year study which was published  
10 in Lancer.

11 Persons, as I said, who had a recent  
12 myocardial infarction were randomized to a very, very  
13 novel dose of sotalol, 320 milligrams delivered as a  
14 single dose in the morning compared to placebo,  
15 double-blind treatment for 12 months. For those  
16 patients who could not tolerate 320 milligrams a day,  
17 they could have their dose reduced to 160 milligrams  
18 per day but in the protocol specifically indicated  
19 that that was for patients with bradycardia less than  
20 50 beats per minute.

21 This is patient characteristics. There  
22 were mostly males in the study. This is the history

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of coronary arteries. There is hypertension prior to  
2 the acute event. I want to point out that during the  
3 infarction a substantial number of patients had had  
4 heart failure, an increased cardiothoracic ratio,  
5 relative hypotension. There's a relatively even split  
6 at both ends of the study as to anterior, inferior  
7 infarct.

8 This is the Kaplan Meier. Well, this is  
9 the cumulative mortality total for the study for d,l-  
10 sotalol and for placebo. There has been some  
11 discussion about the early mortality that was seen in  
12 the d,l-sotalol group. I want to point out that at no  
13 time during the first 10 to 30 days of this study was  
14 there a statistically significant difference between  
15 the two groups in terms of mortality and there are  
16 many explanations that you can discuss as to why that  
17 may have happened.

18 But in any case, the study showed that  
19 there was not only no excess mortality with d,l-  
20 sotalol for a one-year time frame, but in fact there  
21 was a reduction in mortality although it by no means  
22 met any kind of statistical significance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   The second study, the so-called ICD study,  
2 was a test of the hypothesis that d,l-sotalol would be  
3 effective in place of the placebo preventing all-cause  
4 ICD shocks. As many of you know, patients who have  
5 defibrillators are prone to frequent device disrupt  
6 causing a significant amount of morbidity and, in some  
7 cases, mortality.

8                   Population of patients with 202 patients  
9 with ICD's who were implanted for the indication of  
10 life-threatening ventricular arrhythmias. I'll show  
11 you a breakdown of that in a moment. The primary  
12 prespecified endpoint was time to first all-cause ICD  
13 shock with that after randomization. And it was a  
14 Kaplan Meier survival curve with a log rank test.

15                   As I said, these are patients with life-  
16 threatening ventricular arrhythmias. The  
17 randomization was stratified for ejection fraction.  
18 Patients were distributed by ejection fraction greater  
19 than and less than 30 percent. In the presence of  
20 renal insufficiency in this study, the find is a  
21 creatinine clearance between 30 and 60 cc's per  
22 minute. Patients received the once daily dose similar

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to what was done in 05. Patients less than 30 cc's  
2 per minute were excluded. The therapy was continued  
3 double-blind for 12 months.

4

5 And in the sotalol arm of the study -- well, in both  
6 arms of the study there was the opportunity for  
7 changing dose which was done blindly.

8 This was the inclusion criteria. These  
9 were patients who were undergoing first implantation  
10 or placement of an ICD within three months of  
11 enrollment. For those patients who had had  
12 replacement, it was necessary for them to have had at  
13 least one shock during the preceding six month period  
14 in order to be certain that these were not simply  
15 quiescent patients.

16 Tiered therapy ICDs were used in all cases  
17 and all devices had electrogram storage and logging of  
18 shock and other types of cardio pacing episodes for us  
19 to be able to retrieve the information and make a  
20 judgment as to whether or not the shocks were  
21 appropriate.

22 I would also point out that predistress

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 testing was carried out in this cohort to guarantee  
2 that shock energies were at least 10 joules below the  
3 maximum ICD output. This is fairly standard clinical  
4 practice for ICD input.

5 These are the baseline characteristics of  
6 the patients in the placebo arm and in the sotalol  
7 arm, even number of patients. This is male being two  
8 percent, age matched. I would point out that a number  
9 of these patients had undergone coronary  
10 interventions. A substantial number of these patients  
11 had previous myocardial infarctions. There was a  
12 small percentage of patients who had Class II New York  
13 Heart Association.

14 I would also point out that as a typical  
15 ICD patient population, a third of the patients that  
16 had aborted sudden death, two-thirds of the patients  
17 had ventricular tachycardia either symptomatic and/or  
18 inducible in the physiology laboratory.

19 This is the primary three-step spot  
20 analysis for the ICD study upon the first all-cause  
21 shock or death internalization with the intention to  
22 treat analysis. This is the true value for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 observation and difference between sotalol and  
2 placebo.

3 If one relied on the investigator's  
4 interpretation of the electrograms to determine  
5 whether or not the ICD shock was appropriate for VT/VF  
6 -- that's the first shock was appropriate for VT/VF.  
7 These are the data. The P value is 0.007.

8 Finally, this is all-cause mortality and  
9 intention to treat analysis or placebo, 4.6 percent,  
10 and for d,l-sotalol, 2.6 percent. There were no  
11 sudden deaths from this clinical trial.

12 This is just to make you aware of the fact  
13 that when we look at the study based on the  
14 stratification of less than and greater than 30  
15 percent ejection fraction, there was consistency of  
16 the results across those two strata.

17 I will conclude from this entire safety  
18 discussion that doses between 80 and 160 milligrams  
19 twice per day are safe. In fact, in study 05 and  
20 study 04, where they were the doses used, there were  
21 no deaths and there were no extensive torsade.  
22 Discontinuation due to adverse events, as we've seen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before lunch, is dose related, but when titration is  
2 permitted, it maximizes the benefit to this ratio.

3 The incidents of death, torsade, and other  
4 serious AEs in the entire database as well. It  
5 appears, therefore, justified that in patients who  
6 have structural heart disease, outpatient therapy may  
7 be safely undertaken.

8 I just want to make, Milton, if I may,  
9 just the three or four slides on the characteristics  
10 of dosing recommendations because this does have to do  
11 a good deal with safety. This gets to a question that  
12 we discussed earlier, and that is the  
13 electrophysiologic and pharmacodynamic effect of this  
14 drug, vis-a-vis its affect on electrophysiologic  
15 parameters and then on efficacy. These are data from  
16 the randomized dose ranging study 05 looking at heart  
17 rate QT and QTc. Heart rate is illustrated on the  
18 slide in pink and you can see that with increasing  
19 dose of the drug, there is a progressive fallen heart  
20 rate. But there is also a more profound increase in  
21 the continued interval if one gets the 160 milligram  
22 dose, which, again, in these patients for the most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 part was taken twice per day and with a renal  
2 impairment was taken once a day. If you examine the  
3 information regulating QTc to the dose response in  
4 study 05, the pink bars are change. This is delta now  
5 PTC in milliseconds. Our presumed study states from  
6 baseline and QTc. And as you would expect, as you  
7 increase the dose you increase the effect on QTc. The  
8 green is a Kaplan Meier estimate of relapse-free  
9 intervals, a relapse-free rate at 12 months showing a  
10 progressive effect by dose.

11 Based on all this information, we would  
12 make the following recommendations about dose. First,  
13 as in the clinical trials, it is extremely important  
14 that careful attention be paid to identify and correct  
15 the risk factors for coarrythmics effects of sotalol  
16 which include hypokalemia, tachycardia, and QT  
17 prolongation, either a congenial or acquired on the  
18 basis of use, for example, of other drugs which come  
19 on in the interval which are well described.

20 Sotalol may be initiated on an outpatient  
21 basis, as I said, about structural heart disease, but  
22 doses greater than 160 milligrams twice a day, or once

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a day in patients with renal dysfunction, are not  
2 recommended. The titration is an extremely important  
3 part of using sotalol. It's the way we use it in  
4 clinical practice. It's the way it was done in  
5 several of the clinical trials.

6 And we think that the data adequately  
7 supports these recommendations. Treatment should be  
8 initiated with 80 milligrams twice per day. We have  
9 data from study 345 and study 05 which provides, we  
10 think, comprehensive evidence that the drug works at  
11 that dose and has a good safety profile. Remember, in  
12 study 345 there were no deaths and no torsade, and  
13 neither were there in study 05.

14 Study 05 provides evidence of efficacy and  
15 safety for 120 milligrams twice per day, which should  
16 be the second step in the titration process. Many  
17 physicians routinely go to 120 milligrams twice per  
18 day even if the patient has had no recurrences with 80  
19 milligrams twice per day on the principal that this  
20 may be the most effective dose.

21 And then, finally, for patients who do not  
22 respond to 120 milligrams dose, study 004 suggest that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 d,l-sotalol at 160 milligrams twice per day is  
2 effective and safe.

3 That concludes my comments.

4 CHAIRMAN PACKER: Peter, could you just go  
5 back one moment.

6 DR. KOWEY: Can we go back?

7 CHAIRMAN PACKER: Yes. Statement No. 1,  
8 you say study 05 provides evidence for efficacy and  
9 safety at this dose?

10 DR. KOWEY: I would say that there is a  
11 better effect but not statistically significantly  
12 better effect for 80 milligrams in 05.

13 CHAIRMAN PACKER: First of all, I don't  
14 think there was anything that one could talk about at  
15 80 milligrams versus placebo in study 05.

16 DR. KOWEY: Can we go back at least two  
17 slides? Okay, I agree. The reason I said it is  
18 because there are patients who will respond to an 80  
19 milligrams twice per day dose. We don't know how to  
20 preselect those patients necessarily, but I think, as  
21 I said in clinical practice, we usually start at 80  
22 milligrams twice per day.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN PACKER: I'm sure 80 milligrams  
2 twice per day is effective with people of creatinines  
3 of 4. I'm joking.

4                   DR. KOWEY: Or for people with small body  
5 size, as Bob was talking about earlier.

6                   CHAIRMAN PACKER: The issue here is not to  
7 question the recommendation of where to start but to  
8 question your conclusion that that starting dose is  
9 effective or has been shown to be effective instead of  
10 the 05.

11                  DR. KOWEY: Okay. I will concede, Milton,  
12 that most of the efficacy data for 80 milligrams has  
13 to come from 345. But we do have safety data from 05  
14 and that was a compound sentence that said safety and  
15 efficacy. So, maybe I can hide behind that.

16                  DR. THADANI: Also, I think you can't say  
17 some of the patients respond; so did the placebo  
18 patients, 28 percent, so that's a nonstatement.

19                  DR. KOWEY: Well, again, it depends on how  
20 much confidence you place in study 345.

21                  DR. THADANI: I realize that but there's  
22 only 2 percent difference. I think Milton's point is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very valid.

2 DR. KOWEY: I have to concede that was  
3 overstated.

4 CHAIRMAN PACKER: Why don't we begin with  
5 JoAnn in the conventional way.

6 JoAnn, questions about safety?

7 DR. LINDENFELD: Just to start off, could  
8 you show us a list of the drugs that were excluded in  
9 these studies? I guess the question is were they the  
10 same. I know anti-arrythmics were excluded. And that  
11 ties in with verapamil. It just said in the protocol  
12 there was a list of excluded drugs. Just for the  
13 purposes of how we use these drugs, do you know what  
14 that included? Erythromycin, bactrim?

15 DR. KOWEY: Yes. The investigators were  
16 instructed in the protocol to exclude the use of any  
17 drug that prolonged the QT.

18 DR. LINDENFELD: Could you just show us a  
19 list of that so we --

20 DR. KOWEY: We don't have a list of the  
21 actual slides.

22 DR. LINDENFELD: So any drug that might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prolong QT but there was the specific --

2 DR. KOWEY: That was specifically an  
3 exclusion criteria.

4 DR. LINDENFELD: And approximately how  
5 many were there on there, 30, 20? Was there a large  
6 number?

7 DR. KOWEY: I'm sorry?

8 DR. LINDENFELD: There were a fairly large  
9 number on that list?

10 DR. KOWEY: Of drugs? Oh, yes.

11 DR. LINDENFELD: That the investigators  
12 looked at.

13 DR. KOWEY: It's a big list.

14 DR. LINDENFELD: Again, that doesn't take  
15 away from the efficacy but there's a large group of  
16 drugs that these older patients might be taking that  
17 interfere here.

18 DR. KOWEY: Absolutely true.

19 DR. LINDENFELD: Just to be sure, I  
20 understand that even in the 004 study that dotiazam  
21 and verapamil were required to be withdrawn prior to  
22 the use of sotalol so that those drugs were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specifically excluded because that gets back to the  
2 risk of bradycardia and there is a fairly substantial  
3 risk of bradycardia. What I wanted to ask was, do we  
4 know was that primarily following conversion to sinus  
5 rhythm, the bradycardia?

6 DR. KOWEY: Yes.

7 DR. LINDENFELD: Okay. Many of those were  
8 classified as serious adverse effects. Is that  
9 correct?

10 DR. KOWEY: Which? I'm sorry, JoAnn.

11 DR. LINDENFELD: The bradycardia.

12 DR. KOWEY: Yes.

13 DR. LINDENFELD: A number was quite  
14 serious.

15 DR. KOWEY: A number of them were  
16 classified as serious. Yes.

17 DR. LINDENFELD: And in terms of adverse  
18 effects --

19 DR. KOWEY: Do you want to see the  
20 percentages?

21 DR. LINDENFELD: That would be great.

22 DR. KOWEY: Can we have the common adverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 events greater than 6 percent slide in the core?

2 DR. LINDENFELD: I just want to emphasize  
3 here that the safety things will include not only the  
4 QT prolonging drugs but drugs that may cause  
5 bradycardia.

6 DR. KOWEY: Here it is. Actually, you  
7 know, I take it back a bit. This is greater than 6  
8 percent incidence bradycardia. I take back what I  
9 just said. It's actually a small percentage that were  
10 considered severe.

11 DR. LINDENFELD: And then can you give us  
12 some information about specific subgroups, the ones we  
13 know are high risk for torsade?

14 DR. KOWEY: Yes.

15 DR. LINDENFELD: Heart failure, female  
16 gender, age greater than 70. Specifically, I wondered  
17 LVH wasn't specifically included but let's get back to  
18 the group Tom talked about, the African-Americans with  
19 LVH who might be considered high risk. Do you have  
20 some specifics for those groups?

21 DR. KOWEY: I don't have LVH but I can  
22 show you a lot of the other stuff.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. LINDENFELD: Was LVH excluded? I  
2 didn't see that as one of the --

3 DR. KOWEY: No. It was not excluded.  
4 There were a smattering of about 20 or 30 percent of  
5 the patients in the trials that had hypertension so  
6 LVH was not an exclusion.

7 Can I have backup slide, please, No. 361.  
8 This is population less than and greater than 60 in  
9 the controlled phase of the controlled trials. These  
10 are the deaths in the greater than 65 and less than 65  
11 group. Heart failure, stroke, torsade. There was  
12 more dizziness in the older patients and there was  
13 more bradycardia in the older patients.

14 DR. GRABOYS: Peter, those over age 65,  
15 what was the average age? We need to know about the  
16 older population.

17 DR. KOWEY: I'll tell you, Tom, I don't  
18 have the data but I can tell you that there was not a  
19 large number of very, very old in the study. The  
20 octogenarians that you were talking about, I'm afraid  
21 there really weren't very many in the trial. I don't  
22 know exactly what the number was.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LINDENFELD: Do you have torsade by  
2 gender of creatinine clearance?

3 DR. KOWEY: Yes. Which way do you want  
4 it? You want gender first or you want creatinine  
5 clearance first?

6 DR. LINDENFELD: Either one first.

7 DR. KOWEY: Okay. How about if we do  
8 gender. And do you want torsade? This is torsade by  
9 gender and treatment in a controlled phase of the  
10 controlled class. This is female, male. This is  
11 comparative data with quinidine and there's some d-  
12 sotalolol, a very small number of patients. What was  
13 the other one, JoAnn, heart failure?

14 DR. LINDENFELD: Creatinine clearance.

15 DR. KOWEY: Creatinine clearance. We can  
16 do that. Can I have 367, please. This is creatinine  
17 clearances greater than 60, less than 60. This is  
18 torsade, deaths, heart failure, stroke. There was  
19 more bradycardia in the patients who had well  
20 preserved creatinine clearances and there was more  
21 dizziness in patients with low creatinine clearances.

22 DR. FENICHEL: You know, I don't see how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this is interpretable really because suppose that the  
2 people in the trials generally all had creatinine  
3 clearances that were in the range of, say, 55 to 65.  
4 Well, then what you'd find is the people -- and the  
5 threshold, I think, was to cut the dose at 60.

6 Well, then all of a sudden the people who  
7 all essentially had the same creatinine clearance, the  
8 ones with the slightly lower creatinine clearance were  
9 actually getting a much lower dose so it looked like  
10 it was much safer in that group. I think this is  
11 hopelessly confounded.

12 CHAIRMAN PACKER: I think it's also  
13 hopelessly confounded by the fact that none of the  
14 ADCR people corrected. Consequently, elderly people  
15 could get more side effects on placebo than younger  
16 people. That wouldn't be too surprising. So that I  
17 think that in order to really interpret this one has  
18 to adjust for the corresponding incidence in the  
19 specific subgroups in the placebo group.

20 DR. KOWEY: Can I have slide 296. This is  
21 the placebo group, Milton, and this is the d-sotalol  
22 group. This is the breakdown for creatinine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clearance. I can do this basically for whatever  
2 parameter you would like.

3 CHAIRMAN PACKER: I think this actually,  
4 sort of, makes a point. Look at dizziness. If you  
5 compared only the sotalol group, you might expect that  
6 there was not a lot of difference in dizziness above  
7 and below 60. If you compare it to the corresponding  
8 placebo group, which is less than 60 on sotalol, less  
9 than 60 on placebo, there is a substantial difference  
10 in risk of dizziness which is not present if you do a  
11 placebo correction on the group with more normal renal  
12 function, something which one would never have picked  
13 up if one only did a comparison above and below 60 in  
14 the sotalol group.

15 So if you--One, I think one should always  
16 do a placebo correction, and second is that this would  
17 indicate that dizziness is an issue in the group.  
18 Much more of an issue than not in a group with more  
19 borderline, you know, functioning. The group with  
20 normal renal function, literally there is no increase  
21 in dizziness.

22 DR. KOWEY: Everything you said I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 completely agree with. That's why we analyze the data  
2 for common adverse events with placebo in the  
3 controlled phase of the controlled trials because you  
4 had placebo information.

5 CHAIRMAN PACKER: Bradycardia is not  
6 differentially distributed.

7 DR. KOWEY: That's what I was just going  
8 to say. So you can that with the placebo correction,  
9 it's really not an issue. I can do that if you would  
10 like. I have data for other subgroups, but I think  
11 what you'll see is that it comes out as a wash in many  
12 of these studies.

13 CHAIRMAN PACKER: Does anyone want a  
14 specific subgroup not--that they haven't seen for this  
15 kind of placebo corrected data. I think that we would  
16 like to spare all of use having to see every single  
17 permutation and combination of these.

18 DR. KOWEY: Did you want to see gender?

19 DR. THADANI: You might show gender in  
20 QTc. I thought my reading, if I remember correctly,  
21 QTc was more prolonged in men than women. Am I  
22 correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KOWEY: I can show you data on that on  
2 slide --

3 DR. THADANI: Am I reading it wrong? I  
4 thought--

5 DR. KOWEY: I'll show you slide 320.

6 DR. THADANI: Torsade is the opposite  
7 around. No, I realize this doesn't go together.

8 DR. KOWEY: These are data male versus  
9 female, male in yellow and female in orange. This is  
10 change in heart rate. You can conclude anything. I  
11 mean, you can look at these and decide what you think.  
12 This is QTc data and this is QT uncorrected for 80,  
13 120, 160 milligram dose groups in study 05.

14 DR. THADANI: There's less prolongation in  
15 woman of QT and QTc, which--You know, normally we talk  
16 about incident is torsade greater in woman. My  
17 indication would have been that QTc is more prolonged  
18 in those groups.

19 DR. KOWEY: For reasons that are not clear  
20 to me -- you are right, by the way, that torsade is  
21 more frequent in women. You are also right that you  
22 tend to get more QT prolonging effect in women. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 didn't see either of those in these data. I suspect  
2 part of the reason is because we were at the low end  
3 of the dose. If we had gone higher on the dose, we  
4 may have seen that effect.

5 DR. CALIFF: And, I might add, at the low  
6 end of the number of patients.

7 DR. KOWEY: Yes. Also true.

8 DR. THADANI: Also probably the beta-  
9 blocker has different effect. Doesn't it?

10 DR. KOWEY: Yes.

11 DR. THADANI: Where you find the QT  
12 because other drugs don't have beta-blockade.

13 DR. KOWEY: But these are the bases that  
14 we want to use, and that's the data on the QT.

15 CHAIRMAN PACKER: Michael and Ileana  
16 after.

17 DR. CAIN: Just one methodologic question.  
18 The QT measurements that are reflected in these data  
19 were made off the 12 week ECG?

20 DR. KOWEY: Yes.

21 DR. CAIN: Because someone earlier had  
22 said you were also measuring them off of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transtelephonic.

2 DR. KOWEY: The transtelephonic was used  
3 as an indicator that the patient had to come in for a  
4 12 week so that these data we're seeing here are from  
5 12 weeks.

6 DR. PIÑA: I'm having a bit of a time  
7 figuring out why the ICD trial was shown under the  
8 safety considerations. Since it was and there were  
9 patients entered who had ejection fractions of below  
10 30 percent, were they dosed differently? Were they  
11 dosed in-hospital versus outpatient?

12 DR. KOWEY: The majority of the patients  
13 in this study were in the hospital. The majority, I  
14 don't know what the percentage was. All of them--  
15 that's a good majority--were all in the hospital for  
16 initiation of the drug, but there was no dose  
17 adjustment by ejection fraction. There was a dose  
18 adjustment by creatinine clearance but not by ejection  
19 fraction.

20 DR. THADANI: But that's a VT population.  
21 Right? ICD.

22 DR. KOWEY: You know, to explain to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Ileana, her first question was, "Why did you see that?  
2 Because you really like it?" I think, first of all,  
3 it's the first study that has ever been shown. I  
4 mean, it's a little bit extraneous but it's the first  
5 study that shows that there is a benefit to using the  
6 drug as adjuvant therapy in ICD patients.

7 But, in addition, the question that a lot  
8 of you have been asking is if you give this drug to  
9 patients who don't have good ventricular function,  
10 does it have an adverse effect? Well, the FDA wanted  
11 us to show you the Julian data, which we did, and this  
12 is another group of patients who have bad ventricles.  
13 That's the reason why the data was shown. That's the  
14 only reason why the data was shown.

15 DR. THADANI: What were the incidents of  
16 torsade in that ICD group? Because here you showed  
17 that sotalol far less often so there's no incident of  
18 torsade.

19 DR. KOWEY: If you can tell me an ICD  
20 patient from an electrogram whether something is  
21 torsade or polymorphic--

22 DR. THADANI: We can't tell.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KOWEY: --then you have to really tell  
2 me how to do that.

3 DR. THADANI: How many were polymorphic  
4 versus monomorphic VT?

5 DR. KOWEY: I don't have a breakdown. I  
6 do not have a breakdown. Do we have a breakdown of  
7 poly versus mono VT?

8 DR. WILLIAMS: There was one patient that  
9 had torsade diagnosed from a Holter. We had in the  
10 protocol a requirement for a Holter at one month.  
11 Protocol required a Holter recording at one month, and  
12 one patient had a polymorphic VT documented on that  
13 Holter QT prolongation which was called torsade. The  
14 patient was taken out of the study and was on placebo.  
15 We had another patient who from the electrogram --

16 DR. KOWEY: While you are talking, John,  
17 can I have slide 357, please?

18 DR. WILLIAMS: The electrogram suggested  
19 torsade but I'm not sure if you can diagnose torsade  
20 from an electrogram of an ICD.

21 DR. KOWEY: One of those patients was a  
22 placebo patient and one of these patients was a d,l-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sotalol patient, but to tell you the truth, I mean,  
2 it's possible that some of these discharges could have  
3 been for torsade.

4 DR. THADANI: But you were able to  
5 interview. That's the good thing about the ICD. You  
6 can go back and integrate the --

7 DR. KOWEY: Yes. It was done.

8 DR. THADANI: That means the incidence of  
9 torsade mostly is asymptomatic in patients, unless  
10 they die, that might have important implications. Now  
11 you are showing me that QTc in women doesn't change  
12 very much. Why you won't admit these patients in the  
13 data of cost containment for hospitalization realizing  
14 that adverse effect could have happened up to 10 days  
15 of therapy, not necessarily 24 or 48 hours. If you're  
16 going to see it, even in structural heart disease if  
17 you feel comfortable that's there's no torsade in  
18 that, why you won't admit the patient at all.

19 DR. KOWEY: I hope I didn't misspeak.

20 DR. THADANI: One of your slides said  
21 nonstructural heart disease patients can be started  
22 outpatient.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KOWEY: Nonstructural.

2 DR. THADANI: Why nonstructural heart  
3 disease? With the incidents so low why are you  
4 recommending that? Why can't you make a bold stab  
5 that you're not going to hospitalize anybody and give  
6 a drug and monitor them just on ICD.

7 DR. KOWEY: There was torsade and there  
8 were deaths in patients who had structural heart  
9 disease in this database. So we're not horribly  
10 comfortable with saying that you can start the drug  
11 out of hospital. In 05 and 04 there were no events in  
12 patients that received the low end of the dose.  
13 That's the basis for that recommendation, but I think  
14 that's an arguable point. We can argue that as a  
15 clinician but I'm not sure that the data would support  
16 either way.

17 DR. THADANI: You're suggesting I start a  
18 patient on 80, send them home and bring them, and back  
19 before I do 160 or 120 rehospitalize them?

20 DR. KOWEY: In my practice patients that  
21 have structural heart disease, they are rehospitalized  
22 for every step in the titration. I know that sounds

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 difficult and it is always difficult for the patient  
2 but it's the way I practice. Yes, the answer is yes.

3 CHAIRMAN PACKER: Dr. Karkowsky.

4 DR. KARKOWSKY: A quick point. I expected  
5 Bob to offer the usual agency disclaimer. We haven't  
6 reviewed the ICD study neither for efficacy or safety.  
7 So to the extent that's pivotal and requires an FDA  
8 review, that needs to be deferred.

9 CHAIRMAN PACKER: Thank you. Marv.

10 DR. KONSTAM: Yes. I'd like to comment a  
11 little bit about the issue about the Julian study and  
12 the ICD study. I want to make the point, and I feel  
13 fairly strongly about this, that actually I'm not  
14 helped in the least by these studies. I made the same  
15 point with regard to the dofetilide data set in  
16 Diamond studies.

17 The issue is you have a population that is  
18 targeted for the approval, for the indication, and a  
19 very different population for which you are making  
20 some comment vis-a-vis survival. And you are doing it  
21 in the context of the drug that is fairly complicated  
22 that has antiarrhythmic effects, proarrhythmic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 effects, and beta-blocker effects. And when you look  
2 at what's--

3 I think the ICD study is particularly  
4 problematic. I won't even go there. I think with  
5 regard to the Julian study, a post-MI population where  
6 it looked the incidence of mortality was overall was  
7 about 8 percent, far more than we expect in the  
8 population that is going to be targeted by this  
9 indication. And a population in whom we know very  
10 well in hindsight that they are going to be benefitted  
11 by the beta-blocker effect and a fair likelihood that  
12 they are going to be benefitted by the antiarrhythmic  
13 effect of the drug as well.

14 And in contrast, the population that is  
15 being targeted by the indication being asked for here  
16 where in the absence of, well, I think much less  
17 likelihood, significantly less likelihood of  
18 benefitting from the beta-blocker effect per se in  
19 terms of survival, and certainly no rationale for  
20 likelihood that they are going to benefit from the  
21 antiarrhythmic effect of the drug again with regard to  
22 survival.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But, you know, a concern, and that's what  
2           the concern is that I don't think is allayed by those  
3           other trials, that there will be some small but  
4           significant excess mortality perhaps in the 1 to 2  
5           percent range from the proarrhythmic effect of this  
6           drug. I think that the solace that has been taken,  
7           and I think this was particularly relevant in the  
8           dofetilide data set and equally relevant here with the  
9           Julian trial. I actually take absolutely no solace  
10          from the findings of those two studies that you showed  
11          at the end.

12                   DR. KOWEY: Let me just make two comments.  
13          No. 1, it was the agency that really wanted us to show  
14          the Julian study but we didn't mind doing that  
15          because, to be perfectly honest with you, Marv, as a  
16          clinician if I'm going to use a drug on somebody with  
17          a beat-up ventricle for atrial fibrillation and I know  
18          there's a study out there that randomized 1,400  
19          patients with beat-up ventricles, and if anything the  
20          drug showed a positive effect, not a negative effect,  
21          and this is true in spades for amiodarone, in spades,  
22          I'm much more likely to use that drug than another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 drug.

2 You can argue you shouldn't use any drug.  
3 You can argue that maybe you need some other  
4 experience, but the fact is you've got to use a drug  
5 in many patients and would you rather use a drug for  
6 which there is no mortality data or would you rather  
7 use one where there is some data that shows that's  
8 it's at least neutral?

9 DR. KONSTAM: Well, I have to say we could  
10 get into this in all sorts of directions but I don't  
11 look at it that way. What I'm looking at is I just  
12 really want to ask a question and let's just focus on  
13 the question. Is there a potential for excess  
14 mortality in the target population here under  
15 consideration and what is that level of excess  
16 mortality? That's really the question that I need to  
17 figure out. I'm going to say to you I am not helped  
18 in the least about that question from that study.

19 DR. KOWEY: How about the data that we  
20 showed you in the less than 320 milligram group where  
21 there was less mortality in those patients than in the  
22 placebo ones in the trial? Did that compel you?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. KONSTAM: In which population?

2 DR. KOWEY: Can we have the core slide?

3 DR. KONSTAM: Okay. In which population?

4 DR. KOWEY: In the population we're  
5 looking at here. Can I have the core slide?

6 DR. KONSTAM: That gets into -- that's the  
7 next set of data. I'm just focusing on the Julian.

8 DR. FENICHEL: May I say why the agency  
9 thought the Julian study was pertinent? I don't mean  
10 dispositive but certainly pertinent. That is suppose  
11 the Julian study had come out the other way? Suppose  
12 in this relatively fragile population compared to your  
13 usual run of atrial fib patients, d,l-sotalol had been  
14 extraordinarily toxic and had resulted in increased  
15 mortality across the board? That would certainly make  
16 one very nervous.

17 DR. KONSTAM: Bob, I don't disagree with  
18 that.

19 DR. FENICHEL: Well, okay. But if you say  
20 that here was a randomized controlled trial, one of  
21 whose possible outcomes was very bad, then that result  
22 is informative. Now, the fact that it was not very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 bad, is it fabulously reassuring? Do we have any  
2 reason whatsoever to believe that the life-giving  
3 effect that one may read into this, unexamined by FDA  
4 studies in that population, should be expected to  
5 occur also in the AF population? Of course not. In  
6 that respect it doesn't help.

7 But as a means of looking for  
8 proarrhythmic effects in a population which has  
9 demonstrated in the past its capacity as mind  
10 canaries, if you like, who detect those effects, I  
11 think that is perfectly fine if, once again, it  
12 survives FDA review which it has not seen yet.

13 DR. FENICHEL: But it's also a population  
14 in which I would construe has more of a potential for  
15 benefitting in terms of survival from the  
16 antiarrhythmic effect. I guess just the bottom line  
17 about my feeling is I don't object to looking at the  
18 data for the reasons that you indicated. If there  
19 were something worrisome, we've got to look at it to  
20 get worried, but all I'm saying is that the absence of  
21 seeing something worrisome does not reassure me.

22 CHAIRMAN PACKER: Michael.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CAIN: I think the additive part is  
2 that it is neutral at best. It's an old study, but I  
3 think one has also been faced with the clinical  
4 scenario that if you have someone who is recovering  
5 from an infarct, the beta-blocking effects of sotalol  
6 did not achieve the statistical significance in  
7 improving mortality.

8 If you now had a post-MI patient who had  
9 atrial fibrillation in 1999, could you be doing he or  
10 she a disservice by putting them on sotalol and not  
11 putting them on a primary beta-blocker that has been  
12 shown to have a favorable effect?

13 DR. FENICHEL: Oh, I wouldn't for a minute  
14 use those results as the basis for a post-MI claim,  
15 which is not being requested.

16 CHAIRMAN PACKER: Let me see. I don't  
17 think anyone here is saying anything that is different  
18 than anyone else. I think that the definitive  
19 database, if you want to be reassured about outcomes,  
20 would be an outcome study in patients who are  
21 specifically targeted for treatment. Given the event  
22 rate in such individuals, which I think is probably,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 especially if you include those without structural  
2 heart disease, is an event rate which is much lower  
3 than the post-MI or ICD trials.

4 We're talking about trials of substantial  
5 numbers of patients. I'm not saying that should or  
6 shouldn't be done. Clearly summation of the mortality  
7 data from the existing trials is difficult to  
8 interpret because the number of events is so small the  
9 confidence interval is stretched to eternity.

10 Consequently in the absence of -- in an  
11 effort to provide some data, just some, they said,  
12 "Well, you know, we did these trials for another  
13 purpose." They didn't do it for atrial fib. It's  
14 clear they didn't do it for atrial fib. They are  
15 putting this forward and I don't think they are  
16 putting this forward to say that this should be  
17 persuasive that there is no excess mortality in atrial  
18 fibrillation.

19 I think they are putting it forward to say  
20 that, "We did these trials and we want to tell you  
21 about them. They are the only long-term outcome  
22 trials we have." Maybe they are hard to interpret and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe they are reassuring but I don't think they are  
2 uninformative. They've got to be informative.  
3 They've got to do something.

4 DR. CALIFF: I want to speak out in great  
5 opposition to Marv here and his feelings about this  
6 meaning nothing. To me this is much more meaningful  
7 than a 1,000 patients put in atrial fib trials with 10  
8 pages of exclusion criteria to take out most patients  
9 who are actually going to get the drug in practice.  
10 To me this is much closer to the segment of patients  
11 where most of the action in terms of cause of death is  
12 going to be. This is very important data to me and I  
13 would hate to not see it shown.

14 I do agree it is not definitive. The best  
15 thing would be 3,000 or 4,000- patients with atrial  
16 fib that represented the true population including 80-  
17 year-olds that are likely to be treated with the drug  
18 when it gets in practice but we never get to see that  
19 in these meetings.

20 CHAIRMAN PACKER: Abe.

21 DR. KARKOWSKY: Let me bring up one more  
22 study which may or may not be relevant and that is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       Sword study. Now the Sword study people got d-sotalol  
2       and not d,l-sotalol and people here are getting d-  
3       sotalol, too, but just having an l-sotalol to  
4       counteract it. To the extent that one has comfort,  
5       one can diminish that comfort by looking at the Sword  
6       study if one believes that is relevant.

7                   CHAIRMAN PACKER: Can we address the issue  
8       of Sword and the issue of the Julian trial head on in  
9       the following way? I mean, you mentioned, Peter, that  
10      there is an early apparent increase in mortality in  
11      the Julian trial. Admittedly the dose is 320  
12      milligrams once a day and it's an atypical dose.

13                   Maybe others can comment on this but there  
14      is a dose dependent prolongation at QTc interval up to  
15      21 milliseconds with this drug at a dose of 160  
16      milligrams BID which is within the recommended dosing  
17      range. In the past when we've seen databases of drugs  
18      that increase QTc by 21 milliseconds, there's a fairly  
19      good torsade signal in those databases with 21  
20      millisecond increase.

21                   DR. THADANI: Is it really, Milton?

22                   CHAIRMAN PACKER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. THADANI: I thought you had to be, you  
2 know, chained from baseline by x percent above 520 and  
3 20 milliseconds you start with 420 and only go to 444.

4 CHAIRMAN PACKER: I was looking at the  
5 dofetilide database and there was a 20 millisecond  
6 increase with dofetilide, I think, at their highest  
7 dose. They had a torsade signal at 500 milligrams.

8 DR. FENICHEL: Milton, this is a little  
9 bit of a digression but what Udho has raised is  
10 something that people don't understand about this. It  
11 may be worthwhile. What we have seen with bad actives  
12 has been on average QT prolongation, exactly as Milton  
13 has said, of on the order of 20 milliseconds.

14 If you look at cisapride it's 21  
15 milliseconds or 18 milliseconds. If you look at  
16 terfenadine at doses of 200 milligrams, which was  
17 higher than were recommended for that drug. It was  
18 23 milliseconds or something like that. And across  
19 the board there really aren't drugs in common use that  
20 raise the average QT an awful lot.

21 And the reason is that, of course, there  
22 is highly varying susceptibility to QT prolongation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and the average of 20 milliseconds reflects a few  
2 outliers who are people who are hypokalemic and people  
3 who are women. People who are hypokalemic who start  
4 out with long baselines and who, therefore, somehow,  
5 unjustly perhaps, seem susceptible to further  
6 prolongation, and so forth and so on. But 20  
7 milliseconds of average prolongation is plenty.

8 Now, who are the people who get into  
9 trouble? They are not, by in large, the people whose  
10 prolongation is 20 milliseconds, so that was the issue  
11 that I think Udho spoke of.

12 CHAIRMAN PACKER: Yes, but I think that's  
13 the point. The 21 millisecond average increase here  
14 represents a fair number of people who have more than  
15 30 millisecond increases who, at least based on the  
16 experience with other drugs that have average  
17 increases of 20 to 22 milliseconds, usually produces  
18 a barely recognizable signal of torsade.

19 I guess what I'm asking is: one, what was  
20 the average increase in QTc with d-sotalol at the dose  
21 that increased mortality in Sword, and if it was 20  
22 milliseconds or 22 milliseconds, is the reason that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 we're not seeing that signal here because the beta-  
2 blocking properties of l-sotalol?

3 DR. FENICHEL: Well, you know, Craig Platt  
4 is here and I'm diffident about speaking about Sword  
5 but my recollection is that there were five cases of  
6 torsade in all of Sword. I mean, there was a hugely  
7 increased death rate in the patients who were treated  
8 with d-sotalol in that trial but there were only five  
9 identifiable cases of torsade, some of which were in  
10 the placebo group.

11 CHAIRMAN PACKER: I'm just curious. So  
12 the drug didn't kill people because of --

13 DR. KOWEY: Can I have slide 338, please?  
14 This is an analysis that was done of the early deaths  
15 in the Julian study. I don't know whether you read  
16 about all this but there was a tremendous amount of  
17 interest. In fact, Ronnie Campbell, the late Ronnie  
18 Campbell, chaired at least two meetings in which there  
19 was a very, very intense examination of the death that  
20 occurred early in the Julian study. This is the total  
21 deaths that occurred in the sotalol and placebo  
22 groups.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Inflectors, by the way, were people who  
2 had a abrupt change in their course on sotalol and,  
3 therefore, were considered to be people that would  
4 probably have something bad happen from the drug.  
5 That's the best I can explain that. It's a very  
6 complicated definition.

7           But if you look at what they thought the  
8 mode of death was, it was very interesting. The  
9 electrical deaths that you would have thought would  
10 have been likely because of such a large dose of  
11 sotalol, 320 milligrams is a single dose, which does  
12 have a very high C max and should produce a good deal  
13 QT prolongation didn't occur except in the placebo  
14 group. There were more what they thought were  
15 mechanical deaths in the patients who were receiving  
16 sotalol.

17           CHAIRMAN PACKER: The only problem is that  
18 I can't believe -- you can't tell how people die. I  
19 mean --

20           DR. KOWEY: Well, you know, we're looking  
21 at a study that is 16, 18 years old and --

22           CHAIRMAN PACKER: No, no, no. Oh, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm not asking you to do better than this. What I'm  
2 asking is, and I guess this was raised by Bob  
3 Fenichel's question, there's a database with d-sotalol  
4 raising concerns. d-Sotalol increased QTc. Let  
5 assume for a moment an increased QTc of about 20 to 25  
6 milliseconds.

7 DR. KOWEY: Okay.

8 CHAIRMAN PACKER: This drug at 160 BID,  
9 which is in the recommended dosing range, increases  
10 QTc 20 to 25 milliseconds, doesn't appear to produce  
11 the same torsade signal, and in a patient population  
12 of the Julian study was not associated with the same  
13 increase in mortality.

14 That indicates to me support for Marv's  
15 hypothesis that there may be two countervailing  
16 influences here. One a beneficial one which is  
17 limiting the clinical consequences of a prolonged QTc  
18 interval and maybe reducing mortality hiding an  
19 adverse signal. I hope I expressed that accurately.

20 DR. KONSTAM: Yes. But, you know, I want  
21 to ask another question taking that around the primary  
22 safety gate is set and, you know, we're saying there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a low torsade signal and a low deathrate. Tell us  
2 about the time frame associated with this experience.  
3 In other words, we can't really tell from this in  
4 terms of the ends. What is the median time of  
5 exposure, what is the total number of patient years or  
6 what have you? What is the median exposure time that  
7 we're looking at in terms of the denominator for the  
8 effect?

9 DR. FISHER: Maybe while you are getting  
10 it, I can insert one thing. Answering JoAnn's  
11 question on 014 about the three people who were  
12 entered twice. Because they started from or they had  
13 to get into normal sinus rhythm, actually those three  
14 people were only counted once in the original  
15 analysis. But since then, the sponsor has re-run it  
16 without those three people at all, and the log rank P  
17 value goes from .017 to .030. And it was not  
18 significant as you consider discontinuations, and that  
19 is still true. It goes from .275 to .334. So  
20 basically things are the same.

21 DR. GRINES: I have a question about the  
22 differences between d-sotalol.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KONSTAM: Wait a minute, can we get  
2 the median time?

3 DR. KOWEY: Did you want that, Marv, in  
4 Julian, or did you want that --

5 DR. KONSTAM: No, no. I am not interested  
6 in Julian. In the primary data set.

7 DR. KOWEY: Can I have slide 277, please?  
8 Thank you. That was fast. This is number of patients  
9 by duration of exposure in weeks.

10 DR. KONSTAM: Can you explain that more?

11 DR. KOWEY: I am sorry. The top is double  
12 blind and the bottom is double blind and open label  
13 combined.

14 DR. KONSTAM: Right.

15 DR. KOWEY: This is less than 320 and  
16 greater than 320. And this is any Sotalol and this is  
17 placebo. So this is one week of exposure, 4, 12, 24,  
18 and 56. It is the percentage of patients -- the  
19 percentage expressed as the number of patients in the  
20 group.

21 DR. KONSTAM: Okay. So most of the  
22 patients -- I mean I don't know how to -- I mean what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this study does. I mean, I guess the easiest way to  
2 express this, I think, would be to look at the median  
3 time of exposure. So that when we have a denominator  
4 in there of the number of patients, how many patient  
5 months are we actually talking about here. I get the  
6 feeling it is very short median exposure. You know?  
7 It seems like most of patients are taken care of with  
8 the 4 week group.

9 DR. THADANI: Between one month and four  
10 months.

11 DR. KONSTAM: The four-month group. So we  
12 are talking about -- you can't lose -- the four-week  
13 group. It is weeks, right? The four-week group. The  
14 four-week. All right. So we are talking -- do you  
15 know the median time?

16 DR. KOWEY: I don't have it in front of  
17 me. But we can see that. We have got it somewhere in  
18 here.

19 DR. KONSTAM: I think it is very important  
20 when we are talking about one percent death rates and  
21 not all one percent death rates are the same.  
22 Certainly a one percent death rate over a four-week

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure is not the same as the one percent death rate  
2 in a typical survival study. So just to make that  
3 point.

4 DR. THADANI: In that context, could he  
5 show the death rates to the time too or what? I know  
6 there were only two deaths. Did they occur early or  
7 late?

8 CHAIRMAN PACKER: But the numbers are so  
9 small, what are you going to do with them? I mean,  
10 how many ways can you cut four deaths?

11 DR. KONSTAM: I think that is the point.  
12 I think the point is the numbers are really small and  
13 not in the least reassuring, therefore.

14 CHAIRMAN PACKER: Tom?

15 DR. KOWEY: To put this in some  
16 perspective, Marv. You weren't on the committee when  
17 other antiarrhythmic drugs were approved for this  
18 indication. But the flecainide data base was  
19 substantially smaller than this data base. And for  
20 flecainide, there was a mortality MI study that  
21 actually went in the wrong direction. And flecainide  
22 was approved for a defined patient group at a defined

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose. So it is a little bit inconsistent to be saying  
2 that this is a tiny number of patients. It is not a  
3 tiny number.

4 DR. KONSTAM: No, I know.

5 DR. KOWEY: It is actually a substantial  
6 number and there is a mortality study -- two of them  
7 in fact -- that go in the direction of benefit.

8 DR. KONSTAM: Peter, that is -- I  
9 understand. You are getting into questions of  
10 interpretation. I just want to say I don't -- I mean,  
11 I am not reassured by any of that. I mean, I guess I  
12 am just trying to say if you want to make a case that  
13 sotalol is associated with no excess mortality of  
14 importance, I am only making the point that I can't  
15 conclude that at all. That is all.

16 DR. KOWEY: But, Marv, if you can tell me  
17 what antiarrhythmic drug you can conclude that for --

18 DR. KONSTAM: I am not trying to argue  
19 that point.

20 DR. KOWEY: No. But it is a problem with  
21 every single antiarrhythmic drug we have for AF  
22 because, as Rob said earlier, we don't have 3,000

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 patient studies in the appropriate patient population.  
2 What we have is a defined data set, and then you have  
3 some other studies tacked on. I agree it is not  
4 perfect, but it really isn't all that bad compared to  
5 what we have seen in the past.

6 CHAIRMAN PACKER: Cindy?

7 DR. GRINES: I guess I wanted to just  
8 point out -- I know you don't want to hear about the  
9 Julian study, but they did treat them for 12 months  
10 and actually the withdrawal rate, according to the  
11 article, is around 25 percent of the patients  
12 receiving sotalol and 21 percent of the placebo. So  
13 that withdrawal rate isn't quite as high as the ones  
14 in the a fib trial. And I just wondered, if we are  
15 going to talk about d,sotalol and the Sword trial, I  
16 guess maybe I need some clarification about how it  
17 differs from the d,l variety. Because it seems in the  
18 atrial fibrillation trials that d,sotalol was not  
19 effective at reducing the incidence of a fib. And I  
20 don't know enough about the two preparations.

21 DR. KOWEY: Well, one is -- one contains  
22 a beta blocker and is an IKL blocker. That is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sotalol. And one is an IKL blocker, which is a common  
2 garden variety antiarrhythmic drug.

3 DR. GRINES: So the d,sotalol is just an  
4 antiarrhythmic drug?

5 DR. KOWEY: It is just an anti -- it has  
6 no beta blocker.

7 DR. GRINES: And the d,l is the beta  
8 blocker?

9 DR. KOWEY: Yes.

10 DR. GRINES: Okay .

11 CHAIRMAN PACKER: Or the d,l is both.

12 DR. KOWEY: Both.

13 DR. GRABOYS: Peter, you know I think we  
14 are going over this in such picayune detail because it  
15 is not simply using an antiarrhythmic drug in terms of  
16 using an antiarrhythmic drug and acknowledging the  
17 toxicity of the drug and excess mortality. We are  
18 talking about using an antiarrhythmic drug that is  
19 potentially toxic for a population that is soft in its  
20 indication for the use of the drug. For me to take or  
21 to use this drug in a patient, in a 75-year-old  
22 patient, because I am hoping that maybe they will have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 less palpitations at 6 months than with placebo they  
2 had less palpitations at 3 months, it makes me very  
3 concerned and we get back to "first do no harm." And  
4 that is really why there continues to be a lot of this  
5 discussion.

6 DR. KOWEY: I would say, Tom, that in  
7 clinical practice if someone merely has a few  
8 palpitations now and again, I think that it is  
9 probably wrong to use a drug that has a powerful  
10 effect on repolarization to treat them. Especially if  
11 it is in a group of patients for whom there appears to  
12 be some excess chance of harm. I don't disagree with  
13 you. But there is a universe of patients who have  
14 atrial fibrillation that is very symptomatic and they  
15 want to have it treated. They want to have those  
16 symptoms reduced. And in order to do that, we have to  
17 use an antiarrhythmic drug. The question is in the  
18 antiarrhythmic drugs we have available, where does  
19 this fit in? There is a definable patient population  
20 for whom this drug may be useful. It is just that it  
21 is not -- it is not the universe of AF. It may not  
22 even be the majority of AF. But it is a definable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient population. It has been defined in the  
2 clinical trials. And I think that to say that this  
3 drug can't be used for anybody with AF because we are  
4 concerned about a group of patients at the end of the  
5 spectrum of risk, I don't think that is right. That  
6 is where the rub comes in.

7 CHAIRMAN PACKER: Marv?

8 DR. THADANI: Can I ask a question of Bob  
9 Fenichel here? You might remember when we discussed  
10 the aspirin issue -- going off the track here.  
11 Aspirin was recommended for approval on the basis of  
12 separate trials, which is a Scandinavian -- Sotalol  
13 plus aspirin versus Sotalol plus placebo. Do you  
14 recall? I can't remember now what the incidence of  
15 torsade was. Because they had a 3,000 patient  
16 population. It was a very neat study. They were not  
17 on anything else.

18 DR. FENICHEL: No one who doesn't use  
19 Holters has any idea of the incidence of torsade. You  
20 can't find torsade in a spot check population.  
21 Torsade comes and goes. So I don't remember the  
22 study, but unless they did that, they don't know how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 much torsade there was either.

2 DR. THADANI: But could you also say that  
3 torsade based on the -- I realize the IC data, people  
4 don't like it. But we may not necessarily care, like  
5 you said. The reason the incidence that Milton was  
6 pointing out in some studies is higher is because they  
7 did the Holters. And in this study, they never did  
8 the Holters, so you never know the true incidence of  
9 torsade. It may be much higher than the reported  
10 incidence sometimes that you read in the literature as  
11 4 to 6 percent.

12 CHAIRMAN PACKER: Udho, I don't think you  
13 are quoting me correctly. The torsade signal comes  
14 from clinical events not from Holters. So that the --

15 DR. THADANI: Not --

16 CHAIRMAN PACKER: No, not from Holters.  
17 Not from Holters. It is from clinical events. There  
18 is a discernible signal. I think in many  
19 antiarrhythmic drugs -- I think Bob outlined some of  
20 the examples. At 20 millisecond increases, you are  
21 getting a signal for lots and lots of drugs.

22 DR. THADANI: I don't -- Milton, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I have seen Holters and patients don't complain of  
2 anything and they have 40 seconds. So it looks like  
3 polymorphic as it moves around.

4 CHAIRMAN PACKER: It may be. But that is  
5 -- but --

6 DR. THADANI: I think you pick up more on  
7 a Holter than on a random transtelephonic monitoring.  
8 So I think the incidence probably is underestimated.

9 CHAIRMAN PACKER: No, no. But the data  
10 bases that Bob Fenichel cited were clinical event data  
11 bases. Unequivocally. They were not -- the risk  
12 identified with those drugs was based on clinical  
13 events, not on Holters. Not Holter-detected  
14 asymptomatic torsade.

15 DR. THADANI: On the Vaprodil data base,  
16 I remember when we were doing those studies, we had  
17 Holters. And on aspirin buffered Elvaclo, we had  
18 PVCs. We put Holters on and we stopped the study  
19 because there were a couple of -- very few deaths, but  
20 I think there were some incidences of torsade which  
21 was higher. So there is some data there.

22 DR. FENICHEL: Even depodril. Deprodil is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 probably the worst proarrhythmic drug around. It is  
2 approved as second line therapy only. And I think the  
3 number of identified arrhythmias in the preclinical --  
4 in the preapproval data base was -- you could count it  
5 on your hand. It was very, very few. You know, it is  
6 very hard to find these signals. We care about these  
7 signals, but that doesn't mean there are so many of  
8 them that they will show up in samples of this size.

9 CHAIRMAN PACKER: Marv?

10 DR. FISHER: Marv, can I make one quick  
11 comment just for your information? From the slide up  
12 there, you can get an underestimate of the average  
13 exposure, which is 12.6 weeks. So it probably is  
14 around 16 to 18, I would guess, from --

15 DR. KONSTAM: What's -- I am sorry, I  
16 don't understand.

17 DR. FISHER: This is the average exposure  
18 of the people in the sotalol group. You are talking  
19 about numerators without denominators. You know, what  
20 is the death rate for --

21 DR. KONSTAM: Right, right, right.

22 DR. FISHER: I am just giving you a rough

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 idea of the --

2 DR. KONSTAM: 16 to 18 weeks?

3 DR. FISHER: That is a guess. A  
4 mathematical under bound. But it is certainly correct  
5 to 12.6.

6 DR. KONSTAM: A question about the dosing,  
7 Peter. What is the evidence that 160 mg bid is better  
8 than 120 mg bid?

9 DR. KOWEY: The 9A study had a better  
10 outcome in patients that were at 160. And in  
11 addition, the 004 study had two-thirds of the patients  
12 on 160 mg.

13 DR. KONSTAM: Right. But it didn't have  
14 a 120 mg dose.

15 DR. KOWEY: And if you are just talking  
16 about efficacy, and we don't want to get back to where  
17 we were this morning, 160 beat 120 -- I am sorry, 160  
18 had a higher number of patients event-free at 12  
19 months in 05. So it was in one parameter better than  
20 120. In the other parameter, it was less good than  
21 120. But 120 was an effective dose in 05, and was  
22 significantly better than 80.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN PACKER: Does anyone have any  
2 other questions about safety or dose response? Can we  
3 move on to a conclusion of the sponsor's presentation?

4 DR. MARROTT: Mr. Chairman, members of the  
5 Advisory Committee, and Dr. Fenichel, Dr. Kowey has  
6 presented with clarity a balanced overview of the  
7 clinical data and the potential of sotalol for  
8 treating patients with atrial fibrillation.

9 I guess no clinical data base is squeaky  
10 clean, and the sotalol data base is certainly not an  
11 exception. However, it appears that the efficacy data  
12 from studies presented point in a similar direction.  
13 All are positive and significant to varying degrees.  
14 This is true whether patients have chronic or  
15 paroxysmal atrial fibrillation or structural or no  
16 structural heart disease. In the latter group of  
17 patients, the data suggests that outpatient  
18 administration can be safely undertaken.

19 The risk from serious adverse events is  
20 present as with any other antiarrhythmic drug. But it  
21 is extremely low. We recognize that safety is of  
22 paramount importance when treating atrial fibrillation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or flutters, arrhythmias which in the majority of  
2 patients are not life-threatening. The sponsor bears  
3 the responsibility for providing conditions that will  
4 minimize the safety risks, and we would like to  
5 discharge this responsibility diligently.

6 We have discussed the possibility of a  
7 risk management program with the FDA. The three key  
8 objectives of this program shown on the slide are to  
9 differentiate our product when used in atrial  
10 fibrillation or flutter and when used in ventricular  
11 tachycardia or fibrillation.

12 Second, to provide patients with atrial  
13 fibrillation valid information and support. This is  
14 in keeping with the current notion that patients  
15 should understand their treatment and be allowed to  
16 have a greater say in their health and well-being.

17 And third, to ensure that the healthcare  
18 professional is better informed and better educated.  
19 We will continue to develop this theme and discuss our  
20 ideas with the FDA. This concludes the sponsor's  
21 presentation. I would like to thank you, Mr. Chairman  
22 and members of the Advisory Committee, for your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attention and for the courtesy you have given to us.  
2 Thank you.

3 CHAIRMAN PACKER: Any other comments or  
4 questions from any member of the committee?

5 DR. CALIFF: There was some discussion  
6 about post-marketing surveillance data related to  
7 sotalol. Is that going to be discussed?

8 CHAIRMAN PACKER: There were post-  
9 marketing surveillance data that were summarized in  
10 the briefing document briefly.

11 DR. CALIFF: Yes.

12 CHAIRMAN PACKER: It hasn't been formally  
13 presented. Did you have any questions about it, Rob?

14 DR. CALIFF: Well, it seemed confusing.  
15 I didn't know what to make of it. But there seemed to  
16 be a lot written about it by the FDA.

17 CHAIRMAN PACKER: Most of the comments are  
18 in the FDA review -- some of the supplemental reviews  
19 the committee received within the last 10 days.

20 DR. CALIFF: And to me it is very  
21 important because we don't know a lot about post-  
22 marketing surveillance, but the public believes that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there is some way that we can tell if drugs are safe  
2 or not once they get on the market through this  
3 methodology. Here we have a drug that has been used  
4 for many years. It has been used a lot for the  
5 indication being sought here and we have post-  
6 marketing surveillance data. And the question is it  
7 of any value?

8 DR. KOWEY: We can present a few slides if  
9 you want to see them. Dr. Jin, do you want to hop in  
10 and show some information?

11 DR. JIN: Okay. Slide 381, please? Okay.  
12 Post-marketing adverse events recorded did not show  
13 anything really surprising than what you have seen  
14 from clinical trials. The most common adverse events  
15 were fatigue, weakness, wheezing, shortness of breath  
16 and bradycardia. But the most significant ones  
17 probably or the ones of concern were torsade, VT, VF,  
18 cardiac arrest and syncope. They are presented on  
19 this slide. In this five years -- six, I am sorry --  
20 six-year period from 1993 to 1998, FDA has received a  
21 total of 46 case reports of torsade, VT/VF, and  
22 cardiac arrest. And Berlex laboratory had received 28

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701