

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

PUBLIC HEALTH SERVICE

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

DIVISION OF CARDIO-RENAL DRUG PRODUCTS

+ + + + +

CARDIOVASCULAR AND RENAL DRUGS

ADVISORY COMMITTEE

+ + + + +

88TH MEETING

+ + + + +

FRIDAY

APRIL 30, 1999

The meeting took place in the Jack Masur Auditorium, National Institutes of Health, Bethesda, MD, at 8:30 a.m., Milton Packer MD, Chairperson, presiding.

PRESENT:

- Milton Packer, M.D., Chairperson
- Joan C. Standaert, Exec. Secy.
- John DiMarco, M.D., Member
- Thomas Graboys, M.D., Consumer Representative
- JoAnn Lindenfeld, M.D., Member
- Lemuel Moye', M.D., Ph.D., Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

PRESENT: (cont.)

Ileana Piña, M.D., Member
Dan Roden, M.D.C.M., Member
Udho Thadani, M.D. FRCP, Member
A. John Camm, M.D., Guest Expert
Craig Pratt, M.D., Guest Expert
Jeremy Ruskin, M.D., Guest Expert
Robert Fenichel, M.D., FTIA Representative
Robert Temple, M.D., FTIA Representative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

I-N-D-E-X

The Evaluation of Antiarrhythmic Drug Efficacy Utilizing Patients with an ICD: Unlimited Potential or Too Much Complexity and Problems?

Introduction

A. John Camm, M.D., St. Georges Medical School, London 7

Review of ICD Trial Design and Preliminary Results, Craig M. Pratt, M.D., Baylor College of Medicine 20

ICD Interrogation: Appropriateness Proarrhythmies Monomorphic vs. Pause-dependent Polymorphic VT Dan M. Roden, M.D., Vanderbilt University 43

ICD Endpoint Interpretation

Relative Strengths of Various Endpoints Extrapolation to Other Populations A. John Camm, M.D. 76

Commentary, Jeremy N. Ruskin, M.D. Massachusetts General Hospital 110

Questions and Discussion 134

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS 1323 RHODE ISLAND AVE., N.W. WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:56 a.m.)

3 DR. PACKER: Can I have everyone take
4 their seats. I would like to apologize. For some
5 reason my own notes indicated the meeting was going to
6 start at 9:00 so I really am very, very sorry that we
7 are starting late. It is entirely my misreading of my
8 own schedule.

9 Joan, do we have any special conflict of
10 interest issues for this morning?

11 MS. STANDAERT: The following announcement
12 addresses the interest of conflict of interest with
13 regard to this meeting and is made a part of the
14 record to preclude even the appearance of SCD at this
15 meeting.

16 Since the issues to be discussed by the
17 committee will not have a unique impact on any
18 particular firm or product, but rather may have wide
19 spread implications with respect to an entire class of
20 products, in accordance with 18 U.S.C. 208 each
21 participant has been granted a general matters waiver
22 which permits them to participate in today's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussion.

2 A copy of these waiver statements may be
3 obtained by submitting a written request to the
4 agency's Freedom of Information Office, Room 12, A30,
5 Parklawn Building.

6 In the event that these discussions
7 include any other products or firms not already on the
8 agenda for which an FDA participant has a financial
9 interest, the participants are aware of the need to
10 exclude themselves from such involvement and their
11 exclusion will be noted for the record.

12 With respect to all other participants, we
13 ask in the interest of fairness that they address any
14 current or previous involvement with any firm whose
15 products they may wish to comment upon. That
16 completes the conflict of interest statement.

17 I would also like to make an announcement
18 on behalf of our transcriber who has asked that all
19 participants address the microphone directly because
20 there appears to be quite a bit of feedback from the
21 roof here and she has difficulty hearing. Thank you.

22 DR. PACKER: Thank you very much, Joan.

1 Is there any public comment this morning? Okay.
2 There being none, we will proceed with the topic for
3 this morning. Actually there is no NDA for this
4 morning. We are discussing general issues related to
5 the evaluation of antiarrhythmic drugs in patients
6 with an ICD.

7 The ICD is actually used in this case as
8 a way of measuring antiarrhythmic drug efficacy.
9 There have been a number of studies and a significant
10 amount of information of relatively recent vintage
11 pertaining to this. Therefore, it was felt that
12 putting all this information together and trying to
13 develop some sense of consensus or guidance would be
14 useful.

15 With that in mind, we'll have actually
16 five presentations by invited guests and experts.
17 Also by one member of the committee. The idea is to
18 develop an interchange and to reach some sense of what
19 we may be doing or what direction we should go.

20 The intent of this morning is to take a
21 brief break but to complete these proceedings before
22 lunch. Lunch perhaps would start around 1:00. We may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adjourn about that time and hopefully we'll meet that
2 deadline. With no further ado, we will ask John Camm
3 to come to us and introduce the topic for this
4 morning's session. John.

5 DR. CAMM: Thank you very much, Milton.
6 I would like to take this opportunity to thank Ray
7 Lipicky and Craig Pratt for setting up this meeting
8 today. I don't know what the protocol is but I would
9 also like to thank the members of the cardio-renal
10 advisory board for staying to listen to this series of
11 presentations.

12 As Milton already mentioned to you, we are
13 going to address the issue of ICD endpoints as applied
14 to clinical trials predominately pharmaceutical
15 agents.

16 My particular interest in this area was
17 born from a wish on the part of the European Society
18 of Cardiology Working Group in cardiac arrhythmias to
19 design a trial using an ICD supported population to
20 explore the antiarrhythmic interaction between beta-
21 blockers and amiodorone.

22 The notion was a simple trial design, at

1 least in theory, with a factorial design between
2 amiodorone and beta-blockade and their respective
3 placebos, acute testing in a population fitted with an
4 ICD prior to the start of the trial. Then acute
5 testing followed by long-term follow-up.

6 So far so good. The issue that tantalized
7 us was what endpoint could we use in this trial in
8 order to access the antiarrhythmic activity of these
9 two component drugs. We know, of course, that there
10 were other trials underway which were exploring
11 antiarrhythmic efficacy drugs of other types using
12 models of this kind of trial. But we are unsure about
13 the feasibility and the reality and the probity of
14 using the endpoints that other investigators have
15 decided to apply to their trials.

16 I think it's germane in this very brief
17 introduction to demonstrate to you that there are
18 basically three trial designs in which ICD may play a
19 part. This is the traditional design in which the ICD
20 is merely tested against another therapy. For some
21 years, as you recall, we had a concept of hypothetical
22 or projected mortality which was developed such that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients fitted with ICD's could act as their own
2 controls in such a way that the value of the ICD could
3 be compared against the hypothetical patient who would
4 not have had the ICD.

5 However, after a good deal of debate in
6 the cardiological arena, it was rapidly decided that
7 the concept of hypothetical mortality was a dubious
8 probity. Instead the all-cause mortality endpoint was
9 encouraged for all trials of this nature. I've listed
10 a few of the trials that you are very familiar with
11 which have now been completed of this particular
12 design. This includes trials of so-called secondary
13 prevention and primary prevention.

14 Indeed, the so successful have been these
15 three trials in secondary prevention, AVID, CASH, and
16 CIDS, all of which show to a degree, and certainly
17 together, support the fact that the ICD appears to be
18 better than other conventional NDA antiarrhythmic
19 therapies. It is, I think, unlikely there will be
20 other large-scale mortality trials for this design.

21 We know that there are still a few such
22 trials underway, but they are generally smaller trials

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and unlikely to produce any further data except in
2 support of this general conclusion that the ICD is
3 better than current antiarrhythmic therapy for the
4 management of patients with life-threatening
5 ventricular arrhythmia.

6 However, there is a second circumstance in
7 which ICD's may play a part in a clinical trial of a
8 pharmaceutical agent against another active control
9 agent or against placebo. This kind of trial will
10 involve patients at high risk to sudden cardiac death
11 but it may not involve patients who have already
12 suffered from life-threatening ventricular arrhythmias
13 that may include patients who have a high risk of
14 suffering in the future from ventricular arrhythmia.

15 In this kind of trial a drug is compared
16 against a placebo or another active comparator. This
17 trial is not a trial of ICD efficacy. The ICD is
18 simply in place in various patients and may range from
19 merely an instrument which will perturb the general
20 mortality endpoint signal to one in which the device
21 may be used to contribute to the mortality signal or
22 to other endpoints within the trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In many CHF trials that are currently
2 underway, patients with an ICD may be enrolled unless
3 specifically excluded by protocol. That might end up
4 at the present time with a smattering of ICD patients
5 on one or the other or both sides of the equation. In
6 some trials there are large numbers of ICD patients.
7 I draw to your attention the CASCADE trial in which
8 this was the case.

9 Such may be the case in future trials of
10 pharmaceutical agents for the indication of congestive
11 heart failure treatment. In such trials thus far the
12 major endpoint has been all-cause mortality but
13 composite endpoints have been developed to include not
14 only all-cause mortality but also a variety of events
15 of a therapeutic nature which have been provided by
16 the implantable cardioverter-defibrillator.

17 I mentioned to you the example of CASCADE.
18 You will recall this very clever acronym which stood
19 for Cardiac Arrest in Seattle: Conventional versus
20 Amiodorone Drug Evaluation which was reported in a
21 number of papers. The particular paper I allude to is
22 that by Dolack in 1994. The basic population was 228

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients with high risk of recurrence of out-of-
2 hospital cardiac arrest.

3 The endpoints in this study were
4 composite. They included cardiac death, resuscitative
5 ventricular fibrillation, and ICD shock of an
6 appropriate type defined by its association with
7 syncope.

8 The basic trial result was that empiric
9 amiodorone when compared against programmed
10 stimulation guided class I drug therapy was successful
11 with a 9 percent recurrence of the endpoint versus 23
12 percent.

13 Within this trial there were a large
14 number of patients with implantable cardioverter-
15 defibrillators, 105 in all. Here are the results for
16 those patients alone expressed in terms of the
17 composite endpoint of shock-free survival. The
18 patients were obviously still alive and they had not
19 received a shock from the device. You can see that
20 using this composite endpoint, a result very similar
21 to the result of the trial as a whole was achieved.

22 Except I think you will notice that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actual numbers are substantially different with
2 endpoints amounting to about 12 percent on the
3 amiodorone side and about 58 percent or so on the
4 class I side.

5 The third trial design is the design which
6 I think presents to us the greatest challenge at the
7 present time. In this trial the ICD is on both sides
8 of the randomization and all patients within the trial
9 a fitted with an ICD. The design of the trial is two-
10 fold or the purpose of the trial could be of two
11 types. Firstly, to explore the antiarrhythmic
12 efficacy of a therapy for a patient who suffers
13 arrhythmias despite or because of the presence of an
14 ICD.

15 On the other hand, the trial which
16 stimulated my first consideration was a trial where
17 the ICD was not specifically relevant to the therapy
18 of the patient but it did provide at least in theory,
19 and more of this later, a safety net which would allow
20 ethically a trial of an active therapy against a
21 placebo therapy in patients with life-threatening
22 ventricular arrhythmia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In trials of this nature, the ICD acts as
2 a passive monitor and an active therapy. It is the
3 interaction between these two roles that deserves
4 particular attention this morning.

5 I know that there are four trials which
6 have been conducted of antiarrhythmic drugs using
7 trial designs of this nature. Later in the morning
8 some of the results from these trials will be
9 discussed in a generic fashion. In other words, not
10 attributed specifically to one or the other of these
11 drugs. I think that all four of the trials are now
12 completed, although I have not seen official reports
13 or peer review reports on any of these agents.

14 My last slide in this introduction recalls
15 for you that we are reentering a phase of argument
16 that has gone before. NASPE issued a policy statement
17 in 1993 which discussed in large part the standardized
18 reporting of ICD patient outcome. At that time their
19 concern was with trials of ICD therapy. Today we must
20 face the same arguments to consider trials utilizing
21 the implantable as a protection on the one hand and as
22 an instrument or monitor on the other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thank you very much.

2 DR. PACKER: John, we'll just pause for
3 some brief questions from anyone on the committee on
4 any of the issues that you brought up.

5 Yes, Ileana.

6 DR. PINA: John, how would you classify a
7 study such as MUS or the CABG patch trials that are
8 going on right now which, I guess, you would call them
9 primary prevention because none of those have had an
10 event.

11 DR. CAMM: In the cardiological
12 arrhythmological community these are casually known as
13 primary prevent trials and there are a large bevy of
14 these trials. They are of the design I that I put up
15 on the screen. These trials are largely still
16 proceeding, although three have been reported, MADIT
17 and CABG patch and, more recently, MUS.

18 DR. PACKER: I guess they would be design
19 I but primary prevent design I as opposed to secondary
20 prevention design I. Udho?

21 DR. THADANI: John, in your trial design
22 II one of the concerns always is there is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 proarrhythmic effect of drugs. If you look at your
2 second all-causes, fine, but when you look at the
3 shock-free survival, how much you are really driven in
4 the absence of the placebo group to be absolutely sure
5 that the results are not artifactual, that it might
6 favor a drug when another drug is actually making it
7 worse. That's point one.

8 Point two also is some of the ICD
9 patients, if you just put at random for trial III, for
10 example, they might have nonsustained VT but they
11 tolerate it. The fact that VT was more than 30
12 seconds of algorithm at the moment of trial, how do
13 you get around that? There's no logical way unless
14 one of the issues that each of the II and III trials
15 is compared to all-cause mortality. I was just
16 wondering if you would comment on that.

17 DR. CAMM: Well, with respect to your
18 second point about nonsustained ventricular arrhythmia
19 that might trigger the device, we agree that is one of
20 the complexities of using this instrument as a trial
21 monitor because it also intervenes and perturbs and
22 points signals. A large part of the presentation this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 morning will, in fact, consider that in detail.

2 With respect to your first point, could
3 you just quickly remind me?

4 DR. THADANI: Proarrhythmic effect of
5 drugs when you just evaluate in the endpoint of the
6 shock free.

7 DR. CAMM: Yes. I admit that in my second
8 design I did admit the potential for having an active
9 comparator rather than a placebo. Under such
10 situations I think in general terms we are faced with
11 the predicament that you raised. I don't think it is
12 specifically greater in this particular form of trial
13 except that proarrhythmia is also a consequence of an
14 ICD and, therefore, adds another dimension to be
15 considered. That, again, will be considered later
16 this morning.

17 DR. MOYE: I wonder if you could briefly
18 speak for the sensitivities and specificity of the
19 ICD?

20 DR. CAMM: Again, we will discuss that as
21 part of the program this morning. I would rather than
22 anticipate the contribution of others in that regard.

1 DR. PACKER: JoAnn.

2 DR. LINDENFELD: We may get into this
3 later again, too, but could you just discuss for me
4 the relative incidence of serious bradyarrhythmias and
5 the validity of that as an endpoint and how we would
6 measure that particularly as we talk more about people
7 with heart failure.

8 DR. CAMM: Yes. I am going to mention
9 this particular point in my second presentation, but
10 I was not going to talk specifically about the
11 incidence of bradyarrhythmia. That has been variously
12 estimated in ICD populations from approximately 15
13 percent to 50 percent. Because this depends on how
14 you define bradyarrhythmia and the significance of
15 that bradyarrhythmia.

16 Undoubtedly it is relevant because it is
17 certainly again modifies electrophysiologic substrates
18 and will modify the response of an antiarrhythmic
19 therapy with may, for example, be particularly
20 effective or ineffective or proarrhythmic or
21 antiarrhythmic at particular rates. It is very
22 relevant when you seek to extrapolate the results in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a trial supported by an ICD to a population that might
2 not be so supportive.

3 So it is certainly a very relevant
4 question within the terms of the generalized ability
5 of results flowing from ICD supported trials to
6 populations at large.

7 DR. PACKER: John.

8 DR. DiMARCO: John, you may be talking
9 about this later but maybe you can give us a hint. If
10 you are looking at drugs, either comparing a drug to
11 placebo or comparing two drugs, do you think the
12 device has to be kept standard? In other words, do
13 you have to have a single capability or a single set
14 of capabilities in the device and does the programming
15 of the device have to be relatively standard in the
16 population?

17 DR. CAMM: Indeed I will be discussing
18 this later. Essentially my story line is that in the
19 first place devices were nonprogrammable and,
20 therefore, this issue did not arise as they are
21 becoming increasingly programmable and some form of
22 standardization should be contemplated for a variety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of reasons that I will discuss later.

2 DR. PACKER: It sounds like we are very
3 anxious to go forward and do the other presentations.
4 I just have one question. I guess design III
5 theoretically in a sort of crazy way could be used as
6 a monitor, not only for antiarrhythmic interventions
7 but for proarrhythmic interventions. I don't know if
8 that is something you'll be bringing up.

9 DR. CAMM: I believe it will be a
10 fundamental part of the presentation.

11 DR. PACKER: Why don't we move forward.
12 Sounds like that's what we all want to do.

13 DR. PRATT: Well, first of all, before I
14 begin, my thanks to Bob Fenichel and Ray Lipicky for
15 organizing this. Good morning to Dr. Temple and the
16 committee.

17 My task will be to sort of review some of
18 the designs that have actually been done and we're
19 going to be talking about design type III. There are
20 a number of trials. I'm just going to go over some
21 general principles here and differences between four
22 trials that have already been completed. As John

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 said, now three have been analyzed. One actually
2 probably never will be analyzed as the data has been
3 accumulated. All these trials have some primary ICD
4 endpoint. It could be one of many kinds and I'll talk
5 about that a little bit.

6 Secondly, the sample sizes have been in
7 this range based upon some estimated placebo event
8 rates. The duration of the trials has primarily been
9 at a year and some at six months. One might argue
10 this is long or not long enough to really
11 appropriately evaluate both efforts and safety of an
12 antiarrhythmic drug.

13 Notice the estimated placebo event rate.
14 That is how often the investigators estimated that
15 there will be a discharge within a year. That's quite
16 a range. Needless to say the people that estimated
17 this might have presumed a sample size here and the
18 people of this a sample size here and that might be
19 true.

20 There's a lot of other issues that have
21 been different in the trials. One of them you have
22 actually heard presented here had stratified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 randomization by ejection fraction. One of the trials
2 actually was smart enough to think that they might
3 need an interim analysis to adjust for sample size;
4 that is, if their ICD discharge rate and the way that
5 they defined it was not adequate if they would go
6 ahead and they would change the sample size.

7 The degree of prespecified ICD
8 interrogation is an interesting feature and I think
9 you're going to hear a lot about that today. Some
10 people have done this with just an investigator
11 analysis of whether or not there was an ICD shock if
12 the investigators analyzed the appropriateness of that
13 shock. Others had a simple committee or a group of
14 experts that looked at all the ICD interrogations and
15 made their own independent guided decision regarding
16 that.

17 The definition of primary endpoint, we'll
18 come back to this after I show you a couple of
19 clinical trials. I think there are some important
20 issues here. One can talk about time to first
21 appropriate ICD shock, appropriate for VT or VF.

22 One can talk about total shocks. I guess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a patient doesn't really care whether it's appropriate
2 or not. That still bothers the person.

3 Then one needs to ask whether you're
4 talking about the tiered therapy; that is, the
5 tachycardia/antitachycardia pacing or you are just
6 talking about simply the number of shocks for VT/VF.

7 One of these trials was smart enough to
8 think that this might be a good way to explore a dose
9 range of their drug. Of course, that does have
10 implications for their sample size. There have been
11 a number of these trials that have not only entered
12 people when they have their new ICD put in at a time
13 when they might be presumed to have a higher event
14 rate but when they came back to have a new battery
15 installed in a generator. At that time one might
16 wonder about the frequency of those events. Different
17 trials have tried to estimate that by having required
18 a ICD discharge within three to six months but some
19 have not.

20 The degree to which there is in-hospital
21 testing and the appropriateness of the follow-up
22 testing varies greatly between these trials. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 might be of some interest. Also, the timing of the
2 efficacy evaluation. Interestingly some of these are
3 typical randomization intention to treat. Some of
4 them have a required waiting of X number of half lives
5 prior to the counting of primary endpoint.

6 My goal is not to talk about drugs. My
7 goal is only to talk about the trials so you see that
8 we're going to talk about antiarrhythmic drugs, not
9 individual files. So that's antiarrhythmic drug.

10 This first trial is one that took patients
11 that had an ICD implanted for VT/VF or cardiac arrest.
12 The ICD had to have adequate electrogram recording
13 capability, although different devices were allowed.
14 The design was 12 length randomized parallel placebo
15 controlled design.

16 This primary endpoint is time to first
17 appropriate ICD intervention. It's quite different
18 than the second trial in that they count shocks for
19 VT/VF or tiered pacing for VT/VF. This is a composite
20 endpoint that does not include death but includes an
21 independent committee that looks at the
22 appropriateness of these shocks or pacing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intervention.

2 The kinds of patients, these are patients
3 that basically have low ejection fractions, some
4 clinical heart failure, mostly male, and already on a
5 variety of other antiarrhythmic drugs. In both these
6 trials sustained VT is about two-thirds of the
7 patients and VF one-third. Here it's more like three-
8 quarters and one-quarter. There is primarily patients
9 that have had VT. Here is one thing that you can do.
10 You can just look at the defibrillation threshold of
11 these drugs. That's one thing that is very
12 worthwhile.

13 Here is the primary endpoint. Remember,
14 this endpoint here is timed to first appropriate ICD
15 intervention for VT/VF whether it be pacing or whether
16 it be shock. We can see that there was pretty much a
17 wash here. The one year estimate of event rate was
18 pretty on target here. It was about 60 percent of the
19 patients that had an event. There was no difference
20 between these two groups.

21 Now, when one looked at other things like
22 total shocks, the antiarrhythmic drug had less total

1 shocks than placebo. There was a longer time to the
2 first ICD intervention in general, but when one looked
3 at the endpoint that they picked as the primary
4 endpoint, that was the result that they got.

5 Here is a second trial. It does have a
6 significant number of differences. In the first
7 place, patients had to have either a new ICD or
8 generator replacement within three months with
9 evidence of a shock within three months. Sample size
10 was larger.

11 Primary endpoint this time is quite
12 different. Timed to first all-cause shock. Any shock
13 but not tiered pacing. We have no information in this
14 trial about pace termination. It's all all-cause
15 shock. Stratified randomization by ejection fraction
16 and the same kind of analysis that was done in the
17 first trial.

18 When we look at the characteristics here,
19 these patients have higher ejection fractions but
20 otherwise were patients with little or no congestive
21 heart failure and they looked pretty much like the
22 previous group, four-fifths male and a lot of patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with coronary artery disease. A majority having
2 presented with symptomatic or invisible sustained VT
3 rather than aborted sudden death.

4 Here was this result. This result is
5 quite different. First of all, this drug does beat
6 placebo for this primary endpoint but this is all-
7 cause shock. This could be due to VT/VF. It could be
8 due to a shock for atrial fibrillation and
9 nonsustained VT. It does not include the tiered
10 pacing events. At one year the event rate is actually
11 smaller even on placebo of about 40 percent but it's
12 accumulated by almost half with the antiarrhythmic
13 drug.

14 If you want to look at something that is
15 closer, albeit not the same as the first trial, this
16 is shocks for VT/VF. Again, this is as judged by the
17 investigator, not by a central committee or death. I
18 must say death in these trials makes them about -- if
19 you make a composite endpoint of ICD plus death, it's
20 only about 10 percent of the events.

21 Here is another way of looking at it. It
22 would be nice to be shock free if you had one of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 devices and antiarrhythmic drug over the one year
2 period reduced from almost 4 to 1.5 shocks over that
3 interval in the average patient if you think that's a
4 more important way of looking at this.

5 So let's go back to this now and just talk
6 about some of the specific differences. We've talked
7 about the fact that sample size varies and the placebo
8 event rate is varied greatly. I think the people who
9 are in this trial, which is a trial that an agency has
10 not evaluated, probably overestimated a placebo event
11 rate.

12 They also had an interim look and they
13 were allowed, if they wanted to, to change their
14 sample size. This may or may not be something
15 important. This is certainly something that could be
16 done to make sure that you have enough events in your
17 sample size.

18 This is going to be a very important issue
19 at the prespecified SCD interrogation. I think you're
20 going to see this as probably pretty important and
21 needs more attention by the people that are planning
22 these trials. I think here will be the crux of a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of discussion today.

2 First of all, if the ICD discharge is
3 appropriate for VT/VF they argue, and it will be
4 argued today either yea or nay, that this is a
5 reasonable surrogate for mortality. So one might have
6 a composite endpoint of appropriate ICD shock for
7 VT/VF plus death as being a reasonable composite
8 endpoint. Other people might argue that any time the
9 device goes off, that's pretty troublesome to the
10 patient and all-cause shock is the most important
11 endpoint.

12 Mostly importantly I think that it's
13 possible that tiered therapy with pacing might be a
14 very important element. If not taken in
15 consideration, patients may have a proarrhythmic
16 effect in their antiarrhythmic drug and they may get
17 paced out of that proarrhythmic effect and that would
18 not show up in the primary endpoint.

19 Finally, I think that when you hear Dan
20 Roden's talk, you are going to realize that even if
21 ICD shocks are reduced, the qualitative information of
22 those shocks is very important, for instance, if this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is an IKR blocker. There is more polymorphic VT
2 shocks in the ICD group with antiarrhythmic drug than
3 placebo. Event though the total shocks are reduced,
4 it may be hiding a very potentially lethal
5 proarrhythmic effect.

6 So all these issues, the devils and the
7 details here, and that's what we're here for, to talk
8 about those details. Clearly, this would be a
9 reasonable place to explore in a very sick and high-
10 risk population a dose responsive drug if you have
11 enough money and enough time and enough patience with
12 ICD's.

13 I think the other thing is that the event
14 rates may be quite different in these kinds of
15 patients. These patients may not behave the same. I
16 think that would have to be considered when one is
17 analyzing this kind of information. Certainly making
18 a very vigorous attempt to make all the in-hospital
19 testing and follow-up testing of the devices uniform
20 and ideally making the devices self-uniform with
21 adequate interrogation capacity and the ability to
22 obtain and store these electrograms is an ideal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 situation. In the real world that's no so easy.

2 This is an important issue. One of the
3 two trials you saw today started counting endpoints
4 after five half lives of the drug and there was not a
5 typical at randomization and intention to treat
6 evaluation. So with all those sort of preliminary
7 remarks, I'm giving you a feeling for what this data
8 looks like. I'll turn it over to Dan Roden to talk a
9 little bit about more detail of this kind of
10 interrogation.

11 DR. PACKER: Before we do that, let's just
12 pause for questions from the committee if they are
13 any. Bob.

14 DR. TEMPLE: In the ones that use
15 predominantly appropriate shock endpoints, would they
16 also include appropriate pacing endpoints? Sometimes
17 that's the response.

18 DR. PRATT: Well, that's what I was
19 saying. In fact, one of the trials here even though
20 the primary endpoint looks tremendous, it does not
21 include the ATP pacing. In fact, there is no
22 information about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TEMPLE: Even if the pacing was for
2 sustained VT?

3 DR. PRATT: Correct. And one would think
4 that there would certainly be more information to be
5 gained to look at that. In the trial they combine
6 both. The ATP pacing made up almost half the
7 composite endpoint. That's why the event rate in the
8 first trial appearing to be less general, there was no
9 all-cause shock. In fact, a higher event rate of one
10 year.

11 DR. PACKER: And maybe we just clarify for
12 those of us who are not electrophysiologists. I was
13 trying to ask Dan this question but I think it may be
14 more general and important. There are some devices
15 that have ATP capability and some that do not. All
16 devices have ATP. How does the device decide whether
17 to shock or pace?

18 DR. DiMARCO: It's programmed. You
19 choose.

20 DR. PACKER: So for each individual
21 patient, you dial in what criteria need to be met for
22 pacing and which criteria need to be met for shock.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You could dial in parameters that would preferentially
2 induce pacing as opposed to shock?

3 DR. DiMARCO: Usually. I mean, typically
4 you can set up varied zones. Your top zone is usually
5 a shock zone. Then if you choose to have a second
6 zone, and this would depend on what arrhythmia you
7 expect the patient to have, you can set up zones below
8 the top zone within which your first response may be
9 antitachycardia pacing, it may be low-energy shock, or
10 it may just be a shock again at a different rate, if
11 you will, with a different duration of arrhythmia.
12 You have a lot of flexibility which makes it very
13 complex.

14 DR. PRATT: And you also can't standardize
15 it. I mean, I think that's the important part.
16 Milton.

17 DR. DiMARCO: Yes. The zones are
18 determined by rate or cycle length.

19 DR. PACKER: Oh, you can do a pacing.

20 DR. DiMARCO: One of the things that Dan
21 will probably get into is the duration for a response
22 can also be set so you may choose a slow arrhythmia to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 let it go on for a certain period of time before you
2 intervene, where in a very fast arrhythmia you
3 wouldn't want to do that.

4 DR. PACKER: The reason that you would
5 prefer pacing is that it is more pleasant.

6 DR. DiMARCO: It's usually asymptomatic.

7 DR. PRATT: But that does that other level
8 of complexity. Milton, when I had mentioned that one
9 should try to have some kind of standardization of the
10 times of ICD reprogramming, it doesn't mean you can
11 standardize the programming. It just means that you
12 standardize the time.

13 DR. PACKER: Udho.

14 DR. THADANI: Craig, one of the problems
15 sometimes with ATP is you can actually induce. Say
16 patient is going into VT and you pace them. They will
17 go into malignant VT. So not only is pacing useful
18 but it could also generate arrhythmias. This
19 algorithm might count like the drug induced
20 arrhythmias, at least in our lab, to test the patient
21 before they use the pacing because there might be
22 actually induction of V. fib. in these patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 triggering that. That's point one. That's one
2 comment I think you should keep in mind. I don't know
3 how you get around it.

4 DR. PRATT: Let me make one comment about
5 that. I think these protocols can at least
6 standardize the time of testing and mandate testing at
7 some intervals.

8 DR. THADANI: But could it vary, say, you
9 test them in the lab, make changes, and next time
10 pacing actually could produce -- you have to pace them
11 pretty fast. That would be one issue. The other
12 issue is I've seen patients at least who arrest every
13 two years. There are patients who have arrested
14 repeatedly who might be on IV amion or whatever.

15 There are patients who have out-of-
16 hospital cardiac arrest. For some reason they don't
17 have a device and they come back after five years and
18 yet do not have an implant but maybe a small micro
19 infarct. Should you try to randomize those patients?

20 Also, the same issue with patients who are
21 only inducible in the cath. lab. but don't have
22 necessarily spontaneous cardiac arrest because they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have nonsustained VT. Does randomization ever take
2 that into account because that could have an influence
3 if there is an imbalance just for that.

4 DR. PRATT: The only stratified
5 randomization that I've seen is for ejection fraction
6 with the belief that there might be a difference in
7 antiarrhythmic drug performance and preserved
8 functioning and lower function. You hope the
9 randomization takes care of a lot of this issue.

10 I will tell you that it's very few
11 patients that enter these trials that have only
12 inducible arrhythmia. Most of these people had a
13 spontaneous arrhythmia.

14 DR. THADANI: But given the frequency of
15 35 and 75 with a sample size of 150 you might run into
16 that hassle if you don't have a very large sample
17 size.

18 DR. PRATT: Absolutely. It gets back to
19 the fact if you pick only new implants, you know now
20 from a couple clinical trials your event rate will be
21 50 or 60 percent depending on what endpoint you pick.
22 If you pick old implants, it does goes down.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PACKER: JoAnn and then John.

2 DR. LINDENFELD: I was wondering if you
3 could educate us a little bit about how these studies
4 are stratified by additional drug therapy. You didn't
5 mention that and maybe there's a standard way but if
6 you look at total mortality --

7 DR. PRATT: Randomization.

8 DR. LINDENFELD: Just randomization?

9 DR. PRATT: That's it. The underlying
10 therapy is pretty stable. I didn't spend a lot of
11 time on it today because, again, we are not really
12 talking about, you know, specific issues like that.
13 About 30 or 40 percent around a beta-blocker. Fifty
14 or 60 percent of these people are on ACE inhibitors.
15 They are typical LV dysfunction, post MI, multiple MI
16 population.

17 DR. PACKER: John.

18 DR. DiMARCO: Craig, the event rates in
19 the secondary prevention trials aren't that much
20 different than the primary prevention trials. In
21 fact, sometimes they go higher. Do you think both
22 populations would be appropriate for a drug trial? In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other words, someone has an indication for an ICD,
2 gets an ICD for primary prevention, say, on MADIT
3 criteria. Would that person be a candidate with an
4 inducible rather than spontaneous arrhythmia?

5
6 DR. PRATT: Well, I guess it depends on
7 the question you are trying to answer. I mean, it's
8 hard for me to imagine that the event rates are
9 exactly the same. I haven't really thought about it
10 that much. Clearly the idea of testing a drug in that
11 situation seems equally valid. You're only going to
12 be limited by the event rate, a really appropriate
13 shock for VT TDF. If that's really 50 percent or
14 near, that's pretty good.

15 DR. DiMARCO: And then if you're talking
16 about secondary or primary prevention, do you think
17 every drug needs a study with a SCD hep type design
18 where there's a placebo group, a drug group, and a
19 device group to see whether it is relative to the
20 device alone?

21 DR. PRATT: I would kind of like to defer
22 that to the end. Let's hear them all. It's a great

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question.

2 DR. PACKER: Just one comment. It would
3 seem that based on having nothing to do with the
4 device but having a lot to do with the way this
5 committee has considered outcome measures before. All
6 endpoints in trials of design III should include death
7 as one of a composite.

8 In other words, to ignore death as an
9 important outcome and just take ICD shocks would seem
10 to be similar to using, for example, hospitalization
11 or nonfatal myocardial infarction as an endpoint
12 without considering outcomes that are clearly worse
13 than the outcome that is being specified. My sense is
14 there's been at least one trial that didn't do that.

15 DR. PRATT: But not as its primary
16 endpoint. Correct? The two I showed today, one of
17 them did have it as primary endpoint. I think in
18 general, John didn't mention it, but he set up a
19 workshop at the European Congress a year and a half
20 ago where we certainly all agreed that made the most
21 sense. It would be more difficult to interpret it
22 without it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Obviously you would still have the death
2 information. It makes up about 10 percent of one of
3 these composite endpoints. But obviously if you are
4 dead, you can't have a discharge and I think the
5 analogies are perfect. I think that's the way the
6 agency has seen this. When people have come to talk
7 to them about these designs, they have encouraged them
8 with that primary endpoint. I know that Bob and Ray
9 did.

10 DR. PACKER: I suppose a clinical and
11 statistical basis. The criminal court says that it's
12 bad to be dead. Second is that its competing risks.
13 That is, if you're dead, you can't have the shock.

14 DR. TEMPLE: I don't think one should be
15 absolute on that question. That's fine when the
16 deaths are only 10 percent of the endpoint. It
17 doesn't matter. You can throw them in and nothing
18 happens. But if you had a situation where the
19 nonarrhythmic deaths were a very large majority of it,
20 you would want to know whether that was increased
21 certainly but you might not necessarily make it part
22 of the endpoint. I think that needs further*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 discussion.

2 DR. PACKER: This is a really interesting
3 topic because there are all sorts of -- for example,
4 the roll of anticoagulation in diseases where the
5 number of involved events is going to be a very small
6 number of the outcomes, that lots of other bad things
7 happen and involved events is only one of them. If
8 you included all the bad things, you would overwhelm
9 any treatment effect on the involved events. I think
10 that is the point which you're making.

11 DR. TEMPLE: I think one doesn't want to
12 be absolute. You certainly want to see if events are
13 going the wrong way or something weird is happening.
14 That's not the same as including it in the endpoint.

15 DR. PACKER: Right.

16 DR. TEMPLE: It's a different issue.

17 DR. PACKER: In this patient population --

18 DR. TEMPLE: It seems okay.

19 DR. PACKER: -- I think it's more
20 appropriate to include and one would be curious why
21 the rationale for excluding death. In other words, I
22 think this is a disease where there is a lot more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reason to include death than not to.

2 DR. TEMPLE: In here you are also
3 specifically worried about some of the drugs making
4 some of the other causes get worse like increasing the
5 rate of death due to progressive heart failure.
6 That's a good case. I'm not saying you wouldn't often
7 want to do that but not absolutely. I mean, I think
8 that could be something one would discuss.

9 DR. PRATT: But one can certainly mask an
10 occasionally lethal proarrhythmic effect by this ICD
11 endpoint. In a small number of patients it may
12 arrhythmia worse and couldn't be defibrillated.

13 DR. TEMPLE: Actually, I have a question
14 about that. Wouldn't the committee looking at the
15 appropriate discharges and things be able to say, oh,
16 this was torsade and maybe you can't do that from the
17 lead you have. I don't know. Could they be able to
18 tell whether it was a likely proarrhythmic event?

19 DR. PRATT: It's a great segue to the next
20 talk. Perhaps you could introduce the speaker.

21 DR. PACKER: Well, JoAnn. One more
22 question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LINDENFELD: Brief question. How do
2 you differentiate from studies. Several shocks for
3 the same episode? Does that count as three shocks or
4 one?

5 DR. PRATT: Well, there are many other
6 endpoints and Dan will talk about them. One could
7 count every shock. One could count shocks only at
8 certain intervals but obviously these have been timed
9 to first appropriate shock so the counting of all the
10 shocks is a different issue. Certainly for the
11 patient lots of shocks aren't fun.

12 DR. PACKER: Dan.

13 DR. RODEN: I want to thank Craig for
14 inviting me to talk. It will become clear that the
15 reason that I'm talking is that we conducted an
16 analysis in one of the trials he presented to actually
17 address the specific question of proarrhythmia. He
18 asked me to present some actual electrograms to sort
19 of put this in more of a concrete context for those of
20 you who don't think about these things every day. It
21 gives me a chance to show some pretty pictures.

22 Here are some electrograms. I never know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether it's a good thing to be talking before or
2 after John Camm but I know that it's a bad thing to be
3 talking both before and after him because he may have
4 some of the same messages to tell you.

5 This is what the ICD is supposed to do.
6 This is a patient who is having something. I'm not
7 sure what this rhythm is. They have run a very fast
8 tachycardia shock here that is ineffective but they
9 feel it obviously. More tachycardia, another shock
10 that's effective and restores quality sinus rhythm.

11 All the electrograms with one exception
12 that I'll show you are from one vendor. I'm sorry.
13 They just make prettier pictures than other vendors.
14 It's a device that I'm sort of more used to. The
15 other thing you should be aware of is that you don't
16 see P waves on these electrograms. These are highly
17 filtered. They are different from electrocardiograms.
18 You actually don't see a QT interval. Each of these
19 spikes is a ventricular complex but what it would look
20 like on the surface is actually something we don't
21 have a good handle on.

22 This is as good as it gets for therapy but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it does raise the question that JoAnn asked. Does
2 this count as a good thing or a bad thing because this
3 patient got two shocks.

4 I'm particularly fond of this slide. This
5 is a patient who had amiodorone pulmonary toxicity;
6 got a defibrillator; had recurrent rapid ventricular
7 tachycardia with shocks; got quinidine which slowed
8 down the tachycardia cycling but made his tachycardia
9 events much less frequent.

10 One of the things that people who take
11 care of patients with defibrillators will be aware of
12 but may not be immediately apparent to everyone else
13 is the way in which a shock is now treated compared to
14 the way in which an episode of sustained ventricular
15 tachycardia might have been treated 10 or 15 years
16 ago.

17 A patient with an episode of sustained
18 ventricular tachycardia, even if it's well tolerated,
19 will end up in an emergency room, will end up getting
20 semiemergently cardioverted, and, as likely as not,
21 will end up getting admitted to the hospital to rule
22 out myocardia infarction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This sort of gets to be a recurrent
2 problem and a recurrent burden to the arrhythmia and
3 the general cardiology communities.

4 The ability to just be able to interrogate
5 the patient's defibrillator at a routine clinic where
6 they say, "Oh, look. Two months ago you had an
7 episode of tachycardia that was terminated by the
8 device and you went about your ordinary daily
9 business," that's an advantage that I think can't be
10 addressed necessarily directly in terms of a morbidity
11 trial or a mortality trial. There are quality of life
12 issues that the defibrillator does fix.

13 The reason I like this slide in particular
14 is this is exactly what happened to this man. He came
15 in February and we interrogated his device and said,
16 "Oh, look. You had an episode of sustained VT. Good
17 thing you had the defibrillator because otherwise you
18 would have ruined your day." The day that he would
19 have ruined would have been his family's Christmas.
20 This event occurred at 11:30 on Christmas morning. I
21 really think that's a real tangible benefit to this
22 patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is sinus rhythm and then the onset of
2 something followed by the onset of sustained
3 monomorphic ventricular tachycardia. A single episode
4 of antitachycardia pacing restores normal rhythm.
5 Now, you could make the argument maybe if this had
6 been left for 30 seconds he would have spontaneously
7 terminated or not. The fact is, this is an episode of
8 which he was totally unaware. This is as good as it
9 gets it think.

10 You talk about shocks for all causes. I
11 mean, there is a rare cause of shocks that we would
12 prefer not to have to count and that's shocks for lead
13 malfunction. This is a patient who is actually having
14 sinus rhythm but they have a lead fracture so they
15 have a lot of electrical noise and this is the shock
16 effect. That probably has not much to do with whether
17 they are on the drug or placebo but it may have
18 something to do with whether they got the ICD in the
19 first place in a MADIT type design.

20 This is a problem that has been alluded to
21 already. I'm not sure how much of a problem it is or
22 not. The patient has irregular fast tachycardia which

1 is atrial fibrillation. The little dots indicate the
2 times at which the defibrillator has finally decided
3 that the rate is fast enough to require a shock.

4 This is what we're talking about before,
5 Milton. Once you dial in the rate, no matter how the
6 patient gets to that rate, they get a shock. There
7 are ways of programming or monitoring defibrillators
8 to ask the question, for example, did this rate start
9 suddenly or did it start gradually. This is an
10 episode of gradual onset as opposed to sudden onset.
11 The shock is delivered here and, of course, does
12 nothing.

13 Craig alluded to the idea before that, you
14 know, if you're a patient and you got a shock for
15 this, then that is just as bad as getting a shock for
16 VT or VF. Maybe a composite endpoint that includes
17 shocks for atrial fibrillation is not great from the
18 patient's point of view.

19 Udho alluded to this. This is atrial
20 fibrillation. A shock is delivered. This is very
21 rapid sustained monomorphic tachycardia. A second
22 shock is delivered to rescue the patient.

1 This is an issue because most
2 defibrillators will cycle through five or six
3 episodes. If this goes on, will deliver five or six
4 shocks. If normal rhythm is not detected, the device
5 will turn itself off with the assumption that it is
6 treating noise or some undefibrillatable rhythm. It
7 is conceivable that you could get five shocks for
8 atrial fibrillation. The sixth one would then trigger
9 the ventricular tachycardia which the device would
10 then decide to ignore.

11 So there is a potential, although I don't
12 think I have seen a case. Jeremy may have something.
13 There is certainly a potential that will have cases of
14 defibrillator induced death. I haven't seen one and
15 I don't know of one. I know of other kinds of
16 defibrillator induced death which Jeremy may have a
17 word to say about because there are occasional device
18 malfunctions that can cause mortality.

19 The question that I was asked to address
20 is what can we learn from analysis and intracardia
21 electrograms. I think you get the flavor already that
22 there are complex analysis issues. Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TEMPLE: Just one thing about the
2 previous slide. I understood that you can train these
3 things to recognize both rate and form. If someone
4 has fibrillation, maybe you could train it not to
5 identify that complex or look at the URS or something.

6 DR. RODEN: I think you're looking at a
7 movable feast right now. There are devices that are
8 dual chamber, for example, that will be able to tell
9 us what is going on in the atrium and what is going on
10 in the ventricle at the same time. So, for example,
11 that might be a way of detecting atrial
12 defibrillation. The philosophy underlying the design
13 of those devices is that they will err on the side of
14 assuming that the rhythm is ventricular and treat it.

15 DR. TEMPLE: So most of them just respond
16 to rate?

17 DR. RODEN: At this point I think it's
18 fair to say that most of the devices respond to rate
19 but there is a lot of work going on in terms of trying
20 to do discrimination but the discrimination is going
21 to be difficult in terms of, for example -- I don't
22 have a good example with me but ventricular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tachycardia is not always dead regular and certainly
2 polymorphic ventricular tachycardia can be very
3 irregular.

4 That was the first one I showed you. If
5 you are in ICD or an ICD manufacturer, the last thing
6 you want to do is not treat a rhythm that might be a
7 lethal ventricular arrhythmia.

8 DR. DiMARCO: And it gets very complex
9 because in the population who gets these things, a
10 very large percentage of them have Y complexes to
11 start with or will widen them in the presence of a
12 faster rhythm so that your morphology characteristics
13 are okay but they are often unreliable. Even if you
14 have a two-chamber device, you never want to miss the
15 F arising out of AF. If somebody goes into AF and has
16 AF, well, then if they develop VT, you want to be able
17 to shock that so it's very complex.

18 DR. RODEN: The slide that I didn't put in
19 because I thought it would get too messy, and it still
20 ended up too messy, occasionally you actually have
21 electrograms that look absolutely identical during VT
22 and sinus rhythm. We are convinced for other reasons

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that it's VT. Even the morphology of these guys here
2 can't be used as a good detector.

3 DR. THADANI: What happens with a patient
4 who is (indiscernible) and he gets a tachycardia with
5 sinus? Would the device still fire?

6 DR. RODEN: The device generally fires
7 because of rate unless there is some other -- some
8 devices now have onset criteria that you can use but
9 I don't use those.

10 I think you already get the flavor that in
11 trials like this it's not just a matter of sort of
12 looking at and counting the number of shocks and
13 saying this is what happens because there are issues
14 of the diagnosis of nonventricular arrhythmias that
15 the device may sense. That comes obviously in all
16 flavors including sinus tachycardia.

17 Then there is a theoretical issue, and I
18 think it is a theoretical issue at this point, of
19 ventricular arrhythmias that don't get detected.
20 There's a variety of reasons that could happen, not
21 just running out of episodes but device malfunctions.
22 There are causes for that.

1 I'll come back to this issue in one
2 second. Think about the things that the drugs might
3 do. They might modify VT/VF frequency. That's
4 obviously what we would like to see. They might make
5 ventricular tachycardia slower and easier to pace
6 terminate. If a trial uses total events as it's
7 endpoint, you won't find that but that would be a
8 very, very desirable thing for the patient's point of
9 view. I showed you the Christmas day slide, I think.
10 It sort of speaks as eloquently as it can for that
11 sort of issue.

12 Obviously devices may modify the frequency
13 of events such as atrial fibrillation. Then drugs
14 might modify the mechanisms of tachycardia that
15 remodel defibrillation and efficacy. Craig already
16 alluded to that.

17 The reason that I'm standing here is that
18 we did an analysis of one of the databases looking at
19 the question of whether we could detect drug induced
20 torsade during long-term treatment in patients with
21 ICD's. That's what I'm going to sort of talk a little
22 bit about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One of the questions is how do you
2 diagnose torsade at the best of times. The best of
3 times you get a faxed ECG. This is a person who has
4 a very long QT interval particularly long after a
5 pause. That's rhythm strip No. 1 on the 21st of
6 August. Here is rhythm strip No. 2 on the 21st of
7 August one minute later bearing a polymorphic
8 tachycardia.

9 That is pretty suspicious. I would like
10 to see the onset of a tachycardia to see if it is
11 pause-dependent and we just don't have that from these
12 rhythm strips. I would end up saying this is probably
13 torsade, at least by a sort of preset criteria that
14 many trials use.

15 In fact, this patient had an ICD. This is
16 an ICD case of a different kind of device. You can
17 see that they had an episode of tachycardia. It
18 stops, a pause, a sinus beat, and then the tachycardia
19 starts up again. This is a intracardiac electrogram.
20 It is filtered differently. This presumably
21 corresponds to this part here and somewhere up here.
22 Actually, I see the onset here and this is an episode

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of torsade. You can only make that diagnosis with
2 certainty from the intracardiac electrogram.

3 Another issue that comes up in terms of
4 thinking about this, here is a patient who is having
5 ventricular fibrillation. You will notice that the
6 electrograms are very, very variable and there is a
7 lot of what appears to be noise but that is
8 fibrillatory activity. They got a shock and normal
9 rhythm is restored.

10 The difficulty here is that I'm not
11 certain how this rhythm started. It may have started
12 as torsade and generated to VF but I can't say that
13 because I don't see the onset of the tachycardia.
14 When you see an event like this during an analysis
15 like the one I presented to you, we just can't make
16 head or tail of what to do with that.

17 DR. TEMPLE: Why is it that you don't see
18 the onset?

19 DR. RODEN: Because of the device that was
20 used in this particular trial by in large. Most of
21 the devices had limited recording capability. That's
22 programmable. You can say you wanted to remember more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of an episode, less of an episode. Some of the
2 devices now we'll remember started the episode which
3 is actually pretty convenient. You would see this and
4 you would also see a strip that might be two minutes
5 before recording the start of the device. The trial
6 that I'm going to present to you didn't use those
7 kinds of devices.

8 DR. DiMARCO: But, Dan, isn't this
9 probably single dropout here because you have such a
10 long detect time?

11 DR. RODEN: Well, that's actually the
12 problem. I was presuming that somebody was going to
13 ask the question why this person has 24 seconds of
14 ventricular fibrillation before they get a shock.
15 actually, John, I think there's a shock right there.

16 DR. DiMARCO: Oh, really?

17 DR. RODEN: Here is another problem if you
18 are looking for torsade. This is a Holter monitor in
19 a patient who has palpitations as their symptom. This
20 is a pretty typical episode of torsade. This patient
21 happens to have an ICD. This is what the ICD looks
22 like. This is pause-dependent. There's a pause, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sinus beat, and one more tachycardia. This is what we
2 would expect torsade to look like; pause-dependent,
3 polymorphic tachycardia labeled conveniently enough VF
4 but that's not what it is.

5 This is a person who actually has shocks
6 on their Holter monitor. This is marked five minutes
7 before the actual events up to nine minutes before of
8 events like this. Even way before they had actually
9 a shock, they were having echocardiograms that were
10 typically torsade.

11 This is what happens with the shock so the
12 times are about right, a typical episode. The
13 intracardiac electrogram looks like you would expect
14 it to look, pause-dependent. I'm not sure how this
15 starts exactly. This is clearly pause-dependent.
16 This is a sinus beat and this is probably initiation
17 of the torsade. Not very quick at the beginning but
18 then speeds up. The shock right here restores normal
19 rhythm. So no secrets which drug this is, I'm afraid.

20 So the analysis goal in our study was to
21 look at the incidence of -- I guess I should say at
22 the beginning that obviously the intent here is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study the phenomena enough. Not necessarily this
2 particular drug but to ask the question can we detect
3 torsade occurring during long-term therapy.

4 What we decided to do is to compare the
5 incidence of pause-dependent, polymorphic VT in these
6 174 patients randomized, half randomized to drug, half
7 randomized to placebo.

8 We looked at 623 electrograms in 133
9 patients who had electrograms that were recorded.
10 There's a group of about 40 that had no events at all.
11 Of those electrograms we saw the onset of 411 and
12 those were the ones we started with. We don't know
13 how many of the other 200 or so might have been
14 torsade. 327 of those were monomorphic VT. We're not
15 so interested in them anymore. 72 were polymorphic
16 VT. Then there's a whole sort of little smattering of
17 others.

18 I must tell you that many of the patients
19 in whom we didn't see the onset actually had ongoing
20 atrial fibrillation as a result of their shocks it
21 seemed to me. So there were a lot more
22 supraventricular arrhythmias or atrial fibrillation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that appear on this thing here.

2 So let me just say a word about the
3 patterns of onset. Jeremy has published on this.
4 This is sinus rhythm with nonpause-dependent
5 monomorphic VT. This is sinus rhythm with pause-
6 dependent monomorphic VT. There's a break here
7 because this would have been on the next line down.
8 I didn't want to do that for the slide.

9 This is nonpause-dependent polymorphic VT
10 and this is pause-dependent polymorphic VT. This is
11 the guy we're looking for because this is what we
12 decided that has the electrogram characteristics of
13 torsade.

14 Then to just complicate life a little bit,
15 here is a patient with monomorphic VT and no pause.
16 Here's the same patient with the same monomorphic VT
17 but they have a positive onset. I think you already
18 get the flavor that you need to sort of be looking at
19 each electrogram and thinking about each different
20 patient as opposed to sort of adding numbers up.

21 What we did was we found 20 polymorphic VT
22 events, pause-dependent polymorphic VT events. The

1 way we found them was by electrograms in five cases.
2 Then there were five cases -- remember, this is the
3 trial that looked at patients between day one for the
4 first five half lives. Didn't count events but there
5 were events of torsade during the first three days of
6 therapy in the five patients.

7 Many of those for a variety of reasons
8 didn't actually have electrograms which is frustrating
9 for a torsadesophile like me to not see all those
10 electrograms. One of those patients was actually a
11 patient at our center so I'll take the credit for that
12 as well.

13 We have a total of 20 events where we have
14 pause-dependent polymorphic VT. Fifteen of them are
15 on electrograms and five of them are patients who
16 actually had torsade monitored from days one to three.

17 In these 15 electrograms the first thing
18 we did was we went back and looked at the case report
19 forms for all of them to see whether, in fact, those
20 are patients who had actual real-life torsade that had
21 been missed on a surface electrogram. Notice I'm
22 using the term positive polymorphic VT as opposed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 torsade. Out of those case report forms we actually
2 found three further episodes of torsade where surface
3 ECG documented the arrhythmia. I'll talk about those
4 in a second.

5 So basically we have cases that arose
6 early within the first three days and we have cases
7 that arose late. So 15 on drug and five on placebo.
8 Of the early cases there were the five early torsade
9 cases. Those were all on drug. There are 10 cases
10 that occurred late during therapy and five on placebo.

11 We have to remember we had eight cases of
12 torsade and there are seven of them on this drug which
13 is not a surprise, I guess. There's one in the
14 placebo arm and this is a patient who was on placebo
15 and on day 62 was withdrawn from the study and started
16 on amiodorone and on day 64 had clear cut torsade.
17 That's an intention to treat analysis as appropriate.

18 So the torsades occurred mostly, again,
19 five of them occurred early, the same five here, and
20 two of them occurred late. One I told you about on
21 placebo and these two on drug. The way they are
22 detected is the patients coming to the hospital

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because of a shock. They are monitored and they have
2 further events that are recorded on the
3 electrocardiogram, one on day eight, one on day 289.

4 The QT's are not very important, I think,
5 for this analysis. Not surprisingly the QT's --
6 actually there was a point. The QT is long here but
7 interestingly the QT is quite long in the placebo
8 treated patients as well. I think this is just a
9 manifestation of how sick this population is because
10 I think QT prolongation occurs amount patients with
11 advanced heart failure. I think that is what we're
12 seeing here. This is a population with pretty
13 advanced heart disease.

14 That's really what I want to say at this
15 point. Just to summarize, I hope I've given you the
16 sense -- I think I've given you the sense that an
17 analysis of these electrograms is not something that
18 should be undertaken lightly. There are a lot of
19 nuances to try to interpret the electrograms
20 themselves and put them in the context for these kinds
21 of trials. Nuances like quality of life, like whether
22 something is terminated by antitachycardia pacing or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not, whether things are detected appropriately. The
2 sorts of things that we already talked about.

3 I think one of the most interesting
4 results of this analysis that I've presented to you
5 actually is the fact that we still have five cases of
6 pause-dependent polymorphic ventricular tachycardia in
7 patients who were treated with placebo.

8 Given that, it's very, very difficult to
9 say that we have an increased incidence of episodes of
10 torsade, or strikingly increased incidence of episodes
11 of torsade on drug. I think that what you could say
12 is that the phenomenon occurs with both.

13 I guess the conclusion that I have is that
14 the patterns of VT/VF onset are very interesting for
15 those of us who are interested in mechanisms. In
16 terms of interpreting these large trials, they are
17 probably less important than other outcomes such as a
18 reduction in the number of shocks, perhaps a reduction
19 in the number of events, and perhaps a reduction in
20 the total number of shocks, issues that we are going
21 to come back to later this morning. That's all I want
22 to say for now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TEMPLE: Well, that's pretty
2 interesting. I hesitate to call the results shocking
3 but one might. What do you make of the apparent
4 torsade, although you didn't call it that, in people
5 not known to be on a drug that causes it and what does
6 that mean? Does that mean people who are sick
7 commonly die of that mechanism?

8 DR. RODEN: Yes.

9 DR. TEMPLE: Or is that just --

10 DR. RODEN: I would defer actually to
11 JoAnn and Ileana and Milton. There is certainly a
12 flavor in the heart failure/arrhythmia literature.
13 It's hard to believe that there is such a thing, but
14 there is such a thing which suggests that patients
15 with advanced heart failure have alterdine channel
16 regulation that, in fact, predisposes them to
17 arrhythmias that may be mechanistically not dissimilar
18 from torsade.

19 Those are a lot of words. I'm sorry to
20 sort of qualify it that way. Not only might
21 arrhythmias that patients get in those situations be
22 morphologically similar, pause-dependent and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 polymorphic, but they actually may share some
2 underlying mechanisms. That's why I picked the
3 conclusion.

4 DR. TEMPLE: I don't know if it's been
5 discussed here or not. Probably not. One of the
6 phenomena we observed with nefepradil was that apart
7 from the torsade associated with inappropriate
8 combinations, there were these mysterious ones almost
9 as frequent in number where the only underlying thing
10 was heart failure and frequently the presence of
11 digoxin but, of course, that could be the heart
12 failure.

13 DR. RODEN: We have a much more elaborate
14 theory with regard to digoxin that actually implicates
15 intracellular calcium overload as a final common
16 pathway which would implicate digoxin in these kinds
17 of arrhythmias or would indicate heart failure in
18 these kinds of arrhythmias.

19 DR. TEMPLE: Okay. So it may actually
20 have been interactive. But one of the points that a
21 consultant to the company made was that there is a
22 literature that says that heart failure alone is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 associated with torsade.

2 DR. RODEN: No. There is theoretical
3 literature that suggest that mechanisms like that may
4 cause arrhythmias that look like that. The
5 arrhythmias I showed you, the real live torsade with
6 the really long QT intervals and the pause-dependence,
7 that doesn't occur in heart failure, the really long
8 QT intervals.

9 One of the things that we haven't done is
10 gone back and looked at things like coupling intervals
11 to see whether we can sort that out or not. I'm not
12 sure what conclusion we would draw. We are certainly
13 going to do that.

14 DR. TEMPLE: I may have been misusing the
15 term. What they had was polymorphic VT. I don't know
16 that the QT was very prolonged. It might have been
17 just a little prolonged but it was identified that
18 way. One could say, however, that if you had a
19 question about a drug, this method did detect an
20 increase because of the population substrate there was
21 plenty in the placebo controlled group too. It's hard
22 to think how you would do that. I mean, I'm not sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how one could make use of that exactly but it does
2 sound like one could.

3 DR. RODEN: Let me just put this in
4 context. I'm glad you asked me that question. This
5 is the dofetilide trial. The overall result is a
6 total wash. The number of events is identical in the
7 two groups. One interpretation is that dofetilide
8 doesn't change the incidence of arrhythmias but what
9 it does is it makes them look different. An
10 arrhythmia that would have been nonpause-dependent
11 monomorphic on drug is now pause-dependent
12 polymorphic. That's one possibility.

13 Another possibility is that it's
14 antiarrhythmic and that it's balanced by a
15 proarrhythmic effect obviously. I don't think you can
16 make that interpretation from these data.

17 DR. TEMPLE: Actually, I was thinking of
18 drugs that weren't intended as antiarrhythmic but are
19 intended as antihistamines. It's still hard to think
20 how do you do this exactly.

21 DR. PACKER: Based on all this you've done
22 on using electrograms in either high risk or heart

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 failure populations or sudden death populations, what
2 percentage of patients who have pause-dependent
3 polymorphic VT have no clinical event? In other
4 words, of all of the events how many of them are
5 clinically apparent?

6 I know that some of them. For example,
7 you showed three cases where you went back. There was
8 no clinical data reported but there happened to be a
9 surface cardiogram that actually confirmed the event.
10 Of course, the fact that there was a surface
11 cardiogram was a fortunate accident. There might not
12 have been a surface cardiogram at the time.

13 One is getting the impression that these
14 patients are actually having these events a lot. A
15 lot of them, maybe even 90 percent of them, are self-
16 terminating. That may be true as a result of the
17 disease. It may be exacerbated by a drug or there may
18 be a disease/drug interaction. These are really
19 common. What we've been picking up in clinical
20 trials, which are primarily clinically apparent
21 because they are symptomatic, is not just the tip of
22 the iceberg but it's the extreme tip of the iceberg.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. RODEN: Well, the events that are
2 detected by the device and not treated, the self-
3 terminating events have to be of a certain duration.
4 Again, that's programmable what duration one might
5 expect to see. We're going to have a discussion. At
6 least, I think one of the questions that we're going
7 to talk about is whether nonsustained VT events are
8 things that are worth tracking, in which case
9 reprogramming devices to detect more of them is one
10 approach.

11 We are coming back to the idea of
12 ventricular arrhythmias as predictors of mortality
13 events in patients with advanced heart disease, which
14 I guess is not a new concept. Again, the question is
15 what if people with very, very frequent events are at
16 more risk than people with rare events because it's a
17 stochastic process.

18 The more frequent events you have, the
19 more likely it is that you'll have one that happens to
20 trigger VT or VF that is sustained and lethal. That's
21 always been my understanding of why frequent PDC's are
22 a bad thing in the wrong population. I think we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seeing the tip of the tip of an iceberg that we know
2 is there.

3 DR. PACKER: The only reason is that in
4 many of the discussions that have taken place where
5 patients were given drugs that could cause torsade,
6 the committee is always asked, "How do you know how
7 many torsades could have occurred and you didn't pick
8 them up?" Of course, the sponsor says, "We don't
9 know. We didn't pick them up."

10 What you are indicating is, yes, you can
11 pick up a lot more episodes than are clinically
12 apparent. But that raises the question are they
13 things that are worth picking up because you are no
14 longer talking about clinical outcome. You are
15 talking about a correlate of the clinical outcome.

16 DR. RODEN: I haven't shown you episodes
17 of torsade that go on for two or three or four minutes
18 and then self-determinate. That happens. That is
19 well described. Even if you had an event that was
20 that long, it's not necessarily a mortality event.
21 But it's an event that might cause syncope or it might
22 cause a car accident or that sort of thing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TEMPLE: But one of the things that
2 was always odd was that torsade was a VT that you
3 managed to get to the emergency room with. A lot of
4 VT's you don't get that opportunity. It obviously can
5 come and go. At the same time, torsade inducers like
6 d-sotalol sometimes show no torsade at all and just
7 show an excess of death which we presume was a torsade
8 mechanism, although it's hard to know for sure.

9 DR. PINA: Dan, do you think that in these
10 ongoing trials like SCD hep, for example -- and I keep
11 pointing to SCD hep because we're involved with SCD
12 hep -- since a proportion of the patients are going to
13 get ICD's, and it is primary prevention as we said,
14 would we have the opportunity to scan the electrograms
15 and look for what I suspect as you do, Milton, how
16 many patients have these off and on?

17 Now, we are seeing this in patients
18 waiting for hearts but, of course, some of the ones in
19 the hospital are already on ionotrope so you have
20 introduced an arrhythmic agent. We are seeing these
21 spontaneously, you know. They make me very nervous
22 every time I see them and you never know if it's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 drug that you're giving them or is it the disease
2 itself that's doing it.

3 DR. RODEN: I presume that somebody is
4 going to look at the electrograms.

5 DR. THADANI: Dan, yesterday in the
6 presentation I asked this question because they had
7 three innovations with some of the ICD stuff and they
8 did not really have much evidence of torsade. At
9 least, they didn't have the data. The question is
10 really are you right calling it torsade just because
11 it is very dependent? You have to have a QT
12 prolongation in the torsade. I have seen the T waves
13 look really peaked in the class I arrhythmics.

14 DR. RODEN: Let me be explicit.

15 DR. THADANI: So it could be polymorphic
16 VT.

17 DR. RODEN: I use the term torsade to
18 apply to pause-dependent polymorphic VT associated
19 with these QT deformities that you are talking about.
20 That's why I've made the distinction, although I
21 obviously haven't done a very good job of it, between
22 typical torsade de pointes and pause-dependent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 polymorphic VT.

2 I think when you look at electrograms all
3 you can do is look for that phenomenon. I'll just
4 leave it at that. The conclusion I think that we come
5 to is that the phenomenon of pause-dependent
6 polymorphic VT does occur in patients treated with
7 placebo. It occurs with at least equal frequency and
8 possible increased frequency with that particular drug
9 during long-term treatment. How many of those were
10 actually typical torsade and how many of those
11 reflected the underlying severity of the disease.

12 DR. THADANI: Maybe that's the placebo.
13 That's why the incidence is high. If you look at the
14 classic torsade it may not be. Other thing is now we
15 are using more and more beta-blockers which also
16 reduces your heart rate. And if a patient on beta-
17 blocker and something else, you might get a pulse. It
18 might be more complicated than what you are --

19 DR. RODEN: No. Of course. And the
20 nofepnadil data said there was an underlying brady
21 arrhythmia issue. The beta-blocker probably -- I
22 don't want to get into this too much but beta-blockers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 while they slow the rate probably have an anti-torsade
2 effect in general.

3 DR. PACKER: John and then JoAnn.

4 DR. DiMARCO: Dan, do you want to comment
5 on the type of electrogram you want to analyze?
6 Fortunately I think we're out of the interval log. Do
7 you think we should record -- you know, you were
8 showing one manufacturer's type of electrogram. There
9 are other types of things that look more like a
10 cardiogram. Which do you think is the best for this
11 purpose?

12 DR. RODEN: Oh, I think probably the ones
13 that make some assessment of at least low QT interval
14 that one might believe in are probably more desirable.
15 Obviously the manufacturer that we used here was sort
16 of first to the post with the intracardiac
17 electrograms. That was the one that was used in this
18 particular trial.

19 DR. LINDENFELD: Dan, were most of the
20 episodes paused-dependent polymorphic VT, were they
21 associated with other clinical events or not? Do you
22 know that?

1 DR. RODEN: I don't know the answer to
2 that. Most of them were patients who came back for
3 their routine three monthly visit, had their
4 interrogation, and they said, "Oh, look. You had an
5 episode." I take that back because when they had a
6 shock they were seen, particularly if it was the first
7 shock and then they were interrogated.

8 DR. THADANI: Then also the treatment
9 other than beta-blocker for torsade at least is basic.
10 There are more of these devices than you would think
11 to pace them out. Is there a duration to see if the
12 torsade expires when the pacing kicks off or would it
13 kick off within the third or fourth beat of the onset
14 of the VT?

15 DR. RODEN: They are two different kinds
16 of pacing. One is anti-bradycardia pacing. That will
17 be highly effective in treating or preventing further
18 episodes. In fact, in some of these patients, at
19 least the patients that we took care of at our place,
20 that was, in fact, the treatment.

21 The second thing is whether antitachycardia
22 pacing can terminate episodes of torsade. This is

1 probably the one time when one could prove that
2 because in general once you put a pacemaker into
3 someone who is having recurrent episodes, you don't
4 have anymore episodes. I must say I would have to go
5 back and look and see whether these particular events
6 were terminated by antitachycardia pacing. I presume
7 that this tachycardia could, like many other
8 tachycardias, be terminated by antitachycardia pacing.
9 Often it self-terminates so it might be difficult to
10 determine.

11 DR. PACKER: Okay. Why don't we go on to
12 the next presentation. Gee, I hope you give us some
13 answers soon. I'm beginning to get the impression
14 this is sort of like the Heysenberg uncertainty
15 principle. For those of us who were mildly depressed
16 before are becoming increasingly depressed as the day
17 goes on. We are looking hopefully for some good news.

18 DR. CAMM: The word Heysenberg has been
19 very high in the minds of all of those wrestling with
20 this problem. Our recognized goal, though we are
21 trying to bring gifts to you today, those gifts may be
22 poison chalices.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Obviously I'm going to say a little about
2 what has already been said because there's an
3 essential overlap in presentations. My purpose at
4 this point is trying to give the committee an
5 appreciation of what the potential endpoints might be
6 in an ICD supported trial and how those endpoints may
7 be effected by the details of the trial design, by the
8 programming of the device, and by the kind of
9 treatment that a patient is taking.

10 I need to restate from the outset that the
11 trial of design III could be undertaken for two
12 specific reasons. One of which is to identify
13 complimentary drug therapy to patients who are fitted
14 with an ICD for life-threatening arrhythmia. The
15 other is to explore the antiarrhythmic effect of the
16 drug with an intention to extrapolate that
17 antiarrhythmic effect out with the realm of patients
18 who are fitted with an ICD. I think it is that second
19 potential purpose for the design of trials of this
20 type that presents the greater problem.

21 So if we consider an ICD discharge taking
22 place during a trial, we have to wonder whether we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should try and prevent this by excluding all patients
2 from including in the trial if they have an ICD. This
3 has been certainly a policy which has been followed in
4 trials of heart failure patients with heart failure
5 drugs where the number of patients with an ICD was
6 anticipated to be very small.

7 Other trials have included such patients
8 and when discharges have occurred, they have censored
9 the patient from further continuation in the trial.
10 They may or may not have counted the ICD discharge or
11 therapy as an event depending on what endpoints or
12 outcome parameters were being logged in the trial.

13 In some trials, for example, these events
14 have been counted as the equivalent of a resuscitated
15 cardiac arrest. Sometimes the endpoint is counted and
16 added to a composite endpoint which includes all-cause
17 mortality. Sometimes any ICD intervention is added to
18 a mortality endpoint. Sometimes and increasingly the
19 notion is to restrict the endpoint which is added to
20 a composite including mortality for those ventricular
21 tachycardias that might fairly be thought of or most
22 appropriately thought of as a potential surrogate for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 death itself. In other words, fast ventricular
2 tachyarrhythmias.

3 This issue has been covered just recently
4 by Dan because I wanted to just remind you about the
5 complicated events which surround or precede mortality
6 from ventricular arrhythmia. These data are from the
7 papers Bayes de Luna, Kumel and LeClerc. They looked
8 at more than 100 patients who were wearing ambulatory
9 recorders when they died. They documented a variety
10 of ways in which they died.

11 For example, they found some patients who
12 seemed to go straight from sinus rhythm with some
13 ventricular ectopy into rhythms which could be
14 described as ventricular fibrillation. They described
15 such great patients as having primary ventricular
16 fibrillation. They had other patients who seemed to
17 develop rhythms equivalent to torsade as defined by
18 Dan, pause-dependent, long QT intervals, relatively
19 slow polymorphic ventricular tachyarrhythmias
20 accelerating into much faster ventricular arrhythmias.
21 Even in these ambulatory patients this constituted 13
22 percent of the group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Finally, they described what in their
2 experience in these ambulatory patients was by far the
3 most common method of mortality in which sinus rhythm
4 was interrupted by a fast monomorphic ventricular
5 tachycardia which after some time degenerated into
6 ventricular fibrillation which was the rhythm that
7 killed them.

8 Of interest, and since you were already
9 discussing device-based diagnoses, recent information
10 with dual chamber devices suggest that a number of
11 patients who are fitted with these dual chamber
12 devices have atrial fibrillation as an initiating
13 arrhythmia and that atrial fibrillation converts to
14 ventricular fibrillation which is then attended to or
15 not by the implanted device.

16 Now, I want to take you back some years to
17 the idea of hypothetical mortality which was an issue
18 first "invented" by Michel Mirowski. On the left is
19 a representation of a graph which appears in
20 Mirowski's paper in 1983. He plotted the actual
21 mortality of the cohort under examination. He plotted
22 their sudden death mortality.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Since all his patients had ICD's, he was
2 also able to construct a mortality which he described
3 here as an expected mortality. What he meant by that
4 was if the patient's ICD had not discharged and kept
5 that patient alive, they would have died. Therefore,
6 he constructed a hypothetical mortality for a group
7 whose actual mortality is portrayed here.

8 It's important to realize that in 1983 we
9 were largely dealing with implantable devices which
10 came from the factory preset for a single rate of
11 intervention. It had virtually no programmability.
12 So an event was only one event, a discharge, which
13 occurred at a single rate that was not variable. It
14 was easy to see how Mirowski, his colleagues, and many
15 like them could see this as an appropriate surrogate
16 for mortality.

17 But there was considerable disconcert
18 about whether this could be regarded as a surrogate
19 for mortality and there were at that stage further
20 develop. Rich Fogoros, for example, in 1990 took this
21 a stage further. He said that we don't need to call
22 to include all discharges or therapeutic interventions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from a device as an expected mortality or hypothetical
2 death. We could adjust or recalculate the survival
3 according to whether the shock or therapy was
4 "appropriate."

5 For example -- and here I apologize. I've
6 mislabeled these two curves -- he could change the
7 crude expected mortality, or predicted survival as it
8 is labeled here, to a recalculated value which
9 included only appropriate shocks and compare that to
10 the actual mortality in the group.

11 We also had another small cadre of
12 patients in this particular presentation who were
13 described as controlled patients; that is, patients
14 who did not have a device whose actual mortality was
15 plotted on these same axis. It is, I suppose, no
16 accident that the controlled mortality is closer to
17 the recalculated predicted mortality than to the crude
18 recalculated mortality.

19 Now, what might constitute an appropriate
20 shock? What might turn a therapy into something that
21 is equivalent to a mortal episode? Well, originally
22 symptomatic criteria were used. Does the patient have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 syncope or no syncope? Other groups varied this and
2 became less demanding. Was the patient lightheaded or
3 did they have any form of hypotensive symptoms.
4 Others went on to say, well, any symptoms associated
5 with the shock at least would sort out those who have
6 sinus tachycardia from those who have an arrhythmia
7 worthy of treatment.

8 When electrograms or logs of events became
9 available in implantable cardioverter-defibrillators
10 and when programmability became available, it became
11 possible to define specific interventions for specific
12 tachycardias and call them appropriate, i.e., closer
13 to a surrogate for mortality and not others. For
14 example, a higher rate or a longer duration of an
15 arrhythmia. In some reports an appropriate shock was
16 merely defined as one that could not be demonstrated
17 to be inappropriate.

18 In this series of patients reported from
19 Germany, we can explore the value of syncope as
20 indicating the appropriateness of an ICD shocks.
21 Bansch and colleagues reported in this rather recent
22 publication that patients with syncope had a discharge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 frequency that gave a lower projected mortality than
2 those who had any ventricular tachycardia or
3 fibrillation.

4 They found that syncope occurred at a
5 median heart rate frequency of 240 beats per minute
6 with almost all tachycardias causing syncope were
7 greater than 180 beats per minute and that only very
8 rarely did slow tachycardias cause syncope.

9 This is an example of an electrogram
10 demonstrating the way in which the device ought to
11 work and the kind of information that we can obtain
12 from electrograms to help us refine the classification
13 of the event treated by the device. We are already
14 well attuned to looking at these electrograms so I
15 don't have to point out very much.

16 This is obviously a slow rhythm. You see
17 the electrogram shape enter in the slow rhythm and
18 this is the equivalent of sinus rhythm. You see the
19 faster rhythm. You see the electrogram shape during
20 the faster rhythm in this case is different to that
21 sinus rhythm. This is not always the case. You can
22 see with this particular electrogram derived from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular electrodes in use, the width of the
2 electrogram during the ventricular arrhythmia is
3 actually if anything narrower than that sinus rhythm.

4 But with more modern devices, different
5 electrode arrays are being used and morphological
6 criteria and width criteria can certainly be employed
7 to refine the diagnosis. Certainly atrial events plus
8 ventricular events can be used to refine the
9 diagnosis.

10 In this instance, tachycardia is
11 recognized. The response by the device is
12 antitachycardia pacing. As you can see in this
13 instance, a slower rhythm is restored with the same
14 electrogram characteristics as previously. This is
15 interpreted as the restoration of sinus rhythm.

16 Now, let us return to the issue of
17 hypothetical survival and consider if there is any
18 characteristic other than ventricular tachycardia or
19 "ventricular fibrillation" that would bring us close
20 to a surrogate for mortality.

21 These are data available from the German
22 group in Muenster published by Bocker in 1993. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 curves are at the top sudden death. The solid line
2 arrhythmia related death. Not all of them
3 specifically due to an arrhythmia at the point or
4 including some arrhythmias that produce a rather
5 lingering mortality.

6 Below that is cardiac mortality. The
7 bottom, any interventions recognized and/or treated by
8 an implantable device. There is an intermediate line
9 which is labeled as fast VT or VF. For this purpose
10 the Germans selected the rate which they had
11 identified as the median rate for syncope in their
12 population and that rate was 240 beats per minute or
13 a cycle length of less than 250 milliseconds.

14 So they suggested that the benefit for the
15 device could be more fairly estimated by taking a rate
16 of 240 beats per minute, i.e., this line, than by
17 taking the rate of any ventricular tachycardia or
18 ventricular fibrillation, i.e., this line. This
19 particular shaded area represented what they believed
20 was the use of the benefit of the device.

21 Of course, there is no particular single
22 rate that we could identify that reliably separates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those who would have lived from those who would have
2 died had the defibrillator not been in place. I think
3 what we can say is that the faster the rate that we
4 choose, the more likely it will be associated with
5 hypotension, with syncope, and probably with death.
6 We can also perhaps say that the more likely it would
7 pick up and identify ventricular fibrillation
8 proarrhythmia. Therefore, we could say, I think, that
9 would be more specific but perhaps certainly less
10 sensitive.

11 We go to the other side of the equation,
12 of course, and we reduce the rate that we take as the
13 rate which will separate those who would have lived
14 from those who would have died. We are going to
15 achieve a less specific but more sensitive measure and
16 we are going to pick up, I think, more atrial
17 fibrillation proarrhythmia by moving the line
18 downwards.

19 There is very little evidence in the
20 literature for us to select any specific rate, but
21 what there is is a history of this rate being selected
22 in a number of publications.

1 I should say whilst I'm on that slide that
2 it is important to consider the issue of when patients
3 are censored from the trial because, for example,
4 these patients, who are actually dying, are clearly
5 censored by their death. These patients who are
6 receiving perhaps trivial logging of arrhythmia or
7 asymptomatic termination of arrhythmia should almost
8 certainly not be removed or censored from the trial.

9 Often in many trial designs those patients
10 who have sustained an arrhythmia, which is regarded as
11 a primary outcome event, are also regarded as reaching
12 an endpoint if not by protocol design, then certainly
13 by the practice of the trial where the patients are
14 then withdrawn from the trial or given alternative
15 medications, so on and so forth.

16 Now, the time to withdraw the patients
17 from the trial is obviously critical because if we
18 have a high frequency of time to first events early in
19 the trial, then obviously we will effect the
20 reliability of endpoints related to mortality because
21 the patients are merely not there. They have reached
22 an endpoint, or part of a component endpoint, and they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't go on through the trial to potentially suffer
2 from any mortality.

3 As we heard earlier, the reverse is true,
4 of course. If they are dead, they certainly don't
5 suffer any of the events that would contribute to the
6 other composite of the endpoint. In other words, the
7 fast arrhythmias.

8 The most serious problem in my view is how
9 these patients leave the trial either by protocol
10 driven withdrawals or censorship, or the
11 practicalities of managing these patients when they
12 are withdrawn because they stop taking trial agents
13 and start taking other agents.

14 As we heard, several trials have
15 considered enrolling patients after the implantation
16 of the device, but some have not started to
17 countervent until late after the implantation at some
18 stage when the drug has reached a steady state. It's
19 well known that events commonly occur in the first
20 month following the implantation of the device and
21 much more commonly in the first week following the
22 implantation of the device.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In part, this was related to the trauma of
2 device implantation and because of the destabilizing
3 effect of the repeated testing of defibrillation
4 specials and so on. It is certainly greater in
5 patients who have had thoracotomies than it is for
6 patients who have had nonthoracotomies leads
7 implantations.

8 But it is not only that because we can see
9 that those patients who have shocks within the first
10 week and the first month also have far more frequent
11 recurrences of shocks as we notice here on the follow-
12 up of this particular group of patients. You can also
13 see that atrial fibrillation and sinus tachycardia is
14 relatively uncommon in this first one but it doubles
15 and such like in the second one. The likelihood of
16 other arrhythmias entering the fray becomes more
17 important.

18 I think it is very critical for all of us
19 to appreciate that the ICD is not a passive monitor in
20 this circumstance. You cannot simply put it in and
21 have it log events and assume that it is doing nothing
22 to influence the likelihood that those events will or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will not occur.

2 One example that you raised with me
3 earlier of a bradycardia support pacing being an
4 important potential modulator of the frequency of
5 attacks or the likelihood of arrhythmic events is
6 another possibility and it is a frequently observed
7 possibility.

8 In this particular circumstance of
9 ventricular tachycardia is present. It has a cycle
10 length of 360 milliseconds. It attracts a burst of
11 antitachycardia pacing from the device. This is not
12 successfully converting the patient to sinus rhythm.
13 You can see that another tachycardia of a different
14 morphology is present.

15 We can see that the rate of this
16 tachycardia is faster than the rate of this
17 tachycardia, in this case alternating between 302.70
18 or thereabouts in its first few beats. Not fast
19 enough to now enter this category of less than 250
20 milliseconds that I was talking about, but there are
21 certainly examples of that also.

22 But the important point is that the

1 antitachycardia pacing mode converts a relatively
2 benign arrhythmia that could have terminated
3 spontaneously perhaps, or could have responded to a
4 shock into an arrhythmia which is faster. It may be
5 much more difficult to terminate by pacing or by a
6 shock. It might convert an endpoint that we have
7 defined in terms of a specific rate into another
8 endpoint within the trial.

9 How often does this happen? Well, a
10 couple of small series, 42 patients, 14 with
11 antitachycardia pacing off, 28 with it on. Of those
12 15 patients used their antitachycardia pacing and nine
13 of those 15 had an acceleration to fast ventricular
14 tachycardia or ventricular fibrillation. That's none
15 out of 42 patients with a device, 28 of whom had
16 antitachycardia pacing program on.

17 There's another series of 176 episodes.
18 166 invoked antitachycardia pacing. They were
19 successful in the vast majority but in 11 it was not.
20 Five had simply failed, but in six there was an
21 acceleration and defibrillation. If our trial was
22 looking at defibrillation as its endpoint, we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 log an event here which might not have happened if the
2 particular program for antitachycardia pacing had been
3 made, for example, better such that it terminated the
4 tachycardia.

5 Here are three series in which both the
6 acceleration rate per patient is identified and the
7 acceleration rate per VT episode. The acceleration
8 per VT episode is gratifyingly pretty small, 46
9 percent. But, of course, in our trials we are looking
10 at the way in which patients respond to our trial.
11 You see that the figures are rather alarmingly high in
12 terms of acceleration to faster rhythms so 20 to 43
13 percent in these three particular series.

14 This is an example of where an arrhythmia
15 which perhaps does not deserve an intervention by the
16 device, which does not deserve logged as an endpoint
17 or outcome parameter in our trial, is converted to an
18 output event by antitachycardia pacing. For example,
19 here we have atrial fibrillation recognized by
20 irregularity, lability, treated by two bursts of
21 antitachycardia pacing. By the first burst probably
22 it has converted to a ventricular tachyarrhythmia and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly has by the end of the second burst.

2 Another example on this slide shows a
3 proarrhythmia which is induced by random pacing or
4 inappropriate pacing by the bradycardia element of the
5 ICD where a ventricular tachyrrhythmia is the result.
6 Another proarrhythmic artifactual endpoint but not
7 from our drug necessarily but from the device that
8 supports the trial and monitors the trial.

9 We have seen an example of noise causing
10 the activation and intervention by device. Here is a
11 straight supraventricular tachycardia inducing an
12 antitachycardia pacing event and the second such event
13 and converting the supraventricular tachycardia with
14 one specific morphology into what is now called the
15 ventricular tachycardia with another cycle length and
16 another morphology which in the end invites a shock
17 and the shock in the end produces a rhythm not fully
18 defined but with a rate less than the VT trigger rate.

19 If we look in this series of 29 patients
20 who suffered 194 tachycardias, there were 74
21 ventricular tachycardias but there were 24 episodes of
22 atrial fibrillation. There were 30 episodes of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supraventricular tachycardia. There were six
2 electrical problems. There were four oversensing of
3 T waves.

4 There were three vents; that is,
5 interventions by the device that could not be
6 categorized. We had a total of 194 tachycardias. Of
7 those 101 electrograms. Then the 74 of these were due
8 to ventricular tachycardia that would have interested
9 us as being an endpoint of the trial.

10 We did give a little consideration earlier
11 to whether devices should be programmed in some
12 standardized fashion. I think my comment in small
13 print at the bottom of the slide is probably correct,
14 that it is unlikely that specific clinical trial
15 programming will be ethically appropriate. However,
16 it might be possible to make certain changes suited to
17 the trial and/or to obtain some uniformity of
18 programming.

19 The classical way of programming a device
20 might be to select certain zones of rate which might
21 be further qualified by other possible diagnostic
22 parameters like stability of rate, or regularity of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rate, or by electrogram widths, or by sudden onset,
2 and so on and so forth. But essentially different
3 zones of rate which different therapies were
4 delivered.

5 From a clinical perspective the idea
6 generally is to make a diagnosis quickly and activate
7 an intervention as quickly as possible.

8 From a trial design, of course, it might
9 be best for us to have the device only respond to the
10 fastest of arrhythmias that is closest to out
11 definition of mortality and then to wait for a fairly
12 long time to make increasingly sure that the
13 arrhythmia was not going to be nonsustained. That, of
14 course, is ethnically quite inappropriate. We're not
15 going to get that far, but we might at least be able
16 to consider reserving one of these zones perhaps at
17 one of the highest rate cutoffs for an early discharge
18 which we would use, for example, as a component in the
19 composite of trial endpoint.

20 We have to ask in the so-called ICD
21 protected trials whether there is any substantial
22 evidence that the ICD will actually protect. It must

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be admitted that there is considerable evidence that
2 it might not in some circumstances. The therapy might
3 increase the defibrillation threshold and render the
4 device incapable of defibrillating the patient.

5 The therapy may render the tachyarrhythmia
6 incessant such that if it is terminated by the device,
7 it will only promptly start again. The therapy may
8 provoke a new arrhythmia, an arrhythmia in this
9 instance not amenable to the therapy that is being
10 programmed in the device.

11 I'm not talking now about the
12 pharmaceutical therapy that we are testing. Heart
13 failure may change the substrate, may change the
14 circumstances in which the tachycardia occurs, may
15 change the implications of the tachycardia. The
16 therapy may prevent tachycardia recognition by making
17 it slower by changing the slope rate of the
18 electrograms, and so on and so forth.

19 The therapy may provoke much more frequent
20 arrhythmias. I think that we have to give a
21 resounding no to the question that we can guarantee
22 protection from proarrhythmia. That is not to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that the majority of proarrhythmia might not be well
2 suited for by the device. There is a level of
3 uncertainty in this.

4 This simply illustrates the point of the
5 rise of the defibrillation threshold. In this case,
6 with amiodorone and with older generation of devices.
7 There is a lot of controlicy about this and probably
8 today this isn't much of a quint but I think it's
9 important that we recognize that antiarrhythmic drugs
10 will change the characteristics of ventricular
11 tachycardia and fibrillation which may make them
12 either harder or easier for the device to deal with.

13 We should also ask whether we can use ICD
14 data to refine death classification. This has been
15 well explored by several groups of investigators. We
16 have heard part of this this morning from Dan Roden
17 already. This refers to an analysis made by Craig
18 Pratt and published in 1996 in a cohort of patients
19 with defibrillators.

20 It is very convenient if we have a
21 situation in which we have at the time of death a
22 sudden tachycardia which we could see on electrograms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and we have this very convincing log, but it's not
2 like that all the time. The ICD could well have been
3 buried with the patient. The ICD memory could
4 certainly have been erased before you can get at it.
5 The terminal event might not be recorded. The ICD
6 might have been programmed off and the arrhythmias
7 that you do note may merely have been bystanders in a
8 process that had nothing to do with the arrhythmia
9 causing the death.

10 Finally, I want to remind you that in
11 coming to some composite endpoint we do have some, I
12 think, very significant difficulties. On the one
13 hand, we have a range of mortalities that might be
14 considered relevant to our trial. By in large I think
15 all-cause mortality is the most relevant for the
16 trials from the point of view of the primary endpoint,
17 although other classic occasions of mortality perhaps
18 aided and abetted by the device in terms of its
19 logging ability of electrograms could be useful in our
20 mechanistic appreciation of the trial results.

21 On the other hand, we also have an
22 infinite variation of ICD endpoints that we can choose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from to add to the composite. The problem, however,
2 is that the ICD endpoint may vastly outweigh the
3 mortal endpoint. On the other hand, what mortality
4 signal there is, and it may be a real signal, may be
5 adverse whereas the signal for the reduction in shocks
6 may be favorable. We have to wrestle with how to
7 handle such a result.

8 I think I cannot bring to you a list of
9 any firm recommendations or conclusions about how to
10 handle all of this. I do think one of two points
11 deserve further discussion. I think all-cause
12 mortality should be included in the primary composite
13 endpoint of a defibrillated, protected, and monitored
14 trial. Also, we should consider the inclusion of a
15 high rate ICD shock rate endpoint and this could be
16 considered as contributing as a surrogate for
17 mortality.

18 Certainly if there is an issue of removing
19 the patient from the trial and treating this as an
20 endpoint rather than an outcome parameter, the rate
21 should be as high as possible and our certainty that
22 this is a death equivalent as sure as we can be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701