

1 survival endpoint?

2 And similarly, what's the difference in  
3 the time that's required to go from a time to  
4 treatment endpoint to an overall survival endpoint?

5 Because if the differences are trivial,  
6 then this doesn't seem to be a very important  
7 question. Whereas if the differences are large, then  
8 it's an imminently practical question.

9 DR. SIMON: Well, if you wanted to have a  
10 specified power for detecting a specified, say, hazard  
11 ration of survival, it requires you do that  
12 calculation and you find out you need so many events,  
13 events being deaths.

14 If you want to identify, target that same  
15 hazard ratio in time to progression, you need those  
16 same number of events, those events now being  
17 progressions. So if you wanted to say, well, we want  
18 to be able to detect a 25 percent reduction in the  
19 hazard of death, you need a certain number of deaths.  
20 If you want to be able to detect a certain percent  
21 reduction in the hazard of time to progression, you  
22 need that exact same number of progressors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The only question becomes whether you  
2 would target the same size of an effect of survival or  
3 time to progression, but actually what you target  
4 should be based on what's medically important.

5           CHAIRPERSON DUTCHER: Dr. Temple.

6           DR. TEMPLE: Rich, it seems to me that  
7 begs a crucial question. If you were, for example, to  
8 convert time to progression from ten to five months  
9 and have a five month change, it would be hard to  
10 expect the improvement in survival at some distance to  
11 be more than five months. So you wouldn't expect a 20  
12 month survival to be converted to ten. You'd expect  
13 a 20 month survival to be converted to 15. That's a  
14 smaller effect when you're talking about hazard  
15 ratios.

16           So doesn't that mean that the sample size  
17 will have to be considerably?

18           DR. TEMPLE: Well, as I said, I think you  
19 should -- the decision as to what size effect you  
20 should target should be determined based on what's  
21 medically important relative to the toxicities of the  
22 therapy. To compare it based on -- well, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's what it should be based on.

2 The only difference would be to observe  
3 those number of events will take longer follow-up in  
4 the survival situation than it will in the time to  
5 even situation.

6 You know, I don't really understand your  
7 point, Bob, because to say, you know, you'll be able  
8 to -- you know, basically the power depends upon the  
9 hazard ratio you want to be able to detect, and you're  
10 sort of relating it to an absolute difference in --

11 DR. SIMON: I'm suggesting that it's hard  
12 to imagine that survival will be improved by much more  
13 than the delay in progression. Let's say a delay in  
14 progression translates one to one to improved survival  
15 by the exact same number of months. If the difference  
16 in months is five at the time of, you know, median or  
17 something like that, then as the denominator for  
18 survival increases, the impact on the hazard ratio is  
19 inevitably going to be smaller.

20 So, I mean, that's part of the answer to  
21 Dr. Sledge's question. You're going to be looking for  
22 inevitably, I mean, unless something magic is going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on, a much smaller effect on the hazard ratio even if  
2 the amount of months change is the same.

3 DR. TEMPLE: Okay, but the issue there  
4 really is that it's not really a statistical power  
5 issue. It's an issue that a certain effect on time to  
6 progression may actually translate into a much smaller  
7 effect on survival.

8 DR. SIMON: That depends on how you're  
9 measuring effect. If you just counted months, which  
10 may not be how a statistician would do it, then it's  
11 the same effect. If you're counting hazard ratio,  
12 then it's a much smaller effect, right, but if it's  
13 all in months, I think the right answer to Dr.  
14 Sledge's question is you're going to need a  
15 substantially larger study for the same duration of  
16 benefit.

17 DR. TEMPLE: Well, in hazard ratio, what  
18 we found in ovarian cancer was that the effect  
19 measured on actually a log odds basis for response  
20 rate translated into a smaller effect in hazard ratio  
21 for survival, and therefore, if you wanted to do  
22 studies based on targeting what would be a medically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meaningful difference in survival, you would probably  
2 need a larger study than if you designed it based on  
3 detecting a difference in response rate that you  
4 didn't know the medical relevance of.

5 DR. SLEDGE: So again, I'm trying to put  
6 this in terms that a nonstatistician can understand.  
7 Are we talking about minimal or relatively trivial  
8 differences in numbers of patients, you know, like ten  
9 percent, 20, 30 percent increase? Are we talking  
10 about doubling the size of studies? What's your  
11 sense?

12 DR. SIMON: I think the kinds of studies  
13 that the cooperative groups for example are doing for  
14 metastatic breast cancer are large enough to detect  
15 medically meaningful effects on survival. I don't  
16 think we're talking about doing -- you know, right now  
17 the cooperative groups are doing studies of metastatic  
18 breast cancer with, you know, 100-plus patients per  
19 arm, and your study had what, about 250 patients per  
20 arm?

21 We're certainly not talking about studies  
22 any bigger than that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Do you want to  
2 continue this?

3 DR. WILLIAMS: Well, just one last thing.  
4 If you look at Andy Engelsman's study, the time to  
5 progression difference is 4.5 months, and the survival  
6 difference is five months. The statistical  
7 significance of the time to progression is as long as  
8 your arm. It's .000-something. The survival  
9 difference, even though it's numerically larger, is  
10 .016, more marginal. That just says it's harder to  
11 show that 17 versus 12 is significant than it is to  
12 show that nine versus 5.5 is --

13 DR. SIMON: But the important point is  
14 that with the size of studies we're doing right now in  
15 the cooperative groups, those studies are large enough  
16 to detect medically relevant differences in survival.

17 DR. TEMPLE: Yeah, I'm not arguing that at  
18 all, but to show the same thing with a much larger  
19 denominator is obviously going to be harder.

20 CHAIRPERSON DUTCHER: Dr. Johnson.

21 DR. JOHNSON: Yes. I'd like to agree with  
22 Dr. Simon. What Dr. Temple is omitting is he doesn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know how mature that study is, and you probably had a  
2 lot more events for progression than you did for  
3 survival at the time that analysis was done, and  
4 therefore, the P value is larger for survival, but  
5 once you get the same number of events, if the effect  
6 is the same, the P value is going to be the same.

7 DR. TEMPLE: I'm sorry. You're just  
8 missing the point that the effect the same could refer  
9 to hazard ratio or it could refer to number of months  
10 and the implications are different.

11 You're right. If the hazard ratio is the  
12 same, it'll be just as easy, but if you're talking  
13 about five months added to 12 or five months added to  
14 four, that is different, and you know, it's just not  
15 something -- I don't think it's debatable. It doesn't  
16 go to which one you should ask for. You know, that's  
17 a totally different question, but you will need a  
18 larger study.

19 DR. SIMON: But people don't plan studies  
20 based on looking for an absolute difference in number  
21 of months.

22 DR. JOHNSON: Yeah, i wanted to make --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that wasn't really the point I wanted to make. Dr.  
2 Sledge asked about how much longer it would take, and  
3 that occurred to me yesterday, and at the last minute  
4 I had 21 published randomized control trials on my  
5 desk. So I looked at the median survivals in these  
6 trials, and the survival range, median survival range  
7 from ten months to 32 months, the median time to  
8 progression ranged from four months to 14 months. The  
9 average median survival was 17 months, and the average  
10 median time to progression was nine months.

11 So based on these 21 studies, on average  
12 you'd have to wait eight months longer to get the  
13 survival data.

14 DR. SIMON: I guess another way of what  
15 you're saying, Bob, is that for the kinds of -- you're  
16 just going back to what I said before. For the sizes  
17 of effects on time to progression that we're seeing,  
18 it really does not represent much in terms of even a  
19 potential survival benefit.

20 DR. SLEDGE: So if the argument is that we  
21 want to use time to progression as an important  
22 surrogate for overall survival, then we're talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 about an eight month difference on average between the  
2 two. Does that represent something important in terms  
3 of speeding up drug delivery?

4 DR. TEMPLE: That's the delay for the  
5 median to be achieved or something. There's also an  
6 implication for the ability to detect an effect of a  
7 given size measured in months, and that I think is the  
8 more important determinant of how much bigger the  
9 study is going to have to be, which again, I want to  
10 emphasize I'm not saying whether it should be or  
11 shouldn't be. I'm just making the observation it's  
12 going to have to be bigger.

13 It's like whether you look at three months  
14 survival or one month survival after a heart attack.  
15 It's a guarantee that in the course of the additional  
16 nine months, there will be deaths from a large number  
17 of reasons so that the advantage seen at three months  
18 will be diluted as a hazard ratio even if the  
19 difference in survival stays exactly the same. I  
20 mean, even if it's a ten percent more survival, it'll  
21 be ten percent added to a larger denominator.

22 So the P values will shrink. I mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's just inevitable, and then you can argue about  
2 which is more important and what you do in the  
3 cardiovascular area. If you're not way better at one  
4 year, what does it matter if you're better at five  
5 days? But you need a bigger study.

6 CHAIRPERSON DUTCHER: Dr. Schilsky.

7 DR. SCHILSKY: A different topic, I guess.  
8 I wanted to just briefly come back to the issue of if  
9 time to progression were to be used as an endpoint,  
10 what would it take to have it be reliable as an  
11 endpoint because it seems to me that it is conceivable  
12 to me that time to progression might be demonstrated  
13 to be a surrogate for survival. I don't think the  
14 data suggests so far that it is, but I don't think  
15 that we have sufficient data to make a judgment one  
16 way or the other.

17 So if we wanted to try to get that data,  
18 what would it take, and one of the concerns that I  
19 have is that I think it's exceptionally difficult to  
20 conduct a study in such a way that the data would be  
21 reliable.

22 To begin with there's the issue of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variable natural history of the disease that Dr. Swain  
2 pointed out, and it seems to me that in order to  
3 demonstrate that someone's tumor has actually stopped  
4 progressing with the therapy that you probably need to  
5 demonstrate first that the tumor is actually  
6 progressing when the patient is enrolled in the study,  
7 and that's something that oftentimes is not done.

8 So many of us have watched patients  
9 without any therapy for prolonged periods of time, and  
10 the tumors have remained completely stable, and had  
11 those patients been on treatment, we would all be  
12 patting ourselves on the back about the effectiveness  
13 of the therapy that we were using.

14 So I think that that's an area of some  
15 concern. Then there's the issue that has been raised  
16 about evaluating all potential sites of disease so  
17 that when you go back to compare to a baseline, you  
18 know what the patient was like at the baseline.

19 And then, of course, there are the issues  
20 of the frequency of the valuations that are required  
21 and, in fact, the definitions that are used for what  
22 constitutes progressive disease.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The National Cancer Institute has  
2 published and will be circulating again new criteria  
3 for both response and progression, and the new  
4 criteria for progression are different from old  
5 criteria for progression. Now, in a randomized trial  
6 that may not make a difference, although it will make  
7 it more difficult to compare things in the future to  
8 historical experiences.

9           Then there's the whole issue of the  
10 importance of quality of life that I think everybody  
11 agrees has to be an important consideration, and the  
12 ability to do those analyses appropriately and without  
13 missing data, which is a frequent confounder in  
14 studies that we've seen up to this point.

15           So in order to do the study well, it seems  
16 to me, will make the study exceedingly complex and  
17 perhaps prohibitively expensive, but if someone is  
18 willing to make the investment to do it right, I think  
19 that it could provide exceptionally valuable data for  
20 us on which to be able to begin to formulate judgments  
21 about the role of time to progression as a surrogate.

22           I'm just concerned that these studies will

1 be expensive. They will be subject to having lots of  
2 missing data points because of the complexity of the  
3 evaluations that will have to be done, and at the end  
4 of the day it will be very difficult to have data that  
5 will be of the quality that we would ultimately like.

6 CHAIRPERSON DUTCHER: Dr. Krook.

7 DR. KROOK: Just a couple of comments. I  
8 guess I've been on this committee long enough that  
9 there was one drug that we did approve in pancreas  
10 cancer, gemzar, that I remember clinical benefit which  
11 was toxicity survival was one month, but one of the  
12 things, and I listen to my colleagues here, and I  
13 think there's three things in the equation here that  
14 lean me towards the questions which are going to come,  
15 and one is the what I guess I call three variables:  
16 a variable of the disease I heard Rick talk about; I  
17 heard Stacy talk about the variability of the  
18 physician; and I heard some of our presenters talk  
19 about the variance of the patient obviously taking  
20 what we call alternative drugs.

21 I think perhaps going back, what I think  
22 Stacy said is I as a clinical physician, I will delay

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 telling that person the progression as long as I'm not  
2 sure because I think telling somebody that they've  
3 progressed not only perhaps affects the disease.  
4 Obviously just that statement along as a physician  
5 affects the quality of life of that person. I think  
6 we've heard that in the room.

7 Therefore, I think all of these three  
8 variables -- and I think we could add biostatistician  
9 to the variable, but that's another question --

10 (Laughter.)

11 DR. KROOK: -- as we've heard here, all of  
12 these will affect -- I don't think the disease, the  
13 patient, or the physician can perhaps all together  
14 agree on time to progression. They all agree on  
15 survival.

16 CHAIRPERSON DUTCHER: Interesting. Any  
17 other comments?

18 Now, remember the discussion is really  
19 regarding cytotoxic agents.

20 DR. KROOK: Right.

21 CHAIRPERSON DUTCHER: We haven't really  
22 thrown in to the mix the issue of some of the new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 biologic agents that are coming in where it is going  
2 to be an issue in terms of assessing outcomes.

3           Shall we go through the questions? I  
4 think we've talked about a lot of them, but you want  
5 -- yes?

6           DR. TEMPLE: I just have one question  
7 before. Quality of life has come up a number of  
8 times, and it would be helpful to hear some discussion  
9 of how you're thinking of that.

10           Presumably if someone could show that a  
11 person's tumor related quality of life, whatever that  
12 means, was improved, we wouldn't be arguing about  
13 surrogates because that would be a benefit, but  
14 there's another sense in which people seem to be using  
15 it by saying, "Well, at least it shouldn't be worse,"  
16 taking into account both the tumor related symptoms  
17 and the toxicity of the drug, and it would be helpful  
18 to know whether what you're saying is at least it  
19 shouldn't be worse in return for this putative gain  
20 or just how are people thinking of that?

21           I guess I should add we've seen very few  
22 examples of improved quality of life. The only real

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 examples are where someone studied pain, and that was  
2 improved in prostate cancer, but global quality of  
3 lives have been hard to find.

4 CHAIRPERSON DUTCHER: I think that some of  
5 the issues that have been brought up are legitimate  
6 and probably hard to quantitate, but you know, the  
7 issue of taking chemotherapy until you die is an issue  
8 for some patients because their lifestyle is revolving  
9 around going back and forth to the clinic.

10 Now, for some people that's  
11 psychologically beneficial because they're, quote,  
12 unquote, doing something, and for other people it's a  
13 terror. So I think that quality of life in this  
14 setting is not just tumor changes. It's lifestyle  
15 changes. It's getting to family events. It's a lot  
16 of things that we haven't really figured out how to  
17 measure.

18 And I think that's what some of our people  
19 that were speaking at the open public hearing were  
20 saying. I mean, they want a drug that they can get  
21 off of and survive, not that they will take to their  
22 grave, and I think that that's a real serious issue in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 looking at agents that do have significant toxicity  
2 that do require additional supportive care measures to  
3 maintain patients as an out patient.

4 DR. TEMPLE: So how would one use those  
5 measurements? Let's say you had a drug that improved  
6 -- increased time to progression by three months.  
7 What would the observation in quality of life be that  
8 one would need to accompany that to be reassuring on  
9 this point? How would you do that?

10 DR. SLEDGE: Well, again, the big problem  
11 is that you're only likely to see a quality of life  
12 improvement in patients who are symptomatic when they  
13 go on therapy. Most American trials require patients  
14 to have a performance status of zero to two, ECOG  
15 performance status. Most of the patients who actually  
16 go on the trials have a performance status of zero or  
17 one. So you're automatically introducing an a priori  
18 bias against being able to see a quality of life  
19 endpoint for most of the patients who are going onto  
20 your trial.

21 So the only way that I could see that you  
22 could reasonably even do that sort of analysis would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be to restrict it to the patients who are symptomatic  
2 going on the trial.

3 DR. SCHILSKY: Or you'd want to presume --  
4 I agree with everything you've said, and it's probably  
5 80 percent of patients who go on front line metastatic  
6 disease trials are asymptomatic or minimally  
7 symptomatic. So the issue really is if you can't  
8 demonstrate relief of symptoms very easily, can you  
9 demonstrate no decrement in quality of life, you know,  
10 as a result of the therapy?

11 That really, I guess, basically gets to  
12 toxicity assessment and the impact of that toxicity on  
13 quality of life, and so I suppose that would need to  
14 be the focus of these types of assessments, would be  
15 a demonstration of lack of a decrement as a result of  
16 the therapy.

17 CHAIRPERSON DUTCHER: Let me just say that  
18 I think the issue is the people that might have a  
19 survival advantage are probably not necessarily the  
20 people where you can demonstrate at least during the  
21 treatment a quality of life advantage.

22 Dr. Williams.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMS: Aren't we forgetting the  
2 control arm? I mean these are almost always compared  
3 to front line treatment, and we usually have a  
4 comparative analysis, not compared to own baseline.

5 CHAIRPERSON DUTCHER: Dr. Margolin?

6 DR. MARGOLIN: That was what I was going  
7 to say also, but really what I wanted to ask was a  
8 clarification question, if this isn't premature, which  
9 has to do with an assumption when we try to answer or  
10 vote on these questions.

11 Will we be making the assumption, Dr.  
12 Temple and Dr. Johnson, that everywhere where it says  
13 TTP here means reliably assessed where the  
14 measurements really are not the issue and we don't  
15 have to deal with Dr. Nerenstone's very well described  
16 ascertainment bias?

17 DR. TEMPLE: Well, I think Dr. Nerenstone  
18 raised the question that nobody has addressed, which  
19 is the lack of blinding, which if there's any  
20 subjective component to when a person gets referred to  
21 evaluation could make a two to three month difference.  
22 It's sort of ridiculous.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In other areas where blinding is  
2 impossible, like surgical trials, people have a  
3 different person assessing outcomes. That's not  
4 common in cancer trials as far as I know either. So  
5 that strikes me as a major question, and I think we'd  
6 like your advice on that question. I'm not sure I  
7 would assume anything.

8           As I did say before, noise and scatter  
9 tends to obscure differences. So personally I'm less  
10 worried about that as a factor when you're looking for  
11 differences. If differences emerge from noise, that  
12 doesn't argue against them. In an equivalence trial  
13 it's fatal, of course, but bias is a worry when you're  
14 looking for differences, too. Noise may not be, but  
15 bias is. That seems a very important question.

16           CHAIRPERSON DUTCHER: Anyone like to  
17 comment? Dr. Margolin.

18           DR. MARGOLIN: Well, I think nothing here  
19 is trivial. Everything is actually very challenging,  
20 but I think relative to all the other challenges that  
21 we're facing, if you were to look at a fairly  
22 straightforward trial design in which you are using

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 both subjective from the point of view of the patient  
2 and physician, as well as the extremely important  
3 objective measures, it's easy to have central review  
4 committees assigned for -- and companies are usually  
5 quite willing to do that -- for the objective testing,  
6 and careful documentation with appropriately designed  
7 case report forms, et cetera, both for patients who  
8 would have to be doing high quality of life self-  
9 assessments anyway, as well as their physicians, and  
10 then appropriate uninvolved auditors doing chart  
11 audits; I don't think it's an impossible task.

12 DR. SCHILSKY: I would agree. I think  
13 blinding is tough in most of these cases, you know,  
14 particularly if the experimental drug has some  
15 different toxicity profile. You know, it's almost  
16 irrelevant to try to blind.

17 I do think independent review of  
18 progression events is certainly possible and probably  
19 appropriate in these sorts of circumstances. Again,  
20 it would depend heavily upon predetermined definitions  
21 of what constitutes progression.

22 DR. SLEDGE: Actually, and we do that all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the time in the cooperative group certainly. I mean,  
2 you have a review of it in a central office by someone  
3 independent of the initial investigator who has done  
4 the trial. The standard rule of thumb is that those  
5 tend to decrease time to progression.

6 DR. SCHILSKY: Sure.

7 DR. SLEDGE: It virtually never increases.  
8 It virtually always decreases when you have an  
9 independent review.

10 DR. SCHILSKY: Well, that's fine as long  
11 as it, you know, applies equally in both arms. You  
12 just get more reliable results.

13 DR. TEMPLE: Such a review would be blind  
14 to the treatment, I would presume, if a central  
15 review.

16 DR. SCHILSKY: Sure.

17 DR. TEMPLE: Dr. Nerenstone suggested that  
18 someone confronted with back pain might have a  
19 different attitude depending on which therapy the  
20 person was on. That's not easy to fix through a  
21 central review. How worried about that sort of thing  
22 are you?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SLEDGE: I think to a certain extent  
2 that comes out in the wash. I mean there are patients  
3 who want to know immediately whether or not they're  
4 progressing. There are patients who don't want to  
5 know. There's doctors who want to know; there's  
6 doctors who don't want to know.

7 My sense is that that tends to come out in  
8 the wash -- I mean, tends to be a wash by and large.

9 DR. NERENSTONE: I disagree with that a  
10 little bit. Having looked at a number of years ago  
11 studies done by the cooperative group in hepatoma,  
12 which we know is notoriously unresponsive, in looking  
13 at a series of three different sets of trials, drug A  
14 was always better than drug B in response rate. In  
15 fact, drug A as soon as it became drug B failed to  
16 drug B of other studies.

17 I think that there is an inherent bias in  
18 physicians, that if that patient has the better  
19 sequence of drugs, and we all know that we're looking  
20 at new drugs; we're looking at new ways of giving  
21 them; we're looking at higher doses; that that kind of  
22 bias definitely play into when we're going to pick up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on subjective complaints.

2 And my concern is that I think maybe --  
3 and I guess Rich would have to comment on this -- one  
4 way is to target. If you are going to use time to  
5 progression, one way would be to target a time to  
6 progression increase bigger than your set intervals of  
7 monitoring. That is, if you're going to tighten up  
8 your time to progression rules, you're going to have  
9 to tighten up on how you're going to monitor these  
10 patients. Instead of every three months you do it  
11 every two months. Then a three month increase in time  
12 to progression might actually have some validity.

13 But if you're in a three month bone scan  
14 and you have a two month increased time to  
15 progression. I'm not sure that has any real  
16 significance scientifically to telling you that one  
17 drug is more active than the other.

18 CHAIRPERSON DUTCHER: Dr. Ozols.

19 DR. OZOLS: Now, the bias you're talking  
20 about, Stacy, comes into play when you have an  
21 experimental drug that is available off study or  
22 you're looking for a new indication. So if somebody

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 thinks that herceptin is better or taxol is better and  
2 the patients were not randomized to that, and you  
3 would look for an earlier progression because you  
4 wanted to get them off. If that drug was available,  
5 you could get it if they progressed.

6 Now, on the other hand, if the drug is not  
7 available off study, then it sort of comes out in the  
8 wash.

9 DR. SCHILSKY: One other comment about  
10 ascertainment bias that occurs to me. The example  
11 that Stacy gave was sort of an ascertainment bias  
12 based on a clinical report from the patient. The  
13 other kind of ascertainment bias I think that we may  
14 have to deal with increasingly in unblinded studies  
15 has to do with the, you know, unspecified use of tumor  
16 markers. There'll be more and more tumor markers that  
17 are available, that are commercially available, that  
18 physicians have different levels of belief in as being  
19 relevant to oncologic practice, and to the extent that  
20 physicians may harbor biases about, you know, a  
21 particular therapy that a patient is getting, you  
22 know, if someone orders a tumor marker, believes the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 result, that may prompt an evaluation or may delay an  
2 evaluation.

3 And then what do you do in the  
4 circumstance when the protocol specifies an evaluation  
5 at a particular endpoint and the physician has  
6 undertaken an evaluation a few weeks sooner than that,  
7 which is then an unspecified evaluation in the  
8 protocol? You know, is that the result that you use  
9 in your final analysis or not?

10 So my point is that, you know, there are  
11 many more types of ascertainment biases that are  
12 likely to have to be dealt with in studies where  
13 progression is an endpoint.

14 CHAIRPERSON DUTCHER: Dr. Margolin.

15 DR. MARGOLIN: Well, I think this is  
16 probably overly concrete and perhaps fantasy, but I  
17 would take Dr. Schilsky's example as a suggestion as  
18 a way to get more information in a prospectively  
19 planned way about what those markers do mean.

20 So if you do a study in ovarian cancer, if  
21 you do a study in breast cancer, mandate the markers  
22 and how they're going to be used and how they're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be followed, and then when you look back at the  
2 data, you'll actually have something to say for the  
3 next study about the correlation between those and the  
4 time to progression and the survival and the quality  
5 of life, et cetera.

6 CHAIRPERSON DUTCHER: Dr. Temple.

7 DR. TEMPLE: One of the things we  
8 encounter is that when a tumor marker progresses, the  
9 patient is then put on additional therapy, and they  
10 tend to get censored from the time to progression  
11 analysis. So you lose the patient's record.

12 One of the things you might want to talk  
13 about is whether that makes sense or whether we should  
14 just wait until the person actually progresses anyway  
15 and keep them in the analysis.

16 CHAIRPERSON DUTCHER: Well, I think that's  
17 what Dr. Margolin is saying. How meaningful is the  
18 market and when does it mean something, if at all?

19 Dr. Ozols.

20 DR. OZOLS: And it's not only, again, the  
21 physician who uses the marker. It's something that  
22 the patients use at times, which makes it difficult

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for us. Patients come in with their CA 125 plotted  
2 out on a weekly basis to three decimal points, you  
3 know, and there's a lot of misinformation about that,  
4 how that should be used and the concept of immediately  
5 acting on something before it gets out of control is  
6 something that is in many patients' minds.

7 CHAIRPERSON DUTCHER: A good discussion.

8 Well, shall we go through these? Do you  
9 want to do this? Okay. All right.

10 The following questions address issues  
11 regarding marketing approval of new cytotoxic drugs  
12 for initial treatment of metastatic breast cancer and  
13 assume that we are dealing with randomized controlled  
14 trials.

15 Can secondary but not crossover treatments  
16 after tumor progression in a randomized controlled  
17 trial prolong survival in the control group and not  
18 the test group, thus obscuring a favorable survival  
19 effect of the test group?

20 So this suggests that a second treatment  
21 is given in the control group, not a crossover, but  
22 another drug, and therefore, the survival advantage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from the new agent is not seen.

2 Dr. Simon?

3 DR. SIMON: Well, as I said before, I  
4 wouldn't know how to answer this because I think it's  
5 essentially misguided. I don't --

6 (Laughter.)

7 DR. SIMON: The real question on survival  
8 is in the context of the secondary treatments that are  
9 available. I don't think that it's a -- even if  
10 secondary treatments can provide some effect on  
11 survival, I don't think that they're obscuring a  
12 favorable survival benefit.

13 CHAIRPERSON DUTCHER: Dr. Nerenstone.

14 DR. NERENSTONE: And in a large enough  
15 randomized trial, both groups would be able to get  
16 that at the time of progression, not just one group.  
17 So, therefore, if there is a survival advantage, if  
18 there is a secondary drug or third drug with activity,  
19 both groups would be allowed to get it at the time of  
20 progression. So I don't think that's really an issue.

21 CHAIRPERSON DUTCHER: Dr. Temple.

22 DR. TEMPLE: Well, you can imagine a case

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 where it could be. For example, if the test drug is  
2 an anthracycline and the control drug is not, control  
3 drug A is not, you might think people might cross  
4 somebody over -- wrong word -- might use salvage  
5 anthracycline, whereas they might think it's pointless  
6 to do that in the group that got the anthracycline.

7 So you can think of cases where it could  
8 obscure it.

9 DR. OZOLS: Well, in that situation the  
10 anthracycline test drug that you're testing has no  
11 survival benefit in the context of where you already  
12 have anthracyclines available to patients.

13 DR. TEMPLE: Oh, but that isn't the  
14 question. The question is whether it has a survival  
15 advantage. There are many questions.

16 DR. OZOLS: Well, the medically important  
17 question to the patient is the survival difference you  
18 would actually see.

19 CHAIRPERSON DUTCHER: Dr. Beitz.

20 DR. BEITZ: Yeah. A point to consider on  
21 this matter is the issue of global studies and that  
22 some patients in certain countries may not have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available to them marketed products in the United  
2 States.

3 DR. SCHILSKY: So I'm still a little  
4 unclear. Is this a hypothetical question or are you  
5 asking us to answer this based upon whether there is  
6 actually data available to suggest that there could be  
7 an obscuring effect?

8 DR. TEMPLE: Well, people have come forth  
9 with the idea that it was the secondary therapy that  
10 obscured the really terrific benefit shown in the time  
11 to progression part of the study. How to provide  
12 evidence that that's true is an interesting and  
13 difficult question, but it's been offered as a  
14 theoretical possibility.

15 DR. SCHILSKY: Right.

16 DR. TEMPLE: Most of the time, as Stacy  
17 said, everybody who progresses is going to get the  
18 same therapy. So it would be hard to think of why it  
19 should advantage or disadvantage one group, but as in  
20 the case I cited, you can imagine some circumstances  
21 where that might be true.

22 DR. SCHILSKY: Well, that's what I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 asking. Is this question meant to be can we imagine  
2 a scenario where this would be true or are you asking  
3 us are we aware of any data to suggest that this is  
4 actually true?

5 DR. TEMPLE: I think it's more how  
6 credible do you find that assertion.

7 CHAIRPERSON DUTCHER: Dr. Margolin.

8 DR. MARGOLIN: Well, I think there's only  
9 one answer to this question, which is yes, because if  
10 you ask a question that starts with "can" and there's  
11 nothing to absolutely rule it out in medicine or  
12 biology, the answer has to be yes, and let's move on.

13 CHAIRPERSON DUTCHER: Okay. I think the  
14 answer should be not obvious that this exists as a  
15 problem.

16 DR. NERENSTONE: But just a question,  
17 which is in your specific case, Bob, the question --  
18 shouldn't that study then be designed as an equivalent  
19 study? Because if you're looking to see if  
20 anthracycline A is better than no treatment, those  
21 studies have been done. If you're looking to see if  
22 anthracycline A is just as good as anthracycline B,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 given some time else during the time of the patient's  
2 life, shouldn't that have to be an equivalent study to  
3 make sure there's no detriment to survival?

4 And really, would you think time to  
5 progression in that specific case is even appropriate?  
6 Because there are other options available.

7 DR. TEMPLE: How to exactly design the  
8 studies is going to get complicated, but I can imagine  
9 a trial where you compared drug A with A plus an  
10 anthracycline, but as soon as anybody progresses,  
11 maybe they go on to some other therapy. That seems  
12 like an intelligent trial to do, but it seems like the  
13 group that didn't get the anthracycline initially and  
14 then did, if anthracycline has had a benefit, might  
15 benefit -- that might obscure the apparent advantage.

16 I don't think that's a crazy trial. I  
17 guess what I hear is this isn't known to be a problem  
18 very often, but someone might make a case in a  
19 particular instance that it was, and it shouldn't be  
20 considered a very general problem, but I thought the  
21 case I posed was one where you could make that  
22 argument.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   How you'd support it I have no idea.

2                   DR. WILLIAMS: Jan, I was just talking to  
3                   Dr. Johnson. He believes we have the sense of the  
4                   committee on this and can move on.

5                   (Laughter.)

6                   CHAIRPERSON DUTCHER: Okay. Can data from  
7                   a randomized controlled trial be analyzed to assess  
8                   whether secondary treatments after tumor progression  
9                   may have obscured a survival advantage for one of the  
10                  treatments?

11                  Dr. Sledge.

12                  DR. SLEDGE: I think the answer to this  
13                  one from a practical standpoint is no. Anyone who's  
14                  ever tried to do this, and many of us around the table  
15                  have tried to do this, realize that there's an  
16                  infinite variety in terms of what physicians offer to  
17                  patients as salvage therapies, and so for practical  
18                  purpose, this sort of data dredging virtually never  
19                  gives you a reasonable answer.

20                  CHAIRPERSON DUTCHER: Okay. Is time to  
21                  progression a surrogate for survival?

22                  DR. SLEDGE: Well, we don't know.

1 CHAIRPERSON DUTCHER: Anybody who thinks  
2 it is?

3 DR. SIMON: Maybe.

4 CHAIRPERSON DUTCHER: Maybe. One maybe?  
5 Maybe, maybe, maybe?

6 I don't think there's anyone who would not  
7 look at it, correct?

8 DR. SIMON: Well, I think the situation is  
9 it has not been demonstrated from the data available  
10 to be a valid surrogate for survival.

11 DR. SWAIN: And what would you need to  
12 demonstrate that?

13 DR. SIMON: I think you'd want a body of  
14 data not selected based on those that had tended to  
15 have found a survival difference and then looked back  
16 at time to progression, but on a more unselected body  
17 of data of clinical trials that perhaps found and did  
18 not find a difference in time to progression, and what  
19 did that tend to be associated with in terms of the  
20 survival difference.

21 DR. SWAIN: Well, I think I showed that in  
22 one of the slides. There were nine trials, and seven

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of them did have comparable survival and time to  
2 progress. Both had an increase. I mean that's what  
3 you're asking.

4 DR. SIMON: Well, you showed also the  
5 Falksen trial with a very large difference in time to  
6 progression and no difference in survival, and under  
7 the set you did show, I felt like there was a lot of  
8 trials that you did not have data on time to  
9 progression, and therefore, I wasn't convinced that  
10 there wasn't some not selection on your part, but  
11 selection on the part of those who reported the  
12 results.

13 CHAIRPERSON DUTCHER: Dr. Temple?

14 DR. TEMPLE: I mean, one could describe  
15 this as a diagnostic test in which you would like to  
16 know what the sensitivity and specificity of a finding  
17 of improved time to progression is with respect to the  
18 gold standard of improved survival. We can look among  
19 what data we have. I'm not sure how much we're going  
20 to be able to contribute, but we can.

21 DR. SIMON: I don't actually think that's  
22 the way to look at it because I think the medically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important difference is what size difference in time  
2 to progression corresponds to what size difference in  
3 survival, if there's a relationship at all, and under  
4 what situations is there a relationship, but saying it  
5 either is correlated or isn't correlated, I think  
6 really the medical decision making is based on size of  
7 effects relative to toxicity of therapy, and so you  
8 really -- we really want to relate what size  
9 difference in time to progression translates into what  
10 size difference in survival, if there is a  
11 relationship.

12 CHAIRPERSON DUTCHER: People feel  
13 comfortable with those comments?

14 Dr. Temple.

15 DR. TEMPLE: Just to be sure we get help  
16 when we get down to some of the later questions, we  
17 now use with your concurrence from time to time tumor  
18 response rates as a reasonable surrogate for clinical  
19 benefit in the refractory tumor setting. Now, you  
20 could probably say all the same things about response  
21 rate that you just said about time to progression, but  
22 in that setting under the accelerated approval rule we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have made use of that in a setting where there was  
2 thought to be no other alternative sort of weighing  
3 benefits and risk.

4 So in commenting on the adequacy of the  
5 surrogate here, it's worth keeping the potential use  
6 of it in mind.

7 CHAIRPERSON DUTCHER: I agree with you.  
8 I think the issue that Rich brought up is real. I  
9 mean is one month difference of clinical meaning to  
10 people? You know, if it's six months and the survival  
11 is better, too, then wonderful, but you know, we  
12 haven't seen data that suggests there's big  
13 incremental differences by either of these measures  
14 with the kinds of drugs that we've been seeing and the  
15 disease that we've been talking about.

16 So I think that, you know, home runs will  
17 certainly -- time to progression would be wonderful.  
18 If it's a year, that would be great. So I think  
19 that's the maybe part of it, but I think the committee  
20 would like to be flexible in terms of looking at all  
21 of the information that's presented and trying to  
22 tease out some things that might suggest an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 improvement with any new drug.

2 Is TTP a surrogate for a patient benefit  
3 other than survival?

4 DR. OZOLS: Well, coupled with toxicity,  
5 yeah. I mean I don't think we should underestimate  
6 the benefit, the quality of life benefit to a patient  
7 who is getting treatment and she is not getting worse  
8 when she comes in to see you every month, and you tell  
9 her she's not getting worse. That's much better than  
10 if she leaves when you tell her she is getting worse.  
11 So there's just no question about how that impacts  
12 upon her quality of life until the next time you see  
13 her, but of course, you can't divorce that from the  
14 toxicity of the treatment.

15 So it's something that by itself is  
16 important, but it has to be taken in context as we've  
17 heard over and over again with the toxicity of the  
18 therapy and the length. We mentioned the length of  
19 this benefit.

20 DR. SLEDGE: I will say that in 1193 where  
21 we did see a statistically significant improvement in  
22 time to treatment failure, we saw no improvement in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quality of life.

2 DR. SWAIN: But, George, you said 80-  
3 something percent of those patients were asymptomatic.

4 DR. SLEDGE: Absolutely true, but  
5 basically what you're saying here is chemotherapy is  
6 psychotherapy, and I don't think it is. I mean, you  
7 know, walking into a room and saying, "Oh, you haven't  
8 progressed," may make the patient feel better for ten  
9 minutes, but to use that as a valid endpoint for  
10 approving a drug is making chemotherapy psychotherapy.

11 DR. OZOLS: Oh, no, no, no. Psychotherapy  
12 would be if you're giving chemotherapy to somebody who  
13 was asymptomatic but the disease is getting worse and  
14 you're still giving the chemotherapy.

15 I mean this is a different situation.  
16 This is a disease where the patient has had a response  
17 or her disease is not progressing on treatment. She  
18 knows she has disease. You know she has disease, but  
19 it's not getting worse on any measure that you can  
20 tell. I think that's an important consideration, and  
21 it's different than giving somebody chemotherapy when  
22 they're progressing even though they're asymptomatic.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRPERSON DUTCHER: Dr. Krock.

2 DR. KROOK: I want to follow up on what  
3 George said here. I'm going to change my comments.  
4 When I got into a room with a patient with metastatic  
5 breast cancer, I think there's three choices. I can  
6 go in and say, "You're better." I said say, "You're  
7 the same," or I can say, "You're worse." I think that  
8 lady in this case leaves with a different quality of  
9 life depends on which of those three that I say. If  
10 I say, "You're the same," okay, I got through another  
11 month. If I'm better, hey, maybe I'm going to get  
12 that magic cure, but if I say to that person that  
13 you're worse, her quality of life changes.

14 Now, time to progression is worse. If I  
15 say she's the same or I say, "You're better," she  
16 doesn't get -- well, time to progression is not a  
17 point on the curve.

18 CHAIRPERSON DUTCHER: Dr. Williams.

19 DR. WILLIAMS: I'd like to make a  
20 distinction between the term "quality of life,"  
21 especially as George was using it, which is measured  
22 by some scale and measuring something we don't really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know what it means, perhaps, versus I think perhaps  
2 what Bob is saying, that at some time in the future  
3 the patient is going to progress and going to have  
4 symptoms.

5 And I think of both of this in this term  
6 "quality of life" here, and one of them, I think, is  
7 perhaps just in your mind that you're delaying the  
8 time the patient is going to progress in the future,  
9 and the other is at some scale that we may or may not  
10 know what it means.

11 And so I don't know that having a negative  
12 finding on this scale means that you haven't delayed  
13 this person's ultimate time to symptomatic  
14 progression, which we haven't measured and don't know  
15 if it really does.

16 CHAIRPERSON DUTCHER: Dr. Margolin.

17 DR. MARGOLIN: Well, I think it's pretty  
18 clear from this discussion from all of our practices  
19 that we just don't know. This concept of quality of  
20 life and what the patient gets versus what they give  
21 and where they start, it's not possible to generalize  
22 for all patients. Some patients would rather be on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chemo. till they die because they're doing something  
2 even if you show them a scan that's worse. Other  
3 patients only want to be on it if you can assure them  
4 it's absolutely working.

5 But I completely agree with Dr. Krook.  
6 When you're going to talk to a patient, even when you  
7 start therapy, you say there are three possible  
8 outcomes. In order of preference, you have a  
9 response, you remain stable and one assumes that  
10 that's in some way attributable to effect of the  
11 therapy, or you get worse, in which case things don't  
12 go so well.

13 And for most patients if they're on a  
14 therapy and you cannot achieve choice number one, you  
15 settle for choice number two, and you do the best you  
16 can, and we're trying to make generalizations here to  
17 please all the patients, but there's quite a big  
18 spectrum of what patients are expecting and what they  
19 will put up with, and I think we have to live with  
20 that sort of variability and just vote with what we  
21 think is the majority.

22 CHAIRPERSON DUTCHER: Dr. Schilsky.

1 DR. SCHILSKY: I guess I'm uncomfortable  
2 with accepting the notion of time to progression by  
3 itself, meaning time to some radiographically  
4 demonstrable growth of the tumor, that that represents  
5 any sort of a surrogate for patient benefit.

6 I think what I would be more comfortable  
7 with would be either the notion of time to symptomatic  
8 progression, that is, if you have a therapy that can  
9 delay worsening of someone's symptoms, tumor related  
10 symptoms, or delay the onset of tumor related symptoms  
11 in an asymptomatic patient, I would be more persuaded  
12 by that or in the asymptomatic patient, I guess, would  
13 be the issue of preservation of that asymptomatic  
14 period without significant toxicity from the therapy.

15 But I'm just reacting to the language of  
16 this question. I mean just to say time to progression  
17 by itself, is that a surrogate for patient benefit, I  
18 wouldn't think so.

19 DR. OZOLS: Yes, but you know, we heard,  
20 Rich, as well about survival. You could replace  
21 survival then. You know, supposing a two month  
22 improvement in survival is statistically significant,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but at a horrendous cost. That likewise wouldn't.

2 So --

3 DR. SCHILSKY: I agree. I agree with  
4 that. I agree with you. I think one could make the  
5 same arguments with respect to survival, and there  
6 would be patients who would say, "Gee, if I'm going to  
7 live another two months but I have to go through hell  
8 to achieve that, it's not going to be worth it."

9 CHAIRPERSON DUTCHER: Dr. Temple.

10 DR. TEMPLE: The point you raise is  
11 critical. If someone can show increased time to  
12 symptomatic progression, then they've already shown  
13 something that's a benefit. Of course, you weigh it  
14 against toxicity and all of that. So that's not  
15 really an issue for us.

16 If anybody could manage to show that,  
17 which I can't recall anybody who has, but if anybody  
18 managed to show that, we would love that. That's an  
19 exception.

20 The question here is suppose you don't  
21 have that, can we use the endpoint.

22 DR. JOHNSON: Well, you know, Eob, we've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 taken this up with companies, and people are just not  
2 willing to conduct clinical trials that way. When  
3 they see radiographic progression, they want a change.

4 DR. TEMPLE: Yeah, that's what I said.

5 DR. JOHNSON: They're not willing to wait  
6 until the patient become symptomatic.

7 DR. TEMPLE: Right. That's why this is an  
8 issue.

9 DR. JOHNSON: But really we can't do that.

10 DR. OZOLS: But are you saying that's not  
11 appropriate practice?

12 DR. JOHNSON: I'm saying that most people  
13 aren't willing to do that.

14 DR. OZOLS: Right, but I'm saying on or  
15 off study if a patient's tumor is growing or they're  
16 getting new lesion and they're on treatment, you  
17 certainly aren't going to continue that treatment even  
18 if they're asymptomatic.

19 DR. JOHNSON: Well --

20 DR. OZOLS: So you can't ask someone on  
21 clinical trial to do something that they wouldn't do  
22 in standard practice.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. JOHNSON: That's what we just said.

2 DR. TEMPLE: Right.

3 DR. JOHNSON: We discussed this in the  
4 context of tumor markers, if you recall, and you said  
5 you would be willing to continue a patient on therapy  
6 or at least willing not to start a new therapy in the  
7 face of a rising tumor market, but many of your  
8 colleagues wouldn't be willing to do that.

9 DR. TEMPLE: There is another possible  
10 answer. I wonder what you think of this, which is  
11 even if someone crosses -- sorry. Wrong word -- gets  
12 salvage therapy, you could still measure time to  
13 progression and not just censor the patient, which is  
14 what we typically do now.

15 In other words, you'd be looking at  
16 symptomatic progress sort of as the study is  
17 randomized. That's unusual for us, but we could --  
18 you could do that, and a positive finding there would  
19 be pretty credible.

20 DR. SLEDGE: I just don't know how you  
21 could do that though. When you say time to  
22 symptomatic progression, you know, it's not entirely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 easy for me to know which symptom is due to the  
2 treatment and which symptom is due to the disease in  
3 every patient.

4 DR. TEMPLE: Well, that's why you have a  
5 committee to do it, I guess.

6 (Laughter.)

7 CHAIRPERSON DUTCHER: But, you know, the  
8 unfortunate or the fortunate -- the unfortunate? --  
9 the fortunate thing is that in metastatic breast  
10 cancer there are so many drugs that do show some  
11 effect, call it, positive effect. In other  
12 malignancies where you have a very limited  
13 armamentarium, we are often put in the position of  
14 watching something grow slowly or grow quickly, but  
15 you do definitely see some change in the clinical  
16 behavior.

17 So your problem here, I think, is  
18 confounded by your wealth of agents.

19 Kim.

20 DR. MARGOLIN: I think paying attention to  
21 the word "surrogate" in that question is really  
22 important because I think we all agree that we cannot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 possibly know that increasing the time to progression  
2 actually benefits a patient, but is it a surrogate?  
3 Does it correlate most of the time with survival, the  
4 gold standard? Is it likely to correlate with quality  
5 of life and, most importantly, with objective  
6 responses?

7 It seems like the answer to that is yes.  
8 So as a surrogate, we know that surrogate is not an  
9 equivalent. It's just a representative. It seems  
10 safe to think of it as a surrogate.

11 CHAIRPERSON DUTCHER: Dr. Nerenstone.

12 DR. NERENSTONE: I think that as a  
13 clinician there's no question that a longer time to  
14 progression is worthwhile. My concern is that at the  
15 levels of increased time to progression that we're  
16 seeing, that they may not be real.

17 And so that I think that there are two  
18 issues. One is a clinical issue. Is increased time  
19 to progression important? And I would say, yes, it  
20 is. But as a regulatory issue, is time to  
21 progression, especially at the small times that we're  
22 seeing, is that a clear marker of active drugs? I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that's a much harder question to ask.

2 CHAIRPERSON DUTCHER: Dr. Simon.

3 DR. SIMON: Well, I think "surrogate" is  
4 a fairly strong statement. It means it represents an  
5 effect on what it's purporting to be a surrogate of,  
6 and I think I guess my impression here is the only  
7 thing you could potentially know about quality of  
8 life, I guess, is is time to progression a surrogate  
9 for symptomatic improvement, and I think we have two  
10 potential bases for doing that.

11 Either we have studies that have  
12 correlated time to progression with symptomatic  
13 improvement in patients who had perhaps symptoms when  
14 they went on study, and I don't think we have that  
15 body of data. So on that basis, I don't think we  
16 could conclude that time to progression is a surrogate  
17 for patient benefit in terms of symptomatic  
18 improvement.

19 The other thing we could have to go on  
20 would be clinical impressions. That is, does tumor  
21 progression seem in the clinician's view to be  
22 associated with symptomatic deterioration?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But other than that, I don't see how we  
2 could conclude that time to progression is a surrogate  
3 for some other kind of patient benefit.

4           DR. SWAIN:    I'd just make one last  
5 comment, and I would agree with you after having tried  
6 to review all the data and presenting the data that  
7 certainly we do not have data.   The only data that  
8 would even remotely support it is the Coates trial  
9 because the time to progression was longer in that  
10 study.

11           But I think that still we need something.  
12 We intuitively, as you said, think that if time to  
13 progression is increased, that the patient is going to  
14 benefit, that their symptoms are going to be lessened.  
15 So I think we can't throw it out.

16           I agree that the statement is strong, and  
17 if you noticed in my presentation I did not make that  
18 statement at all that it was the surrogate because I  
19 do think that you need hard data for that, and I don't  
20 think we have it.

21           DR. SLEDGE:   You know, the other thing is  
22 I'm not sure we're always treating the patient.   As

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 often as not we're treating the physician in this sort  
2 of setting.

3           Again, I don't think we should use this,  
4 chemotherapy, as a form of psychotherapy, and to claim  
5 that there's a surrogate here which implies a  
6 statistical association based upon a single weak data  
7 point in the literature I think is perhaps claiming a  
8 little bit too much.

9           CHAIRPERSON DUTCHER: Dr. Temple.

10           DR. TEMPLE: Just one word about what our  
11 regulations say. The standard for a putative  
12 surrogate in the setting of accelerated approval is  
13 that the surrogate is, quote, reasonably likely to  
14 predict clinical benefit based on pathophysiologic, et  
15 cetera, et cetera, reasons.

16           I guess one reason is what Rich said, that  
17 people sort of believe it for a variety of reasons.

18           A surrogate outside the context of  
19 accelerated approval has to be better than that,  
20 although there's no formal definition. So the  
21 reasonably likely standard is the one for accelerated  
22 approval, and something considerably stronger is what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be needed for ordinary approval.

2 DR. JUSTICE: And that's what we're  
3 getting to in Questions 5(a) and (b).

4 CHAIRPERSON DUTCHER: Right. All right.  
5 So is TTP -- I can't say that -- time to progression  
6 -- it reminds me of another disease.

7 (Laughter.)

8 CHAIRPERSON DUTCHER: If time to  
9 progression is a reasonably likely surrogate for  
10 survival or other patient benefit, is TTP, is time to  
11 progression a sufficiently reliable surrogate only for  
12 accelerated approval with confirmation of effect on  
13 survival or other patient benefit needed in a Phase IV  
14 to quality for regular approval, or is it sufficiently  
15 reliable to be the basis for unqualified regular  
16 approval?

17 DR. SLEDGE: Are we to assume here that we  
18 answered Questions 3 and 4 yes?

19 CHAIRPERSON DUTCHER: No, I think we are  
20 to assume we answered those questions as we answered  
21 them, which to me three was maybe and four was  
22 possible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 DR. SWAIN: That we believe it is, but we  
3 can't prove it.

4 CHAIRPERSON DUTCHER: I mean, you're never  
5 going to see a study coming in for accelerated  
6 approval that doesn't have a response rate in addition  
7 to time to progression in a cytotoxic drug, I would  
8 think. I mean, am I overstating?

9 For a cytotoxic agent --

10 DR. TEMPLE: Only for pancreatic cancer.

11 CHAIRPERSON DUTCHER: Well, but you gave  
12 us a new drug. Okay?

13 That's true. In pancreatic, we didn't  
14 require a response, zero, yeah.

15 DR. SIMON: I thought we were only  
16 supposed to answer five if the answer to three or four  
17 was yes.

18 CHAIRPERSON DUTCHER: I think five is the  
19 crux of the questions.

20 DR. SIMON: Well, five starts off with "if  
21 TTP is a surrogate for survival or other patient  
22 benefit, then" you do 5(a) and 5(b), but I didn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that we -- so it depends on the answer to three  
2 and four, which I don't think either of those was yes.

3 CHAIRPERSON DUTCHER: But it wasn't no  
4 either.

5 DR. WILLIAMS: Since five has two levels  
6 or requirements for a surrogate, it might be helpful  
7 to go ahead and answer five anyway.

8 CHAIRPERSON DUTCHER: Well, I think you're  
9 right. I think it's important for us to kind of -- I  
10 think what these folks need is for us to come to some  
11 level of comfort. Either it's not comfortable at all  
12 or it's a little bit comfortable or, of course, as  
13 time to progression in the spectrum of things that we  
14 would accept for accelerated approval, and I think  
15 we've heard quite a spectrum from the group.

16 Dr. Margolin.

17 DR. MARGOLIN: Well, it seems like given  
18 the degree of divisiveness on Questions 3 and 4, but  
19 assuming we've agreed that we do need to go on to  
20 Question 5 that it's pretty obvious that the answer to  
21 (a) would be yes and that (b) would be no, that nobody  
22 is willing to use time to progression as full fledged

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approval of a new drug.

2 CHAIRPERSON DUTCHER: Well, I would think  
3 that on (a) there may also be some concerns from the  
4 discussion.

5 Dr. Ozols.

6 DR. OZOLS: Yeah, I think (a) at this  
7 point should be yes. I think we should continue to  
8 study this and we should take the opportunity to hone  
9 down, perhaps better define what time to progression  
10 is and make it a more useful clinical indicator, but  
11 I think we should ignore the data that we have, and  
12 obviously we interpret data differently. Therefore,  
13 there's a good possibility there's something good in  
14 that data and that we can learn something from that,  
15 another clinical marker.

16 So I think if we do (a) and do a few  
17 studies and get some information, I think that may be  
18 very useful for us.

19 CHAIRPERSON DUTCHER: Dr. Schilsky.

20 DR. SCHILSKY: I would agree with that.  
21 I think actually that may be the only way we'll ever  
22 get the information that we would like to have in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 order to answer Questions 3 and 4.

2 My sort of caveat though would be that I  
3 think a study in which time to progression is to be  
4 used for accelerated approval has to be exceptionally  
5 well conducted with all of the requirements that I  
6 tried to describe earlier with respect to the  
7 difficulties in doing these sorts of studies.

8 CHAIRPERSON DUTCHER: Have any of the  
9 accelerated approvals been -- as the Phase IV data  
10 came out, have any of them been reversed? Any of the  
11 accelerated approvals been reversed after Phase IV  
12 data came out?

13 DR. TEMPLE: No. The bulk of Phase IV  
14 data was fairly readily available. Actually I have to  
15 correct that. In one AIDS drug, but I forget which  
16 one, the indications changed on the basis of the  
17 results of the trial, although the drug didn't  
18 disappear because it still was effective, but not  
19 quite where it was thought to be effective.

20 In oncology, we've only had data on a  
21 couple, I guess, some of which you've seen and which  
22 tended to support the original approval.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: So shall we vote on  
2 5(a)?

3 DR. SWAIN: In this situation are you  
4 talking about a randomized clinical trial? Because  
5 you'd have to have that to look at it.

6 CHAIRPERSON DUTCHER: I think you're  
7 talking about a randomized clinical trial with very  
8 strict baseline data and follow-up data.

9 Okay. Is time to progression a  
10 sufficiently reliable surrogate only for accelerated  
11 approval with confirmation of effect on survival or  
12 other patient benefit required in Phase IV to qualify  
13 for regular approval?

14 All those who would vote yes?

15 (Show of hands.)

16 CHAIRPERSON DUTCHER: Eleven yes.

17 Is time -- is that how many we have?  
18 Twelve. Sorry. Twelve.

19 Is time to progression a sufficiently  
20 reliable surrogate to be the basis for unqualified  
21 regular approval?

22 All those who would vote no?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Show of hands.)

2 CHAIRPERSON DUTCHER: Twelve.

3 In other case, what magnitude of effect on  
4 the median time to progression would be sufficient?  
5 And I presume we're talking specifically about  
6 metastatic breast cancer because I don't think this is  
7 necessarily applicable across the board.

8 Dr. Margolin?

9 DR. MARGOLIN: Well, if we're going to do  
10 that, I think we're going to have to define groups  
11 because, again, if you look --

12 CHAIRPERSON DUTCHER: Well, we're talking  
13 about first line. We're talking about initial  
14 treatment in metastatic.

15 DR. MARGOLIN: But traditionally in most  
16 cooperative group studies and even large Phase IIs and  
17 certainly what's presented to the FDA, patients with  
18 bone only disease have been excluded as not being  
19 measurable, and that's a very large, very important  
20 group of patients who might be appropriate for this  
21 new definition, but they have a very different  
22 behavior as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SLEDGE: I personally don't think we  
2 can answer this question because I think the idea that  
3 we're going to be able to use time to progression  
4 solely by itself and, therefore, we're going to be  
5 able to define a magnitude solely by itself is  
6 important I just don't think is possible.

7 I mean if a patient's right leg falls off  
8 reproducibly when you give a drug and there's a three  
9 month time to progression, that's going to be  
10 different than a patient who has no symptoms from the  
11 drug in a three month time to progression.

12 I just don't think we can answer this  
13 question.

14 CHAIRPERSON DUTCHER: But isn't that the  
15 problem? That's the problem that's going to be the  
16 problem going forward, is that the variability, the  
17 nuances -- I mean, it's fine to say that this is a  
18 surrogate, but what are we going to use to say it's  
19 not a good enough surrogate?

20 DR. SWAIN: Well, you have to define it so  
21 you can plan your clinical trials. You have to make  
22 some kind of decision about what is an important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 effect, such as a 50 percent increase in time to  
2 progression or --

3 DR. SLEDGE: Well, that's the difference  
4 between a statistically significant effect and a  
5 clinically significant effect. I mean statistically  
6 significant effects are very easy to define.  
7 Clinically significant effects are very difficult to  
8 define. We all know that.

9 So I don't think we should pretend that  
10 one is the other.

11 DR. OZOLS: And you just cannot unlink the  
12 magnitude of the effect with toxicity.

13 CHAIRPERSON DUTCHER: Dr. Temple.

14 DR. TEMPLE: Well, no one would try to do  
15 that, but for example, suppose you just think about a  
16 drug that's sort of like the other drugs that are out  
17 there, has the usual range of toxicity, not worse, not  
18 better. Does that help? Could you say anything  
19 further about that? I mean, if you had a lot of early  
20 deaths or something really leg falling off like, that  
21 would be understood to say that the usual couple of  
22 months wouldn't do, but is a few months, which is what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we see typically, okay if it's sort of like  
2 anthracycline or sort of like the others?

3 You may still not want to answer that,  
4 but --

5 DR. OZOLS: But would that have a drug be  
6 going for accelerated approval?

7 DR. TEMPLE: Well, sure. The context is  
8 they took standard first line therapy. They added  
9 this new drug to it which no one had done before, and  
10 they showed a three month improved time to  
11 progression.

12 The accelerated approval says that you're  
13 supposed to show that you offer some advantage over  
14 available therapy in a serious of life threatening  
15 disease so that they could come in with that.

16 CHAIRPERSON DUTCHER: So accelerated  
17 requires improvement over standard.

18 DR. TEMPLE: Yes. Has to be serious life  
19 threatening disease and has to add to available  
20 therapy. We're in the process of defining what  
21 available therapy means. We're inclined towards  
22 thinking it means therapy we've approved, but that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 still in the works.

2 CHAIRPERSON DUTCHER: Dr. Simon.

3 DR. SIMON: Well, for accelerated  
4 approval, you would have to believe that it will  
5 translate into a meaningful improvement in what your  
6 real endpoint would be here, would be survival, and I  
7 would be skeptical that a three month improvement in  
8 time to progression will translate into a detectable  
9 effect on survival.

10 So my own view would be I would be more  
11 comfortable with a six months or greater effect on  
12 time to progression.

13 DR. SWAIN: Well, I would disagree with  
14 that because most of the studies I reviewed and the  
15 drugs we've seen have not had a six month increase in  
16 time to progression. So then we basically are not  
17 going to approve any drugs unless something really is  
18 a home run.

19 So I think for me that magnitude is too  
20 great, and I would accept a 50 percent increase in  
21 time to progression. I wouldn't give it a specific  
22 number because I think it would depend where you'd

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 start.

2 Most of the time to progressions are about  
3 six months. So a 50 percent increase would be up to  
4 nine months, is the same thing as what you're saying.

5 CHAIRPERSON DUTCHER: Dr. Ozols?

6 DR. OZOLS: Yes, and there were two large  
7 trials in ovarian cancer which showed a time to  
8 progression difference of about four to five months,  
9 which led up to significantly longer times in overall  
10 survival and differences.

11 CHAIRPERSON DUTCHER: How do people feel  
12 about the 50 percent?

13 Dr. Margolin.

14 DR. MARGOLIN: Well, I think you're going  
15 to get different answers from everybody at the table,  
16 and if you poll them twice, you get two different  
17 answers. So I think the FDA, if they want us to vote  
18 on this, should give us three choices or something so  
19 we can narrow it down.

20 CHAIRPERSON DUTCHER: No?

21 If trials utilize time to -- wait a  
22 minute. Never mind. We don't need to do number

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 seven.

2 If trials utilize time to progress as the  
3 primary endpoint, they may not be adequately powered  
4 for survival. That was the argument we were talking  
5 about earlier. Should these trials be required to  
6 have sufficient power to detect a clinically realistic  
7 difference in survival?

8 Dr. Simon.

9 DR. SIMON: Of course. We're saying that  
10 time to progression is only something that we think  
11 may translate into survival, but we want to see  
12 whether there is a medically relevant effect on  
13 survival. So the trials should.

14 CHAIRPERSON DUTCHER: Dr. Nerenstone?

15 DR. NERENSTONE: No, I agree. My other  
16 question though is going to be, again, about quality  
17 of life. Are we going to insist that those are done  
18 and that those are done in a statistically significant  
19 way and that those are done as a primary endpoint and  
20 that the trial design is big enough and make sure that  
21 these are actually done as part of the application?

22 CHAIRPERSON DUTCHER: How do people feel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about that? Would you make it a requirement? Dr.  
2 Swain?

3 DR. SWAIN: My suggestion would be to make  
4 it as an alternative. If you can -- and we've talked  
5 so much about quality of life, and having been on the  
6 committee for four years, we usually don't come up  
7 with an answer. If it's more specific, such as a pain  
8 score, a weight change, or a performance status  
9 change, those probably would be preferable, but I  
10 would make it as an alternative and wouldn't make it  
11 as a requirement.

12 DR. SLEDGE: Again, remember that quality  
13 of life and survival are measuring qualitatively  
14 different endpoints. So, I mean, it's to a drug  
15 company's advantage to measure both in that if either  
16 is positive, presumably it would be a reason for  
17 approval.

18 CHAIRPERSON DUTCHER: Dr. Margolin?

19 DR. MARGOLIN: I think it's really  
20 essential that we build in very well designed and  
21 taking advantage of people who have made careers out  
22 of this, to build that into these trials and to do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       them right and to have them be interpretable and to  
2       get out of this habit that we have every ODAC meeting  
3       of looking at the quality of life data and dismissing  
4       it as being inadequate.

5                   That needs to change, and then we're going  
6       to get a lot of important information about whether  
7       quality of life issues do or don't correlate with some  
8       of these other things we're trying to use as  
9       surrogates.

10                   MS. BEAMAN: One comment here. I really  
11       don't know that it should be listed as an alternative.  
12       If it's listed as an alternative at the end of a long  
13       form, it's not going to be done. It's not going to be  
14       done.

15                   DR. SANTANA: In kind of response to that,  
16       Kim, I think there's two ways of addressing that. One  
17       is as a committee we discipline ourselves to request  
18       that data and to critically review it and just not  
19       dismiss it when it's not there or when it's  
20       unquestionable, or in parallel to that where we come  
21       down making it a major requirement for approval of  
22       these kinds of questions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MARGOLIN: Certainly. I mean, as  
2 members of the committee, we get what we're given at  
3 the end of the trial and the analysis, and obviously  
4 the sponsors need to take advantage of what's now  
5 becoming a very growing field of high quality research  
6 in this area, to do it right from the very start.

7 CHAIRPERSON DUTCHER: Dr. Simon.

8 DR. SIMON: Just as a point of  
9 information, I had requested of the FDA to have a  
10 meeting of this committee or those who were interested  
11 to try to discuss quality of life analyses, to try to  
12 -- because we have been unhappy with many of them, so  
13 that we could review the problems we see and so that  
14 they could develop recommendations for sponsors, so to  
15 make our job easier and maybe the sponsor's job more  
16 successful, but I don't know what the status of that  
17 is. I requested that about five months ago.

18 DR. SANTANA: I would second that comment.

19 CHAIRPERSON DUTCHER: Ms. Zook-Fischler.

20 MS. ZOOK-FISCHLER: Regarding that  
21 particular statement, I think that's wonderful, and I  
22 think it's really important to have the input of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 advocates and patients on that.

2           The other thing, in terms of the  
3 particular question, I would like to see that  
4 qualification that quality of life be considered, and  
5 I think it's good that it's on the table, and it  
6 shouldn't get relegated to the back burner again.

7           CHAIRPERSON DUTCHER: Dr. Temple.

8           DR. TEMPLE: I'm occasionally allowed to  
9 go to quality of life meetings --

10           (Laughter.)

11           DR. TEMPLE: -- even though I'm not in the  
12 business, and it's an extremely formidable problem.  
13 For starters, quality of life by the people who  
14 defined it initially has three elements, one physical,  
15 one social, and one psychiatric, and it's very hard,  
16 and there are very few examples of where treatments  
17 have affected the last two, perhaps because it takes  
18 longer to reintegrate into the community or something  
19 like that, whatever the reasons.

20           So in trying to figure out what  
21 improvement you'd like to see, you really have to  
22 specify those things very well and pay attention to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it.

2 Part of the problem is what Dr. Sledge  
3 pointed out. You're not likely to get physical  
4 improvement as a result of treatment if you're not  
5 physically impaired. So you can't really expect a  
6 great deal unless the people are already impaired.

7 So there's huge problems. We take the  
8 point that how to do it better and how to do it is of  
9 great interest, and we have an internal working group  
10 that Julie Beitz is part of, but it's a really hard  
11 problem, and you realize that as soon as you go to  
12 meetings among the people who are absolutely in the  
13 business. It's a very hard area.

14 CHAIRPERSON DUTCHER: But the negative use  
15 of that type of data could be that if you have a new  
16 agent combined with standard therapy and the quality  
17 of life is even worse than the standard therapy, even  
18 though the outcome may be better, then that's really  
19 going to be a point for discussion.

20 DR. TEMPLE: Well, again, the question is  
21 whether you get a better answer by doing quality of  
22 life survey than you do by looking at the accumulation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of horrible symptoms. That's the constant debate here  
2 that goes on.

3 And we've urged people to look for and add  
4 tumor related symptoms as the sort of thing an anti-  
5 tumor agent might actually do with very little success  
6 in getting anybody to do it or getting any success on  
7 it, for what that's worth.

8 DR. BEITZ: Yeah, I think what we've heard  
9 is the difficulties in assessing quality of life in  
10 the short term in patients who are refractory and  
11 progressing, and what might actually be more pertinent  
12 to what some of the patient advocates are speaking  
13 about is quality of life in survivors or patients who  
14 are out from treatment but may have long term side  
15 effects from the treatments they did receive, and  
16 perhaps that's something that needs to be focused on.

17 But it doesn't necessarily help you with  
18 a specific drug approval.

19 DR. SLEDGE: Here though we're talking  
20 about first line metastatic breast cancer, right?

21 DR. BEITZ: Yes.

22 DR. SLEDGE: I mean, the truth of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 matter is that many patients with front line  
2 metastatic breast cancer are relatively asymptomatic.  
3 So while I think it's certainly imminently important  
4 and reasonable to actively encourage drug companies to  
5 do good quality quality of life studies, the simple  
6 truth is that for the studies that we currently do,  
7 most of the time those studies are not going to show  
8 a difference in quality of life because they are  
9 biased a priori against quality of life studies.

10 DR. BEITZ: I completely agree with you.

11 DR. SLEDGE: So mandating that for all of  
12 these studies, frankly, is not going to help us a  
13 great deal.

14 DR. MARGOLIN: But that's just talking  
15 about the quality of life for patients with respect to  
16 their cancer symptoms. The other half of this at  
17 least is the difference between, you know, the new or  
18 the investigational treatment versus the comparator,  
19 and is it worse. If it's worse, is it worth being  
20 worse for if there's a benefit in whatever endpoint,  
21 other endpoint we decide is important?

22 DR. SLEDGE: The problem is we have to my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 knowledge exactly one study which is the one I did  
2 that's actually looked at that question for a new  
3 drug, and it didn't show any difference between the  
4 three arms in quality of life. I mean none  
5 whatsoever.

6 I mean, believe me. I'm a strong believer  
7 in quality of life studies. Personally I think we  
8 should do them on all of our randomized trials, but I  
9 guess the question gets back here. If quality of life  
10 survey shows no difference but we see a difference in  
11 overall survival, do we really believe that we're not  
12 going to approve a drug?

13 DR. NERENSTONE: I think it's just the  
14 opposite, that if we see a significant detriment in  
15 quality of life that carries out through the eight  
16 months of median survival with the new drug, even  
17 though time to progression is delayed a month or two,  
18 is that drug really worthwhile approving to add to our  
19 armamentarium?

20 And even if we do approve it, shouldn't  
21 that be something that has to be publicized for the  
22 physicians who are going to use it and to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients?

2 So I think this is in response to patient  
3 advocates who are saying we understand that these  
4 drugs may improve survival by two months, but at what  
5 cost over standard or other treatment? Yes, it may be  
6 worth it to some, but we don't have that information  
7 in any kind of way that we can really inform our  
8 patients when we're making these decisions.

9 DR. SLEDGE: I think our differences here  
10 are small, but you know, if you say there's going to  
11 be a significant detriment due to the drug, presumably  
12 it's going to be due to toxicity, and in truth, we are  
13 reasonably good at picking those up.

14 So I don't think there's a major  
15 disagreement here. I mean, my guess is that if  
16 there's a significant detriment in quality of life,  
17 it's going to be due to the fact that patients had  
18 horrible Grade 4 mucositis or something like that. I  
19 suspect we will pick that up.

20 CHAIRPERSON DUTCHER: Dr. Justice.

21 DR. JUSTICE: In response to Dr. Simon's  
22 comment, I'd just like to confirm the committee is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 willing to add another sixth meeting this year.

2 (Laughter.)

3 DR. SIMON: Well, actually when I proposed  
4 it was when there was going to be a closed session  
5 morning meeting in conjunction with another meeting,  
6 and so that was if it could be scheduled in that kind  
7 of a context, I think it would be best.

8 DR. SCHILSKY: And if I could just  
9 reaffirm, I guess, my own believe of the importance of  
10 having broad representation from the patient community  
11 at that meeting, one of my concerns is that we all  
12 have a different view of what quality of life means,  
13 and I'm not even sure that we all utilize the term the  
14 same way. In fact, I suspect we all utilize it  
15 differently.

16 And I suspect that the people who do  
17 quality of life for a living utilize the term very  
18 differently from the way patients utilize it.

19 So I think if we have such a meeting we  
20 have to involve the patients because if the goal is to  
21 have a good quality of life for our patients, we need  
22 to fully understand what they mean by quality of life.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Okay. Back to  
2 Question 8. Do we all agree with Dr. Simon's "of  
3 course"? Do we need to vote that it should be powered  
4 for survival evaluation at a later date?

5 PARTICIPANTS: Yes.

6 CHAIRPERSON DUTCHER: And then we added  
7 onto that issues related to quality of life, and you  
8 heard the comments. So that that needs to be added  
9 into that subsequent evaluation.

10 Question 9, do you want us to do that?  
11 Yes.

12 Recently the FDA received a proposal to  
13 include patients for initial treatment of metastatic  
14 breast cancer and patients for second line treatment  
15 of metastatic breast cancer in the same randomized  
16 controlled trial combining the two groups for analysis  
17 to obtain marketing approval for initial treatment of  
18 metastatic breast cancer.

19 Okay. So one study, one randomized trial,  
20 but patients for initial treatment or second line  
21 treatment, but the marketing approval would be for  
22 first line treatment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Are initial treatment and second line  
2 treatment of metastatic breast cancer sufficiently  
3 similar that they can be considered in a single  
4 indication?

5           DR. SLEDGE:     Actually, can I ask a  
6 question here? Is this a case where we're talking  
7 about sequential versus combination therapy or is this  
8 a case where we're actually talking about truly  
9 different drugs, different regimens?

10          DR. JOHNSON:   Truly different regimens.

11          DR. SLEDGE:    So we're not talking about  
12 adria to taxol, taxol to adria versus A plus T?

13          DR. JOHNSON:   No, just standard two  
14 regimens. And we had one request to do this, and now  
15 we have a second pharmaceutical company that wants to  
16 do this. We really need to get an answer to this.

17          DR. KROOK:     Both in breast, John?

18          DR. JOHNSON:   Yes.

19          DR. MARGOLIN:  I guess I'll take a little  
20 stab. I think this has to be somewhat study by study  
21 and case by case, and I think it's pretty clear what  
22 is being referred to here is what we're going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discuss this afternoon, I guess, right? I don't know  
2 that we could vote in a general way to always say yes  
3 or no to this question.

4 CHAIRPERSON DUTCHER: Dr. Swain?

5 DR. SWAIN: I think that the patients  
6 getting treatment second line are going to have a  
7 worse time to progression, a worse survival. If  
8 anything it will put the results in the opposite  
9 direction. So I have no problem with this at all if  
10 the investigators want to do this. I don't see any  
11 problem. It's going to dilute your positive effect.

12 DR. WILLIAMS: Jan, if I could ask a  
13 clarifying question, there may be first line therapies  
14 that are thought to have some special value that you  
15 wouldn't want to lose, and that wouldn't necessarily  
16 translate to the second line setting.

17 So are you worried that some value that  
18 might be added in first line setting might be diluted  
19 by including second line patients? For instance, if  
20 you thought that was a doxorubicin advantage in first  
21 line therapy, would you miss it by including patients  
22 in second line therapy?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           For instance, if you're comparing the new  
2 drug 2-doxorubicin in first line therapy and you were  
3 going to, let's say, show equivalence, would you be  
4 concerned that if you included it in your control arm,  
5 both first and second line patients, that equivalence  
6 comparison would not -- could not be considered valid  
7 because the first line patients might be the only ones  
8 showing the advantage?

9           CHAIRPERSON DUTCHER: Dr. Simon?

10           DR. SIMON: Oh, I think I agree with Dr.  
11 Margolin. We couldn't make any general conclusions,  
12 but I think in general it's -- I mean, I think in some  
13 cases at least it's going to be problematic because,  
14 for one thing, you may have the events dominated by  
15 the second line patients since their time to  
16 progression and survival will be shorter, and so  
17 you'll have the problem of knowing whether the  
18 conclusions really apply to the first line patients or  
19 not.

20           And certainly in an equivalence type of  
21 trial, it'll be very complicated because interpreting  
22 the trial will depend upon how effective the active

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 control is, and that may be very different for second  
2 line as for first line, if you had any data from  
3 either of them.

4 So I think you also have the issue of  
5 measuring the size of the benefit relative to the  
6 toxicity of the therapy, and that difference will be  
7 different probably for the second line versus the  
8 first line.

9 So I think it's going to raise all kinds  
10 of complications in terms of interpreting the trial.

11 DR. SWAIN: Rich, what if you powered the  
12 study so that you would have enough first line  
13 patients in there to get an evaluation, if you had a  
14 substrata, you know, you stratified for first or  
15 second line? Then you should have enough to really  
16 look at the results.

17 DR. SIMON: I think things are clearer if  
18 you just view them as two separate studies and you  
19 size them both to get answers.

20 CHAIRPERSON DUTCHER: Comments? Dr.  
21 Margolin.

22 DR. MARGOLIN: Well, I would agree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 strongly that if you prestratify -- there's two  
2 purposes of stratification. One is just the balance  
3 between the factors, and then you don't look back.  
4 You don't think of it as two separate groups for  
5 analysis.

6 But the other would be sufficiently  
7 stratified patients to do two separate studies, and  
8 then you might as well just do two separate studies.

9 DR. SIMON: Interim monitoring will be a  
10 problem if it's one study. You may get the study  
11 stopped when you don't have the answer for the other  
12 strata.

13 CHAIRPERSON DUTCHER: Dr. Temple.

14 DR. TEMPLE: That wouldn't be the first  
15 case where one part of a study was stopped and the  
16 rest was allowed to continue. I mean, you could do it  
17 if you wanted to.

18 What's the difference between a study with  
19 two strata where you just happen to use the same  
20 facility but really are treating them as completely  
21 independent, separate conclusions? Is that  
22 troublesome compared to -- I mean, I guess I can't see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what the difference is if they're definitely  
2 completely separate for analysis purposes.

3 DR. SIMON: It just makes it clear that  
4 they are when they're two different protocols. It  
5 raises an ambiguity when they're not.

6 CHAIRPERSON DUTCHER: All right. We do  
7 this not infrequently in Phase 2 leukemia studies, for  
8 example, where you have multiple subgroups that have  
9 different prognostic factors just to see what the  
10 effect of the drug is.

11 Enough?

12 All right. Thank you all very much.  
13 We're going to try to start on time at two o'clock.  
14 We'll be back this afternoon to talk about epirubicin.

15 (Whereupon, at 1:08 p.m., the meeting was  
16 recessed for lunch, to reconvene at 2:00 p.m., the  
17 same day.)

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:04 p.m.)

3 DR. TEMPLETON-SOMERS: Hello. We'd like  
4 to start with a few announcements from Dr. Justice and  
5 Dr. Temple over there, who may not quite be ready.  
6 You're on.

7 DR. JUSTICE: Sorry. It's with some  
8 regret that we are losing or at least four of our  
9 members are going off the committee, and we'd like to  
10 recognize their dedication and service that they  
11 provided on numerous occasions at numerous meetings,  
12 and we have both a letter from Dr. Henney, which I'll  
13 read.

14 It says, "I would like to express my  
15 deepest appreciation for your efforts and guidance  
16 during your term as a member of the Oncologic Drugs  
17 Advisory Committee. The success of this committee's  
18 work reinforces our conviction that responsible  
19 regulation of consumer products depends greatly on the  
20 participation and advice of the non-governmental  
21 health community.

22 "In recognition of your distinguished

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 service to the Food and Drug Administration, I am  
2 pleased to present to you the enclosed certificate."

3 And I think what I'll do is just  
4 acknowledge the members who are retiring, and then  
5 I'll walk around and give you your certificates.

6 The first one is for Dr. Robert Ozols, and  
7 we thank you very much for your four years of service.

8 The next is for Jim Krook, who has  
9 probably attended more telecons. with us than anybody  
10 else, and for that we're grateful, and can't thank you  
11 enough for that.

12 DR. KROOK: Thank you.

13 DR. JUSTICE: And the next one is for Ms.  
14 Carolyn Beaman, whose done an outstanding job as our  
15 consumer nominated representative, and we appreciate  
16 your help.

17 And finally, or last but not least is for  
18 Dr. Janice Dutcher, who has been our chair for what,  
19 the last three years and I think has done an  
20 outstanding job, and we're very grateful, and Dr.  
21 Temple will have another gift after I give these out.

22 (Laughter.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. TEMPLE: Thank you very much.

2 (Applause.)

3 DR. TEMPLE: What I have is a special  
4 award. It's the first time it's been given, as far as  
5 I know, and it comes from the Office of Special Health  
6 Issue, OSHI, which is our office that deals with  
7 consumers and patients and has been heavily  
8 responsible for helping get people to talk at meetings  
9 and for getting participants, patient participants, at  
10 these meetings.

11 Anyway, this is an award to Dr. Dutcher  
12 again. So you can do more than just leave it turns  
13 out.

14 (Laughter.)

15 DR. TEMPLE: And the plaque says, "In  
16 recognition of your thoughtful and consistent support  
17 of FDA initiatives to incorporate the views of cancer  
18 patients and cancer patient advocates into the  
19 deliberations of the Food and Drug Administration's  
20 Oncologic Drug Advisory Committee."

21 And I think everyone notices your  
22 receptivity and positive attitude toward these things,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and so that's what this award is for. It's the first,  
2 as far as I know the only, and we are very pleased.

3 I should add that you've been a terrific  
4 chair also.

5 CHAIRPERSON DUTCHER: Thank you very much.  
6 Thank you.

7 (Applause.)

8 CHAIRPERSON DUTCHER: Okay. We have to  
9 read a few more comments about conflict of interest.

10 DR. TEMPLETON-SOMERS: The following  
11 announcement addresses the issue of conflict of  
12 interest with regard to this meeting and is made a  
13 part of the record to preclude even the appearance of  
14 such at this meeting.

15 Based on the submitted agenda and  
16 information provided by the participants, the agency  
17 has determined that all reported interests in firms  
18 regulated by the Center for Drug Evaluation and  
19 Research present no potential for a conflict of  
20 interest at this meeting with the following  
21 exceptions.

22 In accordance with 18 USC 208(b), full

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       waivers have been granted to Drs. Kim Margolin, Victor  
2       Santana, Stacy Nerenstone, Robert Ozols, David  
3       Johnson, and Ms. Sandra Zook-Fischler.

4               Copies of these waiver statements may be  
5       obtained by submitting a written request to the FDA's  
6       Freedom of Information Office located in Room 12A30 of  
7       the Parklawn Building.

8               In addition, we would like to disclose for  
9       the record that Drs. Richard Schilsky and Robert Ozols  
10      have interests which do not constitute financial  
11      interest within the meaning of 18 USC 208(a), but  
12      which could create the appearance of a conflict.

13              The agency has determined notwithstanding  
14      these interests that the interests of the government  
15      in their participation outweighs the concern that the  
16      integrity of the agency's programs and operations may  
17      be questioned.

18              In the event that the discussions involve  
19      any other products or firms not already on the agenda  
20      for which an FDA participant has a financial interest,  
21      the participants are aware of the need to exclude  
22      themselves from such involvement, and their exclusion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will be noted for the record.

2 With respect to all other participants, we  
3 ask in the interest of fairness that they address any  
4 current or previous financial involvement with any  
5 firm whose products they may wish to comment upon.

6 Thank you.

7 CHAIRPERSON DUTCHER: All right. I think  
8 with that we will -- oh, we have open public hearing.  
9 Are we doing that before?

10 Okay. We have two people who have asked  
11 to speak, and we have copies of their addresses. The  
12 first is Karin Noss of Y-Me.

13 And just to remind you, please identify  
14 yourself, your organization, and any financial  
15 assistance in coming to the meeting.

16 MS. NOSS: As Dr. Dutcher said, I'm Karin  
17 Noss from Y-Me.

18 I'd like to thank you for allowing us to  
19 submit this statement to the committee.

20 Y-Me National Breast Cancer Organization  
21 is a nonprofit patient organization whose mission is  
22 to decrease the impact of breast cancer, create and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 increase breast cancer awareness, and insure through  
2 information empowerment and peer support no one faces  
3 breast cancer alone.

4 We are here today to support the approval  
5 of the drug Ellence, epirubicin hydrochloride  
6 injection, which was developed by Pharmacia and  
7 Upjohn. In general, Y-Me believes that women and men  
8 diagnosed with breast cancer should have access to as  
9 many treatment options as possible.

10 Doctors and patients should have choices.  
11 We believe the approval of epirubicin will help  
12 provide those choices.

13 Clinical trials with epirubicin and  
14 anthracycline antibiotic, in combination with other  
15 chemotherapy drugs, have shown it to be effective in  
16 the adjuvant setting with early stage node positive  
17 women and women with metastatic disease.

18 The common side effects are similar to  
19 those of other members of the anthracycline family of  
20 drugs: transient nausea, vomiting, low white blood  
21 cell counts, and temporary hair loss.

22 The rarer but serious side effects of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cardiotoxicity to leukemia are also present.

2 We have been asked why we support the  
3 approval of a drug that is similar to a drug  
4 doxorubicin hydrochloride that is already available.  
5 There are many cases of drugs belonging to the same  
6 class being approved. The assumption is that the  
7 patients may respond differently to drugs of the same  
8 class.

9 There may also be price differences that  
10 could benefit patients. So we return to our basic  
11 belief that patients and their doctors should have as  
12 many treatment choices open to them as possible.

13 We urge you to approve epirubicin as a  
14 drug to be used in the treatment of breast cancer.

15 Thank you.

16 CHAIRPERSON DUTCHER: Thank you.

17 The next speaker is Nancy Davenport-Ennis,  
18 Patient Advocate Foundation. Is this someone  
19 different, same organization?

20 MS. BORWHAT: Yes. Yes, I'm Margaret  
21 Borwhat with the Patient Advocate Foundation speaking  
22 on behalf of Nancy Davenport-Ennis and members of our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 executive board for the Patient Advocate Foundation.

2 We did not receive any compensation to be  
3 here today. We have received a small educational  
4 grant from Pharmacia and Upjohn in 1998.

5 To the honorable members of the advisory  
6 board, thank you for the opportunity to express our  
7 support of the approval of epirubicin hydrochloride  
8 for injection for use as a component of adjuvant  
9 therapy in patients with evidence of axillary node  
10 tumor involvement following resection or primary  
11 breast cancer, Stage II and III.

12 Our support is based on our review of  
13 published data reported from European clinical trials  
14 and on the fact that this drug has now completed  
15 trials in the United States with positive results. It  
16 is our position that both the safety and efficacy of  
17 the drug has been established through its long term  
18 use in European markets, including 80 countries  
19 throughout the world.

20 Additionally, it is our position that  
21 epirubicin delivers fewer side effects, such as  
22 nausea, vomiting, stomatitis, bone marrow toxicity,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and congestive heart failure.

2 In reviewing data from Spanish, Italian,  
3 German, New Zealand, and French studies published  
4 throughout the late '80s and early '90s, there were  
5 fundamental positions affirmed in each of these  
6 studies, including the following.

7 The drug may be administered alone or in  
8 combination with other agents both to patients with  
9 early breast cancer and those with metastatic disease.  
10 Epirubicin is an analog of doxorubicin with a similar  
11 activity, but less toxicity.

12 Quote, patients with metastatic breast  
13 cancer are incurable. Remissions with long survival  
14 can be adduced by chemotherapy in 50 to 80 percent,  
15 with ten to 20 percent complete remissions. However,  
16 recurrence is unavoidable. Therefore, the strategy of  
17 therapy in breast cancer must include two aspects:  
18 first, prolongation of overall survival by multiple  
19 remissions with regimes that are not cross-resistance  
20 and, secondly, conservation of quality of life by  
21 minimization of therapy condition side effects.

22 Epirubicin exhibits the same high

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 activity, but lower side effects compared with the  
2 parent compound, end of quote, from epirubicin results  
3 in breast cancer, Oncologic, 1986, August 9th.

4 Remission rates include 33 percent and 313  
5 patients studied in a German study of 1986. Forty-  
6 two, point, eight percent remission with a median  
7 duration of 6.3 months in the Italian study reported  
8 in Tumori, 1993, February '82. Sixty-one percent  
9 overall response rate with ten complete and seven  
10 partial responses with 63 percent of the patients  
11 having no side effects as reported in Tumori, 1992,  
12 October 31st.

13 The incidence of clinical congestive heart  
14 failure, approximately 20 percent observed in the  
15 Milan and Copenhagen trial, suggest a potential  
16 limitation on the long term administration of this  
17 combination.

18 Quote, limiting the cumulative dose of  
19 doxorubicin, adding cardioprotectors and substitution  
20 less cardiotoxics and anthracyclines, that is,  
21 epirubicin, represent investigational efforts to  
22 minimize cardiac toxicity, end of quote, as suggested

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in M.D. Anderson's study of 1997, reported in "Optimal  
2 Dosing of Paclitaxel and Doxorubicin in Metastatic  
3 Breast Cancer."

4 The position of the Patient Advocate  
5 Foundation is that precautions must be initiated to  
6 insure patient safety as it relates to the potential  
7 cardiac side effects from prolonged exposure to  
8 epirubicin.

9 The widespread successful use of this  
10 product in European markets suggests that the  
11 availability of this product to American patients  
12 insure that patients may have access to successful  
13 European protocols that include epirubicin, while  
14 reducing toxicity and its inherent side effects.

15 In surveying each of our scientific board  
16 members, the comments repeatedly urged the  
17 availability of epirubicin in America, citing reduced  
18 toxicity, less than doxorubicin, and therefore,  
19 reduced side effects while insuring enhanced remission  
20 rates both in those patients receiving single agents,  
21 epirubicin, and combination therapies with epirubicin.

22 We strongly urge FDA approval of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       epirubicin, as yet an additional agent to be used in  
2       the war on breast cancer.

3                     Thank you.

4                     CHAIRPERSON DUTCHER: Thank you very much.

5                     We will now proceed with the sponsor's  
6       presentation. Dr. Miller.

7                     DR. MILLER: Thank you. Thank you.

8                     Good afternoon. My name is Langdon  
9       Miller, and I'm here representing oncology drug  
10      development at Pharmacia and Upjohn.

11                    We would like to share with you today  
12      important efficacy and safety information regarding  
13      the use of epirubicin for the therapy of breast  
14      cancer. The data we will describe are presented in  
15      support of obtaining FDA approval of epirubicin as a  
16      component of adjuvant therapy for early breast cancer  
17      and as an option for the treatment of metastatic  
18      disease.

19                    Within the presentation today, we would  
20      like to provide you with background information  
21      regarding the regulatory history and worldwide use of  
22      epirubicin, as well as to describe the pharmacology of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the drug.

2           Thereafter, we will provide the results of  
3 clinical trials of epirubicin based adjuvant therapy  
4 in patients with early breast cancer.

5           We also plan to summarize data from  
6 clinical trials of epirubicin used in patients with  
7 advanced disease.

8           Finally, after providing overall  
9 conclusions, we would be pleased to address any  
10 questions that you may have. Personnel from Pharmacia  
11 and Upjohn, as well as investigators who conducted the  
12 trials, are here to assist in responding to your  
13 queries.

14           Now, epirubicin is currently registered in  
15 over 80 countries worldwide, having gained its first  
16 approval in France in 1982 for the therapy of breast  
17 cancer, and having been registered in most countries  
18 since 1984. Approvals were based on use of single  
19 agent starting doses of 60 to 90 milligrams per meter  
20 squared or on starting doses of 50 to 75 milligrams  
21 per meter squared when epirubicin was given as a  
22 component of combination chemotherapy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           A U.S. NDA was submitted in 1984  
2 requesting approval of epirubicin at these same  
3 starting doses as therapy for advanced breast cancer.  
4 The NDA was not approved due to the small sample sizes  
5 and limited survival documentation that were available  
6 at that time.

7           For business reasons, the decision was  
8 made not to pursue the NDA further.

9           This situation has substantially changed  
10 since the U.S. NDA in 1984. Epirubicin has been  
11 extensively studied. The focus of these trials has  
12 often been on its application in breast cancer, but  
13 epirubicin clearly has a broad spectrum of activity in  
14 other tumor types.

15           As a consequence, epirubicin has been the  
16 subject of over 2,000 publications and has been given  
17 to literally millions of patients worldwide. Based on  
18 drug use estimates, hundreds of thousands of patients  
19 currently receive epirubicin treatment each year.

20           This means that the short and long term  
21 efficacy and safety of epirubicin have now been  
22 thoroughly characterized through controlled clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 trials and Pharmacia's and Upjohn's surveillance  
2 programs involving large numbers of patients over very  
3 protracted periods of time.

4 Epirubicin hydrochloride is a synthetic  
5 derivative of donorubicin, the prototypic  
6 anthracycline. As shown in the accompanying  
7 structural diagram, it is different from doxorubicin  
8 because it has reorientation of a hydroxyl group in  
9 the four prime position of the daunosamine ring.

10 Although the precise mechanism of action  
11 of the anthracyclines is not fully known, it appears  
12 that epirubicin may have several cytotoxic effects,  
13 including DNA intercalation, topoisomerase II  
14 inhibition, helicase inhibition, and also free radical  
15 formation.

16 The activity of the drug appears to be  
17 almost exclusively due to epirubicin itself.  
18 Epirubicin metabolites are relatively noncytotoxic.

19 Now, epirubicin is a unique anthracycline,  
20 and its structure has definite pharmacologic  
21 implications. Epirubicin has a lower PKA and is more  
22 lipophilic so that it can penetrate cells more readily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 than doxorubicin.

2 In addition, the equatorial orientation of  
3 the hydroxyl group allows the glucuronidation of  
4 epirubicin and may be responsible for the higher  
5 clearance and the faster terminal elimination of  
6 epirubicin than that of doxorubicin, as is shown in  
7 the graph to the left on this slide.

8 Epirubicin's pharmacologic profile allows  
9 escalation of the starting doses. This has made it  
10 possible to redefine the maximum tolerated dose,  
11 resulting in starting doses of epirubicin that were  
12 higher than those originally approved outside of the  
13 United States. Starting doses can be safely escalated  
14 up to 180 milligrams per meter squared.

15 Literature reviews of tumor response rates  
16 have suggested that higher epirubicin starting doses  
17 are associated with higher objective response rates in  
18 patients with advanced breast cancer.

19 Based on these types of analyses,  
20 Pharmacia and Upjohn began a clinical development  
21 program that was aimed at increasing the epirubicin  
22 starting doses in patients with selected neoplasms,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 including breast cancer.

2           Seven major Phase III, well controlled  
3 clinical trials that resulted from this developmental  
4 program formed the basis for epirubicin approval as  
5 both adjuvant therapy and as therapy for advanced  
6 breast cancer. In node positive early breast cancer,  
7 three trials have been conducted. These studies  
8 demonstrate that epirubicin based CEF produces  
9 significantly longer relapse free survival and overall  
10 survival than a standard regimen of CMF; that  
11 epirubicin demonstrates a dose response effect; and  
12 that it prolongs the disease free interval when added  
13 to tamoxifen therapy in postmenopausal patients.

14           In advanced breast cancer, four studies  
15 that closely parallel the design of the adjuvant  
16 trials document that dose escalated epirubicin based  
17 therapy improves response rate and the duration of  
18 tumor control in patients with locally advanced or  
19 metastatic breast cancer.

20           Now, you might well wonder why these  
21 seven studies were selected as the basis for approval,  
22 given the large number of clinical trials that have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701