

4417 '99 AUG -9 P2:48

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
ARTHRITIS ADVISORY COMMITTEE

*This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.*

Wednesday,  
July 21, 1999

The Ballrooms  
Holiday Inn Gaithersburg  
2 Montgomery Village Avenue  
Gaithersburg, Maryland

## IN ATTENDANCE:

STEVEN B. ABRAMSON, M.D., Chairman  
Chairman of Rheumatology and Medicine  
Hospital for Joint Diseases  
301 East 17th Street  
New York, New York 10003

KATHLEEN REEDY, Executive Secretary  
Advisors and Consultants Staff (HFD-21)  
Center for Drug Evaluation and Research  
Food and Drug Administration  
5600 Fishers Lane  
Rockville, Maryland 20857

KENNETH D. BRANDT, M.D.  
Professor of Medicine and Rheumatology  
Indiana University School of Medicine  
Rheumatology Division  
541 Clinical Drive, Room 492  
Indianapolis, Indiana 46202-5103

JANET D. ELASHOFF, Ph.D.  
Director, Division of Biostatistics  
Cedars-Sinai Medical Center  
8700 Beverly Boulevard  
Los Angeles, California 90048

E. NIGEL HARRIS, M.D.  
Dean, Department of Internal Medicine  
Office of the Dean  
Morehouse School of Medicine  
720 Westview Drive S.W.  
Atlanta, Georgia 30310-1495

DANIEL J. LOVELL, M.D., M.P.H.  
Associate Director  
Division of Pediatric Rheumatology  
Department of Pediatrics  
Children's Hospital Medical Center  
Pavilion Building, Room 1-29  
3333 Burnet Avenue  
Cincinnati, Ohio 45229-3039

## IN ATTENDANCE:

LEONA M. MALONE, M.S.W., Consumer Representative  
8D Lexington Lane East  
Palm Beach Gardens, Florida 33418

LARRY W. MORELAND, M.D.  
Associate Professor of Medicine  
Vice Chairman for Clinical Research, Department of Medicine  
Director, Arthritis Clinical Intervention Program  
University of Alabama at Birmingham  
1717 Sixth Avenue South, Room 068  
Spain Rehabilitation Center  
Birmingham, Alabama 35294

FRANK PUCINO, JR., Pharm.D.  
Clinical Care Specialist  
Pharmacy Department  
National Institutes of Health  
Building 10, Room 1N-257  
9000 Rockville Pike  
Bethesda, Maryland 20892

YVONNE S. SHERRER, M.D.  
Assistant Professor of Medicine  
Medical Director, Center for Rheumatology,  
Immunology, and Arthritis  
University of Miami School of Medicine  
5333 North Dixie Highway, Suite 110  
Fort Lauderdale, Florida 33334

DAVID E. YOCUM, M.D.  
Professor of Medicine  
Division of Rheumatology  
Department of Medicine  
University of Arizona  
UMC Building, Room 6409  
1501 North Campbell Avenue  
Tucson, Arizona 85724

## IN ATTENDANCE:

Guest Experts

PAUL DIEPPE  
Director, MRC Health Services Research Collaboration  
University of Bristol  
Canyng Hall  
Whiteladies Road  
Bristol, BS8 2PR, U.K.

MAXIME DOUGADOS, M.D.  
Institut de Rhumatologie  
Hardy B, Hopital Cochin  
27, rue du Faubourg Saint-Jacques  
75014 PARIS

MARC C. HOCHBERG, M.D., M.P.H.  
University of Maryland School of Medicine  
10 South Pine Street, Rm: MSTF 834  
Baltimore, Maryland 21201

SGE Consultant

JENNIFER ANDERSON, Ph.D.  
Boston University School of Medicine  
CHQOER VA Medical Center (152)  
200 Springs Road  
Bedford, Massachusetts 01730

C O N T E N T S

PAGE

DISCUSSION ON THE EVIDENCE NEEDED TO ESTABLISH  
THAT A DRUG PRODUCT HAS A BENEFICIAL EFFECT ON  
JOINT STRUCTURE IN PATIENTS WITH OSTEOARTHRITIS

Call to Order and Introductions

Steven B. Abramson, M.D.  
Chairman

7

Meeting Statement

Kathleen Reedy  
Executive Secretary

10

Welcome

Karen Midthun, M.D.  
Acting Director  
Division of Anti-Inflammatory, Analgesic,  
and Ophthalmic Drugs

11

Introduction: Trial Endpoints and Surrogates

James P. Witter, M.D., Ph.D.  
Medical Officer, DAAO

11

A Design Model

Kenneth D. Brandt, M.D.

26

Additions and Suggestions for Discussion  
from the Audience

38

Comments on MRI

Philipp Lang, M.D.  
Department of Radiology  
Stanford University School of Medicine

42

C O N T E N T S

PAGE

## Transition and Preamble

Kent R. Johnson, M.D.  
 Medical Officer, DAAO

57

## Discussion on Design

Endpoints

63

## Open Public Hearing

Charles G. Peterfy, M.D., Ph.D.  
 Chief Scientific Officer  
 Synarc, Inc.

115

Philipp Lang, M.D.

130

## Discussion on Design

Should Designs Address Other Measures  
 Up Front with Face Validity?

192

Duration

200

## Discussion on Analysis

Multiplicity

215

Missing Information

227

## Discussion on Assembling the Evidence

Distribution of Evidence from Various  
 OA Sites: Knee, Hip, Hands, Spine

235



1 Council's Health Services Research Collaboration in the  
2 U.K.

3 DR. ANDERSON: Jennifer Anderson. I'm a  
4 statistician in Boston University, and I'm not working  
5 currently in arthritis, but did for a dozen years.

6 DR. HOCHBERG: Marc Hochberg. I'm a  
7 rheumatologist and head of the Division of Rheumatology at  
8 the University of Maryland School of Medicine in Baltimore.  
9 Also trained as an epidemiologist and have a secondary  
10 appointment in the Department of Epidemiology and  
11 Preventive Medicine.

12 My research is in epidemiology of  
13 osteoarthritis as well as in clinical trials, and I co-  
14 chaired with Roy Altman the effort by the Osteoarthritis  
15 Research Society to develop guidelines for the conduct of  
16 clinical trials in osteoarthritis.

17 DR. YOCUM: Dave Yocum, University of Arizona.  
18 I'm a rheumatologist and part of the Arthritis Advisory  
19 Committee.

20 DR. BRANDT: Ken Brandt, Indiana University.  
21 I'm a rheumatologist, a member of the committee, and have  
22 broad interests in osteoarthritis, basic clinical and  
23 health services research.

24 DR. SHERRER: Hi. I'm Yvonne Sherrer from Fort  
25 Lauderdale, Florida. I'm a rheumatologist, and I'm on the



1 advisory committee.

2 DR. HARRIS: I'm Nigel Harris. I'm a  
3 rheumatologist and member of the Arthritis Advisory  
4 Committee. I'm currently Dean of Morehouse School of  
5 Medicine.

6 DR. MORELAND: I'm Larry Moreland, a  
7 rheumatologist at the University of Alabama at Birmingham  
8 and involved with clinical research in musculoskeletal  
9 diseases.

10 MS. MALONE: Leona Malone, the consumer  
11 representative.

12 DR. LOVELL: Dan Lovell, pediatric  
13 rheumatologist, University of Cincinnati.

14 DR. ELASHOFF: Janet Elashoff, biostatistics,  
15 Cedar-Sinai and UCLA.

16 DR. WITTER: Jim Witter, Medical Officer.

17 DR. JOHNSON: Kent Johnson, Medical Officer.

18 DR. HYDE: John Hyde, Acting Deputy, Division  
19 of Anti-Inflammatory, Analgesic, and Ophthalmic Drug  
20 Products.

21 DR. MIDTHUN: Karen Midthun, Acting Division  
22 Director, Division of Anti-Inflammatory, Analgesic, and  
23 Ophthalmic Drug Products.

24 DR. ABRAMSON: Thank you.

25 I'd like now to introduce Kathleen Reedy,

1 Executive Secretary of the committee, to read the meeting  
2 statement.

3 MS. REEDY: The following announcement  
4 addresses the conflict of interest with regard to this  
5 meeting and is made a part of the record to preclude even  
6 the appearance of such at this meeting.

7 In accordance with 18 United States Code 208,  
8 General Matters, limited waivers have been granted to all  
9 committee participants who have interest in companies or  
10 organizations which could be affected by the committee's  
11 discussion of the evidence needed to establish that a drug  
12 product has a beneficial effect on joint osteoarthritis.

13 A copy of these waiver statements may be  
14 obtained by submitting a written request to the agency's  
15 Freedom of Information Office, Room 12A-30, Parklawn  
16 Building.

17 In the event that the discussions involve any  
18 other products or firms not already on the agenda, for  
19 which an FDA participant has a financial interest, the  
20 participants are aware of the need to exclude themselves  
21 from such involvement, and their exclusion will be noted  
22 for the record.

23 With respect to all other participants, we ask  
24 in the interest of fairness that they address any current  
25 or previous financial involvements with any firm whose

1 product they may wish to comment upon.

2 DR. ABRAMSON: Thank you.

3 I'd like to introduce Dr. Midthun to address  
4 the audience.

5 DR. MIDTHUN: Good morning, and welcome to  
6 today's meeting of the Arthritis Advisory Committee.

7 FDA is currently in the process of drafting a  
8 guidance document that addresses clinical development  
9 programs for drugs, biological products and devices,  
10 intended for treatment of osteoarthritis, and thus we  
11 especially look forward to today's input and discussion  
12 regarding study endpoints, including structural endpoints,  
13 and other important issues that might be considered in the  
14 clinical conduct of osteoarthritis trials.

15 Thank you, and I would like to now introduce  
16 Dr. James Witter, Medical Officer, the Division of Anti-  
17 Inflammatory, Analgesic, and Ophthalmic Drug Products, to  
18 lead off the discussion.

19 DR. WITTER: Good morning. There's going to be  
20 a little change today. I was scheduled for about a 10-  
21 minute presentation. I've changed it a little bit with the  
22 blessings of everyone involved, I think, that my talk will  
23 be more in line with something called "Safety Endpoints and  
24 Surrogates," and it might take a little bit longer, but I  
25 hope we have enough time today to discuss everything

1 adequately

2 This talk is really intended to raise issues  
3 and not kind of solve things. I'm just trying to create  
4 some context here that we might discuss things later. So  
5 the title of this is "Structure Modification: Is it Worth  
6 the Risk?"

7 Next slide.

8 Can we in fact all agree that osteoarthritis is  
9 really the following? It's the most common form of  
10 arthritis. It is painful. The pain ranges from  
11 intermittent to disabling that in fact requires surgery,  
12 and that there is currently no therapy that alters this  
13 natural history. This is in spite of claims in the popular  
14 press for certain compounds that would allude to that.

15 Next slide.

16 The concept as the joint as an organ has  
17 evolved, which I think is a very useful concept. In  
18 particular, the joint has several components to it, as most  
19 of us well know, including the cartilage, the menisci,  
20 tendons, the bone and the periosteum, the synovial fluid in  
21 the membrane and muscles.

22 One thing that strikes me as very interesting  
23 is looking at particular the idea that the cartilage is  
24 neural which certainly would seem to speak to the fact that  
25 whatever is going on in the cartilage would not be

1 reflected by any measure of pain, and so if there's a  
2 disassociation between pain and what happens in the  
3 cartilage, it might not be surprising.

4 Next slide.

5 Now, this is actually the second meeting to  
6 discuss the guidance document and the contents thereof, and  
7 I'd like to just use a few seconds here to set a context.

8 We do have in fact an existing or extant  
9 guidance document. It was written in 1988, and it really  
10 describes treatments that were consisting of primarily  
11 drugs and devices, but no biologics. These were either  
12 DESI'd in or by NDA review, and in that 1988 guidance  
13 document, the labeling reads "is indicated for acute and  
14 long-term use in management of signs and symptoms of  
15 osteoarthritis," and in that document, there were no  
16 primary endpoints that were really laid out, which I think  
17 is really different in substantial ways than the document  
18 that you have today.

19 Next slide.

20 I'd just like to take a few minutes and  
21 describe the process, some of you are familiar with this,  
22 some of you are not, as to how these documents actually  
23 kind of evolved. It is certainly an interesting process,  
24 and I kind of liken it to, in my little picture here,  
25 trying to illustrate that there are many different thought

1 processes, which hopefully we'll get some more today, and  
2 somehow this goes through a process which then comes out  
3 with a guidance document.

4 But to kind of just lay it out, we have certain  
5 therapies that go to, let's say, certain aspects of the  
6 disease in OA, that go to either us in Drugs, to our  
7 colleagues in Biologics, and I'm happy to see that Dr.  
8 Schwieterman is here, and Devices, and we then meet on a  
9 regular basis as a rheumatology working group. We try and  
10 develop from this aggregate experience a consensus and come  
11 up with a consistent approach and then try and explain this  
12 and put this down in a document which you have before you  
13 today.

14 Now, this is an iterative process internally,  
15 and part of today's intention, I think, is that it's also  
16 iterative with the outside as well. We're looking for  
17 input.

18 Next.

19 Now, in the document is discussion, kind of the  
20 connection between pain and structure, and we all have been  
21 wrestling with that, and some of the thought processes that  
22 have gone into that discussion, at least internally, is the  
23 question as to whether for a treatment that will regard  
24 structural damage, must it also improve pain, and in fact,  
25 is structure a superior reflector or predictor of important

1 outcomes compared to traditional symptom measures, and  
2 these are some of the issues that we wrestle with.

3 Next.

4 In terms of structural changes in OA, the  
5 question has been kicked around as to whether does joint-  
6 space narrowing currently an accepted marker for hip or  
7 knee OA really adequately reflect what structure means?  
8 That kind of alludes back to the idea of the joint as an  
9 organ, and maybe we're not looking at the right kind of  
10 structural target.

11 What is then the change in the joint-space  
12 narrowing of the hip or knee that is clinically relevant,  
13 which I think is a very important topic that we'll  
14 hopefully get a handle on today. What does that mean to  
15 everyone or what should it mean?

16 And the hope that ongoing research in MRI and  
17 cartilage markers, bone density, and arthroscopy, for  
18 example, there's certainly a big hope that this will  
19 facilitate future development.

20 Next slide.

21 We can't talk about these endpoints really  
22 without kind of bringing up the concept of surrogates. I'd  
23 like to just discuss that for a second. Generally, it's  
24 agreed that total joint replacement represents a failure of  
25 this organ then called the joint. The current "surrogates"

1 in OA include things like biochemical and molecular  
2 markers, MRI, and does that in fact really include x-ray  
3 and joint-space narrowing, and it's really unclear how  
4 structural damage correlates with pain and disability, but  
5 I think it's fair to say that all are necessary for total  
6 joint replacement. Normal joints do not get replaced, for  
7 example. It's only when there's sufficient structural  
8 damage and sufficient pain and disability that the joint is  
9 eventually replaced.

10 Next.

11 So what is a surrogate endpoint from the  
12 perspective of the agency? It's a laboratory measurement  
13 or physical sign used as a substitute for clinically-  
14 meaningful endpoint that measures directly how a patient  
15 feels, functions or survives.

16 The treatment effect on the surrogate should  
17 reflect treatment effect on clinical outcome, and should be  
18 in fact prognostic for the clinical outcome.

19 Next slide.

20 Now, how are surrogates used in aggregate in  
21 the agency, for example, and also this would be on the  
22 outside. During Phase I/Phase II, for example, to help us  
23 identify promising new agents. During Phase II, to help  
24 prioritize those promising agents for further study.  
25 During Phase III, to help assess efficacy, and during Phase



1 III/IV, to help compare active and effective treatments.

2 Next slide.

3 But there are some problems with surrogates,  
4 some that are well known to some of us. One of the  
5 problems is that surrogates do not always account for  
6 adverse effect, which may cancel out part or all of the  
7 apparent treatment benefit, and I think probably the best  
8 example is the cardiac arrhythmia suppression trial or the  
9 acronym CAST published in the New England Journal in 1989,  
10 which is in essence the realization that post-MI  
11 arrhythmias treated with what were then very effective  
12 anti-arrhythmics were associated with worse survival. In  
13 fact, they were going the wrong way.

14 Next slide.

15 There are other problems. Sometimes surrogates  
16 do not always account for beneficial effects which may  
17 occur via a pathway that does not include the surrogate,  
18 and an example here, for example, is use of Interferon in  
19 chronic granulomatous disease published in the New England  
20 Journal in '91.

21 Here, the trial demonstrated that there was in  
22 fact a major reduction in serious infection, but it was  
23 without effect on the proposed surrogates which were  
24 superoxide production and bacterial killing.

25 Next slide.

1           So it raises the general question as to, to  
2 what extent can a surrogate which is validated for one  
3 product be considered reliable for another product? For  
4 example, there may be different causal pathways for  
5 efficacy, and there may be different toxic effects.

6           Next slide.

7           The way that this has been handled is by  
8 something called accelerated approval which I've given you  
9 the citation here, if you care to look it up, if you don't  
10 already know it, but in essence, it reads, "The FDA may  
11 grant marketing approval for a new drug product on the  
12 basis of adequate and well-controlled clinical trials  
13 establishing that the drug product has an effect on the  
14 surrogate endpoint that is reasonably likely based on  
15 epidemiologic, therapeutic, pathophysiologic or other  
16 evidence to predict clinical benefit or on the basis of an  
17 effect on a clinical endpoint other than survival or  
18 irreversible mortality."

19          Next.

20          Now, the accelerated approval was first  
21 proposed in 1991. It was finalized in 1992. It is  
22 intended to be limited to serious and life-threatening  
23 diseases. It is supposed to be for therapies that have the  
24 potential for advantage over existing therapeutic options.

25          There's a requirement in fact that the studies

1 to evaluate the clinical effect of treatment be ongoing,  
2 and that drugs ultimately -- and when I say drugs, I mean  
3 biologics and devices; drugs is just a handy term for us  
4 here -- that drugs ultimately found to have no clinical  
5 effect could be withdrawn.

6 Next slide.

7 So with that said, I'd like to switch a little  
8 bit to just some considerations of safety because the issue  
9 of surrogacy and the issue of accelerated approval and kind  
10 of trying to get the concept out of clinical benefit,  
11 certainly we get into what are some of the safety issues  
12 involved, and I thought I'd use something that might serve  
13 as a useful example here.

14 Some of the pluses and minuses may not exactly  
15 correlate here. I'm just trying to get out concepts. This  
16 should not be construed as anything in terms of our part  
17 that we're saying one thing or another about anything that  
18 I'm showing up here. It's just to kind of get out some of  
19 the concepts.

20 So for example, as we might think about a very  
21 rigorous endpoint of death, and looking at two agents,  
22 NSAIDs and COX-2 agents, we certainly are all aware that  
23 NSAIDs are associated with deaths. If you believe the  
24 ARAMUS database, for example, there's in excess of 16,000  
25 deaths conservatively with NSAIDs, and there are, as we

1 also know, some deaths associated with liver problems.

2 Now, in terms of the COX-2 agents, the hope is  
3 certainly that this will be improved. So we've kind of  
4 gone from, let's say, three to two or one, however that may  
5 ultimately pan out, but hopefully they'll turn out to be  
6 safer, and in fact, we still may have the same number of  
7 liver deaths, but as you kind of total this up, overall,  
8 the pattern, the safety pattern in terms of looking at this  
9 endpoint for COX-2 agents may in fact be better than for  
10 NSAIDs.

11 Next slide.

12 But what happens if something happens in the  
13 safety profile that was really not expected? How does that  
14 change our thinking about the relative safety? So for  
15 example, here, I'm just looking at the same endpoints, but  
16 I've added in cardiovascular events, and let's just say  
17 that there are none that are associated with NSAIDs, but  
18 there are some associated with COX-2 agents for whatever  
19 reason, and although they may be small, they certainly are  
20 something that is worrisome.

21 How does that then factor into this equation?  
22 Does this change it substantially or does it change it not  
23 much at all? You know, that's something that maybe we  
24 could discuss today, but it's the basic concept.

25 Next.

1           So taking this and then looking at the safety  
2 profile of some of the therapies that are currently  
3 employed in OA, it might then be useful for us to look at  
4 how the overall safety's evaluated, looking, for example,  
5 at adverse events, serious adverse events, and deaths, and  
6 I think it's safe to say that for NSAIDs, we are certainly  
7 all aware that all three of these problems exist with  
8 NSAIDs.

9           With APAP or Tylenol, it's not as well  
10 recognized, but it certainly is the case that there are  
11 adverse events, serious adverse events, and deaths  
12 associated with the use of Tylenol. It's not an innocuous  
13 compound.

14           I've put up here the visco supplements as they  
15 currently exist, and I was hoping that Sahar would be here  
16 today, but let's just for the sake of argument, let's  
17 assume that no therapy is without an adverse event. I do  
18 believe that there are some serious adverse events  
19 associated with the disease. I do not think at present  
20 there are any documented deaths associated with this  
21 therapy. So let's just say for the sake of discussion, it  
22 kind of comes out like this.

23           Comparing that then against other therapies for  
24 OA in terms of end-stage disease, looking at here total  
25 knee replacement, you could put in there total hip

1 replacement, basically the concept of surgery, and again I  
2 think we're all aware that there can be adverse events,  
3 some of which can be serious, and certainly there are  
4 deaths associated with this as a treatment modality. So  
5 it's not an innocuous therapeutic option.

6 And then comparing against weight loss, which  
7 is certainly one way that's been recommended to improve  
8 symptoms, and I would venture to say that some people would  
9 argue that hunger pains are adverse events. So we get  
10 something there.

11 In terms of serious adverse events or deaths,  
12 let's just say for the sake of this discussion that there's  
13 nothing to be concerned about.

14 Next.

15 Now, how would this safety profile compare then  
16 putting in just for the sake of today's discussion MMPs,  
17 and we won't define that as to what they are, examples of  
18 it, where they are. It's just the idea that there is  
19 something called MMPs that is going after structure  
20 modification in OA, and how might that stack up?

21 Well, we'll use the same rule that there are  
22 always adverse events with any therapy, and at the present  
23 time, it's unknown whether there are any serious adverse  
24 events or deaths associated with this modality, but it may  
25 be that, for example, they may look more like the visco

1 supplements than they'll look like NSAIDs. So that kind of  
2 factors into our equation.

3 Next.

4 Well, what then could we maybe hope to get out  
5 of a structure modification-type compound? In this  
6 cartoon, what I've tried to do is maybe hopefully depict  
7 something here that is useful. Using the dotted line as  
8 our endpoint, be it pain, overall pain, pain on a day,  
9 whatever kind of pain you're interested in, and here joint  
10 replacement, and that for any particular individual kind of  
11 varies up and down, and here would represent the particular  
12 trajectory that a person has in terms of their time to when  
13 they develop pain or when they would develop replacement,  
14 and that with each individual would go up and down and  
15 vary. The slope varies without therapy.

16 With therapy, I think the hope is with  
17 something that would slow or arrest joint damage is that  
18 this would shift, and the time to developing serious pain  
19 or coming to a joint replacement would in fact be shifted  
20 to the right. If that were true, then what we might be  
21 doing is changing the overall safety profile because we're  
22 getting rid of some of these potential confounding  
23 therapies.

24 Next slide.

25 So what might we get? In terms of the efficacy

1 then, without structural therapies, as we currently have it  
2 today, I think it's safe to say that we certainly modify  
3 symptoms, but we don't modify structure, and we're not  
4 aware that we're modifying any functional outcomes.

5           What might the efficacy with structural  
6 therapies look like in the future? It's safe to say then  
7 again that we're modifying symptoms. One would hope that  
8 we certainly would be able to say that we've modified  
9 structure because that was the endpoint, and still in terms  
10 of functional outcomes, we have to leave it as a question  
11 mark.

12           Next slide.

13           And then what do we get for that then in terms  
14 of the overall safety profile for that, what is hopefully,  
15 added benefit of efficacy? Well, one could maybe argue  
16 that the present safety profile, we have the COX-2 agents  
17 or the NSAIDs and COX-2 agents contributing a certain  
18 amount of risk, and surgery contributing a certain amount  
19 of risk, and in the future, if it turns out to be true, for  
20 example, that COX-2 agents have a better safety profile --  
21 I've switched it around here, and you see I've changed the  
22 font. So maybe in fact the risk might be slightly lower  
23 with the MMP inhibitors or those kinds of therapies. There  
24 might be some risk but maybe not a lot.

25           What would we do, for example, if these new



1 modalities had some other benefit on clinical outcomes,  
2 reversing the question before of cardiovascular events and  
3 being potentially increased by COX-2 agents? What if in  
4 fact those kind of events were decreased by these kind of  
5 agents, how would that factor in, and then surgery would  
6 hopefully be playing a lesser role, so that the overall  
7 profile in the future with these types of compounds may  
8 look quite different.

9 Next slide.

10 And then just a general question and something  
11 maybe to think about in terms of an adverse event, of  
12 something like, for example, shoulder fibrosis. How should  
13 we be viewing that? Is that really a safety issue in the  
14 sense that we should be discontinuing that dose all  
15 together and not really study that dose anymore or should  
16 we really view that as an efficacy issue in the sense that  
17 we should just be lowering the daily dose or taking drug  
18 holidays and using intermittent therapies? How should  
19 something like that be viewed?

20 Next slide.

21 So in terms of today's discussion then, in  
22 terms of OA and clinical benefits with structure-modifying  
23 compounds, what do we mean when we say something is  
24 worsened? What do we mean when something is improved?  
25 What do we mean when something stays the same?

1 Next slide.

2 And so in terms of structure, OA structure,  
3 what should our motto be? No pain, no gain? In other  
4 words, if you don't have an impact on pain, you don't get  
5 it on the market or something like that or an ounce of  
6 prevention is, and you can fill in the rest.

7 Thank you.

8 DR. ABRAMSON: Thank you, Dr. Witter.

9 Are there questions for Jim?

10 (No response.)

11 DR. ABRAMSON: I'm just curious. In the  
12 experience with the accelerated approval process that you  
13 described, what kind of postmarketing surveillance has  
14 there been, and have you had occasion to actually reverse  
15 the decision where surrogate markers in fact turned out not  
16 to be validated?

17 DR. WITTER: I'm aware -- and Bill or Jeff, you  
18 can correct me -- that compounds have been removed from the  
19 market. The exact processes in terms of how that came  
20 about, I don't know all the details, but there have been  
21 compounds that have been removed.

22 DR. ABRAMSON: All right. Thank you.

23 We'll next ask Dr. Brandt to give a discussion  
24 on a design model.

25 DR. BRANDT: The title, I think, is perhaps a

1 little bit obtuse. I think I've been asked to speak for a  
2 couple of minutes because in essence, I'm a guy on the  
3 firing line who's in the midst, up to his elbows, you might  
4 say, in doing a clinical trial of a potential disease-  
5 modifying OA drug, and I want to comment in a couple of  
6 minutes rather specifically on what we're doing in that  
7 particular narrow context.

8           Could we have the first slide? Oh, it's there.

9           Just to sharpen the perspective, what we really  
10 want to do, what Jim has been talking about, is the  
11 development of a structure-modifying drug, something that  
12 can be administered to a patient.

13           I don't know if there's a pointer here or not.  
14 Yes, there is a pointer here. Thanks very much.

15           That can be administered to a patient, for  
16 example, with relatively mild structural changes with  
17 pretty fair preservation of joint-space width but not  
18 normal, subchondral sclerosis, and if we have the lights  
19 down, we'd see a definite osteophyte there, and the  
20 potential to do something pharmacologically or with a  
21 biologic agent that prevents or slows the progression to  
22 something like that over the next, you pick it, three  
23 years, five years or so, with the assumption that this is  
24 going to do something good symptomatically, and we have no  
25 clue whether it will do anything good symptomatically or

1 not.

2 This is a relatively new interest in both  
3 academia and in the industry, and there are reasons why  
4 we've been slow to leap on this. One is the presumption  
5 that the rate of progression of the disease is slow, and if  
6 we look at incidence figures or progression figures,  
7 they're something like this. Most people don't show much  
8 change very quickly, at least by the outcome measures that  
9 we currently apply, and the biomarkers, surrogate markers  
10 that Jim has touched on, in 1999 still leave a good deal to  
11 be desired.

12 One of the things that in my view has impacted  
13 on that and changed things to a considerable degree has  
14 been evidence from epidemiologic studies that there may be  
15 joints that are particularly at high risk for developing OA  
16 or progressing with the disease more rapidly than others,  
17 and the standardization of this outcome measure, knee  
18 radiography, and we'll talk more about that today.

19 But for example, from work by Tim Spector in  
20 the U.K., for example, a subset has been defined of women  
21 of a certain age who are obese in the upper tertile of the  
22 population for body mass index, who have radiographic  
23 changes on a plain x-ray in one knee but not in the other  
24 knee, and this is a high-risk population from the  
25 standpoint of OA because according to Tim Spector's data,

1 the risk of acquiring incident OA based on plain radiograph  
2 in that contralateral knee that was normal at the outset is  
3 50 percent within two years, and that's sufficiently high  
4 enough figure to make that of considerable interest for  
5 people who are trying to develop drugs. We might get an  
6 answer in our lifetime.

7 Standardization of knee radiography is the  
8 other important issue, and there are a number of people,  
9 including Maxime Dougados and others, who have given  
10 serious thought to this, for protocols for standardizing  
11 knee radiograph, and we've listed some of them here, and I  
12 would point out that all of these use fluoroscopy,  
13 fluoroscopic positioning, to achieve the radio-anatomic  
14 alignment of the beam with the medial tibial plateau, and  
15 that's a limitation.

16 It's logically difficult. It's an  
17 inconvenience. It works to a degree, but it's not so  
18 simple. So there are efforts underway to do this, to  
19 achieve the same thing essentially with non-fluoroscopic  
20 positioning methods, and those are things that are in  
21 progress, and we look at those developments there with  
22 considerable interest.

23 I'm sorry. Going the wrong way. The  
24 experience in our clinical trial is with the Buckland-  
25 Wright technique, using fluoroscopy, and these are the

1 criteria for satisfactory positioning. A magnification  
2 marker is placed over the head of the fibula, rotation of  
3 the knee is controlled so that the tibial spines are  
4 centered within the femoral notch, and flexion of the knee  
5 varies from from knee to knee, but is achieved so that the  
6 anterior and posterior lips of the medial tibial plateau  
7 are superimposed radiographically.

8 We've looked at the exportability of that  
9 technique in clinical centers and looked at five  
10 independent x-ray centers in Indianapolis and sent 42  
11 patients with knee osteoarthritis to be radiographed twice  
12 in one center and twice in another of those five centers,  
13 so that we have four images obtained within a week or two  
14 on 42 patients, and we looked at how satisfactory from a  
15 technical standpoint things were with -- when both images  
16 were satisfactory, the standard error of the mean for  
17 medial joint-space width was very good. When neither image  
18 was satisfactory, things were not nearly so good, and when  
19 the technicians did things right, and this was after a  
20 period of instruction and bringing them into practice and  
21 giving them a manual and sending some practice patients  
22 before we actually undertook the study, I can't tell you it  
23 ain't simple.

24 When they do it right, the technique is good.  
25 It performs as well as essentially as was described by the

1 author of the technique, Chris Buckland-Wright, but the  
2 problem is a human problem in getting technicians to do  
3 things right, even with those efforts.

4           Why do we care about that? Why is  
5 standardization important? Well, the precise numbers here  
6 aren't so important, but as the precision of measurement  
7 becomes better and better, we need fewer patients, fewer  
8 knees per group to determine a significant drug effect, or  
9 we could flip the numbers around and say with a finite  
10 number of patients, we can get a result in a shorter period  
11 of time, and both of those are advantageous to people who  
12 are trying to develop a drug.

13           Let me say now just a couple of things  
14 specifically about the clinical trial that we're doing, and  
15 this is a study of doxycycline, a placebo-controlled  
16 randomized trial involving six centers. The basis for this  
17 came out of an interest in our lab a few years ago in minor  
18 collagens of articular cartilage and particularly type 11  
19 collagen. About 1 percent of the collagens in articular  
20 cartilage, 1 alpha, 2 alpha, 3 alpha. It's a helical  
21 molecule. Here are the three chains, and in  
22 osteoarthritis, whether it's canine or human  
23 osteoarthritis, there is a fragmentation and lower  
24 molecular weight products of type 11 collagen, and the  
25 basic question that we asked was how does that happen?

1           Interstitial collagenase didn't degrade this  
2 molecule. Nothing known to man at the time we undertook  
3 those studies degraded this molecule, and that was the  
4 basic interest, and it turned out that this was degraded by  
5 a 72-kilodalton gelatinase which has now been well  
6 characterized as a typical matrix metal of proteinase, and  
7 I won't go into the chemistry, but those results of  
8 characterization of this as a metal proteinase led us to  
9 toss some doxycycline into the test tube as this gelatinase  
10 was degrading type 11nase, and we found that in vitro, we  
11 were able to inhibit very effectively that enzymatic  
12 activity with reasonable concentrations of doxycycline, and  
13 that led then to this in vivo study in an accelerated  
14 canine model of osteoarthritis of ours, and here we see  
15 without treatment, eight weeks after we cut the cruciate  
16 ligament in the knee of a dog which previously has  
17 undergone extensive interruption of sensory input from the  
18 ipsilateral hind limb, we have severe extensive  
19 osteoarthritis on the femoral condyles, and this tan  
20 material is the underlying subchondral bone with full  
21 thickness loss of cartilage occurring very, very rapidly in  
22 this model, and we were able to achieve that with three and  
23 a half milligrams per kilogram.

24           That has been confirmed in other labs. The  
25 effect can be seen when the drug is administered



1 therapeutically rather than prophylactically. Chemically-  
2 modified tetracyclines have had effect in other models, and  
3 this has led to the NIH-supported clinical trial that I've  
4 mentioned.

5 We're using specifically patients with the  
6 high-risk knee characteristics that I described. That  
7 limits the generalizability, but it was an expedient, we  
8 felt, and with an NIH budget rather than a plush industry  
9 budget, we felt rather constrained in that regard, and we  
10 recognized that this may indeed limit the interpretation  
11 with regard to generalizability.

12 Six clinical centers. Here are the number of  
13 subjects. We have randomization as well advanced there,  
14 about a hundred subjects yet to be recruited. I hope we're  
15 done with that phase by the end of this calendar year. The  
16 dosing on a mg per kg basis is equivalent to what we had in  
17 the dog, and this is sufficient to inhibit both collagenase  
18 and gelatinase, active and total enzymes in both cases, and  
19 extracts of OA cartilage from humans.

20 We've applied a faintness of heart test because  
21 compliance and subject retention are major concerns. With  
22 using the computerized medicine cap, all eligible  
23 candidates are given four weeks of placebo pills in the  
24 same dosing regimen and required to show up back to the  
25 clinic for two appointments and to maintain as defined by

1 the computerized medicine cap 80 percent therapeutic  
2 coverage during that period of time.

3 All other criteria having been met, if the  
4 patient fails this test, they are not randomized to drug,  
5 and I think that's helpful. 30 months, fairly substantial  
6 period of time, bi-monthly follow-up, primary outcome  
7 measures, both joint-space narrowing on digitized films  
8 with a computerized measurement of medial compartment, and  
9 progression of bony features, such as osteophytes in  
10 particular, secondary outcome measures, pain and function,  
11 and here's where we are to date.

12 We effectively started in May of '97. This is  
13 where we want to be by New Year's Day, not too bad. We'd  
14 like to see no yellow in here, but we're not too bad. As I  
15 say, there's about a hundred subjects yet to be recruited.  
16 It looks as though our dropout rate is a little lower than  
17 what we had anticipated. So we'll see.

18 This is baseline data of the two treatment  
19 groups blinded to us, but from the statistician, Group A  
20 and Group B, one is doxy, the other is placebo, matched at  
21 baseline with regard to everything actually. BMI, 80  
22 percent or so are white. Index knees, all requiring Grade  
23 2 or Grade 3 Kellgren and Lawrence, similar in the two  
24 treatment groups. Contralateral knee's essentially normal,  
25 Grade 0 or Grade 1, in the two groups.

1 Recruitment is a hassle. Patients derived from  
2 clinic populations tend not to have too often too much  
3 bilateral disease to be eligible for this study based on  
4 our criteria. So we go to the community, and potential  
5 subjects obtained from motor vehicle licenses and women's  
6 health initiative and so on.

7 One of the consequences of that is that pain is  
8 not as severe as you might expect out of a clinic  
9 population of patients with osteoarthritis, and here these  
10 are WOMAC scores for the index knee and the contralateral  
11 knee, and this is relatively low, and it's going to limit  
12 our ability, I think, perhaps in the long run over 30  
13 months to assess to what extent the active treatment has an  
14 effect on symptoms.

15 And discontinuation of study drug as the  
16 dropouts are lower than we had anticipated by about a  
17 third, and most patients are dropping out relatively early  
18 on in the study. There's pretty good retention once they  
19 get past the first six months, and this is something that  
20 -- and the reasons vary. It's moving, it's getting tired  
21 of the stringency of the protocol, adverse events, not a  
22 terrible problem, nothing serious at all to date that has  
23 been considered to be drug-related, some degree of monilia  
24 vaginitis, which has led to one discontinuation only, and  
25 some non-specific GI complaints, no serious problems, and

1 one or two dropouts for that particular reason.

2 So this is something, I think, that we want to  
3 work on with the nurse coordinators in particular to see if  
4 it is possible to maximize retention and keep the subjects  
5 invested in the study, but once we get past this, there are  
6 some things -- it still is early days, obviously, but  
7 things are going reasonably.

8 Those are the specifics. That's what we're  
9 doing, and I'll breathe a sigh of relief on New Year's Day  
10 if we hit our recruitment objective, but I think we should  
11 be close, and thereafter, I think we'll have some  
12 interesting data.

13 We will do an interim analysis next, I think,  
14 May on the first patients who have had 16-month follow-up  
15 x-rays.

16 Thank you.

17 DR. ABRAMSON: Kent?

18 DR. JOHNSON: What percent of the enrolled  
19 patients have an asymptomatic contralateral knee?

20 DR. BRANDT: Most.

21 DR. JOHNSON: Most of them?

22 DR. BRANDT: Yes. Even the symptomatic ones,  
23 you see those pain scores are really pretty low.

24 DR. JOHNSON: Did you x-ray the contralateral  
25 knee?

1 DR. BRANDT: Both knees are x-rayed, yes.

2 DR. JOHNSON: And what what percent of the  
3 enrollees have a normal x-ray in the contralateral knee?  
4 Most of them?

5 DR. BRANDT: 100 percent, either Grade 0 or 1,  
6 100 percent by definition.

7 DR. JOHNSON: What percent have zero grade,  
8 have a normal x-ray, do you think? Do you know?

9 DR. BRANDT: Well, I had that up there. I  
10 think it's 60 percent are Grade 0.

11 DR. JOHNSON: Okay.

12 DR. BRANDT: But to split hairs between a Grade  
13 0 and a Grade 1 Kellgren and Lawrence, a lot of that is in  
14 the eye of the beholder, and the reproducibility of that  
15 grading between 0 and 1 is something that I'm not at all  
16 confident in as we go back and look at the same films a  
17 week apart. This is pretty shaky stuff.

18 DR. ABRAMSON: Dr. Dieppe?

19 DR. DIEPPE: Thank you. I wanted to make one  
20 comment and ask one question. The comment is that I think  
21 the recruitment from the community versus recruitment from  
22 clinics may make a very big difference, and it's a crucial  
23 issue, I think, in this discussion because most of the data  
24 we have has come from populations recruited from clinics,  
25 and that may not be generalizable to the community

1 population. So that's the comment.

2 The question, which is not unrelated, is about  
3 concomitant therapy, and what percentage of patients were  
4 taking what sort of therapy prior to the start of the  
5 trial, and what are you doing about other therapies through  
6 your 30-months duration, because that's a big problem in  
7 studies of this sort.

8 DR. BRANDT: It sure is. We've permitted  
9 concomitant therapy. The only thing that we excluded was  
10 high-dose aspirin, anti-inflammatory dose aspirin. I don't  
11 remember what the cut-off was, but -- and indomethacin.  
12 Everything else we're tracking. It's permitted. We've  
13 added glucosamine questions once this became an issue after  
14 this study began, but we felt that we would have a disaster  
15 on our hands if we attempted to eliminate concomitant  
16 therapy or mess with it in any appreciable way. Without a  
17 study of that duration, I don't think that it's feasible.

18 DR. ABRAMSON: Other questions from the  
19 committee?

20 (No response.)

21 DR. ABRAMSON: Okay. Thank you, Kent.

22 At this point, before we go on, we'd like to  
23 open up to the audience, if there are members with  
24 expertise, either from academia or industry, that would  
25 like the committee during the day to consider questions

1 other than those that are posed in the protocol that you  
2 have before you. This could be an opportunity, if anyone  
3 would like to add anything to today's agenda.

4 DR. ALTMAN: This is Roy Altman from Miami. In  
5 the draft document, there's no comments on arthroscopy, and  
6 I thought that that should be discussed.

7 DR. ABRAMSON: Any other suggestions?

8 DR. SCHWIETERMAN: Dr. Abramson, I'd just like  
9 to answer the question that you had raised at the end of  
10 Dr. Witter's talk about the number of products that have  
11 been withdrawn by the agency after receiving accelerated  
12 approval.

13 To my knowledge, there have been none across  
14 the agency, and I'm reasonably certain about that.  
15 Certainly there have been none in the Center for Biologics.  
16 There's been at least three and possibly four at CBER,  
17 but --

18 DR. ABRAMSON: I guess I was most interested in  
19 what standards were established with respect to mandating  
20 Phase IV studies to capture these kind of data after  
21 accelerated approval.

22 DR. SCHWIETERMAN: Well, the standards are -- I  
23 don't have the preamble of the reg memorized on this, but I  
24 have reviewed it when we went through these different  
25 approvals.

1           There certainly are commitments that a company  
2 must make at the time of approval, and even more  
3 specifically, there has to be at least -- this is CBER's  
4 policy anyway, and I think that it holds for CDER as well,  
5 since we've had discussions about that.

6           The outlines of a protocol and often more than  
7 that, the endpoints, the projected accrual rate, the kinds  
8 of analyses that are going to be performed and so forth.  
9 Very often we get serial draft protocols, and the final  
10 protocol isn't finalized until several months after the  
11 approval because there's a lot to discuss in terms of the  
12 fineries and the details of that. But certainly the gist  
13 of the protocol has to be in there.

14           As to the language of the regs and the preamble  
15 itself, speaking with lawyers, it's not entirely clear how  
16 the issues would play out were the agency to begin  
17 withdrawal procedures because there are some legal issues  
18 about how you demonstrate, for example, that a sponsor is  
19 active with due diligence to pursue a particular commitment  
20 and so forth.

21           So I don't necessarily want to suggest that the  
22 regs are weak, but I just want to say that they're  
23 untested. Dr. Luckenbach points out, rightly, that there's  
24 at least one case in the Center for Biologics where we  
25 worked out a contract with a sponsor to engage in this



1 particular study, and that frankly is the spirit behind  
2 every accelerated approval because it's recognized at the  
3 time of the approval that there are many questions, in fact  
4 very many important clinical questions, which would  
5 obviously exist for this particular field and would be  
6 absolutely essential that there be an understanding  
7 probably in writing more often than not.

8 DR. ABRAMSON: Thank you.

9 DR. DALEY: Yes. Mike Daley from Sanofi-  
10 Synthelabo. The question actually brings together Dr.  
11 Brandt's presentation, Dr. Witter's, and that is what is an  
12 acceptable safety profile for an endpoint that really  
13 doesn't have a clinical read-out in terms of pain, et  
14 cetera? The pain and the structure modification may be  
15 independent, and there certainly are many patients that  
16 present that way.

17 So therefore, at the end of the day, if  
18 doxycycline is very effective in terms of, say, structure  
19 modification but may take four or five years to get  
20 significant pain relief, during that four- or five-year  
21 period of time, what is an accepted safety profile?

22 Because I think the analogy to NSAIDs, COX-2,  
23 is inappropriate because those are on a day-to-day clinical  
24 encounter trying to address the symptomatic problems of  
25 pain and mobility and lifestyle, et cetera, whereas

1 structure modification is almost like a prevention-type of  
2 thing. Trust us, take this, the disease will probably get  
3 better three to four years down the line. So what are the  
4 acceptable safety parameters that you have to do? They  
5 obviously have to be different than something that a  
6 patient is by definition going to take on a daily basis,  
7 whereas this is a promise that might deliver something in  
8 the future.

9 DR. ABRAMSON: Right. Okay. Any other  
10 comments or questions from the committee?

11 (No response.)

12 DR. ABRAMSON: The next item is an addition to  
13 the agenda, and that is we'd like to call on Dr. Lang from  
14 the Department of Radiology at Stanford University to make  
15 some comments about MRI.

16 DR. LANG: Dr. Abramson, ladies and gentlemen,  
17 I would like to thank you for the opportunity to speak to  
18 you here today.

19 Before I begin, I would like to take the  
20 opportunity today to thank my co-workers at Stanford  
21 University and the Departments of Radiology, Electrical  
22 Engineering, and Mechanical Engineering, all of whom have  
23 greatly contributed to this work. This has really been a  
24 joint effort.

25 In terms of financial disclosure, the majority

1 of our funding is from the Whittaker Foundation and the  
2 National Institutes of Health. We do also have some  
3 industrial funding from Chiron Pharmaceuticals, Genetics  
4 Institute, and Genzyme Tissue Repair for arthritis-related  
5 studies.

6 What I would like to show you here in the next  
7 10 minutes is a summary of a longitudinal study in patients  
8 with early and intermediate stages of osteoarthritis using  
9 MRI. In the open public hearing, I would like to discuss  
10 very briefly some of the new MRI policy and new  
11 quantitative image analysis tools which lent themselves to  
12 be endpoints in clinical trials.

13 The challenge of our study, of our longitudinal  
14 MRI study, was to determine the prognostic significance of  
15 cartilage defects identified on the MRI, and for this  
16 purpose, we performed a retrospective review of MRIs in  
17 patients who had undergone repeat MRI imaging of the knee  
18 at Stanford University.

19 The time interval between the baseline and the  
20 follow-up knee MRI was by definition required to be more  
21 than 12 months. MRIs were obtained between 1993 and 1998.  
22 1993 is essentially when we had the most basic of  
23 cartilage-sensitive knee MRI pulse sequences available.

24 We had a total of 43 patients who qualified for  
25 study inclusion with a mean time interval of 1.8 years and

4417 '99 AUG -9 P2:48

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
ARTHRITIS ADVISORY COMMITTEE

*This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.*

Wednesday,  
July 21, 1999

The Ballrooms  
Holiday Inn Gaithersburg  
2 Montgomery Village Avenue  
Gaithersburg, Maryland

1 the range between 52 and 285 weeks. Our MRI imaging  
2 protocol is a standard knee MRI protocol with two  
3 cartilage-sensitive pulse sequences, a sagittal proton  
4 density-rated fast spinnaker sequence, and a T2-rated fast  
5 spinnaker sequence.

6 We read the MRI scans on a scale from 0 to 6, 0  
7 being normal cartilage, Grade 1, signal heterogeneity,  
8 punctate foci of high and low signal within the substance  
9 of the cartilage but an intact-appearing cartilage surface,  
10 Grade 2 surface fraying, less than 1 millimeter into the  
11 depth of the cartilage, Grade 3 fissuring, Grade 4 thinning  
12 less than 50 percent, Grade 5 thinning greater than 50  
13 percent, and Grade 6 full thickness cartilage loss.

14 This detailed reading, along also with analysis  
15 of osteophytes, of chondral sclerosis, of subchondral  
16 cysts, et cetera, which I'm not going to show here because  
17 in the interest of time, was performed in a very detailed  
18 fashion for multiple anatomic regions in the knee, such as  
19 in the medial femoral condyle, the anterior portion,  
20 central portion, posterior portion, and the same analysis  
21 in the lateral femoral condyle, medial tibial plateau,  
22 lateral tibial plateau, trochlea, and patella.

23 Let us take a look at the baseline data. With  
24 regard to the baseline data, we see that there's a lot of  
25 patients who have Grade 1 lesions, signal heterogeneity,

1 punctate foci of low and high signal intensity, with an  
2 intact cartilage surface, followed by fissures, and in fact  
3 less than 50 percent and greater than 50 percent cartilage  
4 loss.

5 On the follow-up data, mean time interval 1.8  
6 years, we see that there's a strong shift towards fissuring  
7 and greater than 50 percent cartilage thickness loss. I  
8 would also like to point out that we performed a  
9 subgrading, Type A and B, which you can see here, 1A, 1B.  
10 Type A is if the lesion was less than one square centimeter  
11 in terms of area. Type B was when the lesion was greater  
12 than one square centimeter in terms of its size.

13 These are some representative case examples of  
14 what we encountered. The patella -- actually, if we could  
15 have the lights a little down? Thank you.

16 The patella cartilage. The normal cartilage  
17 has intermediate signal intensity, joint fluid is bright,  
18 and you can see here punctate focus of high-signal  
19 intensity and low-signal intensity in the median region of  
20 the patella with the completely-intact cartilage surface.

21 What happens in this case 13 months later? 13  
22 months later, we see that this has evolved into a fissure,  
23 extending across the cartilage. Another example. Punctate  
24 foci of low-signal intensity and high-signal intensity here  
25 in the medial femoral condyle, the cartilage surface is

1 intact on MRI. This is the follow-up in this case, 17  
2 months later, and you can see here that this has evolved  
3 into full thickness cartilage loss at Grade 6A, less than  
4 one square centimeter in size.

5 Another example. Signal heterogeneity in the  
6 medial femoral condyle here on a coronal MRI image, and 1.5  
7 years later, note that the patient had an ACL graft. At  
8 the time of initial presentation, this patient had an ACL  
9 tear, and 1.5 years later, you see that this has evolved  
10 into full thickness cartilage loss with normal adjacent  
11 cartilage.

12 Another example. This is a patient who at the  
13 time of baseline scan had an area of less than 50 percent  
14 thinning in the posterior femoral condyle. Notice the  
15 normal thickness cartilage further anteriorally. The size  
16 was greater than one square centimeter, Grade 4B. On  
17 follow-up scan, the cartilage is completely absent. This  
18 has progressed into a Grade 6B full thickness cartilage  
19 loss.

20 So with regard to Grade 1, signal heterogeneity  
21 with an intact cartilage surface, we found that 25 percent  
22 of the lesions did in fact not progress. These may be  
23 stationary. 25 percent reverted back to normal. This is  
24 the most likely explanation for this, we think, is that the  
25 initial observation was an artifact, and I'll discuss this

1 in a second. Possibly this could also be a sign of in this  
2 very early stage of osteoarthritis offering a repair  
3 mechanism. We don't know the answer to this.

4 13 percent of the Grade 1 lesions increased in  
5 size within the same grade. That means from a 1A to 1B,  
6 they were larger in size, and 37 percent progressed to a  
7 higher grade. Ball park 50 percent of these early lesions  
8 progressed to a higher grade detected by MRI.

9 Now, were there any risk factors for more  
10 progressive, for more rapidly-progressive cartilage loss?  
11 Yes, there were. First of all, no specific grade lesion of  
12 0 to 6 had a predilection for more rapid progression.  
13 However, patients who had meniscal tears at baseline had  
14 significantly greater risk to progress to a higher grade of  
15 cartilage loss on the follow-up study. Similarly, ACL  
16 tears were borderline significant, even though the majority  
17 of these cases had in fact undergone ACL repair.

18 Now, were there any regional differences in  
19 terms of the rate of cartilage lost, and when we look at  
20 the anterior medial femorotibial compartment, no. Post-  
21 femorotibial compartment, no. Post-femorotibial  
22 compartment, no. However, the central portions of the  
23 medial femorotibial compartment were significantly  
24 different, which we think is a reflection of higher  
25 biomechanical stress in this portion of the medial



1 femorotibial compartment.

2           What was amazing was the anterior lateral  
3 femorotibial compartment was also significantly different.  
4 We didn't understand this finding. When we went back to  
5 the original data, we found it was significantly different  
6 because in fact it would not progress. Relative immunity  
7 which may also be a reflection of lower biomechanical load  
8 applied in this area.

9           What are the limitations of the studies? There  
10 are multiple limitations to this work, and I want to point  
11 out that this is really a retrospective study of first  
12 attempt at getting longitudinal MRI data in patients with  
13 OA. We have only limited clinical information available on  
14 these patients, and many of those, we don't know if they're  
15 symptomatic or asymptomatic. Again, the primary inclusion  
16 criteria was the MRI.

17           Second, only a small number of patients  
18 studied. This cannot compare to a study like what Dr.  
19 Brandt just presented a moment ago. We would like to  
20 perform this type of study with high-resolution MRI pulse  
21 sequences to characterize these lesions even better, and  
22 ultimately you would want to have histologic correlation in  
23 terms of what these lesions represent.

24           In conclusion, we feel, based on the results of  
25 this preliminary study, that MRI can detect progression of

1 cartilage loss within a short observation period, ranging  
2 between one and two years.

3 Signal heterogeneity of the articular cartilage  
4 with an intact cartilage surface is frequently observed on  
5 knee MRIs. Approximately 40 percent of these areas  
6 progress to a higher grade of cartilage pathology over one  
7 to two years.

8 Meniscal tears and ACL tears predispose to more  
9 rapid progression of cartilage loss, and very importantly  
10 in our opinion, cartilage lesions in the central portion of  
11 the medial femorotibial compartment show more rapid  
12 progression, which we think is a reflection of biomechanics  
13 and which has led to some new work which I will show later,  
14 trying to fuse MRI with biomechanics.

15 I would like to thank you for your attention.

16 DR. ABRAMSON: Thank you very much.

17 Dr. Brandt?

18 DR. BRANDT: Those are very nice pictures, and  
19 you certainly make a point. But I think one of the next-  
20 to-last slides where you discuss limitations is terribly  
21 important. It has to do really with the specificity of  
22 these lesions in older people.

23 Most osteoarthritis is asymptomatic.  
24 Osteoarthritis in older people from a pathologic standpoint  
25 is ubiquitous, and most older people with joints like that

1 don't have trouble. They don't seek medical attention for  
2 their problem. They don't need doctors.

3 So the question is this, that those were, I  
4 guess, patients that you looked at. They may have been  
5 symptomatic or asymptomatic at the time you studied them as  
6 you point out, Dr. Lang, because they were selected on the  
7 basis of MRI, but they got into the system presumably  
8 because they had knee pain at some point.

9 DR. LANG: Yes.

10 DR. BRANDT: They're older individuals for the  
11 most part because they have osteoarthritis, I guess. The  
12 question is, what do these mean in terms of clinically  
13 important osteoarthritis? Are we likely to find the same  
14 sorts of abnormalities in absolutely asymptomatic people of  
15 a similar age?

16 DR. LANG: I agree 100 percent with your  
17 comments, and I really appreciate them. I can tell you,  
18 based on clinical experience, reading MRIs in average  
19 patients, we do several thousand knee MRIs per year at our  
20 institution, you will not see these abnormalities that I  
21 described here in a "normal knee" or a knee that just has  
22 an acute meniscal tear or any type of pathology like that.  
23 You will not see that.

24 However, clearly with regard to the study, we  
25 do have the major bias because you would hypothesize if the

1 patient has a baseline MRI and comes back for follow-up  
2 MRI, very likely the subject was symptomatic at the time of  
3 follow-up. Otherwise, he or she wouldn't have had the  
4 follow-up MRI. So clearly, that is a bias.

5 I think the power of this study and the  
6 philosophy, the amazing result is that with this study that  
7 had so few patients, we weren't able in fact to detect an  
8 effect, and, second, our patients were by no means  
9 stratified at all, but nonetheless we were able to detect  
10 an effect.

11 So I think that once we can do an NIH-funded  
12 study, follow patients longitudinally with these  
13 techniques, we may even be able to see much stronger  
14 effects, and one of the things that really intrigues us is  
15 in fact we would like to cut down this observation period  
16 and look at patients at the six-month follow-up with a  
17 well-defined study population and clearly-defined and  
18 stratified patient groups.

19 DR. ABRAMSON: Dr. Dieppe?

20 DR. DIEPPE: Thank you. Just to say, to begin  
21 with, that we have rather similar findings to you in terms  
22 of the distribution foci and also in relation to the  
23 importance of meniscal lesions seen on MRI and that  
24 ordinarily all the people we see who get progressive  
25 changes on x-ray studies, where we've got MRI done as well,

1 they've got meniscal lesions.

2           The question I have for you is sort of to make  
3 another point, I think, really, which is to say that I  
4 presume that in those cases where you show very pretty  
5 pictures of focal lesions, where there can be complete  
6 cartilage loss just in one focal section, with the  
7 articular cartilage either side, is normal, that there will  
8 be no change in those cases on the x-ray joint space.

9           DR. LANG: Again, I have to point out that in  
10 this study, we have limited clinical and x-ray information  
11 available from this perspective. I have to put a big  
12 caveat in front of my answer.

13           I can tell you that we have seen several cases  
14 in the study that have these focal areas, in fact a full  
15 thickness cartilage loss, but over an area of maybe one or  
16 two square centimeter, where in fact the x-ray was  
17 negative. We would not detect those and done with the  
18 semi-plex, with the proper technique, but nonetheless we  
19 really need to get more data for this to confirm this, and  
20 a big caveat in front of the statement.

21           DR. ABRAMSON: Dr. Dougados?

22           DR. DOUGADOS: Yes. I would like to comment  
23 that it is possible with MRI to observe changes in a short  
24 period of time, but I would like to ask a question.

25           First of all, the machine. We're to use a

1 conventional one which is quite money-consuming, and  
2 another one, which is dedicated for the lower limbs, which  
3 might be more useful in practice and to conduct clinical  
4 studies.

5 The second one is the regimen. Are you using a  
6 IV injection of gadolinium, yes, or no, because it's much  
7 more complicated if you have to inject something with an IV  
8 injection. It's more expensive.

9 The third thing is the way you analyze because  
10 you have three main characteristics. If you focus on the  
11 calculated effect, the organization, the dense and the  
12 size. That is the reason why the French use the  
13 arthroscopy for composite index, range from zero to 100,  
14 taking into account the inflammation. Otherwise, I have  
15 not understood when you have a patient which is with a  
16 defect of Grade 1A, switching to 2B, and in the same knee  
17 for the same patient, a defect of 2B, going down to 1A.

18 Is it a progression or is it not a progression?  
19 That is the reason why we have need for composite index of  
20 this kind of thing, and I remind you that there was a  
21 discussion of several groups of people dealing with MRI at  
22 the previous ACR meeting in San Diego to discuss the best  
23 way to analyze this defect, and, finally, evaluation is  
24 also important because we have compared the sensitivity to  
25 change using the standardized response mean over a one-year

1 period of time, comparing x-ray, arthroscopy and MRI, and  
2 we do agree that we found that the joint fragility -- a  
3 good sensitivity to change using MRI, but in other words,  
4 in your study, I have not understood what was the -- was it  
5 a different period of time by patient? Some patient have  
6 only one year and other patient have half because  
7 sensitivity to change is closely related to the duration  
8 between the two visits.

9 DR. LANG: In terms of your first question, all  
10 of these imaging studies were performed at 1.5 tesla, which  
11 is the standard whole-body MRI imaging systems. I think  
12 for later, these are -- a lot of these, I would say, are  
13 early to intermediate stage OA.

14 For later stage OA, I think the dedicated  
15 extremity scanners can be quite attractive. Professor  
16 Alashya in Paris has done a tremendous amount of work on  
17 this and really pioneered along with groups in the United  
18 States. But again everything that I have shown here is  
19 based on the 1.5 tesla scanner.

20 With regard to your question about gadolinium,  
21 no, these scans were not performed with gadolinium. That  
22 was the technique initially described by Deb Burstein as a  
23 means of getting at proteoglycan or glycosaminoglycan  
24 content in the articular cartilage.

25 We are currently performing a Phase II clinical

1 trial using this, among other techniques, where we in fact  
2 tried to get quantitatively changes in glycosaminoglycan  
3 concentration.

4 The third question, I'm well aware of the  
5 French grading. I think that's a great staging system. I  
6 read the papers, and in many ways, the grading that we  
7 perform here is quite similar. The difference is that we  
8 do not derive a total score at the end. We look at the  
9 individual.

10 You saw the per-rating individual in multiple  
11 different regions of interest, anterior, central and  
12 posterior, et cetera, and what we did is in each region, we  
13 would score each lesion individually. If we saw two  
14 lesions within the same region, we would go by the lesion  
15 that had the higher score, that had the higher grading. We  
16 would look at what happens to this longitudinally over  
17 time.

18 And again I have to point out this is  
19 sensitivity to change. This is a retrospective study. As  
20 such, we have no control in terms of the time of follow-up.  
21 We have an NIH proposal pending at this point, and  
22 hopefully we'll get it, trying to study patients at well-  
23 defined time intervals, longitudinally, over time.

24 DR. ABRAMSON: Thank you, Dr. Lang.

25 I think to move the discussion along, I'd like



1 to ask the committee members to make comments, if they have  
2 them, but let's not pose any further questions to Dr. Lang  
3 about his presentation.

4 So Dr. Elashoff, do you have a comment?

5 DR. ELASHOFF: Well, it was a question, but it  
6 has to do with the issue of what kind of blinding. Do you  
7 know when you rated the -- these two are one patient, and  
8 which is pre and post, and it has to do also with the  
9 degree of agreement between different readers which is  
10 important in evaluating studies like this?

11 DR. ABRAMSON: Thank you.

12 Dr. Brandt, do you have a comment?

13 DR. BRANDT: Yes, a small point to follow up on  
14 Paul Dieppe's comment. Presuming that the x-rays were  
15 normal, and those showed small focal lesions in articular  
16 cartilage, you said MRI is clearly more sensitive than  
17 plain radiography, but not -- I don't think we could  
18 presume, though, nonetheless, that radiographs would be  
19 normal with regard to joint space.

20 There's a lot of variables. Five degrees of  
21 flexion in my knee narrows my joint space 17 percent, and  
22 patients who have little symptoms, that's why it's so  
23 important to have rigorous standardization of positioning  
24 if we're going to use plain radiography.

25 DR. ABRAMSON: Thank you.

1 I'd like to move now to Dr. Kent Johnson from  
2 the agency to talk about transition and preamble.

3 DR. JOHNSON: I'm not sure what that title  
4 means. I'm only going to take five or 10 minutes to do  
5 this transition and preamble.

6 You can hold off on that for a second, Tony,  
7 okay?

8 I'm going to take off a bit in the spirit of  
9 Jim Witter's talk and try to kind of entertain the greater  
10 vision here a bit. We do have a lot of analytic challenges  
11 in the paradigm that we're considering for osteoarthritis,  
12 and that will be the subject of a lot of the questions, a  
13 lot of the discussion today.

14 The document you have is the second draft, as  
15 Jim pointed out. It's a tri-center draft. It's been  
16 through, you know, Drugs, Biologics, and Devices. There  
17 will be further drafts, I'm sure, but I think more  
18 importantly, it's kind of an attempt at a concept paper,  
19 and in that vein, I think it's important that we try to  
20 continue to work toward concordance with other regulatory  
21 bodies, particularly the EU, which is why we've been  
22 actually informally doing a lot of collaboration back and  
23 forth over the years, and hopefully it's not surprising  
24 that the two products eventually become pretty concordant.

25 Finally, I want to just show one slide that's

1 kind of a historic view from the past -- go ahead, Tony  
2 --and where we may be heading. As most of you know, in the  
3 prior decade, we had a very empiric approach to  
4 osteoarthritis as we did with rheumatoid, as a matter of  
5 fact. We had four variables. It was never entirely clear  
6 what the fourth one was in osteoarthritis, but pain and the  
7 investigator global and patient global were the other ones.

8 This was fundamentally a data-driven informal  
9 process that had occurred within the FDA as a function of  
10 earlier non-steroidal NDase, probably five or 10 of them  
11 over the previous decade.

12 We simply looked at a trial and evaluated  
13 whether three out of four of these variables actually were  
14 statistically significant. No multiplicity considerations  
15 were taken into account. This complication was always kind  
16 of in the backdrop, and the problem has always been and  
17 continues to be with multiplicity, that the correlation  
18 structure can only be determined after the trial is done,  
19 essentially. So it's very hard to prespecify what  
20 multiplicity adjustment you would take if you felt  
21 obligated to take one.

22 There are a number of people actually in our  
23 statistical group, Dr. Houke and his colleagues, who are  
24 trying to sort out this problem, and hopefully they'll give  
25 us an answer here in a few years.

1           In the interim, in the early '90s, OMERACT, I  
2 think, was a big help in sort of consensus-driving concept.  
3 The notion that, you know, the adequate assessment of the  
4 disease itself requires attention to certain domains, and  
5 those domains in fact we've incorporated in our guidance as  
6 pain, function and patient global, and the fourth domain  
7 was structure for trials over a year's duration.

8           Again, we still have the multiplicity problem,  
9 and there's always the issue of certain covariates that  
10 need to be attended to, and then it's possible actually to  
11 entertain this particular measure as a fourth primary  
12 measure, and we've done that on certain occasions, and the  
13 subject for today is structure.

14           You know, in my mind, and I think in the mind  
15 of a patient, as you sit there looking at a crummy x-ray  
16 and somebody who's been symptomatic, considering a total  
17 joint replacement, the structure comes across as much more  
18 than a surrogate. I mean, there it is. That's the  
19 disease. It's a nice graphic representation of the  
20 disease.

21           One of the analytic issues that we have to deal  
22 with is how accurately joint-space narrowing becomes a  
23 surrogate for structure. I mean, it's not hard to buy into  
24 the notion that structure may be a valid surrogate for  
25 eventual clinical improvement, and in fact, that's what

1 we're proposing, using this accelerated approval statute  
2 that's already been talked about.

3           Obviously, as Bill Schwieterman just pointed  
4 out, the timing of what one does Phase IV is critical, and  
5 I mean the diligence is important and so on and so forth,  
6 but obviously if you have a product that succeeds  
7 dramatically by structure, you're going to have a hard time  
8 continuing a trial into Phase IV with patients whose x-rays  
9 look crummy, and especially if you're convinced that your  
10 hypothesis that structure will eventually transform into  
11 clinical benefit is true.

12           I mean, if you really believe that, you're  
13 going to probably be inclined to drop patients out of the  
14 placebo arms if their x-rays look lousy, and in fact,  
15 that's one of the topics we're going to discuss. It's the  
16 fourth point under "Endpoints" in these various questions  
17 that we've put on the agenda.

18           There's a lot of analytical work going on in  
19 the realm of how to quantify and how to actually rank  
20 surrogates. If people are interested, this is mainly in  
21 the AIDS literature and in Statistics in Medicine journal.

22           There's a fellow by the name of Prentice back  
23 in 1989 who was the first to try to quantify the issue, and  
24 he just presented a surrogate marker concept in an all or  
25 nothing fashion, and fundamentally, the test for the

1 validity of a surrogate marker was whether or not the null  
2 hypothesis of no treatment difference in that marker was  
3 also a valid test of the null hypothesis of the clinical  
4 endpoints. So you either had it a 100 percent or you  
5 didn't have it at all.

6 More recently, there's been attempts to try to  
7 rank surrogate markers, and in fact, the recent work in the  
8 AIDS world, where you combine CD4 and viral load, there are  
9 recent articles that describe this, and it is argued that  
10 you can account for 70 or 80 percent of the eventual  
11 clinical outcomes in that scenario.

12 However, the ability of a marker, if it ranks  
13 very high in some kind of scheme like that, is a necessary  
14 but not sufficient as it turns out, not sufficient criteria  
15 for the marker to be valid, and the reason for that is, as  
16 Jim alluded to, if your drugs have unexpected negative  
17 consequences when they're tested in an interventional  
18 study, then the benefit on the marker and hence on the  
19 clinical endpoints might be undermined or counterbalanced  
20 by some toxicity of the drug.

21 In any case, I think the concept of using  
22 joint-space narrowing in the accelerated approval scenario  
23 is not controversial. How much joint-space narrowing you  
24 need, how you orchestrate your Phase IV validation and so  
25 on, those are the critical points.

1           Most in this room, I think, are probably aware  
2 of these two initiatives. The OARS Group, Osteoarthritis  
3 Research Society, has been data-driving a process to put  
4 together an OA knee responder index which should be very  
5 useful. I believe Maxime was telling me that the results,  
6 the actual analytic results of this initiative are going to  
7 be presented at the next OARS meeting in Vienna in  
8 September. Yes. So that will be very helpful.

9           The second is a new initiative on the part of  
10 Steven Katz and NIAMS and a number of other centers at NIH,  
11 as a matter of fact, so-called biomarker initiative. This  
12 is just in its formative stage. This is a fully-public  
13 initiative at this point in time. Greg Downing, who may be  
14 in the audience, is the point person for this at NIAMS. I  
15 believe they have -- is your web site up yet, Greg? Is  
16 your web site up and running? Yes?

17           DR. DOWNING: Yes.

18           DR. JOHNSON: Okay. So you can give him a call  
19 and get that information, if you'd like.

20           The scope of this project is not yet fully  
21 determined. There's going to be a big meeting this winter  
22 where sort of the intellectual architecture of the whole  
23 thing is going to be discussed, and hopefully a consensus  
24 will be reached.

25           This is involving academic centers that have an

1 interest and industry research programs and regulatory  
2 involvement, and, finally, one could sort of have a vision  
3 of the future where we could entertain certain other  
4 endpoints that are logically, you know, very attractive.

5 I think it may be that the analytic challenges  
6 might become less really as we improve in our ability to  
7 impinge in a major way in this disease. I hope that's the  
8 case.

9 I often envy oncology in some ways. I think,  
10 you know, the tumor's either gone or it's recurred, and I'm  
11 sure it's more complicated if you're actually working in  
12 the field, but from the outside, it seems simpler.

13 And, finally, I think it's important that we  
14 continue this ongoing rapport. I think that the  
15 rheumatology clinical community, both academics and  
16 industry and the regulatory people, have a history of  
17 ability to accomplish and push the field forward. So it's  
18 in that spirit that I thank everybody for coming today, and  
19 I hope we have a good discussion.

20 DR. ABRAMSON: Thank you, Kent.

21 Are there any questions for Kent Johnson?

22 (No response.)

23 DR. ABRAMSON: Thank you very much.

24 All right. I guess we can go right into the  
25 questions that are listed under "Design Endpoints," and the



1 first question, which focuses on structure, we can also, I  
2 think, in the comments talk both about the x-ray and  
3 possibly the MRI as endpoints.

4 So let me just read the question. "Joint-space  
5 narrowing: if a minimum effective size is required, a  
6 minimally clinically important difference, how should this  
7 be defined?"

8 Dr. Brandt left the room.

9 DR. SCHWIETERMAN: I just want to point out  
10 that there's a typo in the guidance document. Instead of  
11 less than 50 percent, it should be greater than 50 percent  
12 about page 3 or 4, and it's an example. It's not meant to  
13 be the cut-off. It's meant to be a quantitative example of  
14 how the agency believes you might actually judge for a  
15 particular product the threshold.

16 We wanted to -- page 7. Excuse me. I don't  
17 want this point to be lost because it's not as if the  
18 agency believes anything greater than 50 percent is --

19 DR. ABRAMSON: Right. The line is, "In  
20 general, sponsors seeking this claim should anticipate  
21 relatively large changes, that is greater than 50 percent,  
22 in slowing joint-space narrowing relative to the control  
23 arm." On page 7.

24 Okay. Marc, do you want to kick off on this?

25 DR. HOCHBERG: Well, I actually have a

1 question, and I think a point of discussion, so we're all  
2 on the same page. Are we discussing this in the role that  
3 structural change is the surrogate variable to the clinical  
4 outcome of total joint replacement?

5 Am I correctly understanding what has been  
6 inferred by Dr. Witter and what I'm implying from what your  
7 talk is, that the corollary of death here is joint failure.  
8 Joint failure is marked by a joint replacement, and that  
9 we're looking at structural change in an imaging procedure  
10 as a surrogate for joint failure? Am I understanding you  
11 correctly?

12 DR. JOHNSON: I'll let Jim comment, too. No,  
13 we have not specified total joint replacement. We've  
14 actually discussed the utility of that measure which is  
15 tricky, but we've only specified that you need some kind of  
16 clinical substantiation that your joint-space narrowing  
17 translates.

18 DR. HOCHBERG: I'm not suggesting throwing  
19 joint replacement as an outcome variable. What I'm trying  
20 to do is understand the presentations this morning in the  
21 context of the discussion that we're now going to have,  
22 that structural change is a surrogate for the ultimate  
23 outcome of joint failure, which can be marked by a joint  
24 replacement in some people who have access to health care  
25 and insurance to pay for the joint replacement.

1 DR. JOHNSON: Well, I'm sure if you designed a  
2 trial that used -- I don't know how to answer this sort of  
3 in the nebulous abstract. If you design a trial that has a  
4 total joint replacement as the endpoint, it would be  
5 considered a win if it succeeded.

6 DR. HOCHBERG: Well, I guess I'm not explaining  
7 myself. Sometimes we design -- in cardiovascular disease,  
8 for instance, we might have an endpoint, a surrogate, for  
9 death. Okay. We just heard yesterday in the news about a  
10 trial of naldactone in severe congestive heart failure that  
11 reduced the death rate.

12 Well, maybe there's a surrogate for death in  
13 that setting. For instance, injection fraction. Okay?  
14 That's an example.

15 So here, what we're looking at is structure of  
16 the joint as a surrogate for some clinically-important  
17 outcome which is joint failure. Now, I'm not proposing  
18 that we discuss trial design for decreasing the rate of  
19 joint replacement, but what I'm trying to do is understand  
20 the structure in the context.

21 Is structure being looked at as a surrogate  
22 variable or is structure being looked at as a clinically-  
23 important outcome by itself?

24 DR. SCHWIETERMAN: Let me try to answer that.  
25 I think all three of us could give variations on that.

1 I think you've hit on a very important point,  
2 and I think you have given an example of a clinically-  
3 important outcome, but I think that your comments perhaps  
4 need to be amplified. There are other clinically-important  
5 outcomes for which joint-space narrowing could act as a  
6 surrogate.

7 For example, it could be patient function,  
8 whether that patient's able to open jars, engage in the  
9 normal activities and so forth.

10 Of course, there are degrees on the continuum  
11 down, and joint replacement would be the ultimate, and if  
12 it's helpful to think of those kind of outcome measures,  
13 then I think you should do it, but the agency would not  
14 necessarily require in a Phase IV study that you  
15 demonstrate that by virtue of preventing joint-space  
16 narrowing, that you would thereby prevent those patients  
17 down the road from having their joints replaced because  
18 there are smaller benefits that we believe are important to  
19 the patients and thereby to the public by which you could  
20 justify accelerated approval for this.

21 The other example, of course, are perhaps new  
22 classes of products coming down that are dissociated from  
23 pain and signs and symptoms, in which case you could simply  
24 use joint-space narrowing as a surrogate for perhaps future  
25 evolution of clinical signs and symptoms, and that's a

1 debatable point, but I think it's one that's been raised in  
2 this committee before.

3           So I think we agree with the essence of what  
4 you're saying, but we would not limit it to those kind of  
5 structural outcomes, but if it's helpful to think of this  
6 in terms of long-term outcomes, then, yes, that is the  
7 ultimate bad outcome in many respects.

8           DR. ABRAMSON: Dr. Yocum?

9           DR. YOCUM: I guess, just having attended a  
10 rather interesting conference on osteoporosis and hearing  
11 about the T scores that we had relied upon so much over the  
12 last two years that appear to be able to be thrown out, and  
13 what we relied on is basically bogus or at least that's  
14 what's suggested, I'm concerned about joint-space  
15 narrowing, and much of the discussion I've heard not only  
16 on MRI as well as the various views and having been  
17 involved in Ken's studies, it looks like it, one, isn't  
18 well standardized.

19           It hasn't been widely used, I'm not even sure,  
20 from the statistical standpoint of the long-term benefits  
21 of this, and then in the standard clinical practice, the  
22 patients who come to me have lost already a lot of joint  
23 space.

24           Are we really beating a dead horse here? Is  
25 there ultimately some benefits to this down the road? I

1 don't see. Is it a bright and shiny star? Maybe I'm being  
2 very naive here, but it looks very negative to me.

3 DR. JOHNSON: The believers -- I think it's  
4 seems very analogous to the AIDS situation, you know, 10  
5 years ago or whenever, you know, the CD4 story started  
6 coming around, and, you know, there are believers in that,  
7 and it turned out CD4 in isolation was not a very good  
8 surrogate marker, but there were -- you know, the NCI  
9 trials were ongoing when the CD marker differences were  
10 evident, and the drugs were approved, and the trials  
11 validated it as it turned out.

12 So I'm not sure. I think Marc's question --  
13 were you wondering if we were asking what is a clinically-  
14 important symptomatic outcome? Because that's sort of a  
15 whole different debate.

16 DR. HOCHBERG: No. I was asking in the context  
17 of whether the agency considers structure modification to  
18 be in and of itself a clinically-important outcome or a  
19 surrogate for another clinically-important outcome which I  
20 would choose not to define because I don't want to further  
21 muddy the waters.

22 I mean, we've published data and other people  
23 have published data to show that severe radiographic change  
24 predicts people going on to having a clinically-important  
25 outcome, such as a total joint replacement, independent of

1 pain, and you might infer from that that if you prevented  
2 the development of severe structural change, you might  
3 reduce the risk of total joint replacement in the future.

4 But my question was just, is structure itself a  
5 clinically-important outcome or is it a surrogate?

6 DR. JOHNSON: Well, you can infer that, but you  
7 might be incorrect if your interventional trial in fact  
8 failed. I mean, I think a lot of us believe that structure  
9 has a certain cache to it, a certain face validity. It's  
10 there. It seems real. But it's not a symptom. I mean,  
11 the patient doesn't feel the structure of the joint. I  
12 mean, it feels pain, I guess.

13 So I don't know. My perception is what the  
14 agency's doing is that it's meant to use the accelerated  
15 approval in exactly this kind of setting, where there's a  
16 major reason epidemiologically and everything else to  
17 suspect this, but, you know, it should be proven, and it  
18 needn't be proven preapproval. It can be proven  
19 postapproval.

20 DR. ABRAMSON: Dr. Dougados?

21 DR. DOUGADOS: And I do agree with Marc when he  
22 said that we consider that structure is more important to  
23 consider for the patient. The question is that usually  
24 when we are conducting these kind of studies, we're  
25 checking serial change, Grade 4, something like that.

1                   In the ongoing clinical trial, we are looking  
2 at .1 millimeter of change. So what is the clinical  
3 relevance of this .1 millimeter change? Assume that is the  
4 reason why we're having so many discussions between the  
5 agency and the academic, because from an academic point of  
6 view, the serial change are clinically relevant, but in the  
7 ongoing clinical trials, we are not checking the serial  
8 change. We are checking small changes, such as the MRI, to  
9 check the .1 millimeter during a trial, and it's sufficient  
10 to consider this kind of compound with which we will be  
11 able to have a .1 millimeter difference between the placebo  
12 and the active compound.

13                   DR. ABRAMSON: Right. I think that's getting  
14 at the spirit of the Question Number 1, where I think the  
15 agency would like us to focus. It begs a couple of  
16 questions, such as whether the x-ray or the joint-space  
17 narrowing is in fact a good surrogate for outcome, but I  
18 think that's kind of implicit in the question.

19                   It also raises the issue, the harder question,  
20 is what is the face validity of the technology that we  
21 currently are using, and I think one of the presumptions in  
22 the question is that the technology does have some  
23 validity, but I think that's open to some debate.

24                   But having said that, I think we should focus  
25 our attention on if we look at the techniques that are



1 currently available, on which there are already ongoing  
2 studies, such as the semi-flex position and perhaps the MRI  
3 as a secondary issue, what are clinically relevant changes  
4 that should be the standard in clinical studies?

5 Jim or Ken, does that capture the sense of what  
6 you're getting at in Question Number 1?

7 DR. WITTER: Yes, and I think really what  
8 Marc's comments were, were pretty much right on the mark,  
9 and I think what we'd like to do, at least from my  
10 perspective, is kind of throw that back at you as the  
11 question.

12 If a compound, for example, comes in, and we're  
13 viewing it as this endpoint of structure modification as a  
14 surrogate, what should we do? If we don't view it as a  
15 surrogate and in fact as the endpoint, then what do we do?

16 I mean, I think we need to hear some of that  
17 discussion and that's part of the point of today. So.

18 DR. YOCUM: But I guess my point is, is that I  
19 heard from the MRI that meniscal damage, medial compartment  
20 damage, is associated with what sounds like a significant  
21 risk of total knee replacement down the road.

22 However, I have heard no data here that one  
23 millimeter of joint-space loss, okay, narrowing is going to  
24 result in X number of total knee arthroplasties which is  
25 important to the patient, which gets to what Mark is

1 talking about. Is it a valid surrogate?

2 And I'm not hearing any data that joint-space  
3 narrowing directly correlates to the need for a total joint  
4 because that's what my patient is interested in.

5 DR. JOHNSON: Yes. I think Maxime has data in  
6 that regard, but I think his report is the only piece of  
7 data, and it's true that the epidemiology is not as strong  
8 as in hypertension or cholesterol or something like that.

9 But the face validity, I think, is also  
10 different, which gets to Marc's issue, that, you know,  
11 maybe you could argue that you should approve just on the  
12 basis of the x-rays, and we'll look at the clinical  
13 information, and we'll make a sort of risk/benefit  
14 judgment, you know.

15 DR. YOCUM: But for the patients, you know, the  
16 glucosamine story, I mean without pain changes and saying,  
17 well, 30 years down the road, you're going to have a 10-  
18 percent less chance, are patients really going to take  
19 these medicines for an extended period of time, and it  
20 would be nice to have a more stronger marker.

21 Now, meniscal tears, boy, there, they've had  
22 damage and something is there, but again I'm just not  
23 hearing the data I'd like to hear.

24 DR. ABRAMSON: Right. I think part of the  
25 conundrum is we're in a phase of investigation. We are

1 DR. DIEPPE: Well, we have some data in answer  
2 to Jennifer Anderson's questions which rather reinforces,  
3 David, the outcomes worries, in that over three years of  
4 progression of OA in a large cohort we looked at, there was  
5 no correlation between structural change and change in pain  
6 and disability.

7 Now, I think there are two problems here that  
8 we haven't really raised which we need to have out on the  
9 table in relation to this. One is the long time frame that  
10 we might be involved in.

11 I believe that joint-space narrowing will be a  
12 surrogate of serious clinical outcome, but I think it might  
13 take an incredibly long time, and then the whole issue of  
14 relative gain that you're making over short time period  
15 versus long time period is a very complicated issue for  
16 patients, and I think we have to recognize that there might  
17 be short-term loss for long-term gain, and how do you deal  
18 with that.

19 And I think the other issue that has to be out  
20 on the table is we're largely talking about older people  
21 who often have comorbidities, and if you're starting to  
22 talk about very long time points to get an outcome, what  
23 else is happening in terms of comorbidities and other  
24 systems during that time frame, and in following our own  
25 cohorts of patients, and we do have some longitudinal data,

1 it's comorbidities that become much, much more important  
2 than what's happening with the osteoarthritis.

3 By comorbidities, I don't just mean physical or  
4 other organ endpoints but psychosocial factors, and they  
5 become the dominant factors to the majority of the people  
6 we followed prospectively. So I'm quite worried about the  
7 approach that says look at the short-term structural  
8 change.

9 DR. ABRAMSON: Dr. Hochberg, do you want to  
10 address the outcome? The epidemiology?

11 DR. HOCHBERG: Well, we've looked at some data  
12 from a "normative population," volunteers in the Baltimore  
13 longitudinal study on aging, who were not selected for the  
14 presence of OA, and we've found that in people who have  
15 normal baseline knee radiographs, when they have subsequent  
16 radiographs over time, on average, the joint space doesn't  
17 change, that the mean delta doesn't significantly differ  
18 from zero, while those who have OA at the baseline x-ray  
19 based on a Kellgren and Lawrence 2 or higher, their mean  
20 joint space does significantly go down over time.

21 In a separate analysis, and again this is all  
22 related to, you know, decisions that people make about  
23 doing interventions as well as access to health care, that  
24 people with more severe radiographic change are more likely  
25 to undergo joint replacement independent of pain.

1 DR. ABRAMSON: Does your data shed any light on  
2 the rate of progression of X millimeters per year in your  
3 follow-up of people who do progress?

4 DR. HOCHBERG: Well, it's pretty small. It was  
5 about .2 millimeters per year for those that had baseline  
6 osteoarthritis on average.

7 DR. ABRAMSON: Okay. All right. Let me just  
8 go back. Dr. Dougados, if you could make a brief  
9 presentation?

10 DR. DOUGADOS: Just to try to answer the  
11 question of the individual patient, what is clinically  
12 relevant, and we have conducted studies, the first studies  
13 we have conducted by starting to say that any structural  
14 change is clinically relevant, and from an epidemiological  
15 point of view, any structural change that is a change which  
16 is not related to a measurement error, and not because  
17 there was a noise when you are looking at the changes, and  
18 therefore we have conducted several studies in this, that  
19 is, with nothing to do with a clinical relevance but only  
20 to postulate that any structural change is clinically  
21 relevant, but we don't take into account the noise of the  
22 technique.

23 And we evaluated several techniques looking at  
24 -- I don't know whether or not you are aware of the blinded  
25 Alban technique, looking at the reproducibility of the

1 technique between two examinations, 30 times, and you are  
2 looking at the mean of the difference between the three  
3 evaluations, and then you are focusing on the standard  
4 deviation of the changes, and then you can calculate the  
5 noise due to the measurement error, and then you have a  
6 cut-off permitting to say after that in the study, if you  
7 see a progression more than X, therefore you can consider  
8 reasonably that this change is not due to measurement error  
9 but is due to the structural change.

10 In order to answer to Ken, we have evaluated  
11 the usefulness of the fluoroscopy concerning this cut-off.  
12 If we are using guidelines, not guidelines, fluoroscopy,  
13 not fluoroscopy, what is the consequences in the  
14 calculation in this cut-off?

15 You can see the answer. As an example, if you  
16 are evaluating -- do we have a pointer? James, do you have  
17 a pointer? Here, you see the results of the cut-off that  
18 is -- I need only one. Thank you.

19 DR. ELASHOFF: You'll need to read the numbers  
20 because we can't read them from over here.

21 DR. DOUGADOS: Okay. So the figures you can  
22 see or you can't see in this slide find the cut-off damage  
23 in the further study. If you see a change more than that,  
24 you can consider that the change is due to structural  
25 change and not due to measurement error, and if, as an

1 example, in knee OA patients, you are using guidelines,  
2 that is you trained the radiological team without  
3 fluoroscopy, and depending on the risk-taking, a change of  
4 more than 6 millimeter can be considered as related to a  
5 structural change and not to a measurement error.

6 So depending on the technique -- so, such cut-  
7 off is related to both the technique and the investigator.  
8 The senior investigator -- so, that's a possibility to try  
9 to get an answer. Yes, it's possible not to give a  
10 clinically relevant cut-off, but at least to pick a cut-off  
11 to avoid the measurement error.

12 That has been described in the psychological  
13 field, MID, minimum individual difference, or SDD, smallest  
14 detectable difference. That has nothing to do with the  
15 clinical relevance, but it has something to do to the face  
16 validity of what you are looking at.

17 We also conducted another study which probably  
18 would interest David. We forget this, and now we are  
19 looking at the predictive validity of a change in the  
20 joint-space narrowing in the short term, not an absolute  
21 value, and to look at the predictive validity, but what we  
22 are doing in clinical trials is a change in the short term.  
23 Is it predictive of something?

24 In the study, we have conducted something that  
25 is a gold standard, was not symptoms but requirement for

1 total hip replacement. So we have a cohort of patients  
2 with osteoarthritis in which we have conducted at baseline  
3 one evaluation, the joint-space narrowing. After one year  
4 of follow-up, another x-ray, so we can calculate the change  
5 in the joint-space narrowing within one year, and during  
6 the two subsequent years, we have calculated the risk for  
7 total hip replacement, and we have calculated this risk  
8 with regard to the changes observed during the first year  
9 in terms of joint-space narrowing, and you see here that  
10 the risk for total hip replacements was much more important  
11 in the group of patients with radiological worsening of  
12 more than 50 percent in terms of joint-space narrowing.  
13 But there was also an increased risk in the patients with  
14 radiological worsening over 25 percent.

15 Based on these results, we have confirmed the  
16 longer in follow-up. Another possibility is to say that in  
17 an individual patient, if we observe the change of at least  
18 25 percent, we can consider that this change is clinically  
19 relevant and --

20 DR. JOHNSON: Were the average joint-space  
21 narrowing at baseline roughly the same?

22 DR. DOUGADOS: Not roughly the same. Not  
23 roughly the same because the Group D at the lower joint  
24 space with baseline -- that is, more you have an advanced  
25 disease, not -- you have a low joint-space width, more you



1 will rapidly progress the next year. The baseline value is  
2 predictive of the change during one year.

3 DR. JOHNSON: So you've got two risk factors  
4 essentially. One is the baseline joint-space narrowing,  
5 and the other is the rapidity of change?

6 DR. DOUGADOS: Yes. That is the reason why in  
7 these particular studies, it was better to pick up the  
8 percent of change than the absolute change because in the  
9 percent of change, you also take into account the baseline  
10 value. If you take the absolute change, it's less  
11 impressive than the percent of change.

12 So that is the reason why our proposition is if  
13 you want to have two cut-offs, the first one is -- and I  
14 can't give you the results we have applied in three-year  
15 placebo trial in hip, to say either to use the SDD  
16 technique, the smallest detectable difference, and then as  
17 an example, the cut-off is .5 millimeter. That's an  
18 absolute value. That is, if you observe a change of at  
19 least .5 millimeter, therefore you can consider that the  
20 change is not related to a measurement error but is related  
21 to a structural change, or to say that if you observe a  
22 change of at least 25 percent, that is clinically relevant,  
23 and it's quite -- we have conducted a three-year placebo  
24 trial, and after three years of follow-up, we have roughly  
25 50 percent of the patients who have the progression of at

1 least 25 percent or 50 percent of the patients with  
2 progression of at least .5 millimeter.

3 DR. ABRAMSON: Dr. Brandt has a question.

4 DR. BRANDT: Yes. Kent Johnson's point, I  
5 think, is very important. Maxime, what was the mean joint-  
6 space width at time zero in Group D and in Group C?

7 DR. DOUGADOS: The mean for the one group of  
8 patients was 2.3 millimeter.

9 DR. BRANDT: Group D and Group C.

10 DR. DOUGADOS: Yes, but I don't remember the  
11 exact -- I can't --

12 DR. BRANDT: I would suspect there was almost  
13 no joint space in the second Group D.

14 DR. DOUGADOS: There was a low risk value.

15 DR. BRANDT: Yes.

16 DR. DOUGADOS: Yes.

17 DR. ABRAMSON: Okay. Dr. Dieppe?

18 DR. DIEPPE: Maxime, I think what you're  
19 showing there is what we've perhaps, if I may so, known for  
20 quite a long time, which is there's a very small subset of  
21 people with bad hip allay who progress rapidly, and it is a  
22 small subset, and it's well known.

23 I would challenge you that this is not  
24 generalizable to the knee joint.

25 DR. ABRAMSON: Dr. Brandt?

1 DR. BRANDT: But this is different from the  
2 syndrome or the picture of rapidly-progressive OA of the  
3 hip or rapidly-progressive OA of the knee that Michel  
4 Lequesne has described, where they're starting with a  
5 fairly substantial joint space with things that disappear  
6 before your eyes. That's my point, is that those Group Ds,  
7 I suspect, at zero time had already lost everything, and to  
8 lose 50 percent of nothing is not trackable.

9 DR. DOUGADOS: In fact, in accordance with the  
10 definition of Michel Lequesne, you're right. The primary  
11 rapidly-progressive OA of the hip is of a normal joint-  
12 space width at baseline, but this kind of patient, and I  
13 agree with Paul, that's the secondary rapidly-progressive  
14 OA which is completely different.

15 But even that, we were unable in this study to  
16 find an ability to determine in the change in the joint-  
17 space width; that is, this Group D is in fact the right  
18 part of the curve, but we have no ability to determine. We  
19 were unable to pick up the particular population.

20 DR. DIEPPE: In the knee?

21 DR. DOUGADOS: In the hip. That's the hip.

22 DR. ABRAMSON: Dr. Anderson?

23 DR. ANDERSON: Yes. I was just wondering  
24 whether the joint-space narrowing measurement was known  
25 when it was decided whether or not to do the hip

1 replacement.

2 DR. DOUGADOS: For the hip replacement? That's  
3 a weakness of the study. Of course, that's a multi-center  
4 French study, and the decision for total hip replacement is  
5 based on both things, the clinical symptoms and problems  
6 with structural, not the changes. The surgeon would do the  
7 decision for the surgery and only one value, that is last  
8 value of the pelvic x-ray, but he was not aware of the  
9 changes.

10 DR. ANDERSON: Oh, okay.

11 DR. DOUGADOS: Do you see the difference?

12 DR. ANDERSON: Yes.

13 DR. DOUGADOS: But I agree with you that the  
14 value of the study, he was aware --

15 DR. ANDERSON: He was aware?

16 DR. DOUGADOS: -- of the last value.

17 DR. ANDERSON: So that did play a role in  
18 making the decision?

19 DR. DOUGADOS: Yes.

20 DR. ANDERSON: It would have been better --

21 DR. DOUGADOS: Usually surgeons in my country  
22 do not propose intervention with normal joint-space width.

23 DR. ANDERSON: Okay. Well, yes.

24 DR. DOUGADOS: In my country.

25 DR. ANDERSON: Yes. No. I was wondering. I

1 mean, in some places, it's done based on only the symptoms  
2 or function.

3 DR. DOUGADOS: In terms of function? I can  
4 show you. There was a huge difference in terms of symptoms  
5 between the patient with or without intervention concerning  
6 the last observation of the symptoms.

7 DR. LIN: Excuse me. Before we take that slide  
8 off, just a question. You said D at baseline was  
9 different, lower than the Groups A, B and C, but among A, B  
10 and C, were they different at baseline?

11 DR. DOUGADOS: I don't remember. I have to  
12 check that.

13 DR. LIN: Because that in themselves have some  
14 information looking at those three curves there.

15 DR. DOUGADOS: I am aware that when we  
16 conducted the analysis, to pick the predisposing factor,  
17 the baseline predisposing factor of total hip replacement,  
18 but without regard to the change during the first year, the  
19 baseline value of the joint space was predictive, taking  
20 into account the information coming from symptoms was  
21 predictive of total hip replacement by itself, and the cut-  
22 off, which has been -- I think it was 1.5 millimeter. That  
23 is all I remember, but I have not this information that the  
24 joint-space width with the data, but I can check that.

25 DR. ABRAMSON: Dr. Brandt?

1 DR. LIN: Excuse me. It was my understanding  
2 that Dr. Hochberg has some data that's similar to this.  
3 You said earlier that you had a group of patients that you  
4 looked at the knee, that the joint-space narrowing has some  
5 implications on the knee replacement down the road. Is  
6 that similar to this?

7 DR. HOCHBERG: We looked at baseline knee x-  
8 rays which were for baseline, and the x-rays which were  
9 obtained during a restricted time period among BLSA  
10 participants who had also completed a standard pain  
11 question, and then we looked at subsequent knee surgery and  
12 found that those who had Kellgren-Lawrence Grade 3 or 4 or  
13 radiographic change at baseline were at greater risk of  
14 undergoing subsequent total joint replacement, even after  
15 adjusting for pain and BMI and age, than those with  
16 Kellgren-Lawrence Grade 2 changes.

17 So one can infer from that that the part of  
18 becoming a Grade 3 or a Grade 4, as Dr. Brandt didn't show  
19 but had in his study, as having more severe radiographic  
20 change, including joint-space narrowing.

21 DR. ABRAMSON: Dr. Brandt?

22 DR. BRANDT: Maxime, how did the measurement  
23 errors differ between Group D, say, and Group A?

24 DR. DOUGADOS: The measurement error?

25 DR. BRANDT: It was taken into account.

1 DR. DOUGADOS: When there's a measurement that  
2 is a cut-off coming from the progression, yes or no, that  
3 is related to the technique you have used, the Blount and  
4 Alban technique?

5 DR. BRANDT: The variability with repeated  
6 measurement when there's very, very little joint space as  
7 opposed to having three millimeters or four millimeters.

8 DR. DOUGADOS: Yes. That is an advantage of  
9 the Blount and Alban technique because when you are  
10 evaluating this cut-off that is .5 millimeter, you are  
11 evaluating the patient with a broad range of the disease,  
12 that is in this study, from one millimeter that was the  
13 lowest joint space with that entry up to 4.5 millimeter or  
14 5.6 millimeter, and the noise was quite similar, was not  
15 more important in the lowest -- the more severe disease and  
16 the less severe disease. That was the question.

17 And I agree with Marc because here are the  
18 predictive factors of requirement for total hip  
19 replacement, and the Kellgren and Lawrence score was taken  
20 into account in this material and analyzed, despite the  
21 fact that we have also other demographic data, sex, the  
22 female, and level of symptoms, pain, and the index.

23 DR. ABRAMSON: Kent?

24 DR. JOHNSON: So those are all as a consequence  
25 of a multi-variate analysis? They all still remain as

1 independent risk factors?

2 DR. DOUGADOS: Yes.

3 DR. JOHNSON: And if you add joint-space change  
4 in the first year into the model --

5 DR. DOUGADOS: Less.

6 DR. JOHNSON: -- do some of those drop out?

7 DR. DOUGADOS: Oh, to add the change in the  
8 joint space with in this model --

9 DR. JOHNSON: Yes.

10 DR. DOUGADOS: -- I am not sure we have done  
11 that. We have two different questions. Are the baseline  
12 characteristics of the patients predictive of requirement  
13 for total hip replacement, and here are the results of this  
14 material analysis, and the second complete difference in  
15 analysis were are the changes within the one year  
16 predictive of subsequent. That is that I have shown. But  
17 I am not sure that if you include the changes in this to  
18 take into account the demographic data, I don't know.

19 DR. JOHNSON: Yes.

20 DR. ABRAMSON: Okay. Thank you.

21 Why don't we come back to the question that was  
22 posed? I guess we have a little more data, but things are  
23 still kind of murky. We have data from the hip but not  
24 from the knee. The data, as you suggest, over 50 percent,  
25 at least in your study, is a -- I'm sorry? Over 25.



1 Fifty, definitely.

2 DR. DOUGADOS: Fifty-five, 25.

3 DR. ABRAMSON: Let's just ask people now to  
4 what extent can we address Question Number 1, given the  
5 discussion we've had up until now and making the assumption  
6 that these are the instruments that we have to assess? Do  
7 people on the committee have comments with respect to what  
8 is a clinically-significant change of joint-space  
9 narrowing?

10 DR. SCHWIETERMAN: Dr. Abramson, I'd just like  
11 to clarify the question a little bit to specify the  
12 regulatory framework by which accelerated approval might be  
13 given.

14 Accelerated approval is reserved for serious  
15 and life-threatening diseases, of which the agency believes  
16 debilitating RA is one. But the surrogate, by definition,  
17 is an unvalidated surrogate because if it were a validated  
18 surrogate, the product could get out and out approval.

19 So by definition, it needs to be an unvalidated  
20 surrogate reasonably likely to confer clinical benefit to  
21 the patient over the long term. So the standards by which  
22 the agency then goes with this is to say what is reasonably  
23 likely to connote benefit? Perhaps that will help the  
24 discussion.

25 DR. ABRAMSON: Right. So I guess the analogy

1 might be myocardial infarction and coronary angiography.  
2 What is a reasonable radiographic surrogate for myocardial  
3 infarction, and what I think the panel is struggling with  
4 is we don't have the validated arteriogram. We have less  
5 good imaging techniques in current state.

6 So anyone want to take a crack at addressing  
7 the question? Well, go ahead, Dr. Anderson.

8 DR. ANDERSON: I'd say half a millimeter.

9 DR. ABRAMSON: Based on? This is knee and --

10 DR. ANDERSON: Well, I don't know. What's  
11 joint space like in the knee versus the hip?

12 PARTICIPANT: They're roughly similar.

13 DR. ABRAMSON: They're roughly similar. I  
14 mean, let me just ask Dr. Brandt, who's in the midst of an  
15 active study, to talk about the endpoints, radiographic  
16 there, and given the tools we have to work with, what do  
17 you think about this question, Ken?

18 DR. BRANDT: I don't think we can answer it. I  
19 don't think we can answer it today. Let it go at that. If  
20 we're looking at the effect of a drug, we can debate  
21 whether a difference between the placebo group and the  
22 active treatment group in slowing of progression of 30  
23 percent, 50 percent, 70 percent, 10 percent would stand  
24 muster, but those are educated guesses.

25 In fact, we did that at the outset before we

1       undertook our study. I surveyed a number of  
2       rheumatologists internationally and posed that question,  
3       and it was between 30 and 50 percent the effect size that  
4       they would want to see before they considered that this was  
5       a useful drug, considering only structure in comparison  
6       with the rate of narrowing of the placebo group.

7                   Those are educated guesses, and we have no idea  
8       how, if at all, they connect with anything that's  
9       clinically relevant.

10                   DR. ABRAMSON: Dr. Dieppe?

11                   DR. DIEPPE: I don't think we can answer it  
12       either, but I would just reinforce my belief that we cannot  
13       treat the knee and the hip as the same necessarily in this  
14       equation. I think Maxime's data on the hip is quite  
15       compelling, that if you have 50-percent loss, if you start  
16       with less than 50 percent in the first place, that's pretty  
17       good going, and it may be that the same's true of the  
18       medial tibial-femoral joint, but again even within the knee  
19       joint, we've got to specify what we're talking about here,  
20       which bit of it, but given the present discussions and the  
21       data I've seen, I'd go along with a 30- to 50-percent loss,  
22       rate of loss, change, if you start with a bad joint, but it  
23       may be very different if the joint's not very bad to start  
24       with, and then I think we know nothing and cannot assume  
25       anything.

1 DR. ABRAMSON: Yes, Ms. Malone?

2 MS. MALONE: I have a question. Just in the  
3 normal person, does the joint space differ between people  
4 or are they pretty much the same?

5 DR. DOUGADOS: There is one study coming from  
6 U.K., I think, 10 years ago, and in the 800 persons, and it  
7 was related to the age, the more you are, but it's only .05  
8 millimeter difference. Otherwise, there is no difference  
9 between the right and the left, between -- and the woman  
10 has a lower joint-space width.

11 MS. MALONE: Well, is it significant enough so  
12 that it would make a difference in, you know, the number  
13 that we're looking for?

14 DR. DOUGADOS: I'm not sure that it will make a  
15 difference for what we are looking at. If I correctly  
16 understand your question, that was related to the normal  
17 joint-space widths, not the changes in osteoarthritis  
18 because usually there are two things we are discussing.

19 The first one is to conduct a study in order to  
20 prevent the occurrence of knee OA in patients. That is, we  
21 are dealing with normal knee joint-space widths, and the  
22 other possibility is to conduct trials in patients with  
23 osteoarthritis at baseline, and I do agree with Paul that  
24 the change of the joint-space width over time is probably  
25 completely different.

1 DR. ABRAMSON: Dr. Moreland?

2 DR. MORELAND: I have a question, I guess, for  
3 clarification from the agency. I'm not sure I consider OA  
4 a life-threatening disease, and are we really talking about  
5 developing the plan here for the approval of structure-  
6 modifying agents through the regular mechanism or through  
7 accelerated mechanisms, and my comment would be that I  
8 think in the current definition, I don't see where this  
9 should be something in an accelerated mode.

10 But I heard awhile ago that you consider RA as  
11 a life-threatening disease, but we're here to look at OA,  
12 and do you consider OA a life-threatening disease, and in  
13 your definition, are you really talking about an  
14 accelerated type of approach?

15 DR. JOHNSON: Yes. I think maybe Bill  
16 misspoke. I think you meant to say OA. It actually says  
17 life-threatening or serious, I think. Isn't that what the  
18 regs say?

19 DR. SCHWIETERMAN: Yes. I did say --

20 DR. JOHNSON: People can buy into the concept  
21 of --

22 DR. SCHWIETERMAN: -- it's a serious aspect of  
23 it, not life-threatening. The debilitation from OA as a  
24 serious entity is what we're talking about.

25 DR. ABRAMSON: Dr. Elashoff?

1 DR. ELASHOFF: In terms of the percentage  
2 figures that were being talked about, we need to keep in  
3 mind that it's really a short-term outcome that he was  
4 showing. It's replacement within three years, whereas if  
5 you're really talking about the whole history of the  
6 disease, it might be pretty important to talk about, say,  
7 within 10 years or other kinds of things like that.

8 DR. ABRAMSON: Dr. Witter?

9 DR. WITTER: One other regulatory point then,  
10 taking off a bit on Dr. Dieppe's comments and maybe kind of  
11 steering some of the discussion.

12 If we view, we meaning everyone, if we view hip  
13 and knee OA as being different entities and having a  
14 different natural history and responding differently to  
15 therapies, then should we as a regulatory agency be  
16 requiring for these kinds of products studies in both hips  
17 and knees as part of the registration, and in fact would  
18 the labeling say if it were to come to that, to be used for  
19 osteoarthritis of the knee or of the hip?

20 DR. ABRAMSON: Dr. Brandt, and then Dr. Dieppe.

21 DR. BRANDT: I think they do need to be split,  
22 but I think we need to be very cautious about using joint  
23 replacement as an outcome measure, and I think the PORT  
24 data for both hip OA and certainly knee OA from Indiana  
25 speak to that point, that it is not invariably so, that

1 only patients with devastating disease are operated on in  
2 this country. That's not true. Maybe it should be, but it  
3 isn't, and there are no standards for hip replacement or  
4 knee replacement. So it's an awfully soft outcome measure.  
5 It's important, but it's awfully soft as an outcome  
6 measure, and we talked earlier about the differences  
7 between community subjects and patients, not in the doxy  
8 cohort, which hasn't been followed long enough, but in  
9 other cohorts in Central Indiana of old people over the age  
10 of 65 with radiographic studies over a three-year period of  
11 time and serial WOMACs every six months, the presence of  
12 Grade 2 or Grade 3 OA had no impact on WOMAC scores which  
13 were pretty low and remained low, did not creep up with  
14 time and function scores as well.

15 So again speaking to the disconnect between  
16 radiographic change, and there was very little progression,  
17 at least in Kellgren and Lawrence grade, but the  
18 progression that did occur was not accompanied by changes  
19 in WOMAC pain or function scores.

20 DR. ABRAMSON: Dr. Dieppe?

21 DR. DIEPPE: I think the answer to Jim Witter's  
22 question is yes, you have to treat them as potentially  
23 different, and therefore you'd have to label separately.  
24 Of course, we don't know. One of the reasons we don't know  
25 the answer is we don't have a positive control.

1           One of the reasons we're in real trouble with  
2 this whole field, and we can't provide you any decent  
3 advice, is we don't have a positive control treatment to go  
4 with. The only thing that gets anywhere near it in my view  
5 is osteotomy, but the data on osteotomy is weak to get our  
6 understanding sufficient to provide you with the evidence.

7           I just want to add another complexity for you,  
8 just to make it more difficult potentially for you. I  
9 suspect -- and this actually relates to Ms. Malone's  
10 question, I think, or the discussion around it. It may be  
11 that we have to regard the genders as different as well.  
12 There is quite a lot of indirect evidence to suggest that  
13 osteoarthritis of both hip and knee can behave differently  
14 in the two genders as well as being different in  
15 themselves, and we have data to suggest that the  
16 determinants of pain and disability at the knee joints are  
17 quite different in men and women.

18           So I think we have to potentially think about  
19 that split as well as the joint split, which just makes  
20 life intensely more difficult for you and for us.

21           DR. ABRAMSON: Why don't we take one last --  
22 I'm sorry.

23           DR. JOHNSON: Just one quick question for Paul.  
24 If you were going to use a 30 or 50 percent in bad knees,  
25 how would you define a bad knee?



1 DR. DIEPPE: 2.5 millimeters or less joint  
2 space at entry. That's a silly answer because it's off the  
3 cuff, but that's the ball park, I think.

4 DR. ABRAMSON: Okay. I think I'll take one  
5 more comment from Dr. Dougados and then ask the agency if  
6 there are other issues pertinent to this question that they  
7 would like us to flesh out before we move on.

8 DR. DOUGADOS: Just to go back to the comment  
9 from James Witter concerning the consequence of the  
10 labeling of the development of a compound in either hip or  
11 knee, I do agree with Paul that the issue is probably  
12 different, but I do agree with Jim when he said that the  
13 gender is important. The localization within the knee is  
14 important, medial versus lateral femoral. So a drug has to  
15 be developed in one specific localization, in one specific  
16 gender, and one specific age because age is also very  
17 important. Over 65, it's completely different than between  
18 55 and 65.

19 So we have this kind of discussion within the  
20 Osteoarthritis Research Society group and also with the  
21 GREES move that was in the European Community to say, well,  
22 but in fact, to try to get a consensus, that is the reason  
23 for a meeting such as this one, and we have this discussion  
24 here in February '98, to say that finally we have to  
25 clearly differentiate and back and lower limbs, but perhaps

1 we don't have to go into the details of the lower limbs.

2 DR. ABRAMSON: Right. And now that we've  
3 clarified your Question Number 1, do you want us to further  
4 explore this issue with you?

5 DR. WITTER: I think we're heading in the right  
6 direction here. So a very helpful discussion.

7 DR. ABRAMSON: I guess the take-home is going  
8 to be data-driven, that the term "OA" is such a  
9 heterogeneous term between knee, hip, back, et cetera, that  
10 the data will drive the indication, I suspect, in many  
11 ways.

12 Okay. Number 2.

13 DR. LOVELL: Can I ask a very ignorant  
14 question? It seems that the clinical rate of progression  
15 -- and maybe comorbid factors could be different from hip  
16 and knee, but based on the animal experimentation, do you  
17 think the primary pathologic process at the level of the  
18 cartilage differs between the knee and the hip?

19 DR. ABRAMSON: I don't know that --

20 DR. LOVELL: And it will speak to a drug that  
21 has a uniform effect amongst all joints.

22 DR. ABRAMSON: I think the issue may not be --  
23 and people may agree or disagree -- that the pathogenesis  
24 per se is all that much different, but perhaps the  
25 biomechanical forces, the local forces are such that if

1 you're trying to develop a drug based on rate of  
2 progression, that the rates of progression may be variable  
3 enough between the sites that you may not be able to use  
4 the data, at least that's one way of thinking about it.

5 DR. BRANDT: I'm not sure that the cartilage  
6 matters, and I think that's the thrust of the point that  
7 Jim Witter made in his first or second slide, that OA is  
8 increasingly viewed as a disease of an organ and not just  
9 of any tissue within that organ, like the cartilage, and it  
10 may be that sensory input proprioception or quadriceps  
11 weakness or bone stiffness, et cetera, et cetera, et  
12 cetera, et cetera, may be important determinants in whether  
13 those vary from joint to joint.

14 Biomechanical factors certainly do, and I think  
15 that it's better at this point in my opinion to be a  
16 splitter rather than a lumper.

17 DR. ABRAMSON: Dr. Hochberg?

18 DR. HOCHBERG: The other thing that supports  
19 splitting is if you look at large epidemiologic data sets,  
20 and you say that the validity of the radiographic feature  
21 for disease is its correlation with pain, that for the hip,  
22 it's different than the knee because for the hip, it's  
23 minimal joint space, less than 1.5 millimeters, is the most  
24 strongly and consistently associated radiographic feature  
25 with pain reporting. For the knee, it's the presence of an

1 osteophyte. So you know, we don't know.

2 DR. ABRAMSON: Go ahead, Dr. Harris.

3 DR. HARRIS: Can one at least say that we could  
4 look at the cartilage as perhaps a marker of some sort,  
5 though? I mean, that's an end result, and just in trying  
6 to get at some sort of measure by which one might make some  
7 sense of worsening, you know, you know, some measure, would  
8 cartilage then be seen as perhaps a measure, even if, you  
9 know, it itself may not be the critical factor in terms of  
10 osteoarthritis?

11 DR. ABRAMSON: I guess Dr. Altman raised the  
12 issue of arthroscopy as part of the endpoints. Is that  
13 what you mean by that, Dr. Harris, or what are you  
14 thinking?

15 DR. HARRIS: Yes. Well, what I mean by that is  
16 that, you know, I guess it's responding to the point where  
17 Ken said, well, look, cartilage itself may not be  
18 important. Certainly there are a number of factors that  
19 may contribute to this thing called osteoarthritis, but if  
20 one is going to measure the thing, you know, what in fact  
21 is the best measurement available, and I'm asking, you  
22 know, about the sense.

23 Is it cartilage? In which case, if it's  
24 cartilage, then thinning of cartilage would then be a  
25 surrogate mark in many respects here, but I can't see us