

1 my review of literature was whether there were any specific
2 concerns in cardiology patients with F-18 FDG.

3 In my review, the only thing that I noted was
4 not a direct result of F-18 FDG, per se, but rather it was
5 in a sense a by-product of the way the drug is used.
6 Sometimes it is administered in cardiology after a glucose
7 bolus, in other times it is administered under fasting
8 conditions. And in those situations, patients with
9 impaired glucose homeostasis may have some additional
10 safety concerns.

11 The criteria that we used were very similar to
12 the criteria that Dr. Houn used in her review for oncologic
13 indications. I won't go through and reiterate them all, I
14 will just cite some of the additional things. Particularly
15 for the cardiac indications, there were references in the
16 American College of Cardiology, and the American Heart
17 Association Guidelines, and the United States Pharmacopeia
18 Drug Information book that were also culled. These are the
19 number of references that we received. In addition, we
20 also receive a number of references from the PET community.

21 I took a similar approach to Dr. Houn in terms
22 of my review, although the way I say things might be
23 somewhat different. The framework for the literature
24 review was in part based on many of the concepts that are
25 delineated in the Draft Guidance for Industry on

1 "Developing "Medical Imaging Drugs and Biologics." This
2 shows where you can find it on the Internet.

3 In terms of several claims, when I did my
4 review of the literature I made a quick assessment of
5 whether I thought the evidence supported a claim of
6 diagnostic or therapeutic patient management, which
7 generally requires a very high standard of evidence and
8 data to support and oftentimes requires randomized
9 prospective clinical trials. In my review of the
10 literature, I didn't really find adequate evidence to
11 support a diagnostic or therapeutic management claim, per
12 se.

13 So if you asked me where would I classify the
14 claim that I've had proposed, I would say it is probably a
15 mix, as Dr. Tulchinsky has indicated. Sometimes these
16 things don't fit necessarily into just one category. I
17 would say there are functional physiological or biochemical
18 components to it, but I would also say that there are
19 disease detection assessment components to it as well. In
20 fact, you might also argue that it is under "Other" because
21 it provides prognostic information about the functional
22 status of myocardium.

23 So what I looked for was whether the
24 information would be clinically useful, whether the
25 information in the papers was valid, and whether the

1 product was studied in a defined clinical setting. And I
2 won't go into these in detail because Dr. Houn has already
3 addressed many of these. But I will comment on them
4 throughout my talk this morning.

5 By way of introduction, what I would like to do
6 is, for those of you who are not cardiologists, talk about
7 myocardium. Myocardium when it is dysfunctional sometimes
8 that dysfunction is reversible and other times it is not
9 reversible. A classic case of nonreversible myocardial
10 dysfunction is when there is a myocardial infarct and the
11 heart is irreparably damaged. However, in the cardiology
12 literature there are two main categories of reversible
13 myocardial dysfunction that are often described. One is
14 myocardial hibernation, and I'll talk about that a little
15 bit, that will be the bulk of my talk, and that is
16 basically reversible myocardial dysfunction in patients
17 with coronary artery disease. So what myocardial
18 hibernation is is chronic reversible left ventricular
19 dysfunction due to coronary artery disease. In contrast,
20 myocardial stunning is also myocardial dysfunction but it
21 oftentimes results from an acute post-ischemic insult to
22 the heart.

23 And just for purposes of my presentation in
24 terms of clarifying my terminology, I'll use the terms
25 hibernation and viability interchangeably even though there

1 may be shades of difference in the way that the terms may
2 be actually used. In my view, the data support a claim for
3 myocardial hibernation because hibernation implies a
4 reversible state of myocardial dysfunction, whereas
5 viability doesn't address the functional state of the
6 myocardium, per se.

7 And similarly, I will use the terms
8 radionuclide uptake, radionuclide localization, and
9 radionuclide accumulation to mean the same thing. Most
10 people in terms of jargon use the term uptake, although as
11 we have heard from Dr. Laniyonu, FDG trapping is not only a
12 result of glucose uptake into the cell but it is also a
13 consequence of a phosphorylation and limited
14 dephosphorylation. However, in the literature oftentimes
15 people refer to uptake. Whereas, for the purposes of my
16 discussion, I'm just using those terms interchangeably.

17 Just a brief summary. As Dr. Laniyonu said, F-
18 18 FDG is taken up into the myocytes by the glucose
19 transporter after phosphorylation by hexokinase, it is not
20 metabolized further. And so phosphorylated F-18 FDG
21 accumulates in the cell and generates a signal.

22 Also as alluded to by Dr. Laniyonu, most of the
23 literature that I reviewed referred to the use of FDG in
24 combination with perfusion assessment. There are two basic
25 patterns that are referred to. One is flow metabolism

1 mismatch, which is defined as increased accumulation of FDG
2 compared to myocardial perfusion. And that in the
3 literature is thought to be reflective of hibernating
4 tissue. So, in other word, it is a hot spot in terms of
5 the FDG compared to a cold perfusion spot. And then on the
6 alternative is the flow-metabolism match, which is
7 concordant reduction in both F-18 FDG and in perfusion.
8 So, in other words, there is a decrease or a cold spot for
9 FDG as well as for perfusion.

10 One of the main criteria that I used, and I
11 think it is different from some of the other applications
12 that will be discussed or some of the other products that
13 will be discussed, is that in my literature review the
14 performance of FDG was not being compared to another
15 product, or to a gold standard, or to pathology, or
16 something like that. But, rather, the results were
17 compared to the functional outcome of the particular
18 myocardial segment after revascularization.

19 So, in other words, prior to revascularization,
20 segments that were dysfunctional or that were asynergic
21 were identified and then, after coronary revascularization
22 either by CABG or through angioplasty, those same segments
23 were re-evaluated to see if their function came back.
24 Whether or not that function came back in my review was the
25 ultimate arbiter of what truth was or the true state of

1 that myocardial segment, whether it was actually
2 hibernating or not.

3 I have ten studies I've summarized in my
4 review, and in this talk they are all basically of the
5 identical core design, which I would like to emphasize
6 because, once we've walked through this prototype, all ten
7 studies basically fit the same prototype. And so that
8 things don't get tedious, I will try to emphasize different
9 things during each of the studies. But let me just walk
10 through the prototype so that people have an understanding
11 of what the clinical trial design was for each of the
12 studies that I reviewed.

13 Basically, the studies enrolled patients who
14 were planning to go to coronary revascularization either
15 with coronary artery bypass or with angioplasty. And in
16 those patients, those patients had some sort of evaluation
17 at baseline or prior to revascularization of their
18 myocardial dysfunction. Whether it was echocardiography,
19 whether it was radionuclide ventriculography, or whether it
20 was contrast ventriculography, it was not really
21 particularly deemed to be relevant because each of those
22 methods are sufficiently reliable and valid as methods to
23 assess ventricular segmental motion. So at baseline,
24 asynergic myocardial segments were identified.

25 And then the degree of severity of the

1 dysfunction was ranked. And usually this was done in
2 almost all the studies on an ordinal scale, where, for
3 example, one might be normal motion, slightly worse than
4 normal motion might be hypokinesis, slightly worse than
5 that may be akinesis, and then actual dyskinesis where the
6 segment is moving in the wrong direction would be worse
7 than that, and some studies even extended the scale by
8 various ways, such as including aneurysms or breaking
9 hypokinesis into mildly hypokinetic or severely
10 hypokinetic. But the bottom line is that wall-motion was
11 assessed the same way by the ordinal scales in virtually
12 all the studies, with one perhaps or two exceptions.

13 Prior to revascularizations, these patients
14 then usually had an assessment of perfusion. Now whether
15 it was perfusion that was assessed by PET with ammonia, or
16 PET with rubidium, or whether it was some other marker of
17 perfusion, like Thallium, again, in my review was not
18 deemed to be a significant variable. These methods are
19 sufficiently reliable and valid for evaluating perfusion.

20 And then there was some sort of assessment with
21 F-18 FDG in terms of predicting viability. Subsequently
22 those patients underwent coronary revascularization. And
23 then after revascularization, the true state of those
24 myocardial segments was then assessed. And usually that
25 was by the same technology, either echocardiography or

1 contrast or radionuclide ventriculography that was used to
2 assess the state of that segment at baseline or prior to
3 revascularization.

4 And if you have looked through my review, you
5 will see that I spent a fair amount of time talking about
6 what happened to patients, and who was included, who was
7 excluded, whether the segments were included or excluded
8 because I feel that's an important way in which a study can
9 be biased. If only the most representative patients or
10 most representatives segments are selected, then that can
11 give different assessments of performance measures like
12 sensitivity and specificity than they might get if all
13 those patients were included.

14 Performance measures like sensitivity,
15 specificity, and negative and positive predictive value
16 were calculated by the usual formulas. Since the studies
17 were small, I want to emphasize some caveats about the
18 calculations and the performance measures that are
19 reproduced in my review and on some of these slides.

20 First of all, many of these studies had other
21 principal objectives than what I was actually at them for.
22 If you looked at my review, sometimes the review doesn't
23 look very much similar to what the actual paper was. And
24 so, in a sense, this could be viewed almost like a
25 retrospective analysis of the literature.

1 Also, performance measures like sensitivity,
2 specificity, positive/negative predictive values were
3 derived from relatively small number of patients. So you
4 will see in the confidence intervals that some of them are
5 fairly wide because the number of segments or the number of
6 patients that were included were relatively few.

7 Furthermore, there was no standard definition
8 of what a myocardial segment is. It was at the discretion
9 of the investigator. Some studies divided the heart up
10 into three or five segments, others divided it up into
11 many, many more. And so it was simply a question of how
12 the investigator chose to conduct his or her study in terms
13 of defining how many segments there were per any given left
14 ventricle. And so, particularly when some of those
15 segments may not be mutually exclusive of one another, or
16 if they are overlapping one another, then it becomes
17 difficult to start combining performance measures like
18 sensitivity and specificity across studies.

19 And finally, as everyone knows, performance
20 measures like positive and negative predictive value, and
21 accuracy are highly dependent or highly influenced by the
22 prevalence.

23 So some of the things that I looked for were
24 whether there was a sufficient detail of study design,
25 population, doses used, endpoints, image acquisition, image

1 interpretation, and statistical analyses. And I tried to
2 lay that out pretty carefully in the written review. And
3 I'll go through that fairly quickly in my talk.

4 I was concerned about whether there was an
5 adequate study design, whether the study was appropriately
6 controlled. And, again, rather than a gold standard, I was
7 looking at a functional outcome of hibernation, whether, in
8 reality, after revascularization that particular myocardial
9 segment regained its function or not. A blinded image
10 evaluation, and sufficient accounting of patients and
11 segments. And also whether the study population was
12 sufficiently similar to the population in which F-18 FDG is
13 intended. And so that is why I added that slight addition
14 to slide number 3, or as we will see it on slide number 93,
15 that in the indication it would be worded for use in
16 patients with coronary artery disease and chronic left
17 ventricular dysfunction. Because the bulk of the studies
18 that I looked at evaluated the drug in that patient
19 population.

20 This is just for bookkeeping purposes. These
21 are the ten principal studies that I found in the primary
22 literature that supported this claim. They are listed
23 alphabetically. And I'll go through each one of them and
24 try to emphasize slightly different points in each one.

25 The first study was a study by Baer. And the

1 objective was to assess the predictive value of myocardial
2 viability diagnosed by dobutamine transesophageal
3 echocardiography compared with F-18 FDG PET for left
4 ventricular recovery. In my review and in my talk, I won't
5 really be talking about the results of dobutamine
6 echocardiography because, although I think that is relevant
7 and highly useful clinically, the issue on the table is
8 really whether F-18 FDG, there is enough evidence and data
9 to support its use for this purpose.

10 One of the criteria that I used, as similar to
11 Dr. Houn, was whether the studies were prospective. And
12 virtually all ten of these studies were prospective
13 studies, and some enrolled consecutive patients, and
14 virtually all enrolled patients with coronary artery
15 disease and chronic LV dysfunction who were planning to go
16 for some sort of coronary revascularization procedure.

17 Perfusion, interestingly, was not assessed in
18 this study. FDG was given at a dose of 10 millicuries.
19 Quantitative image evaluation, the heart was broken up into
20 28 segments, and the definition of viability I've
21 emphasized in each of my slides, and in this particular
22 study viability was defined as F-18 FDG accumulation of
23 more than 50 percent of the maximum.

24 Patients in that study underwent either CABG or
25 angioplasty. And then wall motion was assessed by

1 transesophageal echocardiography. It had blinded image
2 evaluations by two readers on a four-point ordinal scale.
3 So 42 patients were included in the analysis, 26 of whom
4 had improved function. If you look on that as a segmental
5 basis where there's 28 segments per patient, that ends up
6 to be 1176 segments that could possibly be evaluated. And
7 I'll talk about this in a second, but let just run through
8 the numbers.

9 What this paper did is, as is typical, it
10 restricted the evaluation to those 405 segments that were
11 akinetic or dyskinetic at baseline or had some sort of
12 abnormal function at baseline. In other words, normal
13 segments were not included in the analysis; segments that
14 had normal function at baseline were not included in the
15 analysis. However, then there is another cut which was
16 made, and that is that not all segments were included in
17 the analysis that were akinetic or dyskinetic at rest. In
18 fact, only 371 segments of the 405 that were deemed to be
19 successfully revascularized were included in the analysis.
20 So there's two primary levels here in which segments are
21 not being included in the analysis. One is if they are
22 normal at baseline, they are being excluded. And second,
23 if the revascularization was not felt to be successful,
24 then they were excluded. And of those 180 had improved
25 function.

1 And so the diagnostic performance of FDG in
2 this situation was calculated both by segment and by
3 patient. And, again, I don't want to emphasize too much
4 the particular numbers here, but the sensitivity was 93
5 percent, these are the 95 percent confidence intervals
6 going from 88 to 96 percent, and for specificity, going
7 from 59 to 72 percent.

8 So what were some of the strengths of this
9 study. Consecutive patients, prospective, the wall-motion
10 assessment was blinded. And as I pointed out in my review,
11 there were two levels of blinding that I felt were most
12 important for these studies and which I tried to emphasize.
13 One is whether the wall-motion assessment, which is the
14 outcome of interest, whether those readers were blinded to
15 the results of FDG or not; and the other is the opposite of
16 that, whether the interpreters of the FDG PET images were
17 blinded to the result of wall-motion analysis.

18 Now, I think it is particularly important that
19 because the wall-motion analysis in virtually all cases was
20 a visual one, a qualitative one, on ordinal scales where
21 investigators were trying to make a determination about
22 whether it was hypokinetic or akinetic or dyskinetic wall-
23 motion, that that sort of assessment be blinded. And there
24 were multiple readers for wall-motion and they were
25 blinded.

1 However, I will comment that even in a
2 quantitative image analysis, as is done for many of the PET
3 studies, there are reasons to think about blinding them as
4 well. That's because even in a quantitative analysis, to
5 the extent that there is an operator/reader interaction, or
6 regions of interest are being drawn, or there's any sort of
7 human interface, that's a potential for bias if the PET
8 reader is aware of what the wall-motion analysis showed.
9 However, in my review, I felt that that was less likely to
10 be source of significant bias, and I thought that the wall-
11 motion assessment was the most critical type of assessment
12 that should be blinded.

13 Limitations. Not one of the studies that I
14 reviewed had more than 50 patients that was included in the
15 analysis. But this was one of the three largest. However,
16 I felt that because there was sufficient similarity of the
17 ten studies in terms of the procedures that were done and
18 the outcomes that, in aggregate, although you can't
19 necessarily point to one or just two, in aggregate the data
20 were supportive of the indication that I had indicated at
21 the outset of this talk..

22 The number of PET readers was not specified.
23 And interestingly, in this study wall-motion assessment was
24 based only on systolic wall thickening and not on wall
25 movement.

1 I do want to emphasize at the outset one other
2 thing that I didn't emphasize about this study that I
3 thought was a real advantage, and that is that a by-patient
4 analysis was performed. Whenever we are talking about
5 units such as segments of a myocardium we run into the risk
6 of that not having clinical significance to the patient.
7 Ultimately, what is of concern is that the diagnostic
8 modality or therapeutic modality somehow ultimately benefit
9 the patient. And so patients are ultimately the unit of
10 interest for all of these. And this was one of the few
11 studies that really did a by-patient analysis. Most of the
12 studies were limited insofar as they only did by-segment
13 analyses.

14 Ideally, what you would like to see is a clear
15 progression that regional or segmental wall-motion results
16 in global ventricular improvement in motion or function,
17 which results in improvement in patient symptoms or in
18 patient survival. But most of the studies just really
19 stopped at the level of just doing a segmental analysis.
20 When I get to the secondary literature that I reviewed,
21 that's where I feel that comes in. Because I think those
22 secondary literature articles give a measure of comfort
23 that this regional wall-motion improvement that we're
24 seeing in these ten principal studies is being potentially
25 translated into some sort of patient benefit in terms of

1 either exercise capacity, symptoms, or survival.

2 I'll go through these fairly quickly. They are
3 all of a similar design. I'll just try to emphasize points
4 that I haven't emphasized before.

5 Gerber. The objective was very similar here,
6 consecutive patients with coronary artery disease. This,
7 along with one other study, only looked at the anterior
8 wall of the left ventricular or the distribution of left
9 anterior descending artery. And that was the only
10 myocardial region of interest for purposes of this study.
11 In other words, patients were enrolled only if they had
12 dysfunction of the anterior wall at baseline. And then,
13 after revascularization, some sort of recovery of that
14 anterior wall dysfunction was looked for.

15 Ammonia PET agent was also used to assess
16 perfusion. And, again, there was a comparison in this
17 study to the performance with low-dose dobutamine, in this
18 case it was two dimensional echocardiography transthoracic
19 as opposed to transesophageal echocardiography as in the
20 study by Baer.

21 Major limitations. Doses were not specified.
22 However, the FDG interpretation was quantitative. It
23 involved an operator-interactive image analysis. It was
24 limited to the anterior wall segments. And viability was
25 basically assessed on whether there was a match/mismatch

1 pattern. Revascularization was performed by CABG or
2 angioplasty, and the success of the revascularization was
3 assessed by fairly rigorous means by angiography. And wall-
4 motion was assessed by 2-D echo on a 3-point ordinal scale.

5 Thirty-nine patients were included in the
6 analysis. And accordingly, since only the anterior segment
7 was evaluated, only 39 segments were evaluated. So in this
8 case, there is a concordance between the by-segment
9 analysis and the by-patient analysis. It is essentially
10 the same thing. There were only three studies that in
11 effect did that; the one I just mentioned by Baer, this
12 study by Gerber, and another study that also limited the
13 evaluation to the anterior wall. And so, as I indicated,
14 the diagnostic performance was calculated by-segment, but I
15 assume that to be identical to the by-patient analysis.

16 Here are the performance measures. Again, you
17 can see the spread. A sensitivity of 75 percent, well, a
18 sensitivity of 53 percent is equally consistent with the
19 data, as is a sensitivity of 90 percent. So the small
20 sample sizes -- or specificity, perhaps this is even
21 better, of 67 percent, a specificity of 38 percent is
22 equally consistent with the data. And so what to make with
23 these specific numbers is hard to determine.

24 The strengths include, it was a consecutive
25 study of prospective patients. All 39 patients were

1 included in the analysis. I've indicated that the by-
2 segment analysis was equal to the by-patient analysis.
3 Wall-motion assessments included evaluation of not only
4 wall excursion, in other words, is it moving hypokinetic or
5 dyskinetic, but also is it thickening. That is a somewhat
6 more specific way of assessing true regain of function,
7 because there is a tethering effect within the heart and
8 segments can appear to move even without thickening even if
9 they haven't regained their function. And so systolic wall
10 thickening adds a certain level of specificity in this
11 particular study to the assessments of wall-motion.

12 Major limitation, that the doses were not
13 specified in the paper, the number of readers were not
14 specified, and it didn't indicate whether the readers of
15 the echo or PET were blinded. One other potential
16 limitation of this is that performance measures such as
17 sensitivity and specificity that are calculated for the
18 anterior wall may not be the same for other regions of the
19 ventricle, may not be the same for the lateral wall. And
20 so that's a potential limitation of this study.

21 The next study is by Gropler. The objective
22 was to determine whether prediction of recovery of left
23 ventricular mechanical function could be accomplished more
24 effectively by PET with carbon-11 acetate, which is a
25 marker for oxidative metabolism, than FDG. This was a

1 comparative study. Again, it enrolled patients with
2 coronary artery disease, left ventricular dysfunction.

3 Doses were assessed with a carbon-11 acetate,
4 not the usual perfusion agent that jumps to mind when
5 people think about it. The dose was not specified in the
6 paper but was referred to in a reference. Quantitative
7 image evaluation, 8 segments, but in this case viability in
8 terms of a threshold was defined if FDG glucose normalized
9 to flow was more than 2 standard deviations above the mean
10 in controls. Revascularization was accomplished with CABG
11 or angioplasty. And wall motion was assessed by each of
12 the different methods -- echo, contrast, and radionuclide
13 ventriculography -- on 5-point ordinal scales by blinded
14 readers.

15 Thirty-four patients were evaluated. If you go
16 back to 8 segments per patient, that comes out to 272
17 possible segments. Again, only the 141 that were
18 dysfunctional at baseline were assessed. Of those, 116
19 were included in the analysis, and 46 of which had improved
20 function.

21 And so these are the measures of diagnostic
22 performance. And if this isn't immediately evident to
23 folks, when I'm referring to prevalence here, what I am
24 referring to is the 46 hibernating segments that regained
25 function out of the 116 that were included in the analysis.

1 And that's true for all of these tables that I've shown.

2 Again, wall-motion analysis was performed by
3 two, blinded readers. Well, it is an advantage to have
4 more than one blinded reader or to somehow show that the
5 results are not idiosyncratic to a particular blinded
6 reader, or at least to be able to assess whether there is
7 some sort of inter-reader variability. And so that's one
8 common theme that I've emphasized, is whether the wall-
9 motion analysis or the PET analysis was performed by one or
10 multiple readers.

11 This study used several different modalities.
12 It used echocardiography, contrast ventriculography, PET,
13 radionuclide ventriculography. And somehow there has to be
14 measures taken in a study that show that the segment under
15 one modality is the same segment that you're looking at
16 another modality. And this study was fairly detailed in
17 terms of the way it laid that all out. I would like to
18 emphasize that was one of the highlights or one of the
19 strengths of this study.

20 This study also performed an ROC analysis,
21 which in some ways you could view as being hypothesis-
22 generating as opposed to hypothesis-confirming, because the
23 goal is really to try to identify a threshold from the ROC
24 curve that will give you optimal performance. But, on the
25 other hand, an ROC analysis allows you to look at all the

1 data. Instead of assessing just one arbitrary threshold of
2 FDG uptake at above 50 percent or below 50 percent, this
3 analysis allows looking at every single data point and
4 essentially allows for a true exploration of the data in
5 terms of different thresholds. Moreover, it allows for a
6 truer comparison of different diagnostic modalities. The
7 purpose of this study, which I'm not emphasizing, was
8 really to compare the performance with C-11 acetate with
9 FDG. And ROC curves, oftentimes by showing all the data
10 you can see whether the curves intersect or not, whether
11 they cross one another, and so forth. And so that's a
12 useful way of comparing two diagnostic modalities.

13 Some of the limitations. Again, not the
14 prototypical perfusion agent was used. The number of
15 readers wasn't specified. Ideally, there should be more
16 than one. Blinding of the PET readers was not specified,
17 although grace can be given for that because it was a
18 quantitative analysis. And as is true for many of these
19 studies, global and left ventricular function and clinical
20 outcomes were not assessed. Again, many of the studies
21 just stopped at seeing whether regional myocardial function
22 had improved or not.

23 The next study was by Knuutie, et al. It was
24 to assess the value of PET FDG in predicting cardiac wall
25 motion recovery after revascularization. It involved

1 consecutive patients with previous MIs who had wall motion
2 abnormalities at rest. Perfusion in this case was assessed
3 with SPECT either with thallium or tecnesium-99. So,
4 again, this underscores that different perfusion agents
5 were used in these studies.

6 The dose of FDG was 7 plus or minus 1.5
7 millicuries, and segments were deemed to be viable if FDG
8 uptake was above the lower limit of normal segments. Each
9 left ventricle was broken up into 8 segments.
10 Revascularization was accomplished by CABG or angioplasty.
11 Wall motion, one blinded reader by echo on a 4-point
12 ordinal scale.

13 There were 48 patients, times the 8 segments
14 gives you 384 possible. There were 106 abnormal at
15 baseline, 90 of whom were successfully revascularized, 27
16 of whom recovered function. So the prevalence of abnormal
17 segments or hibernating segments in this particular study
18 was 27 that recovered function out of the 90. And
19 diagnostic performance was calculated by segment. Again,
20 here is performance measures.

21 One thing this study did is it also determined
22 an optimized threshold for sensitivity and specificity with
23 a discriminate analysis, without consideration of
24 perfusion. And these were the performance measures that
25 were obtained, which are substantially different, as you

1 can see on the previous. Specificity was fairly low,
2 whereas sensitivity was high.

3 This was the largest study I think. It had 48
4 patients. Wall-motion analysis was performed blindly.
5 Again, alignment of different modalities. Even within PET,
6 if it is done at different times, if the studies are done
7 at different times, some statement should be made about how
8 the segments were aligned. And, in fact, there was
9 actually a formal statement in the paper about how
10 reproducibility of wall-motion analysis was assessed by the
11 same reader over time.

12 Some of the limitations. Again, some of the
13 studies just indicated that the study was blinded, but it
14 didn't say to what. That is potentially a problem because
15 the wall-motion analysis, were they blinded to the PET
16 studies, were they blinded to the clinical history, were
17 they blinded to anatomic orientation; it is unclear. And
18 so a further level of detail would be helpful.

19 The number of readers was not specified. The
20 blinding of whether the PET images were blinded or not was
21 not specified. And, again, there was no overall
22 improvement of assessment of how did the patient do, or at
23 least how did the whole left ventricle do.

24 The next study by Lucignani, et al. To
25 identify hibernating myocardium with technetium SPECT

1 compared to PET. Again, same story, coronary artery
2 disease, left ventricular dysfunction. Perfusion, again
3 assessed with SPECT. 6.8 millicuries was done. Now here,
4 this was one of the few studies that actually did a visual
5 analysis of PET; most of them had some sort of quantitative
6 assessment. And so this was fairly unique in that regard.
7 The degree of uptake was assessed on a 3-point ordinal
8 scale.

9 The success of revascularization was assessed
10 by rigorous means, by coronary arteriography. And wall
11 motion was assessed, in this case, with EKG-gated planar
12 perfusion scintigraphy or first-pass radionuclide
13 angiography, depending on the circumstance. But the number
14 of readers was not specified, and the blinding of readers
15 was not specified. This basically boiled down to whether
16 or not the wall could be seen with the planar perfusion
17 scintigraphy, and, if it couldn't, then the wall motion was
18 assessed with first-pass radionuclide angiography.

19 There were 14 patients, a small study, 5
20 segments per patient, 54 of them were asynergic at
21 baseline, 40 of which improved. And so the prevalence of
22 hibernating myocardial segments in this study would have
23 been 40 over 54, as we'll see on the next slide. And, in
24 addition, two multiple logistic analyses were performed.
25 And so the prevalence was 74 percent. Here again we can

1 see some of the spread that is a result of the small sample
2 sizes. The specificity numbers of 52 percent and 96
3 percent are equally consistent with this dataset.

4 Two multiple logistic analyses were performed
5 that showed the highest probability of wall motion recovery
6 was associated with both F-18 FDG uptake as well as absent
7 perfusion. So that is sort of the extreme what we call
8 flow metabolism mismatch. A second multiple logistic
9 analysis was performed without perfusion that showed even
10 without perfusion the probability of wall motion recovery
11 was increased as FDG uptake increased.

12 Strengths. I put down the qualitative
13 evaluation as a strength because there may be circumstances
14 in which, although it is debatable, there may be
15 circumstances in which qualitative evaluations may be
16 performed instead of quantitative ones. And it is useful
17 to show that the results can be confirmed by the human eye
18 and aren't just an artifact of some quantitative
19 measurement of instrumentation.

20 They had multiple readers using evaluation of
21 FDG PET and the perfusion images. And stress hypoperfusion
22 was also evaluated. This is one of the few studies that
23 evaluated stress hypoperfusion. Most only evaluated
24 hypoperfusion at rest. So this is sort of looking at
25 hypoperfusion sort of at yet another level and sort of

1 broadening the scale, which was interesting.

2 Again, major limitations. Small sample size.
3 They didn't specify if the readers of PET and SPECT were
4 blinded to the results of the wall motion analysis. The
5 number of readers to the wall motion analysis was not
6 specified, and it wasn't specified if the wall motion
7 analysis was blinded.

8 I apologize if this is getting somewhat
9 tedious. We have a few more studies and I'll try to go
10 through them quickly.

11 A study by Maes to evaluate the ability of
12 tecnesium MIBI to assess viability compared to PET with FDG
13 and ammonia. 10 millicuries given. Viability was done by
14 looking basically at flow metabolism ratio.
15 Revascularization was through CABG only. Regional ejection
16 fractions were calculated to assess improvements in
17 regional ventricular function. So this is the one study,
18 if I remember correctly, that did not use visual scales of
19 hypokineses, dyskineses, akineses, et cetera. It actually
20 used regional ventricular ejection fractions to assess
21 whether the myocardial ventricular function was recovered
22 or not.

23 Twenty-three patients. Relatively small sample
24 size. This is the other study that only looked at the
25 anterior wall. One other aspect of this study is that

1 biopsies were done at CABG. And so this is one of the few
2 studies that actually had some sort of morphological
3 correlates as well to estimates of viability. So if you
4 would like to refer to that as a standard of truth on a
5 morphological sense, I suppose you could. But again, this
6 is a case where the by-segment analysis is going to be
7 identical to the by-patient analysis because only one
8 segment per patient is being evaluated, that is the
9 anterior wall segment.

10 So, again, you can see the numbers. Wide
11 spreads because of the small sample size. And as I
12 indicated, morphological correlates were evaluated, which
13 basically showed there was more fibrosis or greater degrees
14 of fibrosis with greater likelihood of nonviability, as
15 predicted.

16 Again, number of readers not specified. It
17 wasn't specified if they were read blindly.

18 A study by Marwick, the goal of this was to
19 assess the metabolic response of hibernating tissue as
20 assessed by PET imaging again with a different perfusion
21 agent, though, rubidium in combination with FDG.
22 Revascularization by CABG or angioplasty. Two blinded
23 readers, 6-point ordinal scale. Only 16 patients.

24 Here's the performance measures. Again, wide
25 variation.

1 Strengths. Blinded evaluation of 2-D echoes,
2 PET scans, and rubidium scans. More than one blinded
3 readers. Stress hypoperfusion was assessed and post-
4 exercise FDG uptake was assessed. So, again, this is
5 looking at sort of a different end of the spectrum or
6 pushing the spectrum of perfusion abnormalities a step
7 further than most of the other studies did. Interestingly,
8 in this study perfusion and PET studies were performed
9 after revascularization as well.

10 Most of these points I've already covered.
11 Although this study did look at symptomatic improvement in
12 patients. It was one of the few that did. However, the
13 conclusion was simply that the patients had improved angina
14 compared to before revascularization. But from the
15 perspective of understanding whether FDG somehow could have
16 predicted that improvement, there was no specific analysis
17 that was done. In other words, that improvement in angina
18 could have just been from the revascularization alone and
19 may not at all be correlated with the degree of viability
20 as predicted by FDG at baseline.

21 Tamaki, there are two studies by him and his
22 colleagues. I don't see anything particularly unique here,
23 except that this is one of the few studies that actually
24 looked at patients with fasting. Most of the other studies
25 were done on top of glucose load. There are advantages and

1 disadvantages to doing that, but this is one of the few
2 studies that did it.

3 Revascularization was by CABG alone. The
4 quality of the revascularization was assessed by evaluation
5 of improvements in perfusion with ammonia. So a fairly
6 rigorous criterion. And wall motion analysis was assessed
7 by radionuclide ventriculography by three blinded readers.
8 Only 22 patients, 110 possible segments, only 46 of which
9 were included in the analysis, 23 of which regained
10 function. Here are the performance measures.

11 Strengths. Again, multiple blinded readers,
12 rigorous assessment of revascularization, good discussion
13 of alignment of segments before and after revascularization
14 as obtained by different modalities, as well as by PET
15 before and after revascularization.

16 Limitations. Small sample size, and very, very
17 little information about the study subjects was provided in
18 this paper. And that is an important point because in
19 terms of knowing to whom this study is generalizable, it
20 would be nice to know the ratio of men and women, basic
21 demographic aspects of the patients, the nature of the
22 coronary artery disease, how severe the left ventricular
23 dysfunction is, and all those other sorts of details to
24 know whether this particular study is generalizable to
25 larger populations.

1 Another study by Tamaki and his co-workers.
2 Again, done under fasting conditions. Again, viability
3 assessed by a match/mismatch pattern. Three blinded
4 readers for the wall motion on a 5-point ordinal scale.
5 Forty-three patients, one of the larger studies in that
6 regard. So that would be 215 possible segments, of which
7 130 were included. And here is the performance measures.

8 Again, of the ten studies, this was the second
9 largest. Wall motion analyses were performed by multiple
10 readers that were blinded. Rigorous assessment of the
11 success of coronary revascularization. This is another
12 case in which all it said was that the readers were blinded
13 but it didn't say to what they were blinded, again, an
14 important thing to include in the manuscript.

15 And this final study is actually one of the
16 original seminal papers in the field, it is by Tillisch and
17 co-workers, published in the New England Journal of
18 Medicine in 1986. There were many, many aspects of this
19 study that were well done. It is a fairly small study. I
20 point to it because there is not particularly anything
21 unique that I can emphasize now that I've been through the
22 prior nine studies, but just that there were many aspects
23 of this study that were well done even though it was a
24 small sample size. These were the performance
25 characteristics that were measured.

1 So strengths. Wall motion assessed by multiple
2 blinded readers, consecutive patients were enrolled, these
3 were all prospective studies, success of revascularization
4 was assessed, and the alignment of myocardial segments was
5 described across modalities.

6 Small sample size. Didn't specific the number
7 of readers of PET, didn't specify whether the PET readers
8 were blinded. Again, this is another case where very few
9 patient characteristics were described, so it is hard to
10 know what the patient population was to whom those results
11 may be generalizable.

12 Now I'm going to go fairly quickly through the
13 secondary published literature. I provided a summary of it
14 in my review. What I was looking for in the secondary
15 published literature was really a few things, which are
16 highlighted on the next few slides.

17 I wanted to see whether there was any
18 literature that supported the clinical usefulness of PET
19 with F-18 FDG. In other words, it is not enough just to
20 show that the segment improved but that the heart didn't or
21 that the patient didn't, but rather what is the evidence
22 that it is clinically useful or that it leads to
23 appropriate clinical decisionmaking, and to provide support
24 that cardiac PET imaging, as I just said, influences
25 clinical decisionmaking appropriately. It is not enough

1 just to influence clinical decisionmaking, but it has to be
2 influenced appropriately.

3 I also looked at the secondary published
4 literature to see whether regional left ventricular
5 function was associated with global left ventricular
6 functional recovery. And again, as I emphasized at the
7 beginning, to see whether those pharmacodynamic endpoints
8 are translated into clinical meaningful endpoints, such as
9 improvement in symptoms, improvement in exercise tolerance,
10 or prolongation of survival.

11 Now when we get into this sort of level, we're
12 talking about levels of claims that are slightly further
13 down on the scale in terms of being able to appropriately
14 influence decisionmaking or whether influencing clinical
15 outcomes and diagnostic and therapeutic management. And so
16 the argument that I am trying to make here is, basically, I
17 didn't feel that the evidence was sufficient from these
18 papers to uphold that sort of a claim on that level.
19 However, it was sufficient in the aggregate when you looked
20 at those studies to support a claim of detecting myocardial
21 hibernating tissue.

22 Safety, basically no additional safety concerns
23 were raised by some additional information that we've
24 received at the agency as well as in the original NDA. I
25 mentioned the issue of glucose load or fasting, which isn't

1 an issue of FDG, per se, but it is an issue of perhaps how
2 the drug is used.

3 And so my preliminary conclusions, and this is
4 analogous to slide number 3 except that it contains the
5 descriptor of who the patients are, is that the literature
6 supports the use of FDG in patients with coronary artery
7 disease and left ventricular dysfunction, when used
8 together with myocardial perfusion imaging, to identify
9 left ventricular myocardium with altered glucose metabolism
10 and reversible loss of contractility. That's a long
11 sentence.

12 One thing I would like to emphasize is that all
13 these studies, for the most part, they only looked at the
14 case of successful coronary revascularization. Most of the
15 analyses were limited to that case and so that may actually
16 limit the usefulness of PET imaging.

17 In other words, on the one hand, being able to
18 evaluate the performance of FDG in only those patients in
19 whom revascularization was successfully completed
20 eliminates the variable revascularization from the analysis
21 and gives you a truer assessment of the performance of the
22 drug without other competing, confounding influence.
23 However, if the patient is unlikely to have a successful
24 revascularization, then that would influence whether or not
25 a PET imaging scan with FDG would be obtained or not,

1 because if it is unlikely to be successful, then regardless
2 of how good the test is, viability would likely not recover
3 spontaneously. And so the likelihood of successful
4 revascularization should be considered carefully by health
5 care providers before imaging with FDG is performed.

6 And in terms of safety for the use for cardiac
7 evaluation, it generally appears to be safe. However,
8 patients with impaired glucose homeostasis may require
9 special precautions if they undergo fasting and/or glucose
10 loading prior to drug administration.

11 Thank you.

12 DR. RAMSEY: Thank you very much, Dr.
13 Raczkowski.

14 I think we will actually hold questions for
15 now. I have had a request by Dr. R. Ed Coleman, from Duke
16 University, to go ahead with his presentation at this time,
17 and so I would like to ask him to come to the podium. We
18 will hold questions until after his presentation, and then
19 we will have open public forum and time for questions.

20 Also, I would like to alert the speakers for
21 this afternoon that although the questions have been
22 excellent and lively, I have no idea exactly how many there
23 will be and it may very well be that your presentations
24 will be slightly before the time stated. So if you could
25 just be prepared for that.

1 DR. MADOO: Dr. Coleman, if you would, identify
2 if you were conveyed or sponsored by anyone.

3 DR. COLEMAN: My name is Ed Coleman, I'm from
4 Duke University, and I am sponsored by the Institute for
5 Clinical PET.

6 I would like to compliment Dr. Sancho, Dr.
7 Laniyonu, Dr. Houn, and Dr. Raczkowski for the
8 presentations they have given us here today. What I'm
9 going to do is to focus on the uses of FDG, and
10 particularly my experience with the use of FDG primarily in
11 oncology. I'll make a couple of statements about its use
12 in cardiology. Dr. Maddahi will be talking later on in the
13 open session after the N-13 ammonia presentation. And as
14 you've heard, the FDG is generally used with a perfusion
15 agent and in most institutions with N-13 ammonia. And Dr.
16 Maddahi will talk a little more about its clinical
17 applications.

18 Let me start off with a patient that was
19 studied recently at Duke University. This was a lady that
20 presented to the gynecology service, had a routine chest x-
21 ray, and has a right upper-lobe nodule. And by chest x-
22 ray, this is indeterminant; by looking at that, cannot
23 determine whether that is benign or malignant.

24 The next study that was done was a CT scan.
25 And, again, on the CT scan we see this abnormality in the

1 right upper-lobe, no characteristic findings that suggest
2 that it is benign or malignant. And so, again, this is an
3 indeterminant pulmonary nodule. This is a single pulmonary
4 nodule. On the CT scan there were no other abnormalities
5 that suggested malignancy. The lymph nodes in the
6 mediastinum were normal, the bones that were seen on the
7 chest CT were normal. So we were left with an
8 indeterminant lesion in the right upper-lobe.

9 The next procedure that was obtained in this
10 patient was a radionuclide bone scan. I think one could
11 question why that was obtained at this time, but it was.
12 At this time, again, we had no diagnosed malignancy. The
13 clinicians were very concerned that this was going to be
14 lung cancer. The bone scan is, as you see it here, in the
15 left iliac wing we have a focal area of abnormal
16 accumulation. I would like for you to look at the spine,
17 look at the sternum. No other abnormalities.

18 So at the time of the bone scan we obtained a
19 plain film of the pelvis to see if there was a diagnostic
20 lesion that would suggest malignancy. There was not. The
21 plain film was normal at the site of abnormality on the
22 bone scan. In a patient with a malignancy, that is very
23 worrisome for metastatic disease; that is, an abnormal bone
24 scan, normal plain film. The bone scan is more sensitive
25 for detecting metastatic disease than is the plain film.

1 But with this patient not having a malignancy, no
2 abnormality, a little bit of a quandary as to what this
3 would mean.

4 The clinicians then ordered a whole-body FDG
5 PET scan, which I'm showing two of the coronal sections
6 here, two of the more anterior coronal sections. And what
7 we are seeing here is abnormalities, this is in the
8 sternum, and mediastinal lymph nodes on these two sections.
9 We do see that left iliac wing abnormality on that coronal
10 section. And as we go a little further posterior, we're
11 seeing the right upper-lobe nodule, demonstrating that it
12 is malignant, other mediastinal lymph node abnormalities,
13 and multiple vertebral body abnormalities. In addition to
14 the left iliac wing abnormality, there was a right iliac
15 wing abnormality.

16 So we're seeing multiple lesions here that had
17 not been detected on the other studies. More detailed
18 views of the chest show this right upper-lobe nodule,
19 mediastinal lymph nodes that were all less than one
20 centimeter on the CT scan, multiple vertebral body
21 abnormalities, and if we look at this what we call a
22 sagittal, or more side, view, it gives you more detail as
23 to where the abnormalities are, in the sternum multiple
24 vertebral bodies and in the mediastinum. So this is the
25 type of information that we are able to obtain from the PET

1 scan.

2 We have heard today that we're looking at
3 fluorodeoxyglucose. It is a biochemical marker. The use
4 of this really dates back to the findings of the biochemist
5 Warburg, who in 1931 reported that tumor cells metabolize
6 glucose avidly. Then Dr. Sokoloff at the NIH worked on the
7 2-deoxy D-glucose to show that you could look at glucose
8 and quantify glucose metabolism using the deoxyglucose
9 technique. The radiochemist Al Wolf and colleagues at
10 Brookhaven National Laboratories then synthesized the
11 fluorine-18 to fluoro 2-deoxyglucose that we now use for
12 the PET scanning.

13 You have heard this gone through a couple of
14 times today, that the similarity of the behavior between
15 the fluorodeoxyglucose and glucose is the basis upon which
16 this imaging principle works. Just a couple of points that
17 have been brought out here, is that the PET scans are done
18 with the patient in the fasting condition. We want the
19 blood glucose level to be at the lowest level it can be but
20 we don't want the insulin levels to be sky-high either.
21 That is, if you inject insulin at the same time you inject
22 FDG, most of that FDG is going to go intramuscularly. And
23 so it is a balance between the serum glucose, serum
24 insulin, and the fluorodeoxyglucose.

25 So, typically, we have our patients fasting

1 four hours before having the study. If they are scheduled
2 first thing in the morning, they are fasting over night.
3 If they are scheduled later in the day, it is a four-hour
4 fast. If the patient is diabetic, we want them to be at as
5 euglycemic normal glucose levels as they can be. And,
6 typically, what we have used as a cut-off in our laboratory
7 is a blood glucose of 200. And for patients who are
8 diabetics, we ask them to have their glucose under control
9 without having any regular insulin within four hours of
10 coming to the PET laboratory. Patients these days are very
11 knowledgeable about their glucose levels and they can get
12 their own glucose levels to the point where it will be at
13 an acceptable level when they come to the PET facility.

14 If for some reason a patient is diabetic and
15 did not know that they were supposed to be fasted or what
16 their glucose level was going to be, we will check the
17 glucose level in the laboratory. But we do not do it
18 routinely. It is only if they are diabetic, if there's any
19 question of not fasting for four hours, or any question of
20 glucose intolerance, then we will get a serum glucose. And
21 we do not do the FDG study if the serum glucose is greater
22 than 200.

23 This shows what has been happening to PET
24 imaging at our center, Department of Radiology, Duke
25 University Medical Center, going back to December 1996.

1 The yellow are the total studies, the orange-ish line here
2 is the clinical studies. And you can see back in 1996 we
3 were doing 40 to 60 a month. Now we're up to 180 a month,
4 and this year we will be doing about 2,000 clinical PET
5 scans. So this is a timely topic. It is a very important
6 topic for us in nuclear medicine, in radiology and PET
7 imaging to make sure that we do have these
8 radiopharmaceuticals approved and that they are being used
9 under the right regulatory authority.

10 What I'm going to do now is go through the
11 patients that we did last Wednesday. We do eight to ten
12 clinical patients a day. I thought it may be useful for
13 this panel just to see what types of patients does a PET
14 center see in a day of activity.

15 This first patient is a patient with a history
16 of a brain tumor. He has had resection, and the question
17 now is does the patient have a recurrent tumor or not. The
18 data on supporting this indication was the first clinical
19 PET data in the literature. And really, clinical PET was
20 started by Giovanni Di Qierro and his colleagues at the
21 NIH. Giovanni did some very careful and important work on
22 looking at FDG accumulation in brain tumors and in
23 differentiating necrosis from recurrent tumor after
24 therapy. During the discussion, there was some discussion
25 related to the metabolic information and the prognostic

1 information in the imaging studies. Well, Giovanni Di
2 Qierro many years ago demonstrated very clearly that the
3 more metabolically active the tumor, the shorter the
4 prognosis, and regardless of what the histologic
5 characterization was, the metabolic information provided
6 better prognostic information than did any other parameter.

7 We have shown in lung cancers, and this was
8 published in Cancer this year, that lung tumors that are
9 more metabolically active, have higher SUVs, have a much
10 worse prognosis than those that have low SUVs. And there
11 is similar data, again from the NIH and other institutions,
12 on soft tissue carcinomas. The higher the amount of FDG
13 uptake, the more malignant carcinoma it is. So there is
14 data out there in certain tumor types on using FDG from a
15 prognostic standpoint.

16 What I am showing on this slide is a patient
17 who has had surgery. The question, recurrent tumor. On
18 the left is the patient's MRI, contrast enhanced MRI, and
19 on the right is the registered PET image. That is, we've
20 take the PET and MRI and matched the surfaces so that we
21 can move from one to the other and look at the exact same
22 structure. And this image is two-thirds MRI, one-third
23 PET. This is two-thirds PET, one-third MRI, and then 100
24 percent PET. What we're seeing is there is some glucose
25 metabolism, not great, but in this little nodule of

1 enhancement here just posterior to the cystic cavity. The
2 other are radiation changes, enhancement from necrosis, but
3 there is recurrent tumor in this patient. And this is the
4 combination of the findings from the MRI and PET that
5 permit us to make that diagnosis.

6 This is an interesting patient that I don't
7 have pathology back on as yet; I checked yesterday before I
8 left to come here. She has had two lymph node biopsies and
9 neither one has been diagnostic. She was referred to Duke
10 earlier this week with some shoddy adenopathy in the neck,
11 she had a right axillary node, and on chest x-ray has a
12 mediastinal mass. Certainly highly suspicious for
13 lymphoma.

14 Before she got to the PET facility, they had
15 biopsied a right axillary node that came back non-
16 diagnostic, maybe slightly increased plasma cells but no
17 characteristic lymphoma. We did the PET scan, and you can
18 just see nodes, 50 to 100 of them in the neck, mediastinum
19 full of nodes, superclavicular fascia, both axilla,
20 mediastinum into the hyla areas, spleen is involved,
21 periaortic, abdominal lymph nodes, iliac, and inguinal
22 lymph nodes. So, certainly, this should be lymphoma. I
23 notice on Friday they biopsied one of the abdominal nodes
24 under ultrasound guidance, and, again, that had come back
25 nondiagnostic. But this very likely will be lymphoma, and

1 very likely a Hodgkin's lymphoma.

2 This next scan is a 52-year-old gentleman,
3 actually an administrator at the hospital, that has been a
4 very active runner, very avid athlete for many years. Over
5 the last couple of months, he has had the insidious onset
6 of back pain. He was treated conservatively for six weeks
7 or so. Back pain continued to get worse. Started having
8 some fever, just general not feeling well. And was
9 admitted to the hospital last Sunday night mainly for pain
10 control. On Monday, he had an MRI that shows diffuse
11 increased T-2 signal in T-11. Worrisome for myeloma,
12 worrisome for lymphoma, unlikely to be trauma, doesn't look
13 like a fracture. He actually got his CT scan. The CT scan
14 was negative. I should say there was slight contrast
15 enhancement on the MRI. He got a CT scan that was
16 negative. Had a biopsy of that vertebral body. It came
17 back normal bone and bone marrow.

18 Got the FDG PET scan. It shows increased
19 signal, increased FDG localization in the T-11 vertebral
20 body, on coronal sections, we're seeing the increased FDG
21 accumulation there. The patient went to open biopsy on
22 Friday, and those results are not back as yet.

23 This is a patient that recently presented. On
24 a chest x-ray had two nodules, one in the left upper lobe,
25 one in the right upper lobe. The left upper lobe nodule

1 was biopsied. A small cell lung cancer. We also see
2 hypermetabolism in the right upper lobe nodule, suggesting
3 another lesion. Here it is on the transaxial sections.
4 The right upper lobe nodule. The left upper lobe nodule
5 was actually right up next to the aorta.

6 What the PET scan was able to show here were
7 two lesions in the liver; a small lesion here in the right
8 lobe, and a larger lesion in the left lobe. The left lobe
9 lesion on CT scan was seen and thought to be possibly a
10 cystic lesion and not definitely a metastatic lesion. The
11 right lobe was not seen on the CT scan.

12 This is a patient that presented to the
13 thoracic surgeon with a barium swallow showing an
14 esophageal mass. This is presumed to be esophageal cancer.
15 What we're seeing on this coronal section is increased
16 uptake in the distal esophagus. We're seeing it here. On
17 a more localized view of that area, we're seeing the FDG
18 accumulation in the esophageal cancer. We're seeing a
19 lymph node right below the gastro-esophageal junction that
20 had not been caught on the CT scan. In retrospect, you
21 could go back and see that lymph node. But the tumor is
22 also extending into the superior portion of the stomach,
23 and, again, suggested on the barium swallow but had not
24 been called at the time of the barium swallow.

25 This is a patient with rectal cancer with a

1 known three centimeter lesion in the liver. The patient is
2 being considered for operative resection of the metastatic
3 disease in the liver. It has been found that a third to
4 maybe as high as 50 percent of patients that have
5 colorectal cancer metastatic to the liver may significantly
6 benefit and have prolonged survival with removal of these
7 lesions if the tumor is not elsewhere.

8 And so this patient had a CT scan showing the
9 one large lesion. There was an equivocal abnormality
10 lateral to that, which we're seeing here on the PET scan.
11 There are two lesions here. And again on these images you
12 can see the larger lesion and then the smaller lesion is
13 seen a little better postoral. There are several studies
14 in the literature now that show the accuracy of PET
15 scanning in detecting metastatic colorectal disease and
16 the advantages of its use for the surgeon in defining the
17 disease.

18 This is a patient that had had colorectal
19 cancer metastatic to the liver that had had a hepatic
20 resection of the metastases, and this was being for follow-
21 up is there recurrent tumor on these whole-body coronal
22 sections. This is a normal study. As we look a little
23 more postoral, we can see the evidence of the resection.
24 We're seeing evidence of resection here. But there was no
25 evidence of recurrent or persistent tumor at this time.

1 This is a PET scan of a 19-year-old boy with
2 malignant melanoma that had recurred in his axillary lymph
3 nodes right axilla. He had had surgical removal of those.
4 Now this was being used to determine is there any
5 persistent or recurrent disease. And we see no
6 abnormalities to suggest recurrent melanoma on this
7 patient.

8 The next patient is an older patient than the
9 last. This patient is in his 50s. Very similar story.
10 Had a melanoma removed several years ago, then developed
11 axillary involvement, had surgery, now coming back six
12 months later is there any evidence for metastatic disease.
13 And in this patient we have right upper lobe metastases,
14 left iliac wing metastases, and a left adrenal metastasis.
15 So this is being used to for surveillance of these patients
16 to determine when the disease has recurred.

17 And then, just in closing, to show FDG in
18 determination of cardiac viability. Dr. Raczkowski and
19 others have pointed out that we compare the FDG images,
20 which are these three images, with the ammonia images,
21 which are these three. On the vertical long axis views on
22 this ammonia image, this should look like a horseshoe. And
23 so this portion of the myocardium is hypoperfused. If you
24 look at it on the glucose, it has FDG accumulation within
25 it. So that shows that it is ischemic, decreased blood

1 flow, but viable, having glucose accumulation within it.

2 This particular patient is a 60-year-old woman,
3 8 years post-bypass grafting surgery, 6 months post-
4 anterior wall infarction, heart failure EF-22. The images
5 revealed this mismatch consistent with viable anterior
6 wall. Coronary angiography revealed an occluded graft. A
7 second bypass was performed. Six months later the patient
8 was asymptomatic. Ejection fraction 47 percent.

9 And then the last one is a 30-year-old man.
10 Family history of heart disease. Anterior wall infarct.
11 Angioplasty attempted. Unsuccessful. The PET FDG and
12 ammonia images show a match consistent with scar. Because
13 of the age, 32, they chose to go ahead and bypass the
14 patient. Two months after cardiac surgery, the pre-
15 surgical ejection fraction of 20 percent was unchanged,
16 wall motion not improved. The patient died six months
17 after the surgery.

18 This shows the images in this patient. Again,
19 this should look like a horseshoe. A large defect here in
20 the anterior anapical-infloapical wall. But the FDG
21 images look identical to the perfusion images. So this is
22 a match and shows infarcted myocardium, not ischemic
23 myocardium.

24 Thank you.

25 DR. RAMSEY: Thank you, Dr. Coleman.

1 We have time for questions now for the speakers
2 from this morning as well as Dr. Coleman.

3 DR. KONSTAM: Dr. Coleman, could I just ask you
4 about that cardiac case with the viability. I think I
5 noticed that there didn't seem to be an increase in FDG
6 uptake in the ischemic, it just looked homogeneous relative
7 to the flow which was clearly not. I guess the question I
8 have is, to what extent is the diagnostic capability of FDG
9 for viability a function of increased uptake versus just
10 simply a mismatch with flow or wall motion?

11 DR. COLEMAN: The FDG images have been
12 evaluated in several ways. As Dr. Raczkowski pointed out,
13 there have been criteria based upon if it is greater 50
14 percent of otherwise normal myocardium, there have been
15 pooled data to use as a background upon which to compare
16 the FDG accumulation, and there has been visual
17 interpretation. And I think most of us in our clinical
18 practice look at the images and say is there more FDG
19 accumulation there than perfusion, suggesting that there is
20 ischemic viable myocardium. So it is a comparison with the
21 amount of FDG in a region as compared to the perfusion to
22 that region.

23 DR. KONSTAM: So the diagnosis doesn't really
24 require an excess FDG uptake relative to normal?

25 DR. COLEMAN: No, it does not. Any FDG uptake

1 there suggests some degree of viability. And then you have
2 got to compare it to the amount of muscle there that has
3 perfusion. So, the answer is, yes.

4 DR. MALCOLM: I had a question for Dr. Coleman.
5 Just a very simple question. I just wanted to know how do
6 you report those positive studies in the oncological
7 patients? If I was a clinician looking at any of those
8 studies, what would the readings say?

9 DR. COLEMAN: The readings would say, for
10 example, in a patient with a solitary pulmonary nodule, if
11 I have FDG that looks like the one I showed you, these are
12 the findings suggesting cancer. In the multiple
13 abnormalities, these findings would be most consistent with
14 metastatic disease. In the patient that I presented with
15 the lymphoma, that distribution would be most consistent
16 with lymphomatous disease. So that's the way my
17 interpretations would be.

18 DR. TATUM: I'm Dr. Tatum. Another question
19 for Dr. Coleman, because I think it is really important for
20 this panel to understand, and a question that has come up
21 several times, in comparing why there is not a sponsor. If
22 this were another type of a drug with a broad dissemination
23 and profit margin that was more extensive, we would expect
24 to see a sponsor. So that becomes a very critical point.
25 And I would like to hear, I know you've been in the area

1 for a long time, your comments on why you think there isn't
2 sponsorship, and what impact it has on our decisionmaking
3 process.

4 DR. COLEMAN: Well, I think that to go through
5 the NDA process, to go through the clinical trials is a
6 very expensive process. There is no company out there that
7 is going to make the amount of money that is generally made
8 on drugs that receive an NDA, so there is no impetus for
9 any one company to support the clinical trials that are
10 used to have an NDA for a drug like this. The ICP in its
11 very inception met with the FDA to go through this type of
12 process for approval for FDG just because of that reason.
13 And so I think this is a very reasonable process to go
14 through for the PET radiopharmaceutical drugs where there
15 is no proprietary nature to the drug.

16 DR. AMENDOLA: I'd like to ask a question to
17 Dr. Coleman regarding his experience on the use of this
18 agent in breast cancer. There is a very specific set, a
19 patient with a negative mammogram, a positive MRI, the
20 patient cannot be biopsied. It seems that that would be a
21 pretty good indication for this.

22 DR. COLEMAN: The data on using PET imaging for
23 characterizing breast lesions is relatively sparse at this
24 time. The initial data are somewhat promising. I think
25 that for breast imaging what we are going to find is the

1 development of specific devices that will improve the
2 resolution for detecting breast lesions, so-called positron
3 emission mammography. And several centers now are working
4 on such devices to be able to improve the resolution for
5 detecting breast lesions. So the preliminary data look
6 quite good for breast cancer, look quite good for looking
7 in the axilla. But I think that it is going to get better
8 when we have the dedicated devices.

9 DR. RAMSEY: Dr. Coleman, you will be here this
10 afternoon if there are any more questions?

11 DR. COLEMAN: I will.

12 DR. RAMSEY: Okay. Does anybody have any more
13 questions?

14 Yes?

15 DR. HAMMES: Dr. Coleman, your experience with
16 FDG, in particular, but also the ammonia and water, have
17 ever witnessed any adverse effects?

18 DR. COLEMAN: I have never witnessed an adverse
19 effect. I called Dr. Ted Silverstein, who chairs the
20 Pharmacopeia Committee of the Society of Nuclear Medicine,
21 who surveys all PET centers, and I think it is on a monthly
22 basis, I think we send in monthly reports, but he surveys
23 and he has never received a single adverse event from a PET
24 radiopharmaceutical.

25 DR. HAMMES: Also, does the FDA have any

1 reports of adverse effects from any of these agents we're
2 talking about?

3 DR. COLEMAN: In his letter to me, he said they
4 did not. But I don't know.

5 DR. LOVE: Since water or ammonia at this
6 moment are not under NDA, we would not normally receive
7 reports from them, it would only be from FDG. And to my
8 knowledge, we have not received any report on FDG.

9 DR. CHOYKE: Dr. Coleman, could you say what
10 the relative percentage of oncologic versus cardiac use is
11 in your place, and then overall.

12 DR. COLEMAN: The overwhelming use of FDG
13 clinically is in oncology. Of the 2,000 clinical PET scans
14 we do at Duke, this year the number that will be cardiac
15 will be somewhere between 50 and 100 out of those 2,000.
16 And I think that that's not too dissimilar from most active
17 PET centers. There is going to be a few, like UCLA, that
18 has done a lot with cardiac PET and will have a little
19 different ratio and use it more for cardiac. But most of
20 my colleagues that have active PET centers where there is a
21 lot of oncology and cardiology, it is heavily weighted in
22 favor of oncology.

23 DR. RAMSEY: Any other questions?

24 DR. KONSTAM: Just to follow up on that, and it
25 gets into a question of physiology that I have. I wonder

1 why it isn't used more in cardiac, in your experience
2 anyway. I guess I want to couple that with sort of a
3 quandary that I have about the dataset in general; which
4 is, there's a significant nuclear medicine literature on
5 myocardial perfusion imaging as an indicator, and maybe I
6 can address this to Dr. Raczkowski as well, as an indicator
7 of viability, that is perfusion as an indicator of
8 viability. And there is extensive literature about this.
9 And yet when you turn to the PET literature, you're looking
10 for a mismatch that is under perfusion relative to FDG.

11 I guess let me perhaps partly answer the
12 question relative to what we said earlier, is that, well,
13 but maybe there is increased uptake of FDG in the ischemic
14 area, so it is going to be still increased relative to
15 perfusion even if perfusion is relatively normal at rest.
16 But then, on the other hand, you showed us a case that
17 didn't have increased FDG perfusion. So, I guess I'm not
18 sure it matters in the end of the day in terms of the data
19 that Dr. Raczkowski showed which seemed to show that it is
20 useful. But I just wonder if either of you, or anyone
21 else, can comment about this I think little bit of a
22 paradox.

23 DR. COLEMAN: Let me say a few things, and then
24 maybe Dr. Maddahi will want to say something, too.

25 Why is this not used more? I think that there

1 is a lot of competing techniques out there that are pretty
2 darned good right now. Thallium redistribution is pretty
3 good. Low dose dobutamine echo is pretty good. FDG PET is
4 probably a little better than those but it is not as widely
5 available. Cardiologists are more used to using the low
6 dose dobutamine echo or thallium. And so it just hasn't
7 been used that much.

8 Now concerning the use of perfusion alone in
9 predicting viability, certainly if you get below a certain
10 threshold of perfusion, you are not going to have viable
11 myocardium. And this has been shown in dog studies as well
12 as in people. But above a certain level, it is difficult
13 to know whether that is going to be ischemic or infarcted.
14 And I think that that's the group where the wall motion
15 stops, the wall stops functioning, the perfusion is
16 decreased, it is not zero, there is some chance for viable
17 myocardium but it also may just be ischemic and viable.
18 And so that's where the PET technique helps.

19 Dr. Maddahi, did you want to say something
20 there?

21 DR. MADOO: Can you identify yourself and your
22 affiliation, whether you are being sponsored.

23 DR. MADDAHI: Yes, my name is Jamshid Maddahi
24 from UCLA, and I am sponsored, like Dr. Coleman, by ICP to
25 appear here.

1 I agree with the comments that Dr. Coleman made
2 about the two issues. But I would like to add a little bit
3 to it. Why isn't it used as much? I think that part of it
4 is because of the fact that there are other techniques that
5 do the job. But also we have got to keep in mind that even
6 in centers that do cardiac studies, 20 cardiac studies a
7 day with nuclear techniques, the ones that directly and
8 specifically relate to myocardial viability are not many
9 studies.

10 Even in those centers, only about two a day are
11 related specifically to myocardial viability because we're
12 looking at a disease that the prevalence of the patient
13 population that would require a specific question of
14 myocardial viability is much less than it is for detecting
15 coronary artery disease. So later on when we'll be talking
16 about detecting disease with just ammonia, those are the
17 type of studies that, if they are used, they will be used
18 in the ratios, in the higher ratios than viability
19 assessment.

20 The second question was related to perfusion
21 and relationship to viability. We published a few months
22 ago an article that we looked at actually the specific
23 question of relationship of perfusion to myocardial
24 viability. What we found was that below a certain amount
25 of perfusion, generally there is not much viable tissue

1 because there has to be at least a minimum amount of
2 perfusion to keep the tissue viable. And also, when you
3 get to very high perfusion levels which is close to normal,
4 80 percent or more, or 20 percent only reduction in
5 perfusion, then you'll see that those areas are also often
6 viable and there's no problem there.

7 The clinical problems in perfusion levels are
8 in the intermediate zone of somewhere between 20-25 percent
9 to 70-80 percent. In those intermediate zones, then
10 perfusion alone cannot distinguish the three different
11 patterns of viability which we call hibernation, or
12 stunning, or presence of the myocardial infarction,
13 transmitter or nontransmitter infarction.

14 So I think that in clinical decisionmaking,
15 when I see the perfusion defect that is very, very severe,
16 very much reduced, I don't really need anything more to
17 tell me that it is nonviable. And when perfusion is
18 normal, I don't need anything else to tell me it is viable.
19 But when it is in the intermediate zone, that is where the
20 clinical problem starts and we need a metabolic marker to
21 tell us whether it is viable or not.

22 DR. RAMSEY: Let's have one more brief question
23 or comment, and then we'll break for lunch. We can
24 continue the discussion after lunch.

25 DR. RACZKOWSKI: Victor Raczkowski. The only

1 thing I wanted to add to that is some of the agents that
2 we're referring to as perfusion agents, such as thallium,
3 have other properties besides just assessment of perfusion.
4 Thallium requires an intact membrane in order for it to be
5 taken up. So, in that sense, it could be viewed as a
6 potential marker for viability as well, not just perfusion.

7 DR. RAMSEY: Thank you.

8 Again, I want to thank all the speakers and
9 presenters this morning. I think we all learned a lot.
10 Everybody did a very nice job.

11 Let's take one hour for lunch. We'll reconvene
12 here at 1:30. We can continue our open discussion, and
13 then we'll go right into the presentations. So have a nice
14 lunch and I'll see you at 1:30.

15 (Whereupon, at 12:30 p.m., the meeting was
16 recessed for lunch, to reconvene at 1:30 p.m.)

17
18
19
20
21
22
23
24
25

AFTERNOON SESSION

(1:35 p.m.)

1
2 DR. RAMSEY: I sent Mr. Madoo out there to ring
3 the bells. So we will see if we can get everybody back in
4 here. All our good question people are out enjoying
5 themselves. We have to get them back in here. Mr. Madoo
6 is out rounding up the last members. But I think it is
7 time, it's a few minutes past time, so why don't we go
8 ahead and get started.

9 First, I would like to ask if there are any
10 questions of any of the speakers this morning, or further
11 conversation or comments regarding their presentations?

12 Dr. Hertzberg?

13 DR. HERTZBERG: What I'm struggling with right
14 now is that for FDG for malignancies there is no
15 restrictions with regard to safety, but for cardiology uses
16 you've indicated a safety hedge, if you would, for
17 individuals with impaired glucose metabolism. And I was
18 just wondering if you can help me straighten out it should
19 be in one and not the other, or why they should differ in
20 that respect.

21 DR. RACZKOWSKI: I'm not sure that they should
22 be different. One of the speakers, Dr. Coleman I believe,
23 this morning indicated that in his experience generally
24 patients are uglycemic or near uglycemic when they are
25 considered to be candidates for PET scanning. Our

1 anticipation would be that the labeling would be similar
2 for both indications.

3 DR. HOUN: I don't think that there was enough
4 data to actually comment on its use in diabetic patients.
5 So I'm not sure, if you saying there was no restriction in
6 oncology, it is no data was presented on how hyperglycemic
7 patients would react with a PET scan, what the efficacy
8 would be with hyperglycemic patients. And in four studies,
9 they specifically excluded patients with glucose over 100.
10 So some of that would be reflected in the labeling.

11 DR. RACZKOWSKI: Right. And, actually, I
12 raised it more also as a theoretical concern. It wasn't
13 anything that I had seen adverse event reports that was
14 resulting from data.

15 DR. LINKS: Jon Links. Just to also clarify.
16 In the case of FDG, you have to make the distinction in
17 these conversations between issues arising from the
18 preparation of the patient versus an actual pharmacologic
19 effect of the tracer itself. And in this context, there is
20 no pharmacologic effect of the tracer itself.

21 DR. PONTO: Laura Ponto, the University of
22 Iowa. I guess I would like to address this question to Dr.
23 Love, Dr. Houn. How extensive do you anticipate the
24 labeling to be with respect to patient preparation? Those
25 of us who work with FDG know the importance of monitoring

1 the glucose, of having different types of glucose state,
2 whether it is a fasting state for a neurological study or
3 oncologic study, the fed state for a cardiac study. How
4 extensive do you anticipate the labeling will be, and how
5 much do you anticipate you would need to advocate that the
6 glucose levels be monitored?

7 DR. HOUN: I think in looking at the studies
8 that were reviewed, we would have enough to put in the
9 label that the studies were done in euglycemic conditions.
10 We don't have enough information about how it would be
11 performed in a diabetic population, what the results would
12 be. I think we would recommend in terms of dosing
13 administration at least four hours or more fasting prior to
14 the IV injection for the oncology indication.

15 What were the other kinds of concerns in terms
16 of patient preparation? Should glucose be monitored?

17 DR. PONTO: Yes. Would you have them monitor
18 the glucose? And would you put a precaution on for
19 diabetic patients?

20 DR. HOUN: I would welcome your advice on that.
21 I think we certainly would state that there was a lack of
22 data to say what efficacy could be found in diabetic
23 patients. One study specifically said four of their
24 patients were diabetics. But the results from the other
25 studies we don't really have. So whether that's a

1 precaution or a statement in the clinical trials section,
2 it certainly I think deserves consideration. In terms of
3 precautions, what we would be cautioning about was that
4 there wasn't information to state about its effectiveness
5 in this population.

6 DR. TULCHINSKY: If I may add to this just for
7 a moment. Currently, as we're doing myocardial perfusion
8 imaging with thallium, there is also very specific
9 preparation procedure. Patients should be fasting, for a
10 number of reasons into which we're not going to go at the
11 moment. But would it be reasonable to leave that as a
12 practice of medicine for an individual center to decide?
13 It has not been really a safety problem. As a nuclear
14 medicine physician, that would seem reasonable to me.

15 DR. MALCOLM: I was going to say this issue of
16 safety and preparation is a medical problem and the
17 referring physicians and the physicians doing the study
18 should have information with regards to the patient's
19 metabolic state. This has nothing, as I see it, to do with
20 the drug itself. The drug has no "effect" on a patient
21 that's diabetic. It is the fact that the patient may be a
22 diabetic and can that patient fast, et cetera, et cetera.
23 So that has to be a clinical decision that should be made
24 prior to the patient having the study that the physician
25 should be aware of. Aren't we all saying the same thing?

1 So it's kind of a different issue.

2 DR. TULCHINSKY: Exactly.

3 DR. KONSTAM: No, but the efficacy question,
4 she's saying, if I'm catching it, that in your review of
5 the literature you would raise a question as to whether the
6 data are as clear in a diabetic population. Is that --

7 DR. HOUN: Yes, exactly.

8 DR. KONSTAM: So that that ought to be stated.

9 DR. HOUN: I don't know, the effectiveness in
10 the diabetic population who are suspected of having tumors,
11 that was not very well demonstrated and documented.

12 DR. TULCHINSKY: Yes, and that's very well
13 understood. I am not contradicting in that respect. All I
14 am saying is it hasn't been demonstrated to be effective,
15 or it hasn't been demonstrated not to be effective. I
16 would leave it to an individual pair of physicians on both
17 the management team and diagnostic team to sort it out.

18 DR. KONSTAM: Maybe this does need a little bit
19 more discussion because I'm worried that we're on a
20 slippery slope. You know, we're here to determine the
21 efficacy of the agent for the indication shown. And the
22 presumption is that the agent is effective in the
23 population in which it is going to be studied. And this
24 needs to be data-driven. And to the extent that we're sort
25 of falling back on criteria for data, there may be reasons

1 for that, but if, in fact, there might be some reason to
2 suspect there might be less efficacy in diabetics and we
3 don't have a dataset to deny that, then I think that that's
4 a population that has not been studied and that needs to be
5 reflected in the packet insert.

6 DR. TULCHINSKY: In what sense? Like what
7 would you suggest? How?

8 DR. KONSTAM: Like what I just said. That the
9 efficacy has not been demonstrated. And I don't know the
10 data well enough, but what I gather just in listening to
11 the presentation and reading it, is you're saying the
12 efficacy has not been established in a diabetic population.

13 DR. TULCHINSKY: That sounds fine. It's a
14 little bit troublesome though, because there are so many
15 other conditions I guess that it hasn't been demonstrated.
16 Should we list those too?

17 DR. RAMSEY: Mr. Hammes?

18 DR. HAMMES: At least in terms of cardiology, I
19 had a discussion with a cardiologist before I came to this
20 meeting and they expressed a very strong concern in terms
21 of any kind of limitation on FDG for that population just
22 because that's the population that has a great deal of
23 cardiovascular disease. And along with that, they passed
24 along to me an article, which I did bring along, from the
25 April 1999 Journal of the American College of Cardiology,

1 that specifically looked at the use of FDG to assess left
2 ventricular contractile dysfunction in a diabetic
3 population with very good results. I think that is
4 something we need to consider in these deliberations.
5 There now is data out there.

6 DR. RAMSEY: Any other comments, questions?

7 DR. KONSTAM: I wanted to go back to Dr.
8 Raczkowski's presentation. To begin with, I would like to
9 critique the indication or the draft indication in a couple
10 of respects. One is that I would urge taking the word
11 "contractility" out of the indication. None of the studies
12 measured contractility. Contractility is a specific
13 indicator of myocardial function independent of load. And
14 so what was measured was systolic function. And so that's
15 really the way it ought to be stated.

16 The other thing about the indication, and I
17 guess I was sort of asking about this before, you're
18 talking about altered glucose metabolism. I'm not clear
19 that altered glucose metabolism is the key element, or, if
20 it plays a role, whether it is a necessary element in the
21 diagnosis here. At least to a great extent, the diagnosis
22 is based on a mismatch between glucose uptake or FDG uptake
23 and either perfusion or wall motion. So I think that is
24 really the key element. I don't believe that we've shown
25 that a key part of the diagnostic role is in identifying an

1 abnormality of glucose metabolism; it may or may not be.

2 So I redrafted it and it can just be played
3 around with. I said, "To examine myocardial glucose
4 metabolism and to identify myocardium with reversible loss
5 of systolic function, when used together with myocardial
6 perfusion imaging." Something like that to solve those
7 problems.

8 DR. RACZKOWSKI: I absolutely agree with you
9 about the contractility statement and systolic function.
10 One of the reasons we put the glucose statement into the
11 indication was just to have some statement in there
12 reflecting the underlying biochemical.

13 DR. KONSTAM: Oh, yes. But I'm just saying it
14 is not necessarily an abnormality of glucose metabolism. I
15 would just restate it a little bit so that it's not --
16 that's why I said to examine myocardial glucose uptake or
17 metabolism, however you want to say it.

18 The other question I had, I just wonder
19 whether, in looking at the data, again with regard to the
20 myocardial indication, whether it might not be subject to a
21 meta analysis. Understanding that there are a lot of
22 differences in there, but I think still there's a lot more
23 similarity across those studies relative to comparatively
24 to the cancer indication. I think that might be very
25 useful.

1 In looking at the different studies, I just
2 wonder whether one could not construct an ROC curve over
3 the totality of data in some way. I don't know, maybe
4 somebody wants to comment from a statistical perspective on
5 that, or whether you do. But I would like to somehow be
6 able to summarize everything we know.

7 DR. RACZKOWSKI: In my review, I did reference
8 a recent pooled analysis that was published I think in the
9 Journal of the American College of Cardiology in about 1998
10 or so which covered many of the same articles. They, in
11 fact, did do a pooled analysis. I ended up not including
12 some of the studies that were included there because I felt
13 that the quality of the studies didn't merit being
14 included.

15 The issue of a meta-analysis is a much larger
16 issue. And I do agree that there are a lot of similar
17 things across the different studies, including endpoints,
18 that potentially would lend itself to that sort of
19 analysis.

20 DR. KONSTAM: Well, I guess, just listening to
21 your presentation, I'm left with a lot of questions about
22 exactly what do we think is the sensitivity and
23 specificity, about what are the correct or optimal
24 diagnostic criteria that should be applied to the studies
25 to get the most out of them. If I'm a clinician and I'm

1 looking to the FDA to an approval here, I guess I would
2 like some help about that. And I don't get it from looking
3 at all the individual studies in isolation.

4 I don't know if Dr. Maddahi wants to comment on
5 that.

6 DR. MADDAHI: I agree that meta-analysis or
7 pooled literature has some advantages. But knowing some of
8 the difficulties also, it is that you're dealing with some
9 studies that are not exactly uniform. If it would be
10 helpful to the panel and to Dr. Raczkowski, I have done a
11 recent rerun of that pooled data with emphasis or special
12 attention to the differences of some of the studies and how
13 they were done that I would be glad to get a copy of that
14 for the panel; either today or later I can submit that to
15 the panel. I could actually ask my office to fax me a
16 simple table of the average sensitivity or mean sensitivity
17 and specificity that would help along those lines.

18 But what we've found is that the sensitivity
19 and specificity are positive and negative to the predictive
20 values are in the range of 82-83 percent with this
21 technique, that is quite good, looking at regional wall
22 motion. And we do have some other data for ventricular
23 function improvement. I would be glad to provide that
24 data.

25 DR. RACZKOWSKI: I think that would be great.

1 One of the issues that I struggled with is the definition
2 of segment is different in all the patients. And given
3 that difference, especially when the segments may not be
4 mutually exclusive of one another in all the papers, how do
5 you then go about pooling those data?

6 DR. MADDAHI: Right. I think that the point is
7 that if the segments are very, very small, then I think
8 that it is going to create a problem. And in fact, that
9 would result into -- it has one advantage in that it
10 provides more data for the investigator, but, on the other
11 hand, it makes the misalignment of the segments between the
12 various techniques much more difficult. So I think what
13 you are saying is absolutely correct.

14 I think there is also other data, as you know,
15 looking at ejection fraction changes, that is perhaps a
16 better marker I think, and also prognosis. There are about
17 four or five studies that, again, have been alluded to by
18 you in some other literature that will be appearing later
19 on this afternoon about the prognostic aspects also,
20 several publications along those lines. So I think that if
21 you look at four endpoints -- one being segmental wall
22 motion improvement, the second being ejection fraction
23 improvement, third being improvement of patient symptoms,
24 heart failure symptoms, and fourth being improvement of
25 patient survival and prognosis -- I think that among all of

1 those we can get a common theme here that would help a
2 clinician as to where this technique might be helpful.

3 DR. TULCHINSKY: I would like to mention to the
4 panel members that in Volume 3, the first reference is on
5 that very specific topic. It is a meta-analysis. It was
6 published in the New England Journal of Medicine, a very
7 reputable publication source, and by very reputable people.
8 They do cite all different tests and their sensitivity and
9 specificity.

10 I also would like to comment on Dr.
11 Raczkowski's compilation. It has been exclusively well-
12 done given the problems we are addressing at the moment,
13 especially the segments being difficult to follow. The
14 first article that you have brought to our attention, Dr.
15 Baer's article, which kind of strikes funny for Dr. Baer to
16 talk about hibernation.

17 (Laughter.)

18 DR. TULCHINSKY: But I also notice that if you
19 look at his drawings of segments, we in America usually
20 refer to anterior walls and to the wall opposite as
21 inferior wall, but Germans, being very logical, they term
22 it posterior wall as contra distinction to anterior wall.
23 And it is sometimes very difficult to follow those
24 different nomenclatures.

25 In addition, you were talking about 50 percent

1 above the maximal pixel as being a criteria that they used.
2 Now, for a moment, if one would think about it, 50 percent
3 of maximal pixel activity, it is kind of like saying I jump
4 about 50 percent in this room above the level of the
5 ceiling, which is clearly impossible unless you have a
6 pretty hard head, which many have posed to me as a
7 possibility in my case. But in any event, what the authors
8 probably meant is a 50 percent above the mean pixel count.
9 But the way it came out seems to me to be a little
10 different.

11 Jon, do you know something different?

12 DR. LINKS: It's 50 percent or greater of the
13 maximal pixel count.

14 DR. TULCHINSKY: But the maximal -- you can't
15 get 50 percent above the maximum, can you?

16 DR. LINKS: No, 50 percent of half of it or
17 greater.

18 DR. TULCHINSKY: That's not how the sentence
19 reads. But I think it is a translation difficulty though.

20 DR. RAMSEY: Any more questions or comments?
21 That's what happens when you read these things here.

22 (Laughter.)

23 DR. RAMSEY: Okay. Seeing no further questions
24 at the moment, why don't we proceed ahead with the next
25 portion of our program.

1 DR. HOUN: We were thinking that maybe we
2 should vote on the FDG question, then we can move on so
3 that each drug product is fresh in people's minds.

4 DR. RAMSEY: Oh, my goodness, a vote here.
5 (Laughter.)

6 DR. RAMSEY: Okay.

7 DR. MADOO: Let me reiterate that apparently we
8 have 12 eligible voters. Our guest experts, sadly, will
9 not be voting. But our two august consultants from other
10 committees will be contributing. Of course, the options
11 are affirmative, negative, or abstain.

12 DR. KONSTAM: What did you just say, Leander?
13 (Laughter.)

14 DR. MADOO: What I'm saying is that apparently
15 we're proceeding into the voting component of --

16 DR. KONSTAM: Who votes and who doesn't? I
17 don't get it.

18 DR. MADOO: You as a consultant are eligible to
19 vote.

20 DR. KONSTAM: I am eligible to vote.

21 DR. RAMSEY: I think Mr. Madoo is also making a
22 point that there are 12, so there could be a tie.

23 DR. MADOO: It's conceivable.

24 DR. KONSTAM: I don't think that's going to
25 happen.

1 Could we have a little more discussion before
2 the vote? I don't know how you want to do it, Ruth.

3 DR. RAMSEY: If you feel like discussing it, I
4 think we should do that.

5 DR. KONSTAM: I would just like to hear a
6 little bit more discussion around the oncology indication.
7 The issue about the diversity of the different studies and
8 then merging them into a single indication, there really
9 are two studies that you're really very happy with, those
10 two are different, each one of them has problems. We're
11 going to say it is for cancer. I don't know, do we need a
12 little bit more discussion about what -- is there going to
13 be actually a packet insert here, is that what happens? --
14 what the packet insert is going to say about this, which
15 cancers. I would like to hear more discussion about this.

16 DR. HOUN: The way that this is being proposed,
17 and that's why I'm very interested in hearing the
18 discussion, is to have this broad indication to help in the
19 evaluation of malignancy through identifying areas of
20 abnormal glucose metabolism. We were not thinking of
21 having a specific indication in terms of evaluating
22 malignancy to identify lymphoma, or hepatic metastases, or
23 small cell lung cancer. We were not going to specifically
24 indicate the names of the cancers that the studies came
25 from in the indication section.

1 However, in the clinical trials description
2 part of the package insert, we are going to have the
3 package insert for labeling for these products, we would
4 describe the literature that was reviewed in terms of
5 prospective studies, the number of patients all together,
6 as well as the different types of cancers that these
7 studies included. I know some of the folks in the PET
8 community were interested in getting more specific cancers
9 in the clinical trials section, such as brain tumors. But
10 we didn't find, at least we weren't able to locate studies
11 that were of a prospective nature, that had at least 50
12 evaluable patients, et cetera, et cetera. So in the
13 clinical trials section of the label, we were going to
14 discuss some of the criteria that brought the studies into
15 the review of this process.

16 DR. KONSTAM: Well, maybe it's just because I
17 don't know anything about this field, but I just am
18 uncomfortable about this because I'm looking to the packet
19 insert to give me guidance on how to use this agent. I
20 understand there are people in the audience with a lot of
21 experience and people with a lot of experience who just
22 want to be able to use the agent. But the indication is
23 going to be data-driven and the data are very diverse.

24 I just continue to be uncomfortable about an
25 indication section that simply says diagnosis of

1 malignancies without any kind of data-driven information or
2 conclusions around what are the cancers where we're
3 confident, what are the cancers where we're not confident
4 or we don't have any data. I don't know how the clinician
5 is really going to be guided without that.

6 DR. HOUN: I'll ask for other members to help
7 give FDA some direction. I do think we need to state in
8 the labeling that using PET is not a substitute for other
9 diagnostic, for biopsy, and that we need to caution in
10 terms of false-negatives and false-positives do occur with
11 PET scanning. But I'm interested in hearing the panel's
12 views on how to handle this and what kinds of information
13 doctors should be provided from the labeling to help them.

14 DR. LINKS: Jon Links. I like the proposed
15 indication. The reason I like it is because it really
16 represents, in terms of diagnostic imaging agents, the
17 first attempt to move beyond the concept of diagnostic
18 accuracy, per se, simple concepts of sensitivity and
19 specificity, and to really acknowledge that in many nuclear
20 medicine studies what you're trying to do is a functional
21 characterization but in the setting of different diseases.
22 Now there's a challenge in that, and the challenge is that
23 we seem, certainly within nuclear medicine, and certainly
24 today in the studies that have been presented, to always
25 fall back on sensitivity and specificity. How are we to

1 move beyond sensitivity and specificity and diagnostic
2 accuracy if we keep falling back on those?

3 The reason I like the indication is because it
4 is a step away from simply looking at diagnostic accuracy.
5 It is certainly true that this morning we were not
6 presented with any data, to use your term, on the accuracy
7 of quantification of glucose metabolic rate in a given
8 lesion. But we know from other studies that we can
9 accurately quantify metabolic rate.

10 Here's my point. My point is that the
11 indication is not an indication focused on sensitivity and
12 specificity, but rather on characterization when the
13 presence of a lesion is already known. That is the way the
14 indication is written. I favor it because that's what I
15 think in clinical practice in oncology this agent is all
16 about.

17 DR. MALCOLM: Arnold Malcolm. I totally agree
18 with what Jon just said. We deal with this on a daily
19 basis. It is the same situation we have -- in fact, I've
20 never looked at a label for tecnesium for bone scans for
21 patients; I don't even know what it says. But I know when
22 a patient gets a bone scan and I have all the clinical
23 information, I can make a clinical decision from that bone
24 scan with tecnesium. And I think we're talking about the
25 same situation again here. Maybe I'm simplifying it, I

1 don't know.

2 The studies that have been reviewed have talked
3 about a variety of malignant diseases. And if you try to
4 pick it through, it just won't happen.

5 DR. KONSTAM: Well, I don't know. I think
6 people are bringing their own experience to the table, and
7 that's always helpful. I wasn't necessarily talking about
8 identifying sensitivities and specificities. It is one
9 thing to say this thing is indicated, I have experience,
10 I'm going to use it. It is another thing to say the FDA is
11 going to declare that it is effective. And if the FDA is
12 going to declare it is effective, to my way of thinking, I
13 don't think it is so unreasonable to take a stab at asking
14 what are the data to drive that, and where is the data in
15 terms of what entities have been studied, what entities
16 haven't been studied.

17 I've heard comments to the effect that there
18 are certain cancers that just don't pick this up -- renal
19 cell, if I'm not mistaken, for an example. Now, do you
20 want that nowhere referenced? Is it sufficient to tell the
21 clinician it is indicated for the diagnosis of malignancies
22 without some comment about the fact that there are clear
23 discrepancies across the different cancers? I'm not
24 understanding.

25 DR. MALCOLM: I understand what you're saying.

1 I thought Dr. Houn was saying that the label would indicate
2 which studies are being referenced for the disease type. I
3 thought that's what I heard her say. Is that clear?

4 DR. HOUN: Yes. The comments I made in terms
5 of saying some cancers are less likely to be picked up by
6 PET, including bronchial alveolar carcinoma, low grade non-
7 Hodgkin's lymphoma, those are general comments that come
8 from the literature but there is no study on the specific
9 entity showing PET performance is less. And so in that
10 case, there is no data, except I guess there must be in
11 terms of investigator experience and some --

12 DR. KONSTAM: Maybe we're going to be in a
13 quandary. But, I'm sorry, I just can't get away from the
14 fact that what we I think are supposed to do around this
15 table is act upon data. That's all I'm saying.

16 DR. PONTO: Laura Ponto, University of Iowa. I
17 think we're going back to the problems that we have had
18 with indications that we've been struggling with as a
19 committee for a couple of years now. Are we looking at a
20 disease-specific indication, or are we looking at an
21 imaging mechanism that tells us something about
22 biochemistry. And the indication that I'm seeing here is
23 that we are looking at glucose metabolism, and we know that
24 in a large number of tumor types, because of their general
25 metabolic activity, that glucose metabolism will be

1 "abnormal," and that that information then can tell the
2 clinician where metastatic disease may exist, maybe give
3 them prognostic information about the type of cancer that
4 they are dealing with.

5 If we have the indication, as we are looking at
6 glucose metabolism, then I think that we have the data that
7 tells us, basic science data that says this agent gives us
8 a picture of glucose metabolism. We know that tumors will
9 have differences in glucose metabolism. And if that is the
10 indication, we can make labeling changes that say certain
11 types of cancers do not have large metabolic differences
12 from normal tissue.

13 So I think that we're dealing with what is the
14 indication, and the question is, is this effective for that
15 indication? If we're going with a specific type of cancer,
16 is it good in lung cancer, in melanoma, or whatever, we do
17 not have the data here to possibly get that specific a type
18 of indication. But a general, does FDG give us a picture
19 of glucose metabolism in the body, and is it efficacious
20 for that indication? That is I think what we're trying to
21 deal with today, and that is what I think this indication
22 is trying to get at. It may not be the correct wording
23 altogether, but I think it is probably the correct one, at
24 least in the ballpark.

25 DR. TULCHINSKY: Yes, I would like to echo that

1 comment. I totally agree with your assessment. Again,
2 just as a basic reference, going back to what we have been
3 through and said and done, I brought a book with me of
4 package inserts. It's just my fun time reading before I go
5 to bed.

6 (Laughter.)

7 DR. TULCHINSKY: I was looking at the
8 indications for the bone-seeking radiopharmaceutical, the
9 bone scan agent. Let me read it to you. "Technetium-99m
10 metronate injection may be used as a bone imaging agent to
11 delineate areas of altered osteogenesis." I suggest we
12 keep those historical pieces in mind as we go forth here.

13 Also, I have to say that I disagree that we're
14 not acting upon the data. I think we're very much acting
15 upon the data. If it is not, what is the reference book
16 that I'm holding right under my left arm? It's full of
17 data. And it is not simply our personal experiences. I
18 have to say I personally have no PET experience, so I don't
19 have that. But we are looking at a compilation of
20 literature experience. This is something that has been
21 published, and most of it in very, very well peer-reviewed
22 publications. Credibility ought to be given to that.

23 And I totally agree with the FDA's assessment
24 for the labeling indication. I'm perfectly happy with it.
25 Going back for a moment to the bone tracer, we all know

1 that the bone scan is less sensitive in patients with
2 multiple myeloma. And that is not in the indication that
3 it is less sensitive in multiple myeloma. I'm not sure it
4 belongs there. It belongs in a text book. And we practice
5 medicine not by package insert, we practice it by
6 compilation of the textbook and our collegial and personal
7 experience. So I would suggest we keep that in the
8 background as a framework as we move forward.

9 DR. PONTO: To follow up my comment, I work in
10 a PET center and I firmly believe in what I do. I think
11 there is evidence that says that this is an incredibly
12 useful technology. But I'm saying that in the two studies
13 that were cited here, I can see where some people around
14 this table would say this is not up to the same level that
15 we're used to approving an indication for. But if we look
16 at the literature as a whole, not only the disease-specific
17 literature but malignancy as a whole, as well as the basic
18 science literature, there is data that says that this agent
19 gives us a picture of metabolism.

20 DR. TULCHINSKY: Again, I totally agree. I
21 think we came prepared for this meeting to look at it in
22 that particular way. That's why it makes it different. So
23 I totally agree with you.

24 DR. ZIESSMAN: Harvey Ziessman. I think that
25 the medical community in general has been urging the FDA to

1 get away from the specific one drug, one diagnosis
2 indication approach. And this is I think a major advance.
3 We don't want the FDA to be telling us how to practice
4 medicine. I doubt very much whether you look at the
5 package insert to decide what the indications are for
6 treating patients, because I know most physicians don't.

7 This is an approach that I think many have been
8 urging on the FDA for some time. I think they are to be
9 commended for it. And I'm in favor of it.

10 DR. KONSTAM: Can I respond to that? First of
11 all, I would say that I disagree. I think that the FDA is
12 very specifically here to tell us what are the data that
13 support the practice of medicine. And if you as a
14 clinician want to go beyond that, that's your prerogative;
15 nobody is stopping you from doing that, the FDA isn't
16 stopping you from doing that. The FDA hasn't been stopping
17 us from using FDG for quite a while now.

18 But I specifically think the FDA has a major
19 role to educate the clinician, to tell you, okay, what do
20 the data show. This is proof, this is what we know about
21 this. Now if you want to go beyond that, that's fine, but
22 this is what we know about this.

23 Now I think this situation is odd. And we're
24 going to resolve this. I think this agent needs to be
25 approved and go beyond it. But I think it is odd because

1 everybody around the room knows there is no such thing as
2 malignancy, that we're talking about an assortment of
3 scores of diseases. And so I guess I have a very different
4 reaction from people around the room. My reaction is that
5 to not find some way to acknowledge that, and I'm not sure
6 what the way is, but my feeling is to not find some way to
7 acknowledge that in the written indication is as if to say
8 all cancers are the same. And that's what I feel you're
9 saying to the clinician. So I guess I have a very
10 different interpretation.

11 DR. ZIESSMAN: But I don't think the purpose of
12 the FDA is to educate, as you're saying. I think the
13 purpose is to tell us that drug is safe and efficacious.
14 And if it is, then it is up to the physician to use the
15 drug appropriately.

16 DR. TATUM: Could I just suggest that maybe we
17 change a little bit the verbiage to try to reflect the
18 statement with the data that we have. And maybe just think
19 about this, it is really a subtle change, "Detection of
20 enhanced glucose metabolism associated with known or
21 suspected malignant tumors," which after that could follow
22 the statement that you would enclose. That would flow
23 quite nicely. Just a suggestion.

24 DR. LINKS: I was going to suggest something
25 similar. I strongly support that. Take, for example, a

1 tumor that may or may not express a certain receptor and
2 you have a receptor imaging agent, and I'm predicting
3 something that will probably come before this committee at
4 some point, and if all you were to do is to ask the
5 question, "What is the sensitivity and specificity of that
6 receptor binding radiopharmaceutical?" In my opinion, it
7 would be a grossly misleading kind of study because the
8 point of using that radiopharmaceutical is not to detect
9 the lesion but to characterize the lesion.

10 I think where we're getting sucked into this
11 confusion and the fact that different types of tumors may
12 or may not take up FDG to the same extent is because we're
13 focusing again on the detection part. What we're really
14 talking about here is assessment of glucose metabolism in
15 lesions. And the way the indication is written here, it is
16 known. I like your addition of "or suspected." But, quite
17 frankly, the name of the game in this indication is not raw
18 detection, per se. And the name of the game of FDG is not
19 raw detection, per se, necessarily, but rather assessment
20 of metabolism.

21 DR. TULCHINSKY: Would you consider also adding
22 "and extent of the tumor." Because oftentimes once we
23 characterize the lesion, we would like to also see if there
24 are others. So I would wonder if that would be useful to
25 incorporate. But, in general, I was, frankly, perfectly

1 happy with the way it was written in the beginning.

2 DR. HAMMES: Just a comment along these lines.
3 From reading the indication as it is written, we're
4 basically just saying that, yes, FDG can measure glucose
5 metabolism, that it is known that some malignancies to a
6 greater or less extent use more glucose, and hence, by
7 extension, we can make some determinations clinically about
8 that. But it is very clear to me that we're not operating
9 in a static vacuum here. What we know about it in terms of
10 cancers is going to change, and that is the practice of
11 medicine. We expect our physicians to stay current with
12 the current literature about what is known about things.
13 And that doesn't belong in a package insert. I'm very
14 happy with it as written.

15 DR. RAMSEY: Any other comments?

16 (No response.)

17 DR. RAMSEY: A suggestion has been made that we
18 vote on these. And I guess that's appropriate then at this
19 time. Is that then Questions 1 and 2. And this is in your
20 handout. I numbered it as page 5.

21 DR. LINKS: We've now had recommendations for
22 wording changes on both indications.

23 DR. RAMSEY: Would that come under discussion
24 or --

25 DR. LINKS: I personally like most of the

1 wording changes I heard about for both indications. I
2 would just as soon vote on the changed ones rather than the
3 original ones.

4 DR. RAMSEY: Okay. All right. Let's go to
5 Question 1. Question 1 is: Based upon the presented
6 literature review, do you think fludeoxyglucose F-18
7 injection is safe and effective in positron emission
8 tomography (PET) imaging for identification of abnormal
9 glucose metabolism to assist in the evaluation of
10 malignancy in patients with abnormalities found by other
11 testing modalities, or in patients with existing diagnosis
12 of cancer?

13 That is the statement before us. And now if we
14 want to modify it, why don't the modifiers suggest their
15 modifications again.

16 DR. TATUM: Mine was to change it to "detection
17 of enhanced glucose metabolism associated with known or
18 suspected malignant tumors." I'm just replacing the
19 original, not with a question.

20 DR. RAMSEY: Just a little slower.

21 DR. TATUM: Detection of enhanced glucose
22 metabolism associated with known or suspected malignant
23 tumors.

24 DR. KONSTAM: Can I just ask the FDA, just in
25 terms of what -- I guess I'm not picturing what an

1 indication section of a packet insert looks like. In other
2 words, this is the indication, but then there is a
3 discussion within the indication. Can you comment?

4 DR. LOVE: The package insert generally, in
5 relationship to what we're talking about, would have
6 something called a clinical pharmacology section which
7 would describe mechanism of action, pharmacokinetics, and
8 what is known about the drug itself, a clinical trials
9 section that would discuss the database essentially, the
10 key studies usually, and then an indication section which
11 is just a discreet one or two sentences most often.

12 DR. KONSTAM: So this would be the entirety of
13 the indication section.

14 DR. LOVE: The indication section.

15 DR. ZIESSMAN: I would suggest that Jim's
16 suggestion "detect," I would rather use the word "evaluate"
17 or "assess," something rather than detect.

18 DR. RAMSEY: Dr. Tatum, would you accept that?

19 DR. TATUM: Yes.

20 DR. LINKS: And I would just as soon eliminate
21 the word "enhanced." It is assessment of glucose
22 metabolism.

23 DR. TATUM: That's what you do by changing
24 detection to enhance, it becomes value.

25 DR. RAMSEY: Okay, I got lost there.

1 DR. MADOO: Would someone read the concrete new
2 statement. I guess I'm experiencing abnormal glucose
3 metabolism.

4 (Laughter.)

5 DR. RAMSEY: Start from PET imaging in line 2.

6 DR. LINKS: Okay. So I am modifying the
7 questions for MIDAC, right?

8 DR. RAMSEY: Right.

9 DR. LINKS: So, is safe and effective in PET
10 imaging for "assessment of glucose metabolism to assist in
11 the evaluation of malignancy in patients with known or
12 suspected abnormalities found by other testing modalities,
13 or in patients with existing diagnosis of cancer."

14 DR. RAMSEY: Comments?

15 DR. KONSTAM: I don't suppose anybody would go
16 for saying "certain cancers."

17 PARTICIPANT: No.

18 (Laughter.)

19 DR. PONTO: This may be opening an entire can
20 of worms that we don't want to, but do we want to leave
21 positron emission tomography in there since individuals are
22 sometimes imaged using SPECT technology?

23 DR. HOUN: The data reviewed was for PET
24 technology.

25 DR. TULCHINSKY: Since the technology is

1 evolving quickly, I don't see a very compelling reason to
2 include that at all, frankly. Does anyone feel different?

3 DR. RAMSEY: Is that appropriate?

4 Yes, go ahead.

5 DR. CONTI: You can actually consider an
6 imaging adjunct for the assessment and take out the
7 specific technology.

8 DR. MADOO: Please identify yourself.

9 DR. CONTI: Peter Conti from USC. "Effective as
10 an imaging adjunct for the assessment..." That's another
11 possibility. And take out the word "PET."

12 DR. RAMSEY: Any other comments? But we are
13 discussing PET, right? I think they want it in there.

14 DR. HOUN: I don't think you will get very far
15 without us talking about PET, as mandated by Congress.

16 DR. RAMSEY: Okay. So, Dr. Conti, can we leave
17 that in there?

18 DR. CONTI: That's fine with me.

19 DR. RAMSEY: Thank you.

20 Any other?

21 MS. BEAMAN: Carolyn Beaman, consumer
22 representative. I think that we've stretched some things
23 beyond their elasticity point already. And now if we go
24 back and start trying to rename the method of imagery and
25 on and on, then we might as well just make up a whole lot

1 of data, just make it up and play it by ear as we go. We
2 can't reach in there and pull PET out like that, or we
3 shouldn't.

4 DR. RAMSEY: I think it will be left in there.
5 I think that's what we're talking about. That's what they
6 want us to do. So, right now it is in there.

7 Any other questions?

8 (No response.)

9 DR. RAMSEY: Maybe we should read what we're
10 voting on again. Who knows what we're reading here?

11 (Laughter.)

12 Jonathan?

13 DR. LINKS: Okay. So, F-18 FDG injection is
14 safe and effective in positron emission tomography imaging
15 for assessment of glucose metabolism to assist in the
16 evaluation of malignancy in patients with known or
17 suspected abnormalities found by other testing modalities,
18 or in patients with existing diagnoses of cancer.

19 DR. RAMSEY: All right. That is what we're
20 voting on then.

21 Mr. Madoo, would you help me verify whoever is
22 eligible to vote here?

23 DR. MADOO: Certainly. Okay, again, the 12
24 eligible voters proceed.

25 DR. RAMSEY: Do we have to call for the

1 question here, or how do we do this?

2 DR. HOUN: We would appreciate also hearing
3 from non-voting members their views. But that wouldn't be
4 counted as a vote. Just to hear their views.

5 DR. RAMSEY: So before we vote, do we want to
6 hear those views? Because it might change somebody's vote.
7 I think they wanted comments from non-voting members, if
8 anybody has any. Somehow I think this is not a shy group
9 here.

10 DR. HOUN: If the non-voting members could
11 comment whether they are supportive or not supportive, that
12 would help us.

13 DR. RAMSEY: Okay. Why don't we just start
14 with Dr. Herscovitch. And make a comment why you either
15 support it or don't support it.

16 DR. HERSCOVITCH: I'm supportive of the
17 question as amended. I still have reservation about the
18 perhaps mismatch between the original question and the
19 data, but that's perhaps a minor reservation because the
20 ultimate issue is its use as a metabolic agent in the
21 specific cases that have been very well documented both in
22 the literature and by the FDA reviewers.

23 I think that it is important to realize that
24 many of these tracers are physiologic tracers, and that it
25 may be a bit of a mindset or a paradigm shift, to use

1 another cliché, but I think increasingly in the field of
2 nuclear medicine, definitely in the field of PET, there are
3 going to be tracers based on biochemical mechanisms not
4 more physicochemical mechanisms.

5 And I think it is important for what we're
6 doing today that we not only realize that we, or you, not
7 me because I'm not voting, that you all are deciding on an
8 indication, but also that you are establishing a process
9 for how these agents, especially PET agents in the future,
10 might be assessed. And in that regard, not being familiar
11 with this process, I do have to give some credence to the
12 views expressed by Dr. Konstam about the level of proof
13 that is needed for these agents. But that aside, I would,
14 if I were voting, vote in favor of this indication.

15 DR. TATUM: I obviously support the way it was
16 amended. I think there are a couple of things I would like
17 to say since I'm not voting that are important. Jonathan
18 pointed out that this is probably the start of a process
19 where we're going to be dealing with a number of agents in
20 the future. And I don't think they are all just PET
21 agents. As we begin to look at molecular probes, we're
22 going to have this same problem. We're going to have
23 things that do not have sponsors because they do not
24 commercially have a large enough target audience, but yet
25 they may have a very valuable asset to programs and to

1 development.

2 One of the things that is an issue at NCI right
3 now is in mechanistic drug design and trying to get probes,
4 and PET is one of the areas where it is difficult. And to
5 get the number of clinical trials to answer the secondary
6 questions you're coming up with, we really need more
7 sources of these. And without approval, I think it is
8 going to be extremely difficult.

9 So, again, I do support this. I do think
10 you're setting a precedent that also is a slippery slope
11 because it does in fact say how in the future these are
12 going to be evaluated and tested. And maybe we should keep
13 in mind that the data needs to look a little bit more like
14 a regular commercial application in the future at the same
15 time. So, I think it is important for this particular
16 course, but it also sets a precedent for the future.

17 DR. KONSTAM: I probably shouldn't be allowed
18 to vote because I don't know anything about this. But
19 then, again, maybe I'm thinking that it's good to have
20 somebody who doesn't know anything about it so he's forced
21 to sort of make his decision purely based on the data that
22 he's seeing. And I guess I'm going to hold out. I'm going
23 to vote no to this. I think that it should be approved,
24 even though I'm confused about what the standard is. But
25 what the heck? It's been around for a long time. I do

1 believe it works based on looking at the totality of the
2 data.

3 However, I just want to express my deep concern
4 about an indication that talks about cancer as if it is
5 some homogeneous process and where the data are sparse to
6 absent to negative in some areas. And so what I would urge
7 the FDA to do is simply amend whatever specific wording
8 you're coming up with to include a term like certain
9 malignancies, or certain cancers, or selected -- I guess
10 that's the best way I can come up with it -- certain
11 malignancies and certain cancers.

12 DR. MADOO: Dr. Konstam's vote duly recorded as
13 a no.

14 MS. BEAMAN: Carolyn Beaman again. I think
15 that it is clear that the FDG or PET can detect changes in
16 glucose utilization patterns. I would, however, suggest
17 that in the future when pharmaceuticals are brought before
18 this or other similar committees that we definitely need a
19 more detailed guideline for interpretation. I think that
20 goes without saying. But having said that, I reluctantly
21 support the question as amended.

22 DR. MADOO: Duly recorded as a yes.

23 DR. HAMMES: Richard Hammes, University of
24 Wisconsin. I think by all accounts this has been shown to
25 be about the safest drug ever considered. There has never

1 been an adverse effect reported, so we're only talking
2 about efficacy. It has been well accepted for over 20
3 years in the nuclear medicine PET community as being a good
4 marker of glucose metabolism, with some very thorough
5 animal work to back that up.

6 The only reason that we're going through this
7 strange process is because there hasn't been a sponsor,
8 there hasn't been enough money, it hasn't been patentable.
9 I don't think this necessarily should be the model for the
10 future. I think the PET community needs to learn what kind
11 of data would convince everybody who perhaps isn't an
12 expert in the field out there and that is the kind of
13 studies that need to be done in the future.

14 But this is an indication that needs to be
15 approved. The people that can afford it have been getting
16 it for 20 years. All we're doing is making it effectively
17 available to the Medicare population, the Medicaid
18 population, the poor population, and maybe allow them to
19 get reimbursement support so that they can also benefit. I
20 vote yes.

21 DR. HERTZBERG: Likewise, I vote yes, although
22 I share some of the reservations about the specific
23 concerns expressed about the disease entity. I don't know
24 what disease entity it is being indicated for. But I do
25 think that it is efficacious. I do think there are issues

1 with regard to patient preparation for the procedure that
2 need to be cautioned about. But I do vote a yes.

3 DR. TULCHINSKY: I guess there is no suspense,
4 my vote is yes. I have no reservations, frankly. I think
5 we are not doing anything that is terribly unusual given
6 other indications I've read through in the package inserts
7 that I've gone through. I have to tell you that I do go
8 through package inserts on everything that I use. I think
9 there is a great deal of useful data in it and I respect
10 that very much.

11 I think it is a milestone though that we have
12 come upon because today we have used a bit more of
13 scientific, logical thinking in synthesizing the data to
14 support our yes for this particular vote, at least those
15 who are voting yes. I think it is a step in the right
16 direction.

17 DR. CHOYKE: I'm going to try to get the
18 brevity award. I'll vote yes for all the reasons stated.

19 DR. MALCOLM: Bet you I can outdo you. My vote
20 is yes.

21 DR. RAMSEY: I vote yes as well. I also concur
22 with everything that has been said. I think this is
23 something that is being used very commonly, although there
24 are not as many PET scanners as there are CT scanners and
25 MR scanners. I think if agents like this are approved, I

1 think we'll see the data that people have expressed some
2 concerns about and it will allow us to go forth in a more
3 widely and freely way to further evaluate this new agent.

4 DR. PONTO: I obviously also vote yes. I would
5 like to urge the FDA though to write very detailed use
6 instructions. Specifically, I would like to urge them to
7 have patients monitored for their glucose levels, because I
8 think that very importantly can impact the efficacy of a
9 particular scan.

10 DR. AMENDOLA: I think that based on the
11 evidence presented here, a little bit of my personal
12 experience with this agent, my vote is a qualified yes. I
13 share some of the reservations that were expressed. But I
14 think this is a really valuable addition to the practice.
15 So my vote is yes.

16 DR. ZIESSMAN: I think this radiopharmaceutical
17 is clearly safe and effective. And I think this new
18 approach is a major step forward. I don't agree that we
19 ought to be closely monitoring glucose levels routinely, as
20 you suggested. In fact, I think Dr. Coleman mentioned
21 earlier that they do not check them routinely except under
22 certain exceptions. And I don't think that ought to be
23 part of the package insert, other than a comment that it
24 may be indicated in some instances.

25 DR. LINKS: Yes.

1 (Laughter.)

2 DR. MADOO: I have the official vote tally. It
3 appears to be 11 yes, 1 no. And I duly respect Dr.
4 Konstam's viewpoint.

5 DR. RAMSEY: Thank you all.

6 I think there is Question 2 also under this
7 agent. "Based upon the presented literature review, do you
8 think fludeoxyglucose F-18 inject is safe and effective in
9 positron emission tomography (PET) imaging in patients with
10 coronary artery disease and left ventricular dysfunction,
11 when used together with myocardial perfusion imaging, to
12 identify left ventricular myocardium with altered glucose
13 metabolism and reversible loss of contractility?"

14 DR. KONSTAM: Could I just re-read my suggested
15 change to that?

16 DR. RAMSEY: Please.

17 DR. KONSTAM: All the stuff you said at the
18 beginning, Ruth, and then where it goes "to identify left
19 ventricular myocardium," I've jotted down "to examine
20 myocardial glucose metabolism and identify myocardium with
21 reversible loss of systolic function," and then to
22 continue, when used together with myocardial perfusion
23 imaging, et cetera. So the two changes are just changing
24 the word "contractility," and just taking out the "altered
25 glucose metabolism" part.

1 DR. RAMSEY: Are there any comments on that
2 suggested change?

3 (No response.)

4 DR. RAMSEY: In general, are there any comments
5 or discussion? FDA, would anyone like to make any?

6 DR. ZIESSMAN: I'm in favor of that change.

7 DR. RAMSEY: Would you like the same thing
8 again, a vote and comments. Are we prepared to vote then.

9 Why don't we use the same method again. Dr.
10 Herscovitch, you can go first.

11 DR. HERSCOVITCH: I would vote in favor. I
12 think this is a lot more clear-cut than some of the earlier
13 discussions we were having. Perhaps one thing to clarify
14 it. I think it is fairly obvious to everyone in the room
15 that the myocardial perfusion imaging is not necessarily
16 with the other PET agent N-13 ammonia, but other agents as
17 well, more conventional agents. Because especially in some
18 facilities, FDG will be available from other providers but
19 the ammonia won't because of absence of an in-house
20 cyclotron. So, I think just to make that clarification,
21 although it was probably clear to everybody before I said
22 that, in this room at least.

23 DR. TATUM: A straightforward yes on this one.
24 Well supported, well documented.

25 DR. MADOO: Excuse me. Before we proceed with

1 the vote, some of us are experiencing Y2K problems. We
2 would like to have the rephrasing of the question again.
3 Read the question, please, the entire question.

4 DR. KONSTAM: Well, I don't have the beginning
5 of it. Okay, I got it. "Based upon the presented
6 literature review, do you think fludeoxyglucose F-18
7 injection is safe and effective in positron emission
8 tomography (PET) imaging in patients with coronary artery
9 disease and left ventricular dysfunction, when used
10 together with myocardial perfusion imaging" -- I'm sorry,
11 the wording is just backwards. That's fine. So, "when
12 used together with myocardial perfusion imaging, to examine
13 myocardial glucose metabolism and to identify myocardium
14 with reversible loss of systolic function."

15 Oh, yes. The only thing is I would urge the
16 FDA I think to develop some kind of advice in the packet
17 insert regarding what we think the true boundaries of
18 sensitivity and specificity are with selected methodology,
19 because I don't have a clear sense of that right now and I
20 think it could use a little work.

21 DR. MADOO: So you vote yes I take it?

22 DR. KONSTAM: I vote yes.

23 MS. BEAMAN: Yes.

24 DR. HAMMES: Yes.

25 DR. HERTZBERG: Yes, again with the language

1 about appropriate patient preparation.

2 DR. TULCHINSKY: Yes, as modified.

3 DR. CHOYKE: Yes.

4 DR. MALCOLM: Yes, as modified.

5 DR. RAMSEY: Yes.

6 DR. PONTO: Yes.

7 DR. AMENDOLA: Yes, as modified.

8 DR. ZIESSMAN: Yes.

9 DR. LINKS: Yes.

10 DR. MADOO: It appears we have a sweep, 12-0.

11 DR. RAMSEY: Thank you all.

12 We will now move on in the agenda. The next
13 agenda item is FDA presentation on safety and effectiveness
14 of nitrogen N-13 Injection. Our first presenter I believe
15 will be Dr. David G. Udo, Ph.D., on clinical pharmacology,
16 pharmacology, and toxicology. Thank you.

17 Oh, a break? We have a question for a break
18 here.

19 DR. MADOO: What time was the scheduled break?
20 Is there a motion to have the break now, or would you like
21 to proceed with all due purpose into the N-13 world? The
22 committee requests a break. Dr. Ramsey, is that
23 appropriate?

24 DR. RAMSEY: Yes. Let's take ten minutes, and
25 we'll come back here at five minutes to the hour.