

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIVIRAL DRUGS ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. The Food and Drug Administration makes no representation as to its accuracy.

8:05 a.m.

Monday, October 4, 1999

0882 '99 OCT 19 P12:07

Ballroom
Gaithersburg Holiday Inn
2 Montgomery Village Avenue
Gaithersburg, Maryland

ATTENDEES

COMMITTEE MEMBERS:

SCOTT M. HAMMER, M.D., Chairman
Chief, Division of Infectious Disease
Columbia Presbyterian Medical Center
630 West 168th Street
New York, New York 10032

RHONDA W. STOVER, R.PH., Executive Secretary
Advisors and Consultants Staff
Food and Drug Administration, HFD-021
5600 Fishers Lane
Rockville, Maryland 20857

PAMELA S. DIAZ, M.D.
Medical Director of Communicable Diseases
Chicago Department of Health
2160 West Ogden Avenue
Chicago, Illinois 60612

JOHN D. HAMILTON, M.D.
Professor of Medicine
Division of Infectious Diseases and
International Health
Duke University Medical Center
Room 1558, Duke South, Blue Zone, Trent Drive
Durham, North Carolina 27710

JAMES J. LIPSKY, M.D.
Director, Clinical Pharmacology
Mayo Clinic
Guggenheim Building, Room 601-C
200 First Street, S.W.
Rochester, Minnesota 55905

HENRY MASUR, M.D.
Chief, Critical Care Medicine
National Institutes of Health
Critical Care Medicine Department
Building 10, Room 7D43
10 Center Drive, MSC-1662
Bethesda, Maryland 20892

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

ROGER J. POMERANTZ, M.D.
Professor of Medicine, Biochemistry,
and Molecular Pharmacology
Chief, Division of Infectious Diseases
Director, Center for Human Virology
Thomas Jefferson University
Jefferson Alumni Hall
1020 Locust Street, Suite 329
Philadelphia, Pennsylvania 19107

BRIAN WONG, M.D.
Chief, Infectious Diseases
Infectious Diseases Section
VA Connecticut Health Care System
950 Campbell Avenue (111-I)
West Haven, Connecticut 06516

SGE CONSULTANTS:

RALPH D'AGOSTINO, M.D.
Boston University
111 Cummington Street
Boston, Massachusetts

COURTNEY V. FLETCHER, PHARM.D.
University of Minnesota
College of Pharmacy
308 Harvard Street, S.E.
Minneapolis, Minnesota 55455

ROY GULICK, M.D.
Assistant Professor of Medicine
Cornell University Medical Center
The Cornell Clinical Trials Unit
525 East 68th Street, F2400, Box 566
New York, New York 10021

EDWARD HANDELSMAN, M.D.
King's County Hospital Center
Pediatrics
451 Clarkson Avenue, Box 294
Brooklyn, New York 11202

ATTENDEES (Continued)

SGE CONSULTANTS: (Continued)

PRINCY KUMAR, M.D.
Georgetown University Medical Center
Kober-Cogan Building, Suite 110
3800 Reservoir Road, N.W.
Washington, D.C. 20007

WM. CHRISTOPHER MATHEWS, M.D., M.S.P.H.
Director, Owen Clinic & AIDS Service
Professor of Clinical Medicine
University of California at San Diego
Medical Center
200 West Arbor Drive
San Diego, California 92103

CATHERINE M. WILFERT, M.D.
Professor Emerita
Duke University Medical Center
Division of Pediatrics
Trent Drive, Duke South, Room 1079
Durham, North Carolina 27710

COMMITTEE GUESTS:

REBECCA DENNISON, Patient Representative
414-13th Street, 2nd Floor
Oakland, California 94612

LYNNE MOFENSON, M.D.
Associate Branch Chief for Clinical Research
NICHD/CRMC/PAMA
National Institutes of Health
6100 Executive Boulevard, 4B11E
Rockville, Maryland 20852

STEFAN WIKTOR, M.D.
Centers for Disease Control and Prevention
Executive Park, Building 26, E50
Atlanta, Georgia 30333

ATTENDEES (Continued)

FOOD AND DRUG ADMINISTRATION STAFF:

MELISSE BAYLOR, M.D.
DEBRA BIRNKRANT, M.D.
HEIDI JOLSON, M.D., M.P.H.
STANKA KUKICH, M.D.
SANDRA L. KWEDER, M.D.
DAVID MORSE, PH.D.
LISA RARICK, M.D.

ALSO PRESENT:

THOMAS FLEMING, PH.D.

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT - by Ms. Rhonda Stover	9
FDA INTRODUCTION - by Dr. Heidi Jolson	10
PRESENTATIONS - Epidemiology of Mother-to-Child Transmission of HIV in the U.S. and USPHS Task Force Recommendations - by Dr. Catherine Wilfert	15
Overview of Clinical Trials - by Dr. Lynne Mofenson	35
Conduct of Trials in Developing Nations - by Dr. Stefan Wiktor	63
Safety Considerations - by Dr. David Morse	90
REGULATORY CONSIDERATIONS IN THE DEVELOPMENT OF DRUGS TO PREVENT PERINATAL TRANSMISSION OF HIV - by Dr. Debra Birnkrant	114
DISCUSSION	121
OPEN PUBLIC HEARING	195

P R O C E E D I N G S

(8:05 a.m.)

1
2
3 DR. HAMMER: Good morning. Would you please
4 take your seats? We are about to start.

5 I would like to officially open the October 4th
6 session of the Antiviral Drugs Advisory Committee. Our
7 session and duty this morning is to discuss the
8 applicability of perinatal interruption transmission trials
9 for HIV and their applicability to the U.S. clinical
10 setting and implications for drug approvals.

11 I'd like to start by having people around the
12 table introduce themselves for the record. Dr. Kweder?

13 DR. KWEDER: I'm Sandra Kweder. I am the
14 acting Office Director for Office of Drug Evaluation IV.

15 DR. RARICK: Good morning. I'm Lisa Rarick.
16 I'm the Division Director for Reproductive and Urologic
17 Drugs in CDER.

18 DR. JOLSON: Good morning. I'm Heidi Jolson.
19 I'm the Director of the Division of Antiviral Drug
20 Products.

21 DR. BIRNKRANT: Debra Birnkrant, Deputy
22 Director, Division of Antiviral Drug Products.

23 DR. KUKICH: Stanka Kukich, medical team
24 leader, FDA.

25 DR. BAYLOR: Melisse Baylor. I'm a reviewer,

1 FDA.

2 DR. HANDELSMAN: Ed Handelsman, pediatrician,
3 Kings County Hospital, Brooklyn.

4 DR. WILFERT: Cathy Wilfert, pediatrician, Duke
5 University Medical Center and the Pediatric AIDS
6 Foundation.

7 DR. DIAZ: Pamela Diaz, pediatrician,
8 infectious disease, Chicago Department of Public Health.

9 MS. STOVER: Rhonda Stover, FDA.

10 DR. HAMMER: Scott Hammer, infectious disease,
11 Columbia University.

12 DR. MASUR: Henry Masur, infectious disease,
13 Clinical Center, NIH.

14 DR. LIPSKY: Jim Lipsky, Director, Clinical
15 Pharmacology, Mayo Clinic, Rochester, Minnesota.

16 DR. POMERANTZ: Roger Pomerantz, infectious
17 disease, Thomas Jefferson University.

18 DR. HAMILTON: John Hamilton, adult infectious
19 diseases at Duke.

20 DR. WONG: I'm Brian Wong from infectious
21 diseases at Yale.

22 DR. FLETCHER: Courtney Fletcher from the
23 College of Pharmacy at the University of Minnesota.

24 DR. D'AGOSTINO: Ralph D'Agostino,
25 biostatistics from Boston University.

1 DR. GULICK: Roy Gulick from Cornell
2 University, infectious disease.

3 DR. KUMAR: Princy Kumar, infectious diseases
4 at Georgetown University.

5 DR. MATHEWS: Chris Mathews, University of
6 California, San Diego, Department of Medicine.

7 DR. HAMMER: Thank you.

8 I'd like to turn now to Rhonda Stover who will
9 read the conflict of interest statement.

10 MS. STOVER: The following announcement
11 addresses the issue of conflict of interest with regard to
12 this meeting and is made a part of the record to preclude
13 even the appearance of such at this meeting.

14 Based on the submitted agenda and information
15 provided by the participants, the agency has determined
16 that all reported interests in firms regulated by the
17 Center for Drug Evaluation and Research present no
18 potential for a conflict of interest at this meeting with
19 the following exceptions.

20 In accordance with 18 United States Code 208,
21 full waivers have been granted to Drs. Scott Hammer, John
22 Hamilton, Henry Masur, and Princy Kumar. A copy of these
23 waiver statements may be obtained by submitting a written
24 request to the FDA's Freedom of Information Office, room
25 12A-30 of the Parklawn Building.

1 In addition, we would like to disclose that Dr.
2 Kumar's employer has financial interests in Glaxo-Wellcome
3 which do not constitute financial interests within the
4 meaning of 18 United States Code 208, but which could
5 create the appearance of a conflict. The agency has
6 determined, notwithstanding these interests, that the
7 interests of the government in Dr. Kumar's participation
8 outweighs the concern that the integrity of the agency's
9 programs and operations may be questioned. Therefore, Dr.
10 Kumar may participate fully in today's discussions.

11 In the event that the discussions involve any
12 products or firms not already on the agenda for which an
13 FDA participant has a financial interest, the participants
14 are aware of the need to exclude themselves from such
15 involvement, and their exclusion will be noted for the
16 record.

17 With respect to all other participants, we ask
18 in the interest of fairness that they address any current
19 or previous involvement with any firm whose products they
20 may wish to comment upon.

21 DR. HAMMER: Thank you.

22 Dr. Heidi Jolson will now make some
23 introductory comments.

24 DR. JOLSON: Good morning and welcome to this
25 morning's open session. I'd like to additionally extend a

1 welcome to this morning's consultants and guest speakers on
2 today's topic.

3 In the next few minutes, I'll focus my comments
4 on two areas: first, to provide the objective and context
5 for this morning's meeting; and second, to comment on the
6 multi-disciplinary nature of today's issue and, in
7 particular, the composition of this morning's advisory
8 committee.

9 Globally mother-to-child transmission of HIV is
10 an enormous public health problem, and I believe that
11 development of effective and feasible prevention strategies
12 will be one of society's most challenging issues for the
13 next century.

14 Recalling that FDA's mission is the regulation
15 of drug products within the United States, today's session
16 will be devoted to just one aspect of this complex global
17 issue: the question of how drugs can be developed for
18 perinatal HIV prevention with the goal of providing
19 important information about their safety and efficacy and
20 approved product labeling in this country.

21 While you will hear this morning that rates of
22 perinatal HIV transmission have been reduced in the United
23 States through a variety of strategies, mother-to-child
24 transmission has not been eliminated and all patient
25 populations are not being equally reached.

1 Additionally, while there are 14
2 antiretrovirals that have received FDA approval for
3 treatment of HIV, only 1 of these products, zidovudine,
4 carries specific product labeling to guide physicians in
5 how to safely and effectively use this product in pregnant
6 women and their children to reduce the likelihood of
7 perinatal transmission. Because in practice many other
8 antiretrovirals are used by pregnant women, there is
9 clearly a need for more data and guidance on their safe and
10 effective use for this population in product labeling.

11 The recent publication of the HIVNET 012
12 results for short-course nevirapine in women presenting in
13 labor in Uganda raises a broad question for the agency.
14 How can the results of this and similar foreign-based
15 trials be applied to clinical practice in the United
16 States, and by extension, can data from trials that were
17 conducted to answer specific public health questions,
18 appropriate for their particular nation, be used to support
19 product labeling in this country?

20 The questions posed to the committee will
21 address particular aspects of the applicability of these
22 data, including differences in population and breast
23 feeding practices, interpretability of comparator regimens,
24 and the adequacy of follow-up for safety.

25 To help focus our discussion this morning and

1 to provide relevant background, we will begin with a series
2 of invited presentations. First, Dr. Catherine Wilfert
3 will discuss the epidemiology of mother-to-child
4 transmission in the United States and will review the
5 current U.S. Public Health Service task force
6 recommendations. Next, Dr. Lynne Mofenson will provide an
7 overview of previously conducted and ongoing clinical
8 trials in this field. Dr. Stefan Wiktor will then provide
9 commentary on issues unique to clinical trial conduct in
10 developing nations.

11 Following our invited speakers, Drs. David
12 Morse and Debra Birnkrant, both from the Division of
13 Antiviral Drug Products, will provide commentary on
14 antiretroviral safety issues for both mother and child and
15 FDA regulatory considerations for the use of foreign data
16 to support U.S. product labeling.

17 Before I close, I'd like to additionally
18 comment on the composition of the advisory panel this
19 morning. The division recognizes that effective prevention
20 of perinatal HIV transmission requires a multi-disciplinary
21 clinical approach, involving collaboration at a minimum
22 between the pregnant woman, her delivering health care
23 provider, other specialists in HIV management, and the
24 child's health care provider. In formulating today's
25 advisory panel, our intention and goal was to provide

1 representation from all of these areas with the objective
2 of reflecting the multi-disciplinary clinical approach.

3 Unfortunately, due to an unexpected conflict of
4 interest issue that arose just before the weekend, two
5 invited consultants and two of our regular members were
6 deemed ineligible to participate at this meeting by the
7 Commissioner's office. Regrettably, this included an
8 obstetrical perinatal transmission expert, and because lack
9 of this expertise is a notable and unfortunate omission
10 from the panel, I wanted to bring the circumstance to your
11 attention.

12 Therefore, in this morning's discussion and in
13 your consideration of our questions, please feel free to
14 charge the division with the responsibility for soliciting
15 input from relevant experts in obstetrics on particular
16 matters.

17 Additionally and on very short notice, Dr. Lisa
18 Rarick, Director of FDA's Division of Reproductive and
19 Urologic Drug Products, graciously agreed to join us this
20 morning as a resource to the committee on general
21 obstetrical issues, and I want to thank her for her
22 assistance.

23 Thank you for your attention and we look
24 forward to a productive session and your guidance on these
25 very important issues.

1 Dr. Hammer.

2 DR. HAMMER: Thank you very much.

3 I'd like to welcome Dr. Wilfert who will give
4 us a discussion on the epidemiology of mother-to-child
5 transmission of HIV in the U.S. and a review of the U.S.
6 Public Health Service task force recommendations.

7 DR. WILFERT: Thank you, Dr. Hammer.

8 As most of you will appreciate, as soon as you
9 see the first slide, I'm in a sense standing in for the
10 folks who did this epidemiology, which is the Centers for
11 Disease Control.

12 If I might have the first slide, please. I
13 apologize because they sent me some of this material on
14 Friday afternoon, which defeated my efforts to have them
15 made into honest-to-goodness slides which are in the back
16 of the room here.

17 The first slide just gave you the numbers that
18 says that perinatal transmission accounts for 90 percent of
19 acquisition of infection in infants in the United States
20 and actually worldwide. The majority of the others are
21 unknown as opposed to some mysterious mode of transmission,
22 and it is because either the follow-up is incomplete or the
23 information not obtained from the infants and/or a very
24 small number of children who acquire infection by sexual
25 abuse. So, for the purposes of this meeting, we're

1 actually considering that the vast majority of transmission
2 in this country is due to perinatal transmission.

3 In the United States, the distribution of
4 infection as recorded for children under 13 years of age
5 and reported through 1998 is depicted on this slide. The
6 colors of the states are those states where HIV reporting
7 occurs, the orange states, or in the case of Texas where
8 pediatric only HIV infection is reported, and the white
9 states where it is not required.

10 Of interest I think is obviously that there
11 continue to be a number of states with large numbers of HIV
12 infection in the northeast, although New York, which is the
13 largest, I believe has just changed to HIV reporting so
14 that they report AIDS and HIV infection.

15 You may not be able to read these numbers. The
16 white squares are AIDS and the blue numbers are HIV
17 infection, but you will see from another slide that the
18 concentration of children reported with either AIDS or HIV
19 infection is in the southeast and the northeast of the
20 United States and in California on the west coast.

21 Here are the number of infections reported,
22 AIDS infection reported in children in 1998, some 382
23 which, as most of you know, represents a substantial
24 decrease from earlier years in the epidemic, with the
25 obvious concentrations of children being reported in 1998

1 as being in the northeast and Florida, California, and
2 Texas.

3 I think it's important to keep in mind that
4 there are clearly specific areas where transmission still
5 occurs. Those areas help us to know how difficult it is to
6 reach everyone and are part of our problem in trying to
7 figure out how to continue the decrease in HIV infection in
8 the United States.

9 If we look at the occurrence of HIV in women in
10 the United States, I'm going to emphasize the
11 interrelationship of the epidemic in women and children
12 because it's obvious with 90 percent or more of
13 transmission occurring to children, that the epidemic in
14 women is directly related to what happens in children. The
15 constant increase, so that the proportion of infected women
16 is 25 percent, is clear from this slide by the end of 1998.
17 The blue bars depict some decrease in the reported numbers
18 of cases which I would expect my adult colleagues to tell
19 me represents better treatment of persons with HIV
20 infection and a decline in the reported AIDS cases. But
21 this is obviously a tremendous change from the beginning of
22 the outbreak where the proportion of women was
23 substantially smaller.

24 The women who acquired infection in the
25 beginning of the epidemic were intimately related to the

1 drug-using epidemic in the United States. At the present
2 time, heterosexual transmission, if you combine sex with
3 men who are at risk and/or using drugs, the proportion of
4 women who acquire infection through sexual contact is
5 reported as 38 percent, almost 40 percent. The proportion
6 of women who use drugs is reported as 29 percent, and there
7 are a couple of caveats about this information.

8 The first is that this is reported AIDS and the
9 acquisition of infection by these women occurred some time
10 ago. If you looked at 1998 in the acquisition of HIV, you
11 might guess that some of these lines would have shifted
12 somewhat.

13 The second caveat is that the definition of
14 reporting of sexually acquired disease might be regarded as
15 a little stringent: partners known to have risk factors,
16 multiple sex partners, et cetera. So, the unknown category
17 on this slide or not identified because of insufficient
18 information undoubtedly also includes some women who
19 acquired their infection heterosexually.

20 The reason that I am placing emphasis upon this
21 is an attempt to have people believe that you can acquire
22 HIV infection in the United States and not be an
23 intravenous drug user, and that continues to be a
24 perception amongst some of the care providers for women,
25 which may be transmitted to the patients from the

1 | standpoint of having women accept counseling and testing.

2 | This shows you very clearly where the women
3 | with reported AIDS are in the United States and that
4 | relates directly to where the reported children are in the
5 | United States who have acquired infection from their
6 | mothers. The southeastern United States is heavily
7 | involved in this epidemic. From the beginning it has been
8 | predominantly heterosexual; that is, the majority of women
9 | have acquired their infection by that route.

10 | Now, there is a slide that hasn't appeared
11 | here, and I wonder if it fell through. Unfortunately, it
12 | is the key to this whole discussion and I do not know if I
13 | can get at it. So, why don't we put the first transparency
14 | up and see if I can do it on this slide.

15 | Here is the number of perinatally acquired AIDS
16 | cases by half-year of diagnosis and age from the Centers
17 | for Disease Control in children who are under 13 years of
18 | age. It's perfectly clear that the epidemic peaked, in
19 | terms of the numbers of children infected, in 1992-1993,
20 | and subsequently declined. The greatest decline has
21 | occurred in the children on the red line who are less than
22 | a year of age and the children from 1 to 5 years of age,
23 | and we all appreciate that that's related to the
24 | observation that zidovudine diminishes transmission. It
25 | also is clear and will be even clearer from the next slide

1 | that the plateauing of the reported number of cases
2 | probably began in 1992 when, in fact, zidovudine treatment
3 | was becoming available for pregnant women.

4 | Here is the curve that demonstrates through
5 | June of 1999 the decreases in perinatally acquired AIDS
6 | cases in the United States. This is obviously an
7 | incredible accomplishment for this country, and I think
8 | it's important to appreciate that nationwide this
9 | represents an almost 70 percent decline in perinatally
10 | acquired AIDS.

11 | Recognize that this is AIDS, not HIV infection
12 | which is being reported and recorded on this graph, and
13 | that's important because there's probably a lag period, a
14 | matter of months often, but a lag period for the decrease
15 | in the number of cases.

16 | Recognize also that the greatest decrease is in
17 | the less than 1-year-olds, which I just showed you on the
18 | slide, which is approximately an 80 percent decline and a
19 | decrease in the 1- to 5-year-olds of approximately 60
20 | percent.

21 | Now, let's talk about the epidemiology, and
22 | I'll say a few general things about the transmission to
23 | children.

24 | First of all, receiving antenatal care in the
25 | United States is variable. If you look at national

1 statistics, you will get numbers which are cited in the
2 materials given to you about approximately 1 percent of
3 women not receiving antenatal care. Unfortunately, because
4 of its variability, if you look specifically at HIV-
5 infected women or HIV-infected women who are intravenous
6 drug users, you will learn that there are rates of 15
7 percent or 35 percent or even 50 percent of these women who
8 do not actually get perinatal care or don't have the
9 diagnosis made until they walk in the door. Now, this is
10 of the HIV-infected population, not of all pregnant women
11 in the United States. But I emphasized in the beginning
12 that there were some geographic variability. There are
13 variabilities in the women who get antenatal care and the
14 women who have the diagnosis made. So, it's important to
15 appreciate that the blanket statements and our attempts to
16 reach people still have some holes in them.

17 Of the children born to women who tested
18 positive and whose testing was done either by the time of
19 birth or before the time of birth, I think this slide shows
20 very clearly that the number of HIV-infected children in
21 green bars at the bottom has decreased, that the number of
22 uninfected children obviously has increased.

23 I might just say a word about the indeterminate
24 babies. It is that either the follow-up is incomplete or
25 the samples not obtained to define their status. It's not

1 | because we don't know how to determine their status, and
2 | the closer they are to the time of the acquisition of
3 | infection the more "indeterminate babies" there actually
4 | are. This is part of our general surveillance system and
5 | it is not perfect from the standpoint of follow-up of
6 | infants.

7 | But I think it's completely clear that on a
8 | national basis, as reported in that other slide, that the
9 | women whose diagnosis is made you might assume are
10 | receiving zidovudine based upon the decrease in
11 | transmission to their babies, and the next slide actually
12 | substantiates that impression.

13 | This is the receipt of zidovudine among
14 | perinatally exposed and infected children born from 1993 to
15 | 1998 whose mothers tested positive before birth or by the
16 | time of birth from 32 states. I think there are a couple
17 | of things to appreciate from these bars.

18 | The first would be that the green bars, which
19 | represent any zidovudine treatment have dramatically
20 | increased from 1993 to 1998, so that it approaches 90
21 | percent of the women who have the diagnosis made actually
22 | receiving zidovudine therapy. I think this tells us that
23 | when the diagnosis is made, the offering of antiretroviral
24 | therapy and the acceptance is actually very high, that it's
25 | likely there's a bigger problem in missing women and not

1 | making the diagnosis or making it very late than there is
2 | in the acceptance of antiretroviral therapy.

3 | The second thing to note are the yellow bars on
4 | the very end which is labeled "other antiretroviral
5 | therapy" which doesn't mean instead of zidovudine, but
6 | means anything, i.e., combination therapy, in addition to
7 | zidovudine. By the end of 1998, it had almost reached 40
8 | percent. In North Carolina, Dr. Fiscus' most recent
9 | analysis of our data says it's closer to 60 percent of
10 | women who receive something in addition to zidovudine
11 | during the course of their pregnancies.

12 | The other several bars indicate missing part of
13 | the three-part regimen; that is, if every mother-infant
14 | pair received all three parts of the regimen, all the bars
15 | would be the same height with a difference between the gray
16 | and white bars and the green bars represent mothers or
17 | infants who have missed part of the regimen. Usually the
18 | intrapartum administration of intravenous zidovudine is the
19 | easiest to miss because of unexpected rapid delivery
20 | because of not appreciating at the moment that the mother
21 | needed intravenous therapy because of difficulty
22 | establishing the line, the intravenous access. But be that
23 | as it may, the majority -- i.e., 90 percent -- of women who
24 | have the diagnosis made are actually receiving treatment.

25 | That's the end of the overheads. Now, let's

1 see what slides materialize in the projector.

2 Now, let me say just a word about the
3 guidelines before ending.

4 The guidelines appeared in 1998, and Dr.
5 Mofenson can correct me, but I believe we are in the
6 process of trying to assemble groups to reconsider the
7 guidelines as we speak. The reasons for that will become
8 apparent as I run rapidly through these, which I know are
9 also in your handout materials.

10 For HIV-infected pregnant women who have not
11 received prior antiretroviral therapy and for whom the
12 diagnosis is made, the three-part zidovudine regimen is
13 recommended and their therapy is to be as though they were
14 not pregnant. So, for many of these women, they are
15 obviously being treated in accord with the other adult
16 guidelines and receiving more than zidovudine therapy.

17 All of the usual cautions about discussing the
18 use of these drugs in pregnancy with women and at the
19 bottom, where you probably can't see it, if a woman has
20 never had any antiretroviral therapy, she may elect not to
21 initiate her treatment until after the first trimester is
22 over. That is, indeed, a discussion which should take
23 place between the woman and her care provider.

24 For women who are already receiving
25 antiretroviral therapy during their pregnancy, if it's

1 after the first trimester when they come to attention with
2 their pregnancy, continuing treatment seems very
3 reasonable.

4 If their pregnancy is identified during the
5 first trimester, then they may wish to stop all their
6 therapy and reinitiate their therapy at the end of the
7 first trimester, and it is recommended that zidovudine be
8 part of the therapeutic regimen.

9 Now, clearly these recommendations are made
10 prior to some of other perinatal trials, in particular the
11 Ugandan trial, which we're going to discuss in detail.
12 Also, these recommendations were compiled prior to the
13 results of the shorter-term AZT therapy in Thailand and in
14 Africa.

15 If I go on to the rest of the recommendations,
16 which are really very straightforward. If a woman arrived
17 in labor and had had no prior therapy, it was recommended
18 that she receive intravenous zidovudine and her baby
19 receive zidovudine treatment. This recommendation is made
20 obviously because the only experimental regimen involved
21 treatment of women antepartum, intrapartum, and the baby
22 postpartum, not because we had specific data that said that
23 any specific part of the regimen contributed to diminishing
24 transmission in the infant but because we had to assume
25 that each component part of the regimen was relevant to

1 protection of the baby.

2 And the same thing is true for the
3 recommendation that if an infant is born and the mother's
4 diagnosis wasn't made and the infant is identified,
5 hopefully in the first few days after birth, but if not,
6 the recommendation is made to administer zidovudine as
7 rapidly as possible, whether it's in the first few days or
8 thereafter, not expecting that there would be great effects
9 on transmission.

10 Subsequent to the publication of these
11 guidelines, most of you have received in your packet I
12 believe the paper by Nancy Wade from New York that is
13 looking retrospectively at transmission in infants in New
14 York with the suggestion that the administration of therapy
15 intrapartum and postpartum to the infants may diminish
16 transmission. But this is after these recommendations were
17 made and based upon what was occurring in real life.

18 So, at the present time it is, to put it in a
19 nutshell, recommended in the United States that zidovudine
20 be administered whenever possible to pregnant women.
21 Whenever possible, it's part of a regimen that begins as
22 early as possible in pregnancy, potentially excluding the
23 first trimester. It is administered intravenously during
24 labor, although we have subsequent information to digest
25 about the oral administration of drug.

1 We've achieved a remarkable decrease in
2 perinatal transmission in the United States. We have
3 residual areas where women either don't receive antenatal
4 care or they come into the hospital for delivery and have
5 their diagnosis made at the time of delivery.

6 I think I'll just stop right there.

7 DR. HAMMER: Thank you.

8 Are there any questions for Dr. Wilfert? Dr.
9 Hamilton.

10 DR. HAMILTON: Are there any side effects of
11 these drug regimens that have been identified that are of
12 great significance, Cathy?

13 DR. WILFERT: The short answer is no, but the
14 long answer is we have some hints of potential problems and
15 we have not the ability to follow the thousands of infants
16 who are exposed. And that's a critical part of our
17 responsibility these days.

18 For 5-year follow-up in infants that were
19 started on therapy in the 076 trial, there is nothing
20 discernably different about that population
21 developmentally, and there are no harmful effects in the
22 mom. That's the good news.

23 The recent reports in Lancet, as you're
24 probably aware, noting several children in the French
25 studies that had mitochondrial toxicity and they were

1 infants exposed to zidovudine in utero have not been
2 confirmed by a retrospective review of very large cohorts
3 in the United States. That is not to say that it doesn't
4 happen. It is to say that it's an observation that we've
5 all paid attention to, have tried to establish that it's a
6 risk that would change our recommendations, and we
7 certainly have not been able to do that. But that would be
8 part of the group getting together considering the
9 guidelines as we bring them up-to-date.

10 DR. POMERANTZ: We may talk about this later
11 today, but where do you put cesarean section in your use in
12 the United States?

13 DR. WILFERT: Well, I think that's an important
14 question. You know that there's one prospective study from
15 Europe and there's a meta-analysis, and I believe that's
16 included in your packet. The meta-analysis indicated that
17 cesarean section decreased transmission even for the women
18 who were receiving zidovudine. The prospective European
19 trial showed a decrease in transmission but not a
20 significant decrease in the women who were receiving
21 zidovudine treatment. The transmission rates were 3.3 and
22 2.2 percent. So, each of these studies were done looking
23 at women who received zidovudine therapy, not women who
24 were receiving combination antiretroviral treatment. So, I
25 think at the moment there is an ongoing study trying to

1 ask, for example, if nevirapine adds anything to existing
2 combination antiretroviral therapy.

3 Where I put it probably doesn't make much
4 difference because the appropriate groups have made
5 recommendations. I would say that in North Carolina
6 cesarean section rates have increased from something like
7 15 percent to 40 percent in the HIV-infected women, so
8 there are people who are using cesarean sections. I think
9 there are no data that convince me that that's an adjunct
10 which further decreases transmission in the face of
11 combination therapy, and I'm not sure it does when you're
12 receiving zidovudine therapy. That's a personal opinion.

13 DR. KUMAR: Could I ask you a question? What
14 do you make of the American College of Obstetrics
15 recommendations that an elective C-section be offered to
16 all these women irrespective of their viral load?

17 DR. WILFERT: I'll do the easiest part first.
18 The irrespective of virus burden is because transmission
19 has occurred at unmeasurable quantities of virus, so that
20 part of the recommendation I certainly wouldn't argue with.

21 I think I've already said this is an area which
22 I think exemplifies how rapidly information is acquired in
23 the HIV arena. That is, if we had had information about
24 cesarean sections before zidovudine was available, there
25 would be no question. We would do cesarean section to

1 | interrupt transmission.

2 | Now we've acquired information about
3 | zidovudine, but we're past the primary use of zidovudine
4 | alone for the interruption of transmission, but we have a
5 | recommendation based upon studies related only to
6 | zidovudine use. So, while I personally think that people
7 | worked hard to make that recommendation, I'm not sure it
8 | fits present day practices with regard to preventing
9 | acquisition of infection. I think there's a little time
10 | lag here. That's again a personal opinion.

11 | DR. HAMMER: Trip?

12 | DR. GULICK: You said that more than 90 percent
13 | of women who are offered zidovudine actually accept that
14 | therapy. Is there a lot of geographic variation over that
15 | statistic also?

16 | DR. WILFERT: Well, I think that there has
17 | been. Actually the Centers for Disease Control did several
18 | studies about offering counseling and testing, acceptance
19 | of counseling and testing, and acceptance of zidovudine
20 | treatment. I don't have the data in front of me, but in
21 | the beginning at least, in the early days of when they were
22 | trying to assess this, the northeast had greater problems
23 | with acceptance of zidovudine. The drug was not well
24 | perceived by some populations of pregnant women.

25 | I hope if somebody is here who has the actual

1 data, they'll correct me if I'm misstating this. There's a
2 greater variation in the acceptance of counseling and
3 testing, and it relates very directly to what the care
4 provider is transmitting to the woman. So, for example, in
5 the northeast and in Florida, the acceptance rate was very
6 high, as well as we have done in North Carolina,
7 particularly in the private sector. The actual offering of
8 counseling and testing was somewhat less than might be
9 optimal. Therefore, its acceptance was less than optimal
10 because it wasn't being offered.

11 DR. HAMMER: Dr. D'Agostino?

12 DR. D'AGOSTINO: You may have said it along the
13 way. Excuse me if I missed it. You are reporting a fair
14 amount of data. Are there ongoing concerted efforts to
15 collect data prospectively on the different states, or is
16 this mainly retrospective reporting?

17 DR. WILFERT: Well, there are a number of
18 different studies, and as I said, I'm a stand-in. I'm not
19 the person who is responsible for trying to set up these
20 studies and acquire the data.

21 From the standpoint of infants and their
22 mothers, it's a combination of prospective/retrospective.
23 The pediatric spectrum of disease project, which is not in
24 all states, but represents a substantial proportion of all
25 infected infants, tries to ascertain, at the time of

1 recognition of the baby's birth, whether the mom was
2 counseled, tested, accepted, refused. That's a
3 longitudinal, ongoing study, and the charts are reviewed
4 every 6 months on those babies trying to get those data.
5 And the data are available by year of birth cohort.

6 There are also ongoing studies in women that
7 include pregnant women by the Centers for Disease Control.
8 They are surveillance data and they are trying to learn
9 about the acceptance and rejection of counseling and
10 testing. There are four states where the data have been
11 published extensively already.

12 Lynne, do you have anything else that I might
13 add? But there are several ongoing studies.

14 DR. D'AGOSTINO: Just to add to that, you
15 mentioned -- and I saw also in the material -- that there's
16 15 percent, up to 35 percent, possibly up to 50 percent of
17 the people with HIV who aren't, in fact, being given
18 appropriate care and what have you. Are there efforts
19 within the NIH, within the FDA to get at those individuals?
20 Again, I missed if there were concerted efforts to actually
21 improve that situation.

22 DR. WILFERT: The Institute of Medicine issued
23 their report reducing the odds, as I'm sure you're aware.
24 In conjunction with that and as a result of that, there has
25 been approximately \$10 million as Ryan White appropriated

1 funds which the Centers for Disease Control is trying
2 specifically to target the weaknesses in the system with
3 the administration of those funds in grants by grantees.
4 So, that's one very targeted effort. There have been
5 several meetings to ask how can the funds be best used to
6 reach the populations that aren't being reached. \$10
7 million doesn't go a long way in the entire United States
8 the way that the problem is spread out, but there are
9 clearly efforts in that regard.

10 DR. HAMMER: Dr. Lipsky.

11 DR. LIPSKY: Could you please clarify where
12 combination therapy is fitting into this and what is
13 happening? In other words, even if you saw someone within
14 hours before delivery, would it still be just one entity or
15 would it be multiple?

16 DR. WILFERT: Well, there's no guidance here.
17 So, it's probably left up to the discretion of the care
18 providers. The strong guidance is that zidovudine be
19 administered no matter when the woman appears, both
20 intrapartum and to the baby. That's clear. What happens
21 in addition to that, there aren't any guidelines that tell
22 people what to do.

23 If you do a survey and break down the other
24 parts of the treatment, you'll find out that for the first
25 years, AZT and 3TC were used together. You'll find that by

1 | now there is a substantial proportion of people who are
2 | trying to think about the administration of nevirapine, and
3 | this is clearly for women who haven't received any
4 | antiretroviral therapy who are walking in the door.

5 | We get questions all the time. What do I do?
6 | This mom stopped and started her therapy 13 times in the
7 | past. Now she has come in. Maybe she has resistant virus.
8 | What do I do? And the answers are that you always give
9 | zidovudine, and you think about giving 3TC. And now you
10 | clearly think about giving nevirapine because we know it
11 | works.

12 | DR. LIPSKY: And that is together?

13 | DR. WILFERT: Well, it depends. There are no
14 | recommendations and we don't know if it's additive. So, we
15 | know nevirapine works. We could guess. But we didn't know
16 | it before the results of the Ugandan trial. So, again,
17 | this is a personal opinion because there aren't any
18 | guidelines.

19 | I now know two regimens that work if started
20 | intrapartum based upon studies done outside this country,
21 | AZT, 3TC, and nevirapine. And I think if a woman has had
22 | zero antiretroviral therapy, she deserves one of the
23 | regimens that we know works when it's started that late.
24 | Now, what else you do I'll let other people decide.

25 | DR. LIPSKY: But do you know what others are

1 | doing? Is there a developing standard of practice with
2 | combination therapy, or do we simply not know?

3 | DR. WILFERT: I don't think we know. We can
4 | dissect it from the information the CDC receives because
5 | they are recording the drugs that women have gotten, but I
6 | don't know.

7 | DR. HAMMER: Please.

8 | DR. HANDELSMAN: Would you consider cesarean
9 | section as part of the regimen for someone who presents
10 | intrapartum?

11 | DR. WILFERT: Yes, which is to say that I think
12 | it should be discussed with the woman if her diagnosis is
13 | clear. The woman who has had no prior antiretroviral
14 | therapy, those are the data that I think are the strongest
15 | about cesarean section. So, would I consider it? Yes.

16 | DR. HAMMER: Thank you. I think we need to
17 | move on. Thank you, Dr. Wilfert.

18 | Dr. Mofenson will now present an overview of
19 | the clinical trials in this area.

20 | DR. MOFENSON: Just a comment, Ed, that if a
21 | woman is already in labor, cesarean section is not going to
22 | do anything. So, if a woman is presenting intrapartum in
23 | labor, I don't think that it's going to be very beneficial
24 | to her based on the data we have.

25 | I'm going to take you through a very whirlwind

1 | tour of clinical trials both in the U.S. and
2 | internationally. To facilitate your understanding of my
3 | rapidly going through this, I have two handouts that you
4 | all have. One is a schematic handout that basically lists
5 | all the different trials, and the second one, which is the
6 | bigger one, has more detail on each of the trials. You
7 | don't need to refer to them now, but I think as time goes
8 | on in your discussion, you may want to look at these later.

9 | I'm going to first talk about trials in the
10 | United States, and then I'm going to move to the trials
11 | that are being done internationally. I'm not going to be
12 | discussing any issues regarding long-term toxicity, but as
13 | has already been brought up, that is really a critical
14 | issue, particularly in the United States where women are
15 | increasingly using multiple drugs during pregnancy and
16 | infants are being exposed in utero to multiple drugs. We
17 | can talk about it if you have questions.

18 | This just shows you the scheme for the 076
19 | trial that produced the remarkable results that Cathy has
20 | already talked about. At the time this trial was designed,
21 | we did not have a good idea as to the proportion of
22 | transmission that occurs in utero versus intrapartum. This
23 | trial was designed in 1989. Therefore, the trial was
24 | designed to target multiple potential time points of
25 | transmission.

1 It was started at 14 weeks gestation to target
2 transmission occurring in utero, but after the first
3 trimester. It was given intravenously during labor with an
4 initial bolus dose to get the mother's level up to
5 virucidal levels and then in a continuous infusion so that
6 the baby would be born with levels that were virucidal
7 regardless of whether it was 1 hours of 4 hours or 24 hours
8 after she presented in delivery.

9 The purpose of the intrapartum administration
10 has nothing to do with the viral load in the mother. The
11 purpose was to provide drug to the infant during passage
12 through the birth canal.

13 Then finally, the baby was given drug for 6
14 weeks. This was to provide post-exposure prophylaxis
15 against potential maternal cells that might have entered
16 the fetal circulation during labor.

17 This should be pretty familiar to anyone in
18 pediatrics or infectious disease or obstetrics. This is
19 the results of the trial. Transmission was 8 percent with
20 zidovudine, 26 percent with placebo, almost a 70 percent
21 reduction in transmission.

22 This trial was conducted among healthy women.
23 This was a placebo controlled trial, and therefore entry
24 was restricted to women who did not require antiretroviral
25 therapy. So, the women had to have CD4 counts over 200,

1 receive no antiretroviral therapy during pregnancy, and not
2 require antiretroviral therapy. So, it was a very
3 specific, healthy population of women.

4 So, the next question was is this treatment
5 going to be effective in women with advanced disease or who
6 have prior antiretroviral therapy.

7 A second trial was actually begun while 076 was
8 ongoing and this is trial 185. This was designed for women
9 with advanced disease, all of whom were receiving
10 zidovudine. Everyone, the mothers and the infants, got the
11 076 regimen. It asked what about if you had zidovudine and
12 you combined it with passive immunization with an HIV
13 hyperimmune immunoglobulin, compared to regular immune
14 globulin without HIV antibody. So, that was zidovudine
15 plus HIVIG versus zidovudine plus IVIG.

16 The sample size for this study was estimated to
17 be 800 and that was based on the following. We knew that
18 women with advanced disease had much higher rates of
19 transmission than healthy women, like the women that were
20 in 076. Therefore, it was hypothesized that even though
21 these women were receiving zidovudine, that the
22 transmission rate would likely be higher than we saw in
23 076, and it was estimated it would be between 11 to 15
24 percent.

25 There was an interim analysis allowed in this

1 | protocol to be able to see whether our estimated sample
2 | size of 800 was correct, and we knew that if the observed
3 | combined transmission rate was above 7.5 percent, that we
4 | would have adequate power to address the issue.

5 | This is just to provide you a comparison of the
6 | 076 versus the 185 patients. 22 percent of the patients in
7 | 185 had CD4 counts under 200 compared to none of the women
8 | in 076. Almost a quarter of the women in 185 had received
9 | zidovudine prior to pregnancy, many for prolonged periods,
10 | several years, whereas only 5 percent in 076, and in these
11 | women it was only a few weeks. And almost 19 percent of
12 | women in 185 had RNAs over 50,000 compared to 7 percent in
13 | 076.

14 | These are the results at the interim analysis.
15 | Despite the advanced disease stage in these women, the
16 | overall transmission rate was only 5 percent. We were very
17 | surprised at this. The transmission rate in the HIVIG arm
18 | was 4.1, in the IVIG arm was 6.1. This was not
19 | statistically significantly different. The p value was
20 | .34. Then based on the fact that in order to adequately
21 | address this question, we would have had to increase the
22 | sample size to a very large number of women, and this
23 | product, which was purchased by NHLBI, was a very expensive
24 | product. Therefore, the data safety monitoring board
25 | recommended stopping enrollment into the trial, and that's

1 | what we did.

2 | Although we were initially surprised at this
3 | low rate of transmission, this is just to give you a feel
4 | for four different epidemiologic studies, all of which have
5 | looked at women getting no zidovudine compared to women
6 | getting zidovudine. You can see that the transmission rate
7 | in women who received all three parts of zidovudine is 3 to
8 | 5 percent in all of these studies. So, the 185 results
9 | likely reflect the effect of zidovudine.

10 | What about the mode of delivery? We now know
11 | that most transmission occurs intrapartum, or at least near
12 | to or during delivery. There was a randomized trial
13 | conducted in Europe, the European Mode of Delivery
14 | Collaboration. This took HIV-infected women who did not
15 | have an obstetric indication for cesarean delivery,
16 | enrolled them at 36 weeks, and randomized them to elective
17 | cesarean prior to labor, prior to rupture of membranes,
18 | performed at 38 weeks compared to vaginal delivery. 408
19 | women were enrolled.

20 | This shows you the results of the study. This
21 | is an intent-to-treat analysis. If you look at the
22 | randomized assignment, the transmission rate was 11 percent
23 | in women randomized to vaginal compared to 2 percent in
24 | women randomized to elective cesarean delivery. This was
25 | statistically significant.

1 A number of women randomized to cesarean had
2 vaginal delivery. A number of women randomized to vaginal
3 delivery had an urgent cesarean section. So, they looked
4 then at it as actually delivered, as treated. And the
5 transmission rate in the vaginal delivery and the urgent
6 cesarean -- this is cesarean after labor, after rupture of
7 membranes -- was not significantly different. So, the only
8 benefit was seen with elective delivery, 2 percent.

9 This breaks it down by zidovudine, and I
10 believe that this is by the as-treated analysis. They
11 presented in that paper two analyses of with zidovudine.

12 Anyway, this is the women who did not receive
13 zidovudine. Transmission was about 20 percent with vaginal
14 delivery, decreased to 4 percent with elective cesarean.
15 And with zidovudine -- Cathy is correct -- even this is not
16 statistically significant, but those women who had vaginal
17 delivery, 4.3 percent, and with zidovudine it was about 1
18 percent in those women who actually had elective cesarean
19 delivery.

20 There are a number of very critical questions
21 about cesarean delivery. First of all, we don't know the
22 morbidity in HIV-infected women, and there are a number of
23 studies indicating that cesarean delivery may be associated
24 with higher morbidity in infected women than in uninfected
25 women. And a very critical question is whether cesarean

1 delivery is going to be beneficial regardless of viral load
2 or potent antiretroviral therapy. One would imagine that
3 if a woman has a risk of transmission of only 1 to 2
4 percent, that the risk of cesarean delivery to the woman is
5 going to probably far outweigh the benefits to the baby.

6 So, what about using a regimen targeted
7 intrapartum that's not cesarean delivery? Although this is
8 from a slide from actually a trial I'm going to discuss in
9 a few minutes, I think it's relevant here because we're
10 doing a study with nevirapine in the United States.

11 Nevirapine is an ideal intrapartum/postpartum
12 intervention. It's a very potent antiretroviral. It's
13 rapidly absorbed, crosses the placenta very rapidly, so
14 levels in the baby are almost exactly the same as levels in
15 the mother, has a long half-life, short-term safety, and is
16 inexpensive.

17 We're currently doing a trial in the United
18 States that's looking at standard of care antiretroviral
19 therapy. So, that was initially zidovudine. Now as Cathy
20 talked about, it's combination therapy. And it is looking
21 at whether the addition of an intrapartum/postpartum
22 intervention, giving nevirapine once to the mother at the
23 onset of labor and once to the baby at 48 hours, compared
24 to placebo plus standard therapy, will further reduce the
25 risk of perinatal transmission.

1 This study is ongoing in the U.S., in many
2 sites in Europe, in the Bahamas, and soon in Brazil, and
3 will enroll -- I think the sample size is now about 1,900
4 patients -- right, John -- to pick up a 40 percent
5 decrease. We should have this trial enrolled probably by
6 the fall of 2000.

7 This is just to let you know that there are a
8 large number of other trials going on in the PACTG phase I
9 studies that are looking at some of the nucleoside analog
10 combinations, that are looking at all of the currently
11 available protease inhibitors in combination with ZDV with
12 the exception of amprenavir, because amprenavir had some
13 concerning animal study data. And there are plans to look
14 at abacavir and PMPA as well.

15 Finally, the last in the U.S., there are plans
16 to do a study targeted specifically at those women who have
17 no prenatal care and who come in labor. That is to look at
18 whether we are going to be able to offer rapid testing to
19 women in labor and then offer to those women an
20 intrapartum/postpartum or potentially a postpartum only
21 intervention to the infant. Clearly with the 012 data,
22 this means that the standard of care would be nevirapine.
23 So, nevirapine would be offered and compared to some
24 combination with nevirapine. This is in the concept sheet
25 stage and hopefully we'll be able to have this in a

1 protocol next year.

2 I just wanted to move on to global perinatal
3 infection. Our transmission in the U.S. was and still is a
4 drop in the bucket compared to the global situation.
5 Globally over a million children are living with HIV
6 infection, and about 1,600 newly infected babies are born
7 every day.

8 Breast feeding is a major component in risk
9 factor for transmission in the developing world. This just
10 is to show you the risk of early breast milk transmission.
11 This is from a paper recently published in JAMA. This
12 shows you that the major risk of breast milk transmission
13 is likely very early, in the first 6 months of life, and
14 then decreases with further breast feeding, but there is
15 continued risk.

16 To give you another view of this, this is a
17 study of late breast milk transmission that appeared in
18 Lancet last year. This is looking at transmission
19 occurring after about 2 months of age. So, there is
20 probably a large bulk of transmission occurring here that
21 we're not seeing. But you see that there's a continued
22 risk of transmission through breast feeding as long as the
23 infant is breast feeding.

24 So, the next question is, are there simpler
25 antiretroviral interventions more applicable to the

1 developing world that might reduce transmission? The
2 strategies that have been employed are we know that most
3 transmission occurs intrapartum, and therefore
4 interventions have been focused to late gestation and
5 intrapartum. The regimen needs to be relevant to the
6 developing world, and therefore we need to minimize the
7 amount of drug being given and hopefully eliminate the
8 postpartum component and try to make it simple. Drug is
9 generally given orally intrapartum instead of
10 intravenously, and then we need to look at whether breast
11 feeding would diminish the efficacy of the regimen. And
12 there have been a number of different tactics taken for
13 breast milk transmission.

14 This slide schematically shows you the design
15 of the different short-course antiretroviral trials that
16 have been completed internationally. This line shows you
17 the 076 regimen started at 14 weeks, prophylaxis to the
18 baby for 6 weeks. The zidovudine regimens are shown in
19 orange. The strategy here has been to start the drug at 36
20 weeks and give it orally during labor with or without a
21 postnatal component, and the postnatal component has been
22 very short, 1 week, given to the mother.

23 Additionally, zidovudine and 3TC combination
24 has been evaluated in a study called PETRA sponsored by the
25 UNAIDS, conducted in several countries in Africa. This has

1 | looked at a short antepartum, intrapartum, and 1-week
2 | postpartum to the mother and the baby and compared that to
3 | an intrapartum/postpartum and an intrapartum only
4 | intervention compared to placebo.

5 | And finally, the HIVNET 012 study that has
6 | looked at nevirapine given during labor and then once to
7 | the baby.

8 | This is the first of the short-course trials
9 | that results became available. This was from a study in
10 | Thailand conducted in a non-breast feeding population.
11 | This was the short antepartum/intrapartum regimen starting
12 | at 36 weeks, given orally intrapartum. The zidovudine
13 | group had a 50 percent reduction in the risk of
14 | transmission, and this reduction was obvious by age 2
15 | months. You can see that after 2 months there's no further
16 | infection. So, this tells us that shorter zidovudine
17 | regimens work, although they may not work quite as well as
18 | the full three-part regimen.

19 | Now, that trial was conducted among non-breast
20 | feeding women. We talked about the importance of breast
21 | feeding. In the developing world, safe milk alternatives
22 | are not really available.

23 | These are new data since the publication on
24 | long-term follow-up from the short-course antiretroviral
25 | zidovudine trials. This is actually Stefan's trial. This

1 is the exact, same regimen as used in the Thai regimen, but
2 studied in breast feeding women in the Ivory Coast.

3 What you see here is that the zidovudine group
4 at all time points after birth has a lower transmission
5 rate than does the placebo group, but there does appear to
6 be some diminution of efficacy with continued breast
7 feeding. Also in contrast to the Thai trial, you see that
8 after 2 months of age, there is a continued risk of
9 transmission among both groups. At 1 month of age, the
10 efficacy was 44 percent and at 24 months, this had
11 decreased to 24 percent.

12 This is a second regimen that was studied by
13 the French in the Ivory Coast and Burkina Faso. The
14 difference with this regimen is that antepartum was started
15 at 36 to 38 weeks. Only a single oral dose was given
16 intrapartum, and then postpartum for 1 week to the mother
17 was given. The results of this trial are basically
18 superimposable on the previous trial that you saw that had
19 no postpartum component. Again, transmission continues to
20 occur after 2 months of age. One comment I'd make is that
21 most breast milk transmission has occurred by 6 months, and
22 efficacy which was 49 percent at 3 days decreased to 30
23 percent at 15 months, but this was still statistically
24 significant.

25 This is an unpublished trial in Thailand again

1 | in non-breast feeding women that compared four arms with
2 | differing duration of zidovudine. It compared a long
3 | regimen, an 076-like regimen. This started at 28 weeks,
4 | was given orally intrapartum and then the baby got 6 weeks
5 | of oral drug. This was compared to a short regimen
6 | starting at 36 weeks, orally intrapartum, and only 3 days
7 | to the baby. Then you can see that it was compared short-
8 | long and long-short.

9 | In the late summer this year, the data safety
10 | monitoring board did a first interim review and recommended
11 | that the short-short arm be stopped because the
12 | transmission rate in this arm was statistically
13 | significantly higher than in this arm. This was a safety
14 | review. The transmission rate in the short-short arm was
15 | 10.6 percent, and if you think back to the Thai trial,
16 | that's about the rate they saw in their short zidovudine
17 | arm compared to placebo.

18 | The remainder of these arms are still being
19 | enrolled to, and hopefully data from the trial will be
20 | available by the middle of the year 2000. It just confirms
21 | I think what we already knew which is that longer is
22 | probably more effective than shorter. Shorter is still
23 | effective.

24 | These are results, very short interim results,
25 | from the PETRA study that we talked about. Remember we

1 | were comparing an antepartum/intrapartum/postpartum,
2 | intrapartum/postpartum, and intrapartum regimen. Data are
3 | only available through age 6 weeks. Transmission at age 6
4 | weeks was 9 percent with three parts, 11 percent in the
5 | two-part, and 18 percent in the one-part arm. And this was
6 | 17 percent here, which gives us an efficacy of 50 percent
7 | with the three arms, 37 percent with the two arms, but
8 | unfortunately no efficacy with the intrapartum arm.

9 | These are the results from the HIVNET 012 trial
10 | which I think finally bring us to the possibility of being
11 | able to globally impact on HIV transmission in the
12 | developing world. This was looked at in Ugandan pregnant
13 | women who enrolled at 36 weeks gestation who were breast
14 | feeding, and it compared intrapartum/postpartum nevirapine,
15 | a single dose to the mother given orally at the onset of
16 | labor, a single dose to the baby given at 48 hours, and it
17 | compared this to an intrapartum/postpartum zidovudine
18 | regimen where zidovudine was given every 3 hours during
19 | labor and then for 1 week postpartum to the baby.
20 | Remember, this is a breast feeding population.

21 | These are the data that show you the results
22 | over time. The transmission rate at basically birth was
23 | not statistically significantly different between the two
24 | arms as one would expect since you weren't giving anything
25 | antepartum, but by week 6 to 8, there was a statistically

1 significant difference with the transmission rate in the
2 nevirapine arm being 12 percent compared to 21 percent in
3 the zidovudine arm. And at 4 months of age, there was
4 really not a whole lot of increase in the transmission in
5 the nevirapine arm. It was now 13 percent and 25 percent
6 in the zidovudine arm, and you can see this is highly
7 statistically significant, which is why the trial was
8 stopped. I think Brooks Jackson produced a publication in
9 the most rapid time that anyone in the world has ever
10 gotten anything published after a trial has been done, 4
11 weeks.

12 This just gives you a summary of those data,
13 which was that nevirapine was 47 percent more effective
14 than zidovudine in this population, and if one makes the
15 assumption that zidovudine had some effect, then likely
16 nevirapine has even more of an effect if it were being
17 compared to placebo.

18 So, what can we learn from the antiretroviral
19 trials?

20 Well, first both antepartum/intrapartum and
21 intrapartum/postpartum interventions significantly reduce
22 transmission. That's been shown by a number of these
23 trials.

24 Data I haven't shown you are that the
25 antepartum interventions probably work by lowering maternal

1 | viral load.

2 | Unfortunately, intrapartum strategies alone --
3 | that is, providing the baby only with pre-exposure
4 | prophylaxis -- does not appear to work with the exception
5 | of elective cesarean delivery that we talked about. At
6 | least, it doesn't appear to work in breast feeding
7 | populations. Therefore, the neonatal prophylaxis piece is
8 | likely a critical important component based on the results
9 | of HIVNET 012 and the PETRA trial, and that there is
10 | continued transmission while breast feeding. So, we still
11 | need to be able to develop an intervention capable of
12 | further reducing transmission in women who require to
13 | breast feed.

14 | I'm going to give you a very brief run through
15 | the non-antiretroviral trials. There were two vaginal
16 | cleansing trials done in Malawi and Tanzania with low
17 | levels of chlorhexidine. This is where women come in in
18 | labor and they have their vaginal area and cervix swabbed
19 | with chlorhexidine, and then the baby has a wash.
20 | Unfortunately, there was no significant difference in
21 | transmission in either of these studies, although there was
22 | in one study a trend, a significant difference in women
23 | with prolonged duration of labor. However, there was a
24 | significant decrease in maternal and infant morbidity and
25 | mortality in the chlorhexidine arm.

1 There have been three vitamin A or multi-
2 nutrient studies done in Africa. In each of these studies,
3 there has been no impact on perinatal transmission
4 unfortunately, but again a very significant decrease in
5 maternal and infant morbidity and mortality.

6 Finally, there was a breast versus formula
7 trial performed in Kenya. I'm going to show you that
8 result. This trial showed that with formula, a 43 percent
9 reduction in transmission was seen. The formula arm is
10 shown in orange here, and I just want to point out that
11 there was only 70 percent adherence to the formula arm.
12 So, this efficacy was seen despite the fact that some of
13 the women randomized to formula also breast fed.

14 The breast feeding arm here is shown in yellow.
15 Transmission was 37 percent at 24 months in the breast fed
16 versus 21 percent in the formula fed, and mortality did not
17 appear to be significantly different. I do want to point
18 out that this was done in an urban area in Africa where
19 clean water is available, and this is probably not
20 applicable to more rural areas where clean water is not
21 available and a sustainable source of formula is not
22 available.

23 This is just a summary of the prevention
24 studies. I don't have a slide but would just briefly like
25 to describe to you some of the trials that are currently

1 ongoing internationally.

2 In terms of perinatal treatment of STDs and
3 chorioamnionitis, I haven't presented you the data, but a
4 number of studies have shown that chorioamnionitis appears
5 to be related to the risk of perinatal transmission, and
6 there's one trial that is going to look at antibiotic
7 prophylaxis given during late pregnancy and labor to see
8 whether that can reduce the risk of transmission.

9 A number of other antiretroviral regimens are
10 being looked at, including PMPA. There's a phase I study
11 to look at that, another long-lived drug for which there's
12 good animal data in terms of prevention of transmission
13 with a similar regimen as given for nevirapine.

14 I'll talk about the breast feeding ones in a
15 moment.

16 In terms of immunotherapy, the concept is that
17 one could provide a regimen such as the nevirapine regimen
18 and then give something additional to prevent breast milk
19 transmission, and a number of different approaches are
20 being used, including the use of passive immunization with
21 HIVIG being studied in Africa, potentially the use of a
22 vaccine. There's a phase I study of the canarypox ALVAC
23 vaccine that's going to be done in Africa. And then the
24 idea of giving antiretroviral drugs to the baby for a
25 certain period of time followed by early weaning, and that

1 is being studied in India and Ethiopia and South Africa.

2 There is an additional trial, which I think
3 will be very interesting, that's comparing the
4 intrapartum/postpartum nevirapine to the
5 intrapartum/postpartum ZDV/3TC.

6 There is one more antiretroviral trial that's
7 being conducted in South Africa. It's a phase II and it's
8 comparing short-course ddI alone versus d4T alone versus
9 combination ddI and d4T versus zidovudine, the short-course
10 zidovudine.

11 I think that's the end of this rapid tour.

12 DR. HAMMER: Thank you very much.

13 DR. MOFENSON: Does anyone have any questions?

14 DR. HAMMER: Questions? Dr. D'Agostino.

15 DR. D'AGOSTINO: Thank you very much for that
16 presentation.

17 The studies where you have the long-term,
18 short-term and so forth, I think the data is quite
19 impressive that the more, the better.

20 Are there follow-ups in terms of other
21 potential safety problems to the child and the mother?

22 DR. MOFENSON: In Thailand you mean?

23 DR. D'AGOSTINO: Well, even in the U.S., all of
24 these studies where there are different regimens, and the
25 more complete regimen seems to be better.

1 DR. MOFENSON: Right.

2 DR. D'AGOSTINO: But is there an implication of
3 potential safety factors later on?

4 DR. MOFENSON: Yes, there is a study called 219
5 in the PACTG that was designed to provide long-term follow-
6 up to infants whose mothers were enrolled in perinatal
7 trials. That follows the children through age 21 years and
8 includes periodic evaluation of a variety of different
9 laboratory tests looking for organ toxicity as well as
10 echocardiograms, et cetera. Initial results of that were
11 presented in a publication in JAMA last year that Cathy was
12 talking about, and with follow-up through 6 years, there
13 didn't appear to be any difference in immune development,
14 growth, or neurodevelopment in the children.

15 There is not currently a very good way to
16 provide consistent follow-up for the large number of babies
17 who are receiving in utero exposure outside of perinatal
18 trials. The CDC does collect information about
19 antiretroviral exposure on their HIV reporting forms, and
20 when we began to look at the potential for mitochondrial
21 toxicity after the French data, we were able to take data
22 from the PACTG, from our natural history studies, funded by
23 the NIH and the CDC, as well as surveillance, and pool all
24 of that data together. Based on that, we looked at records
25 of over 15,000 uninfected children and we looked

1 specifically at deaths, and in that group of children -- I
2 think there were about 40-something deaths -- we did not
3 see anything that was related to mitochondrial disease.

4 But we're currently in the process of doing a
5 retrospective evaluation looking at the living children to
6 see whether any of them have mitochondrial symptoms. This
7 is not easy and it needs to be done prospectively. We
8 don't have a good mechanism for that yet.

9 In the developing world, I think that kind of
10 follow-up is going to be extremely difficult and maybe
11 Stefan can talk a little bit about what has been done in
12 the Ivory Coast and maybe he knows a little bit about what
13 has been done with the CDC study in Thailand.

14 DR. HAMMER: Dr. Pomerantz.

15 DR. POMERANTZ: I've seen some small cases
16 about levels of antiretrovirals in breast milk. Is there
17 good data comparing and contrasting the different new
18 antiretrovirals, not only levels but antiviral activity
19 from breast milk in women?

20 DR. MOFENSON: My understanding with zidovudine
21 is that although it gets into the breast milk, it's in
22 really tiny amounts and was not felt to be sufficient to be
23 protective. Nevirapine does pass into the breast milk, but
24 I don't know that we have any real good studies about the
25 association between the levels and viral load of the breast

1 | milk. I'm pretty sure we haven't looked at that yet.

2 | I don't believe we have much data on any of the
3 | other drugs. I'll just comment that the protease
4 | inhibitors do not appear to, at least, cross the placenta
5 | very well. Whether they're going to get into breast milk I
6 | don't know. But I think it's the assumption of most
7 | researchers that having the drug present in the breast milk
8 | is not going to be the way to really interrupt, but rather
9 | to provide the infant with some protection for some
10 | critical period followed by early weaning.

11 | DR. HAMMER: Dr. Masur?

12 | DR. MASUR: You mentioned that cesarean section
13 | doesn't appear to work after labor is induced. Could you
14 | expand on that a little bit about why you think that
15 | doesn't happen if delivery has not, in fact, yet occurred?

16 | DR. MOFENSON: I think that there are probably
17 | two different mechanisms for intrapartum transmission. One
18 | is when maternal blood is transfused into the fetus during
19 | uterine contractions, and there actually have been studies
20 | that looked at that and found an average of 3 cc's of
21 | maternal blood pass into the fetus during labor. So,
22 | clearly if labor has already started, it's not going to
23 | prevent transmission that way.

24 | The other mode of transmission is when the
25 | infant is exposed to the secretions directly, it swallows

1 | maternal genital fluids and blood, and that's the place
2 | where one might think that cesarean section might have some
3 | additional efficacy. What this might be telling us is that
4 | maybe the intrauterine transfusion is a more important
5 | piece than the intrapartum exposure, direct exposure, but I
6 | don't know that we have any real data to address that.

7 | DR. HAMMER: Dr. Handelsman?

8 | DR. HANDELSMAN: Lynne, all of these short-term
9 | studies that are being done seem to involve the reverse
10 | transcriptase inhibitors and not the protease inhibitors.
11 | Is there a particular pharmacologic or safety reason for
12 | that?

13 | DR. MOFENSON: In the U.S. you're talking about
14 | or in the developing world?

15 | In the developing world, I think it's
16 | completely unfeasible to look at protease inhibitors.
17 | They're just not going to be available. They're too
18 | costly. I think we need to have there as short a regimen
19 | as possible. Ideally it would have been a single dose at
20 | labor if it worked. That's what you need there.

21 | In the U.S., I think it's going to be very
22 | difficult to try to assess. If we get transmission to
23 | below 2 percent, which I think is the hope that we would
24 | get with the nevirapine, it's going to be very difficult to
25 | assess any additive effect other than through epidemiologic

1 studies.

2 DR. HAMMER: Dr. Hamilton.

3 DR. HAMILTON: Since some of these trials,
4 particularly those in the States and in Europe are
5 utilizing multi-drug combinations in therapy, have there
6 been any efforts to systematically assess the frequency
7 with which genotypic resistance is passed on, and is there
8 some selectivity of transmissibility based on presence of
9 resistance mutations?

10 DR. MOFENSON: Yes, it's a very good question,
11 and I have a whole series of slides that I don't have with
12 me on that. But the data I think from 076 and a number of
13 other studies indicate that resistance at least today does
14 not account for the majority of zidovudine failures.

15 There was an interesting study presented by
16 Paul Palumbo three weeks ago at a global strategies meeting
17 on perinatal transmission where he looked at the prevalence
18 of RT mutations both against the nucleosides in total and
19 against ZDV in particular. 24 percent of the population of
20 over 200 women had one or more resistance mutations to a
21 nucleoside. 17 percent had resistance to zidovudine, but
22 the transmission rate in those who had and did not have the
23 resistance mutations was the same. So, there is data from
24 one study that suggests that potentially resistant virus
25 may be less fit in terms of transmission. It looked at

1 women who had mixed viral populations and then looked at
2 what their infected babies had. So, women who had a
3 mixture of wild type and mutant virus in general had the
4 wild type virus present, not the mutant virus.

5 I don't know what we're going to have happen as
6 things go on in the future, and clearly that's going to
7 need to be monitored.

8 DR. HAMMER: Can I ask a corollary question,
9 different but important? Have any of the isolates from the
10 babies who were infected on the nevirapine arm in 012 been
11 looked at for NNRTI associated mutations?

12 DR. MOFENSON: Brooks, I don't think we've done
13 it yet. Right? No, not yet.

14 DR. HAMMER: Dr. Gulick.

15 DR. GULICK: One thing that strikes me, looking
16 at some of the studies, is how well tolerated zidovudine
17 appears to be in pregnant women. It's in contrast to naive
18 non-pregnant patients taking zidovudine where there's a
19 relatively high incidence of GI intolerance, for instance.
20 Is that seen pretty much across all the studies that it's
21 well tolerated?

22 DR. MOFENSON: Yes. My impression is that
23 adherence has been very good. Actually in the 076 study,
24 there was absolutely no difference in terms of anemia or
25 liver functions between zidovudine and placebo women.

1 DR. HAMMER: Thank you. I think we need to
2 move on. Thanks, Dr. Mofenson.

3 DR. DIAZ: Scott?

4 DR. HAMMER: I'm sorry. Dr. Diaz.

5 DR. DIAZ: I just had a quick question. With
6 the post-exposure infant prophylaxis trial, could you just
7 go back and review that briefly?

8 DR. MOFENSON: It would start with a pilot
9 that's going to be able to look at can we do rapid testing
10 during labor, and that's a question I think that still
11 needs to be answered, although I will say that a number of
12 sites in the U.S. -- Toulane, for example -- have already
13 set up rapid testing during labor programs. New York I
14 believe is also setting up a rapid testing during pregnancy
15 program. So, you'd offer a rapid test to the woman and if
16 she has an initial positive on a rapid test, she would be
17 offered nevirapine. The initial positive would then be
18 confirmed postpartum. It was confirmed postpartum, the
19 baby would get nevirapine. That would be the concept, and
20 then they would be compared to two drugs or three drugs.
21 It hasn't been decided yet.

22 DR. HAMMER: Dr. Jolson.

23 DR. JOLSON: Lynne, first, thank you very much
24 for that excellent overview.

25 I just wanted to get your thinking on something

1 that I've wondered about since seeing the Lancet
2 publication of the HIVNET study. You pointed out the
3 observation that rates of transmission shortly after birth
4 were very similar between the nevirapine and placebo group
5 and then the curves diverged I think at the 6-week time
6 point. You also made the comment that your interpretation
7 was that that showed that the dose that was given to the
8 child after delivery was important.

9 My question for you is your opinion about
10 whether or not that effect is related to prophylaxing the
11 child against subsequent exposure to the virus through
12 breast feeding or somehow it's providing prophylaxis from
13 the inoculum that was received during delivery.

14 DR. MOFENSON: Well, the only trial we have
15 that looked at an intrapartum intervention and showed it
16 didn't work was the PETRA trial which was in a breast
17 feeding population. So, I can't tell you that.

18 My guess is that it's both. My own personal
19 feeling is I think it's both, that you need both. My own
20 feeling in the U.S. is that the population for which 012 is
21 ideal for is the women who are coming in without anything
22 who present at labor, that that is a very important target
23 group for the use of nevirapine instead of using AZT which
24 in my view is and was an unproven regimen, what we were
25 previously recommending.

1 DR. HAMMER: Dr. Lipsky.

2 DR. LIPSKY: A very quick question. What's
3 known about the rate of spontaneously clearing in a neonate
4 of HIV? I got to feel that it does occur, but is there any
5 handle on that?

6 DR. MOFENSON: Well, there was a paper by Lisa
7 Frenkel. She looked at, I think, 30-some cases -- is that
8 right, Cathy -- of supposedly cleared virus and found out
9 in most cases the positive tests on the babies had been lab
10 errors. So, whether it actually occurs or not I don't
11 think has been proven.

12 Thanks.

13 DR. HAMMER: Thank you very much.

14 The next speaker is Stefan Wiktor who will talk
15 about the conduct of trials in developing nations.

16 DR. WIKTOR: Good morning. I appreciate this
17 opportunity to share some of my experiences and thoughts
18 regarding the planning and conduct of research to prevent
19 mother-to-child transmission of HIV-1 in developing
20 countries.

21 A brief introduction. I work at the Division
22 of HIV/AIDS Prevention at CDC. Up until recently I was
23 stationed in Abidjan, Ivory Coast, where I was the Director
24 of Projet RETRO-CI, which is a large AIDS research project.
25 It's a collaboration between CDC and the Cote d'Ivoire

1 Ministry of Health. While there, I was the principal
2 investigator in one of the short-course AZT regimens that
3 were just presented. Before that, I was here in the
4 Washington area working at the National Cancer Institute at
5 the Viral Epidemiology Branch focusing on HTLV-1 perinatal
6 transmission.

7 In covering today's topic, I just wanted to
8 cover a few points. First, try to set the scene and
9 contrast some of the differences that are in the health-
10 related and HIV-related situation in developing countries.
11 For the developing countries, I'll be focusing primarily on
12 Africa since that's where the contrast is perhaps most
13 stark with the situation in the United States, and also
14 that's the area where I have the most experience.

15 Then I'll cover some of those logistical
16 challenges to conducting perinatal interventional research
17 trials in Africa, give some of our own data, giving you
18 some of the background of how one study was conducted, and
19 then discuss a little bit of what are the things that
20 should be kept in mind in interpreting data from
21 international studies.

22 In trying to compare the situations in the
23 U.S., Europe, and developing countries, especially Africa,
24 there are so many differences, it is hard to know where to
25 start. However, this table tries to summarize at least

1 | some of the major differences in the health status and the
2 | level of health care in the two, as it relates to antenatal
3 | and obstetrical care.

4 | First of all, the burden of HIV disease, as you
5 | obviously know, is very different. In the United States,
6 | the prevalence of HIV among antenatal patients is less than
7 | 1 percent. In Africa, the range is large. However, in
8 | many urban settings, the prevalence is between 10 and 30
9 | percent; in some settings, for example, in southeastern
10 | Africa, even greater than 40 percent, for example, in
11 | Botswana.

12 | The baseline differences of pregnant women
13 | coming in for antenatal care is very different. This can
14 | affect the rates of transmission and also affect perhaps
15 | the magnitude of the effect seen from interventions. Some
16 | of these differences are a higher prevalence of anemia and
17 | vitamin A deficiency, as well as other micronutrients due
18 | to nutritional deficiencies and due to chronic malaria
19 | causing anemia. There's a much higher rate of sexually
20 | transmitted diseases, including chorioamnionitis. All of
21 | these are risk factors for transmission and are part of the
22 | explanation for the higher rates of transmission seen in
23 | developing countries as compared to developed countries.

24 | Access to prenatal care is also very different.
25 | In the U.S., it is generally good, although as you've

1 | heard, there is some proportion of women that do not access
2 | prenatal care. In Africa, that proportion is much greater
3 | and the level of antenatal care is very variable. In some
4 | urban settings, it's available. In many rural settings, it
5 | is totally unavailable. Even in the settings where it's
6 | available, the level of care is not always the best in the
7 | sense that there are many barriers to good access to care.
8 | Women come for perhaps one or two visits and don't return
9 | because of financial barriers or because of the poor
10 | quality of care that they receive and the amount of time
11 | that they spend at the prenatal clinic.

12 | We heard earlier some of the HIV-specific
13 | obstetrical practices that are recommended or at least
14 | common in the United States. The best example is cesarean
15 | sections. In most African settings, that is unavailable
16 | and is not practiced.

17 | Turning now to some of the more specific
18 | differences regarding HIV prevention in the perinatal
19 | setting, in the U.S. HIV antenatal counseling and testing
20 | is widely available and seems to be well accepted, with
21 | most women accepting the testing and getting appropriate
22 | post-test counseling. That is unfortunately not the case
23 | in Africa and in other developing countries. Outside of
24 | research settings, HIV counseling and testing is largely
25 | unavailable. There are perhaps some notable exceptions,

1 | for example, Botswana in South Africa. But even in
2 | settings where it is available, it is poorly accepted, and
3 | the rates of acceptance of testing vary but the rates of
4 | refusal are between 10 and 30 percent of women who refuse,
5 | and a significant proportion of women don't come back for
6 | their results. Part of that failure to return for results
7 | is what I mentioned earlier, the difficulties to access
8 | health care, and part of it is sort of a delayed refusal
9 | perhaps for the HIV test. This will present a significant
10 | barrier to any implementation of perinatal interventions on
11 | a wide scale in Africa.

12 | The standard of care for the prevention of
13 | transmission in the U.S. is, of course, the ACTG 076
14 | regimen. In Africa, the standard of care remains no
15 | prenatal or intrapartum care for the specific interventions
16 | for the prevention. That is changing now with some pilot
17 | programs in some countries sponsored by UNICEF and a French
18 | initiative to try to at least make available counseling and
19 | testing and prenatal AZT.

20 | A major difference is the feeding practices
21 | recommended. In the U.S. as in other developed countries,
22 | women are counseled to formula feed, and in Africa,
23 | although the recommendations are changing, the reality is,
24 | though, the vast majority of HIV-infected women breast feed
25 | their infants.

1 The therapy for the mother's HIV disease is
2 also different. Here many women receive antiretroviral
3 therapy for their own disease, and due to the
4 unavailability of antiretrovirals, that is very, very
5 uncommon in Africa.

6 In view of these differences, what can we say
7 about the directions for future research in Africa and in
8 the U.S.? Although globally the objectives are the same --
9 that is, to maximally reduce mother-to-child transmission
10 of HIV-1 -- the way in which that should be approached is
11 obviously very different.

12 In the United States, currently the goal would
13 be to develop strategies that will identify all HIV-
14 infected pregnant women and to treat them with the most
15 effective regimen. The current challenge is to provide
16 treatment to the women who do not access prenatal care and
17 who don't have an HIV test result prior to going into
18 labor.

19 In Africa, due to the differences I just
20 mentioned, the priority remains to identify simple,
21 practical, and effective regimens to prevent mother-to-
22 child transmission and, secondly, to try to do operational
23 research to try to identify ways to implement these in a
24 practical manner.

25 Therefore, for a researcher from a sponsoring

1 | country, one who sponsors the research in a host country,
2 | the real challenge is to identify research objectives that
3 | meet the host country needs and priorities, in other words,
4 | try to face the problems of lack of access to care and try
5 | to identify interventions that will be at a future time,
6 | hopefully, implemented in that country, but also that will
7 | meet the ethical review standards in both the host and the
8 | sponsoring countries.

9 | Secondly, the challenge to conduct high quality
10 | research is to have in place appropriate research
11 | infrastructure to properly conduct these studies, and I'll
12 | go into that later in my talk.

13 | I won't spend a lot of time on ethics, but just
14 | wanted to highlight how difficult it is to meet some of the
15 | challenges I just mentioned on the previous slide. These
16 | are two quotations from two documents that are some of the
17 | guiding principles for the design of ethically sound
18 | research studies. The first is the CIOMS document which,
19 | as you can read there, "Studies should be designed to
20 | obtain knowledge that benefits the class of persons from
21 | which the subjects are representative." And the second
22 | from the Declaration of Helsinki, "In any medical study,
23 | every patient should be assured of the best proven
24 | diagnostic and therapeutic method."

25 | I hope, from the information I've just

1 presented to you on the socio-economic and health-related
2 differences in the two countries, you can see how sort of
3 balancing these two principles can be quite a challenge and
4 has been, as you all know, the topic of very heated
5 controversy over the short-course AZT regimens.

6 Turning now to some of the more logistical
7 aspects regarding the challenges to conducting perinatal
8 interventional research, this slide just covers some of the
9 elements of what needs to be in place for high quality
10 research to be done.

11 These include development and having in place
12 appropriate human resources. That means the research staff
13 to do the study.

14 It requires the technical infrastructure. That
15 means the laboratory and the data management infrastructure
16 to monitor the patients in the study and to assess the
17 outcome of the study.

18 Also, something that's not often discussed, an
19 institutional review which is something that is a novelty
20 to many countries in Africa and is one of the
21 responsibilities of sponsoring researchers, to help develop
22 this process to have appropriate institutional review of
23 research protocols.

24 There are a number of logistical challenges.
25 These are true of any studies, but there are some specific

1 elements in conducting studies in Africa which need to be
2 faced. That's challenges to enrollment, ensuring informed
3 consent, avoiding stigma regarding HIV, and a proper
4 follow-up.

5 Researchers from sponsoring countries who come
6 to developing countries to do studies -- oftentimes it will
7 be the first clinical trial that's conducted in that
8 country, if it's a sub-Saharan African country. Therefore,
9 there is very little infrastructure in place, few trained
10 people in the conduct of clinical research. It's the
11 challenge and the obligation of the researchers coming from
12 developed countries to try to nurture along and develop
13 that infrastructure. This involves the training of the
14 clinical staff, the design and the conduct of the study,
15 epidemiologists who will assure that the protocols are
16 culturally appropriate, other clinical staff that will do
17 the enrollment, the follow-up, the monitoring of patients
18 to assure that the protocols are being well adhered to.
19 Obviously, in a clinical trial, study pharmacists must be
20 available and well trained for the proper labeling and
21 dispensing of drugs, for monitoring the distribution of the
22 study drugs.

23 One of the real challenges in setting up a
24 clinical trials infrastructure in developing countries is
25 the ability to monitor for adverse events since that

1 requires a laboratory and a data management infrastructure
2 that allows for the rapid turnaround of laboratory results
3 so that clinicians on site can decide whether a study drug
4 should be stopped or not. This includes laboratory
5 diagnostic capability which needs to be on site for the
6 monitoring of hematologic and other outcomes, as well as
7 for the monitoring of the primary outcome of the study,
8 whether that's detected by HIV serology or HIV DNA PCR.

9 Data management also, as I mentioned, primarily
10 for adverse events monitoring needs to be on line and a
11 system needs to be in place to able to return the results
12 rapidly to clinicians so that they can decide on how to
13 proceed with the study subjects.

14 Much of this infrastructure development can be
15 accomplished, thanks to the links that are created by the
16 sponsoring organizations and the host countries. These
17 involve technical assistance in all of the fields that I
18 just mentioned, also in providing access to expert data
19 safety monitoring boards, since that sort of expertise, the
20 statistical and clinical trials expertise, is usually
21 lacking in developing countries. Also, the sponsoring
22 researcher can provide a link with pharmaceutical
23 companies, since that data is often difficult to access by
24 host country researchers, and that's access to
25 pharmacokinetic and safety data, getting the study drug and

1 placebo and also getting these protocols through regulatory
2 issues. These are best done by the sponsoring researchers.

3 I mentioned earlier one of the challenges is
4 institutional review in the host country since all research
5 protocols need to be reviewed and approved by the IRBs in
6 the host country, as well as the sponsoring country. Many
7 of these countries do not have a long tradition of IRBs,
8 and there's a lack of personnel who have the experience in
9 properly reviewing this. Our own experience has been that
10 this is a gradual process that continues, and as time goes
11 on, the level of expertise is increasing. I think it's one
12 area where sponsoring countries could do more to provide
13 formal training to in-country researchers as to the proper
14 conduct of institutional review.

15 I've labeled on the same slide the second
16 difficulty is obtaining appropriate informed consent. In
17 trying to explain complex study designs and trying to pass
18 the message of placebo and trying to explain the issue of
19 probability of transmission, those are difficult concepts
20 for anyone to understand. I think it's particularly
21 difficult in the settings where the subject is often
22 someone who has no education, who is illiterate. There is
23 such a big gap between the health care professional
24 providing information and the potential clinical subject
25 that it can be a real challenge. I think there again some

1 work needs to be done to try to develop methods to try to
2 better get the message across regarding these studies.

3 Also, one of the issues that are specific to
4 perinatal research is if sponsoring organizations require
5 the approval of the father of the fetus or the child, that
6 is almost impossible to obtain, at least in west Africa
7 where fathers are entirely absent from the prenatal health
8 care process and oftentimes where women do not reveal their
9 HIV status to their husband because of fear of reprisals.

10 Enrollment is difficult in these studies. In
11 most of the studies, it's between 20 and 30 percent of
12 HIV-1-infected women are actually enrolled in the studies.
13 There are many reasons for this.

14 The first I mentioned already which is the poor
15 access to prenatal care. By that I mean that women often
16 will come for one visit to get a health card which will
17 allow them to deliver there and then don't plan on coming
18 back because of the cost involved, because of the time
19 involved.

20 However, there are also, in addition, specific
21 barriers to the acceptance of HIV testing, and that's fear
22 of stigmatization which is something that also requires
23 considerable more study since it, at least in our
24 experience in the short-course zidovudine trial, was
25 something we really underestimated, the level of fear of

1 learning of one's HIV result and the fear of rejection and
2 the fear of stigmatization.

3 Also in the absence of any antiretroviral
4 therapy or other therapies for the mother, at least prior
5 to the perinatal intervention trials, there was really
6 little benefit for a woman to know her HIV status. Perhaps
7 now with short-course AZT and nevirapine, that will change,
8 although our experience in the last year or so, when we
9 were doing open-label AZT in Abidjan, the acceptance of the
10 regimen has not really improved dramatically.

11 Finally, the time at which one identifies an
12 HIV positive woman, does the voluntary counseling and
13 testing is usually at the first prenatal visit which occurs
14 generally in the second trimester, and the intervention
15 actually doesn't start till 36 weeks or in labor. So,
16 there's a considerable period of time between there during
17 which women can move, change their mind, and not be
18 available to be participating in the study.

19 To highlight this, I just wanted to review some
20 of our own data from the enrollment into the short-course
21 AZT study that we conducted. This is enrollment over about
22 a 2-year period of time, during which 1,600 HIV-1 women
23 were identified through voluntary counseling and testing.
24 Yet, only 280 were enrolled. What were the reasons for
25 non-enrollment?

1 The biggest slice was non-return for post-test
2 counseling for the reasons I already mentioned. Almost 40
3 percent of these women did not come back for the result and
4 did not learn of their HIV positive result.

5 Of the women who did come back for their HIV
6 result, 18 percent were lost, and that's lost between the
7 time of post-test counseling and 36 weeks when the study
8 enrollment began.

9 12 percent of women refused. So, although I
10 mentioned that there are challenges in doing proper
11 informed consent, at least this would indicate that some
12 proportion of women understood and made a decision not to
13 participate in the study.

14 And then the other, ineligibility, 11 percent,
15 and other reasons.

16 And the result, only 18 percent of women who
17 could have benefitted from this regimen actually were
18 enrolled in the study.

19 Another important thing to consider is the
20 difficulties in doing proper follow-up. Most of these
21 studies have a short-term outcome, which is an HIV-1 PCR at
22 3-6 months. That certainly is easy to do. Even doing
23 follow-up for 18-24 months, the duration of breast feeding,
24 is also feasible. One of the advantages of this sort of
25 winnowing process that I just described is that the women

1 | who end up being enrolled are those who adhere well to the
2 | protocol and adhere well to the follow-up.

3 | But particularly for assessing safety, there's
4 | a number of barriers that make this a difficult reality.

5 | First, long-term follow-up is difficult to
6 | assure. These are highly mobile populations, people moving
7 | back and forth. In Cote d'Ivoire, a high proportion of
8 | women are immigrants or their partners are immigrants, and
9 | they're coming in and they move back to the village or move
10 | back to their country.

11 | Other women come specifically to the city for
12 | obstetrical care and then return to the village. So,
13 | obviously that will make follow-up difficult.

14 | There's a high background rate of mortality.
15 | That's a high background rate in the absence of HIV, which
16 | is often 100 per 1,000 infant mortality rate. If you add
17 | HIV to it, then it becomes a significant mortality. So, at
18 | the end of 1 or 2 years, a significant proportion of the
19 | children have already died.

20 | Finally, in assessing the cause of morbidity or
21 | the cause of mortality, one is faced with the barriers of
22 | the poor quality of health care in general. So, if a child
23 | or a mother in a study dies or has a serious adverse event,
24 | it's often difficult to assess what was the real reason for
25 | that because of the lack of hospital care. The children

1 either will die at home with no information or even when
2 they come to a hospital, there's not much infrastructure in
3 place to really be able to assess the cause of the death.

4 Just briefly an example of Projet RETRO-CI.
5 Projet RETRO-CI is in Abidjan, Ivory Coast, which is a west
6 African country, population of about 15 million people.
7 HIV prevalence in Abidjan, the principal city, is 15
8 percent. In the interior of the country, it's 9 percent.
9 So, it's not as significant an epidemic as in southeastern
10 Africa, but still by far the most severely impacted country
11 in west Africa. AIDS is the leading cause of adult death,
12 and the per capita health expenditure is \$22 per year U.S.

13 Projet RETRO-CI was established in 1988, and
14 it's, as I mentioned, a collaboration between the CDC and
15 Ivorian government. A broad range of HIV-related research
16 has been conducted there, with recently a particular focus
17 on interventional research.

18 The study that we conducted was conducted in a
19 large public antenatal clinic, set up voluntary counseling
20 and testing there. The obstetrical care was provided in
21 the labor and delivery ward of the clinic.

22 The population was primarily west African. By
23 west African, I mean that about 40 percent of the
24 population were foreign born from the surrounding
25 countries, and 60 percent of women were without any

1 | schooling. Therefore, as I mentioned earlier, some of the
2 | difficulties in explaining difficult concepts regarding the
3 | study.

4 | Obstetrical care was provided by midwives with
5 | very limited equipment and very limited ability to provide
6 | any sort of high level medical care for women in labor or
7 | else to the babies if they had any distress.

8 | The regimen was mentioned briefly by Lynne, and
9 | our result was again a 3-month, 37 percent reduction in
10 | transmission.

11 | Some of the specifics regarding safety
12 | monitoring. I mentioned some of the necessary elements for
13 | proper review of safety. We had an on-site laboratory and
14 | data management. The monitoring for laboratory and
15 | clinical adverse events was using the ACTG guidelines, and
16 | the data and safety review was done with the help of the
17 | NIAID DSMB with an Ivorian representative. The laboratory
18 | also was on site, including HIV DNA and RNA PCR.

19 | So, it's at least our experience, I think
20 | certainly the experience from the other studies you heard
21 | about, HIVNET, the PETRA studies, that it is possible to
22 | conduct quality research in developing countries, but the
23 | things that have to be nurtured and developed is human
24 | resources development, as I mentioned, all the different
25 | types of people necessary for doing appropriate studies.

1 That's best done through a long-term commitment and long-
2 term partnership with the host country, providing training,
3 short- and long-term training, development of laboratory
4 and data management infrastructure.

5 These sorts of studies go through lots of ups
6 and downs. I think certainly for us all the controversy
7 around the ethics of these studies was a real test of our
8 relationship with the Ivorian ministry, and luckily we had
9 a long-term commitment and a long-term history of
10 collaboration, so we were able to weather the storm. But
11 the sort of trust that's needed to properly get through
12 these studies shouldn't be underestimated.

13 Finally, the contacts, as I mentioned, the
14 pharmaceutical companies and the DSMB and statistical
15 technical assistance provided by the sponsoring
16 organization.

17 What are some of the factors that need to be
18 taken into consideration in interpreting data from
19 international studies of mother-to-child transmission?
20 I've mentioned some of these, so it's a review.

21 Some of the differences in baseline
22 characteristics. The prevalence of risk factors for
23 transmission are very different in the two settings. So,
24 the background transmission rate can be different in U.S.
25 studies and studies from Africa and other developing

1 countries.

2 There are racial differences. This was perhaps
3 best exemplified in a different study. We did a
4 co-trimoxazole prophylaxis trial where the safety profile
5 was much better than was experienced in studies from the
6 U.S. primarily done among caucasians. So, that has to be
7 taken into consideration.

8 There's a different distribution of HIV-1
9 subtypes. In Abidjan it's primarily subtype A. To date
10 there's no convincing evidence that subtypes are related to
11 risk of transmission, but again that's something that
12 should be taken into consideration.

13 I've already mentioned the background level of
14 antenatal and obstetrical care, the absence of cesarean
15 sections, the absence of any specialized obstetrical care
16 for women with HIV.

17 The biggest difference has already been
18 discussed and that's breast feeding, which continues to be
19 almost universally practiced, and the considerable risk of
20 HIV transmission through breast milk, with that risk
21 seeming to be highest in the first few weeks of life.

22 And finally, the background infant mortality
23 rate which will be an impact on any studies conducted in
24 developing countries.

25 With that as the background, what are the

1 precautions or things that need to be considered? There
2 are some clear advantages for considering and for including
3 results from international studies, and that's the ability
4 to rapidly answer research questions concerning prevention
5 strategies. That specifically for the U.S. means what to
6 do for women who show up without any antenatal care. So,
7 what sort of regimen can be given to women in labor to
8 prevent transmission?

9 And also, because of the larger number of HIV
10 positive women in these countries and the ability to enroll
11 large numbers of women, despite the difficulties that I
12 mentioned, there's the ability to assess the efficacy of
13 the antenatal, the intrapartum, and postpartum regimens.
14 Some of the data presented earlier is an example of that.

15 So, in summary, there are major differences
16 between the economic and health-related differences between
17 developing and developed countries, particularly in Africa.
18 These have to be taken into consideration in reviewing data
19 from international studies.

20 Sponsoring countries conducting research in
21 host countries need to keep the priorities of the host
22 country in mind in developing it. That's true for deciding
23 what intervention to evaluate and also what comparator arm
24 to use for assessing that intervention.

25 There are many important logistical challenges

1 to the conduct of clinical trials.

2 There are differences in populations and HIV-
3 related factors that can affect the interpretation of the
4 data since it can affect the rates of transmission.

5 Despite all this, it's my feeling that it is
6 possible to design and conduct rigorously controlled
7 clinical trials that address important scientific questions
8 which would not be carried out in the U.S., and with the
9 high seroprevalence and substantial number of late
10 presenters, there's a possibility to evaluate regimens
11 focusing on intrapartum and the postpartum periods.

12 It's important to remember that the estimates
13 from these trials were probably conservative because of
14 breast feeding and the prevalence of other risk factors for
15 transmission, as I've earlier mentioned.

16 And finally, that the applicability of findings
17 to U.S. populations need to be considered on a case-by-case
18 basis given the study drugs, the trial design, and the
19 relevance to U.S. women.

20 Thank you.

21 DR. HAMMER: Thank you very much.

22 Are there questions from the committee? Dr.
23 D'Agostino.

24 DR. D'AGOSTINO: You just made a comment about
25 the breast feeding producing conservative estimates, but

1 | also you could argue the other way because these
2 | individuals may be at such high risk that the estimate you
3 | get here may in fact also turn out to be better than what
4 | you'd see in the U.S. population because of the care and so
5 | forth. So, there's a tricky business in both directions.

6 | DR. WIKTOR: That's correct.

7 | DR. HAMMER: Dr. Mathews.

8 | DR. MATHEWS: Could you comment on to what
9 | extent the results of the short-course trials have sort of
10 | refocused the debate about placebo controlled trials in
11 | developing countries and what you think is the remaining
12 | need for placebo controlled trials in this setting?

13 | DR. WIKTOR: That's a very difficult question.
14 | Following the release of the Thai AZT results, a statement
15 | was made by CDC and NIH that all placebo controlled studies
16 | should stop in developing countries. In fact, in all the
17 | ongoing studies, that was done.

18 | I think the argument in justifying these
19 | studies is that there was no proven regimen that was
20 | implementable, that was practical and that could be
21 | implemented prior to the development of short-course
22 | zidovudine and the combination therapy studies, the PETRA
23 | studies. I think with that result, that's certainly no
24 | longer true.

25 | I think that the focus of research is shifting

1 | in my opinion to two areas. One is implementation and two
2 | is focusing on postnatal transmission.

3 | So, I think at this point a clinical trial
4 | looking at transmission would have to include some sort of
5 | regimen to the women. It's a rapidly changing picture, but
6 | I think one of the benefits of having gone through this
7 | difficult phase is that we do have interventions that can
8 | be applied, and now with the most recent results even for
9 | women just in labor. I think that's the good news coming
10 | out of these studies. So, I would say, yes, that it would
11 | be difficult to imagine a placebo controlled trial in any
12 | setting now.

13 | DR. HAMMER: Dr. Lipsky.

14 | DR. LIPSKY: You mentioned studies on
15 | implementation. After the study is done, can you tell us
16 | what then happens to the standard of medical care in the
17 | country? Is there an impact, or economically is that still
18 | difficult?

19 | DR. WIKTOR: Well, obviously another obligation
20 | of research is to think about what's going to happen
21 | afterwards. That was our concern in developing the
22 | protocols. Some of my colleagues were saying, well,
23 | nothing is there yet, so if you show that it works, nothing
24 | will happen afterwards. Others were saying, well, if you
25 | can demonstrate efficacy, then resources will be mobilized.

1 | At least in Ivory Coast, I'm happy to say that that has
2 | been the case following the release of these results and
3 | there was another study conducted in Abidjan by the French
4 | ANRS group. Both research sites were able to continue
5 | open-label AZT, and since then there have been several
6 | initiatives, one funded by the French government and
7 | another now coming in to place through UNICEF, to try to
8 | provide the elements necessary, HIV counseling and testing,
9 | short-course zidovudine, formula feeding if a woman desires
10 | it. So, steps have been initiated to make this available.

11 | Unfortunately, the reality is that, for the
12 | reasons I mentioned, there are significant barriers that
13 | remain before this will really become a widely implemented
14 | intervention. So, the hardest step to get over is the HIV
15 | counseling and testing. One can't overstate how difficult
16 | it is to get that into place in settings where there are
17 | already overburdened clinical staff who are seeing 60, 70,
18 | 100 women a day. Providing proper counseling and testing
19 | is a significant effort and the cost.

20 | So, there are a lot of barriers. There has
21 | been progress made. I think on the research agenda,
22 | operational research needs to be done to try to improve the
23 | uptake of counseling and testing and try to find ways of
24 | delivering these interventions in a manner that can be
25 | included in the normal practices of the antenatal clinic.

1 | So, a lot has happened since the release of these results.
2 | A lot more has to be done.

3 | I think another point is I've focused on
4 | Africa. I think some countries -- Thailand is perhaps the
5 | most notable example -- have made a decision to make
6 | universal short-course AZT available to all their pregnant
7 | women. It has not yet happened, but there are several
8 | regions throughout the country where this is the case. So,
9 | there are some middle level countries where these results
10 | have already made a difference on a wide scale. Botswana
11 | is another example where the government has made a
12 | commitment to providing throughout the country antenatal
13 | testing and some sort of regimen.

14 | DR. HAMMER: Dr. Fletcher.

15 | DR. FLETCHER: I'm wondering if you would care
16 | to comment on the results of the HIVNET nevirapine study
17 | for HIV-infected women in the United States, as to whether
18 | those results should be adopted or could be adopted as a
19 | standard of care for HIV-infected women who come with no
20 | previous therapy.

21 | DR. WIKTOR: I'm not that familiar with the
22 | situation in the United States, but I would say yes. That
23 | remains the main challenge for the prevention of
24 | transmission in the United States, and it is the regimen
25 | that's shortest and it seems to be effective.

1 I think, as I mentioned, one consideration is
2 assessing safety and that's something that's difficult to
3 do in these international research sites because of the
4 reasons I mentioned, but if it were up to me, I would say
5 yes.

6 DR. HAMMER: Dr. Handelsman.

7 DR. HANDELSMAN: Given the results of the
8 HIVNET 012 study, are the studies that contain comparator
9 arms of short-course AZT no longer ethical? Are those no
10 longer the standard of care in foreign trials?

11 DR. WIKTOR: That's something we're grappling
12 with ourselves in designing follow-up studies to the
13 results of our study and the other studies. I would say
14 no. I think that one could argue the contrary, that not
15 providing the short-course AZT would mean that you would
16 miss a prevention opportunity for women who did come in
17 who, for example, delivered at home and forgot their
18 nevirapine. So, I would say no. I think to the contrary.

19 It's not that short-course AZT has to be part
20 of any perinatal intervention regimen. The two are
21 potentially complementary in the sense that one works
22 primarily on reducing viral load and the other presumably
23 post-exposure prophylaxis. One of the questions that
24 should be answered, although it's probably not as high on
25 the research agenda as others, is what is the additional

1 benefit of combining those to regimens.

2 As I mentioned, the horizon is moving forward
3 rapidly. In places that have implemented short-course AZT,
4 which isn't that many places -- in Africa it's Cote
5 d'Ivoire and I think Botswana and a handful of other
6 countries -- many of the public health ministries are
7 deciding what to do. I think probably many will go
8 directly to nevirapine since it's less expensive and easier
9 to implement.

10 DR. HAMMER: Dr. Wilfert?

11 DR. WILFERT: Two just short additions. The
12 question in regard to placebo controlled trials. In
13 practice the HIVNET program, in the process of designing
14 the antibiotic trial to interrupt transmission which will
15 occur, is doing that on top of nevirapine therapy, a dose
16 to the mom and to the baby because of adopting that
17 standard of practice, and I would expect in other trials
18 too. So, it is in place that that available therapy is the
19 baseline.

20 And two, after the demonstration of the Thai
21 4-week course of therapy, I believe UNICEF/UNAIDS have
22 established 21 pilot projects which are at various stages
23 of implementation along the way. It has taken a long time,
24 but at least there are attempts to work through the
25 problems in several sites.

1 DR. HAMMER: Thank you. Thanks very much.

2 We'll move on. The next speaker is David Morse
3 from the Division of Antiviral Drug Products to speak about
4 safety considerations.

5 DR. MORSE: Good morning. I'm David Morse.
6 I'm a toxicologist in the Division of Antiviral Drug
7 Products. I'm also the Chairman of the Reproductive
8 Toxicology Committee of the Center for Drugs and the
9 Associate Director for Pharm/Tox in the Office of Drug
10 Evaluation III.

11 What I've been asked to do today is provide a
12 brief introduction to the testing procedures which
13 contribute to the safety assessment of pharmaceuticals for
14 use by maternal fetal pairs, and perhaps after all the
15 discussion of long-long and short-long and short-short, I
16 should call this an ultra-short course or introduction to
17 nonclinical safety assessments.

18 Right now there are three classes of drugs
19 which are approved for use in the treatment of HIV
20 infection. All of these products are categorized either
21 into pregnancy categories B or C. The safety evaluations
22 included in the pregnancy and fertility sections of all of
23 the currently approved product labels are based solely on
24 data derived from animal studies.

25 So, what exactly constitutes pregnancy category

1 B or category C? Category B can be obtained in two
2 different ways. You can demonstrate no effects in humans
3 with an adverse effect in animals, or no significant
4 adverse effects in animals without or in the absence of
5 human data. In C, you can demonstrate adverse effects in
6 animals without human data or you can achieve a category C
7 with no data available, animal or human. The underlined
8 considerations are the ones that are used right at the
9 moment for the labeling of all of the currently approved
10 antiretroviral agents.

11 So, the categories are defined by the
12 availability of animal reproductive toxicity data, whether
13 it be positive or negative, the availability of human
14 effects data, whether that be positive or negative, and if
15 you were to look at all of the categories ranging from A to
16 X, it also includes consideration for the indication of
17 use.

18 So, what are the underlying nonclinical safety
19 studies that are used in the evaluation of reproductive and
20 developmental considerations? There are two main study
21 types. There are repeat dose general toxicology studies
22 and specialized reproductive and developmental toxicology
23 studies.

24 Now, it's important to understand that there
25 are a number of characteristics of these studies. The

1 nonclinical safety assessment for human pharmaceuticals
2 represents a focused screening assay. It is very
3 definitely not an open-ended research project.

4 Most of the studies are designed to detect
5 effects which occur at approximately the 1 percent
6 incidence rate. And by the use of meta-analysis, the
7 combinations of multiple study data sets, the evaluation of
8 events that occur at significantly below 1 percent is
9 possible.

10 Now, just to confuse the issue a little bit
11 more, drugs that are going to be used for different
12 durations in the clinic are evaluated for different
13 durations in the nonclinical safety assessments, but seeing
14 as how HIV being a chronic disease, the drugs are all
15 assumed to be for chronic use in these patients, and
16 therefore the toxicologic assessment of these agents for
17 general toxicology would be expected to start with acute
18 dose and range up to 6- or 12-month repeat dose studies
19 typically in one rodent and one non-rodent species.

20 The 2-year repeat dose carcinogenicity studies
21 for chronic use drugs are normally done in two rodent
22 species, and I'm not really going to be talking about those
23 studies at all today.

24 Now, the safety study characteristics. What
25 they look at for the most part in the general toxicology

1 | studies, morbidity, mortality, and clinical signs. So,
2 | these are in life.

3 | Pharmacokinetics is very important to make an
4 | assessment of the relative exposure of the animals to the
5 | human condition. There are repeated clinical chemistries,
6 | hematologies, urinalysis -- that's not quite as frequent as
7 | the chemistries and the hematologies -- again in life, with
8 | normally also a terminal assay of these endpoints and
9 | extensive histopathology, 30 to 50 tissues or organs per
10 | animal with multiple sections per tissue.

11 | One of the things that's very important here is
12 | that histopathology, changes in morphology, are frequently
13 | extrapolated back to changes in functionality of the
14 | organism. This is the kind of data that we can get from
15 | the animals which is typically not accessible from the
16 | humans.

17 | Now, in the area of reproductive and
18 | developmental toxicity, the study characteristics change
19 | somewhat. Again, you have morbidity and mortality and
20 | clinical signs. Occasionally there's pharmacokinetics.
21 | This is a move within the field that this should be added.
22 | Based on human pharmacokinetic data, we now know that
23 | pregnant females for the most part are not generally like
24 | adult male animals, and therefore they need to be taken
25 | into consideration in terms of their exposures, and it

1 needs to be measured.

2 Reproductive performance and fertility are the
3 primary endpoints of these studies. It's focused primarily
4 on reproduction. Reproductive system histopathology is
5 normally included in these studies, and there's very
6 limited histopathology of the progeny and almost no
7 histopathology of non-reproductive system organs in these
8 studies. That histopathology is assumed to remain constant
9 from the general toxicology studies.

10 So, what are the underlying studies that go
11 into reproductive and developmental toxicity and the
12 endpoints that are involved? There's a change in this
13 field right at the moment in terms of the way these studies
14 are addressed, what they're termed and the various
15 endpoints. There's an international harmonization which
16 has been going on the last couple of years, and the
17 reproductive endpoints are now referred to as stages A, B,
18 C through F. But traditionally the studies are done as
19 three separate main study categories, segment I, II, and
20 III.

21 Segment I deals with pre-mating to conception.
22 So, it's male and female reproductive function, gamete
23 maturation, mating behavior, and fertilization. And then
24 in the female, conception to implantation. So, it's female
25 reproductive function, pre-implantation development, and

1 | implantation processes.

2 | In the segment II, it's implantation through
3 | closure of the hard palate. So, female reproductive
4 | function, embryonic development, and major organ formation.
5 | These are the classic teratogenicity assays.

6 | In segment III, it's ICH stages D, E, and F.
7 | It's hard palate closure to parturition, parturition to
8 | weaning. So, female reproductive function again, neonate
9 | adaptation, pre-weaning development and growth, and then
10 | weaning to sexual maturation, post-weaning development and
11 | growth of the offspring, adaptation to the environment, and
12 | attainment of sexual function.

13 | So, the aim of the reproductive toxicology
14 | studies are to reveal possible effects of an agent on
15 | mammalian reproduction and to allow detection of both
16 | immediate and latent effects on reproduction. And that
17 | comes primarily from the multi-generational effects seen in
18 | segment III studies.

19 | Now, there are a number of constraints on
20 | reproductive toxicology studies. First of all, the
21 | sampling unit is the litter. It is not the fetus. It is
22 | the litter because all of the fetuses within any given
23 | litter are genetically related and so they cannot really be
24 | treated as independent samples.

25 | Studies are powered generally to detect events

1 that occur at the 1 percent incidence phenomenon.

2 And for the most part, reproductive toxicology
3 studies are not repeated, unlike the general toxicology
4 studies which begin at about 2 weeks of repeat dose
5 exposure up to about 1 year or so of repeat dose exposure.
6 Therefore, the data sets can be combined to some extent.
7 The reproductive toxicology study for the most part is a
8 single study.

9 Measurements of general toxicity are rarely
10 included in the reproductive toxicity studies. Non-uterine
11 or testicular morphology, clinical chemistry, and
12 hematology for the most part is just not done.

13 So, what is the predictive capacity of these
14 studies for the human condition? Based on approximately 38
15 recognized or generally recognized human teratogens, if you
16 look backwards then at what went on in animal studies, 37
17 of those 38 were positive in at least one animal species
18 for teratogenic effects. 29 were positive in more than one
19 laboratory animal species, and 8 of those 38 were positive
20 in every animal species in which adequate studies were
21 done.

22 Then if you break that down by the species in
23 which the tests were actually conducted now, some compounds
24 were tested in more than one species, but not all compounds
25 were tested in all of these species. To look at the

1 predictive ability of individual species for the human
2 condition in terms of teratogenic responses, the rodent,
3 the mouse or the rat, is usually positive about 80 to 85
4 percent of the time for known human teratogens, the rabbit
5 about 60 percent of the time, and the hamster and the
6 monkey, 45-30 percent of the time.

7 Now, the monkey is kind of a difficult one to
8 evaluate because there are a number of study constraints
9 just based on the sample size and the fact that the monkey
10 normally only delivers individual fetuses as opposed to
11 multiplicity of fetuses with these species.

12 So, what I'm going to try and do now is run
13 through a very, very brief summary of the general
14 toxicology that was seen with the three classes of
15 antiretrovirals and the reproductive effects that were seen
16 with these same agents, but not talk specifically about any
17 one of the individual agents.

18 So, for the antiretroviral nucleosides, the
19 species in which the general toxicology studies were
20 conducted, rodents, rat, mouse, also rabbit, dog, monkey.
21 The studies that I've included in this summary range from
22 approximately 1-week to 12-month repeat dose studies. The
23 major effects were hematologic in all the species.
24 Neurologic effects were seen in the rabbit, in the dog, and
25 also in the monkey. Renal effects in rodents and in the

1 dog. Mitochondrial injury, even looking at the data sets
2 in terms of a meta-analysis, did not seem to pop out,
3 although that may not be surprising if the incidence data
4 for humans is fairly accurate and somewhere along the range
5 of 1 in 10,000 or 1 in 100,000.

6 In terms of what effects these agents had as a
7 class on reproductive endpoints, all of the studies were
8 evaluated in either a rat or a mouse and the rabbit
9 normally being the second species for the reproductive
10 endpoint studies. There were slight decreases in fetal
11 growth and weight gain for most of these compounds and
12 increases in embryo fetal loss, not surprising for the fact
13 that most of these compounds are very closely related to
14 cytotoxic agents used as antineoplastics, and a decrease in
15 viability of the offspring in almost all of the species.

16 There were for some of the agents slight
17 decreases in skeletal variance and malformations, primarily
18 seen in the rabbit, and to go along with the decrease in
19 growth and weight gain, there were delays in skeletal
20 ossification, although this is usually considered to be a
21 recoverable event.

22 There were, interestingly enough, slight
23 decreases in the F1 generation reproductive performance, so
24 that comes from the segment III study where the offspring
25 are allowed to mature and are then bred, the only exposure

1 | these animals had to the pharmaceutical agent being late-
2 | term in utero exposure or pre-weaning exposure.

3 | Mitochondrial injury was again not evident in
4 | any of these studies.

5 | Now, for the non-nucleoside reverse
6 | transcriptase inhibitors, there was a slight variation in
7 | the species that were used, the rodent, mouse, and rat,
8 | dogs again, monkeys, rabbits. They got kind of confused
9 | between the various studies in which some of them were
10 | applied. I think that might actually be a mistake there,
11 | that the rabbit was also used in some of the general
12 | toxicology studies.

13 | But for the general tox results, liver injury
14 | and hepatocellular necrosis, and hypertrophy were seen in
15 | all the species for basically all these agents. GI
16 | erosions, ulcers, hemorrhages, cutaneous effects were seen
17 | for the majority of these agents. Vasculitis in the heart,
18 | the liver, the lungs, and other tissues which was not
19 | necessarily associated with the GI erosions or the
20 | ulcerations was seen. And renal tubular injury in the
21 | rodent, the dog, and the rabbit. In this case that
22 | specifically was seen in the reproduction studies.

23 | For the reproductive toxicology endpoints,
24 | there was again an increase in embryo fetal loss, much
25 | analogous to the nucleoside reverse transcriptase

1 inhibitors, and a decrease in viability of the offspring in
2 all the species.

3 There was an increase in cardiovascular
4 intraventricular septal defects, and increase in skeletal
5 variance, supernumerary ribs, primarily in rabbits,
6 although these appeared to be clearly non-dose related
7 effects which is kind of problematic for ultimate
8 evaluation, and a slight decrease again in the F1
9 reproductive performance in the rat study that was done.

10 For the protease inhibitors, these studies were
11 done in rodents, mice and rats again, dog, monkeys, and
12 rabbits. There was again a significant incidence of dose-
13 limiting liver injury in these chronic toxicology studies,
14 hepatocellular hypertrophy, hyperplasia, and increased
15 bilirubin, increases in liver function tests, increases in
16 triglycerides and cholesterol, and decreases in circulating
17 glucose, renal tubular injury in the rodent and GI tract
18 erosions and enteritis with these agents.

19 For the reproductive toxicology results, there
20 was a slight decrease in fetal weight and growth rate which
21 occurred through lactation. So, this was a late
22 gestational effect and pre-weaning effect that was seen.
23 An increase in skeletal variance with wavy ribs seen in the
24 rodent, and again delayed ossification. Interestingly
25 enough, this increase in bilirubin carried over into the