

# TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

DRUGS AND DEVICES

CARDIOVASCULAR AND RENAL DRUGS

ADVISORY COMMITTEE

DRUG MARKETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Pages 1 thru 283

Bethesda, Maryland  
October 14, 1999

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.

Washington, D.C. 20002

(202) 546-6666

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

CARDIOVASCULAR AND RENAL DRUGS  
ADVISORY COMMITTEE

89<sup>th</sup> Meeting

0

Thursday, October 14, 1999

9:00 a.m.

National Institutes of Health  
Building 10  
Jack Masur Auditorium  
900 Rockville Pike  
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.  
507 C Street, N.E.  
Washington, D.C. 20002  
(202) 546-6666

## PARTICIPANTS

## Committee Members:

Milton Packer, M.D., Chairman  
Sandra Titus, Ph.D., Executive Secretary

Robert Califf, M.D.  
John DiMarco, M.D.  
Marvin Konstam, M.D.  
Dan Roden, M.D.C.M.  
Thomas Grayboys, M.D., Consumer Representative  
Pina Ileana, M.D.

## Special Government Employees:

JoAnn Lindenfeld, M.D.  
Udho Thadani, M.D., FRCP  
Jeffrey Borer, M.D.

## Guest Experts:

Thomas Fleming, Ph.D.  
Paul Armstrong, M.D.  
Steven Nissen, M.D., F.A.C.C.  
David Kong, M.D.

C O N T E N T S

Call To Order, Milton Packer, M.D.	4
Conflict of Interest, Sandra Titus, Ph.D.	6
Opening Remarks:	
Raymond Lipicky, M.D.	7
Robert Califf, M.D.	10
What is Being Treated, Steven Nissen, M.D.	19
Overview of Existing Trials, a Meta-Analysis, David Kong, M.D.	61
Trial Results:	
Eptifibatide, Michael Kitt, MD., COR Therapeutics, Inc.	112
Tirofiban, Rick Sax, M.D., Merck Research Institute	158
Timing of Endpoint Analyses, Keaven Anderson, Ph.D., Centocor, Inc.	212
Meta-analyses, Dr. Reid	223
Timing of Endpoint Analyses, Douglas Throckmorton, M.D.	259

P R O C E E D I N G S**Call to Order**

DR. PACKER: This is the 89th meeting of the Advisory Committee to the Division of Cardiovascular and Renal Drugs Products. Today's meeting is an extensive and detailed discussion of the issues related to the design and analysis IIb/IIIa antagonist trials in patients who are experiencing acute coronary syndrome or undergoing a percutaneous coronary intervention.

The purpose of today's meeting is not to consider a specific agent or recommend approval for a specific indication, but to have a free-ranging discussion about many of the issues that have emerged as being very important in this field and, in fact, the intent of this meeting is to put together for discussion considerable information that exists with many different agents for many different indications and, consequently, the questions are general questions about drug development and not specific questions about drug approval.

We have today not only the usual members of the advisory committee but we also have some members that were previously on the advisory committee that are returning as special government employees, including JoAnn Lindenfeld, Udho Thadani and Jeffrey Borer. We also have a number of guest experts who will be contributing to today's discussion

1 but will not be able to vote. That includes Tom Fleming,  
2 Paul Armstrong and Steve Nissen.

3 I will have all of the participants introduce  
4 themselves and their institution of origin. Dan, why don't  
5 you begin?

6 DR. RODEN: Dan Roden, Vanderbilt University.

7 DR. BORER: Jeff Borer, Cornell.

8 DR. GRAYBOYS: Tom Grayboys.

9 DR. KONSTAM: Marv Konstam, Tufts University.

10 DR. TITUS: Sandy Titus, the FDA advisory  
11 committee staff. I am the acting executive Secretary for  
12 this committee.

13 DR. PACKER: Milton Packer, Columbia University.

14 DR. CALIFF: Rob Califf, from Duke University.

15 DR. LINDENFELD: JoAnn Lindenfeld, from the  
16 University of Colorado.

17 DR. THADANI: Udho Thadani, University of  
18 Oklahoma.

19 DR. DIMARCO: John DiMarco, University of  
20 Virginia.

21 DR. LIPICKY: Ray Lipicky, Cardiorenal Drug  
22 Products, FDA.

23 DR. PACKER: Steve, why don't you continue?

24 DR. NISSEN: Steve, Nissen, Cleveland Clinic.

25 DR. KONG: David Kong, Duke University.

sgg

1 DR. ARMSTRONG: Paul Armstrong, University of  
2 Alberta.

3 DR. FLEMING: Thomas Fleming, University of  
4 Washington.

5 DR. PACKER: We also have presentations from many  
6 of the sponsors who have developed drugs in this area. We  
7 will introduce this part of the panel and then we will  
8 proceed with the formal part of the meeting. Phil?

9 MR. REID: Phil Reid, Eli Lilly Company.

10 DR. KITT: Michael Kitt, COR Therapeutics.

11 DR. SAX: Rick Sax, Merck Research Laboratories.

12 DR. ANDERSON: Keaven Anderson, from Centocor.

13 DR. PACKER: With all of this in mind, we will  
14 have Sandy read the conflict of interest and review other  
15 administrative matters that are pertinent to today's  
16 meeting.

17 **Conflict of Interest**

18 DR. TITUS: The following announcement addresses  
19 conflict of interest with regard to this specific meeting,  
20 and is made part of the record to preclude even the  
21 appearance of such at this meeting. In accordance with 18  
22 USC 208, general matters, limited waivers have been granted  
23 to all committee participants who have interests in  
24 companies or organizations which could be affected by the  
25 committee's discussions of acute coronary syndromes.

1 A copy of these waiver statements may be obtained  
2 by submitted a written request to the agency's Freedom of  
3 Information Office, which is located in Room 12A-30 in the  
4 Parklawn Building.

5 In the event that the discussions involve any  
6 other products or firms not already on the agenda for which  
7 an FDA participant has a financial interest, the  
8 participants are aware of the need to exclude themselves  
9 from such involvement, and their exclusion will be noted for  
10 the record.

11 With respect to all other participants, we ask in  
12 the interest of fairness that they address any current or  
13 previous financial involvement with any firms whose products  
14 they may wish to comment upon.

15 DR. PACKER: All right, thank you. We  
16 conventionally reserve time at this point for open  
17 discussion. If anyone has any public comments he or she  
18 wishes to make, this would be the appropriate time to do so.  
19 There being no public discussion, we will begin with today's  
20 meeting and start with Ray Lipicky and Rob Califf, who will  
21 tell us what this meeting is all about. Ray?

22 **Opening Remarks**

23 DR. LIPICKY: Thank you. I think I can do it just  
24 sitting right at the table. I want to say that we are  
25 responsible for having invited all of the people who will



1 speak today. The fact that we have invited them does not  
2 mean we endorse what they will say, nor do I now know what  
3 they will say.

4           So, there is a series of questions at the end that  
5 are supposed to be addressed by the people who speak. We  
6 don't know whether they will address them or not. But we  
7 will address the questions in the afternoon.

8           So with that, the notion is that there is nothing  
9 at stake today. That is, there is no drug at stake; there is  
10 no primary thing at stake; it is just the future of mankind  
11 we are discussing!

12           [Slide]

13           But the real issue basically, and what the  
14 discussion is all about today is uncertainty -- how much  
15 uncertainty is there; what makes people feel comfortable;  
16 what makes people uncomfortable; how do we make  
17 uncomfortable people comfortable. And, what is required to  
18 figure that out is discussion and people saying what makes  
19 them comfortable; what makes them uncomfortable, and so on  
20 and so forth.

21           [Slide]

22           So, the usual frame of reference for us, at least,  
23 at these meetings is to consider whether a new drug, in  
24 fact, beats placebo. We have these long meetings that try to  
25 figure that out. It is becoming increasingly clear in a

1 number of cardiovascular areas, as well as others, that  
2 probably that model isn't going to be suitable in that one  
3 may not be able to perform placebo-controlled trials.

4 [Slide]

5 So for the last little bit, we have been  
6 struggling with notion of the new frame of reference, which  
7 is old drug versus new drug, and for now we will ignore the  
8 dose, as most people always do anyhow.

9 [Slide]

10 So, the issue then is if we run studies old drug  
11 versus new drug, how then can one figure that the new drug,  
12 in fact, would have beat placebo had placebo been present?

13 [Slide]

14 In order to make that decision and then  
15 additionally, especially in the case of a treatment that  
16 prevents irreversible damage, you want the new drug to not  
17 be much inferior to the old drug.

18 So, those are the issues and IIb/IIIa antagonists  
19 have a goodly number of placebo-controlled trials in a  
20 goodly number of different clinical settings.

21 [Slide]

22 So it should be possible, by looking at the  
23 results of those trials, to quantify a treatment effect. By  
24 "quantify treatment effect" I mean how big is it, and what  
25 are the confidence limits that surround that treatment

1 effect? So, it is not a scaler value; it is multi-  
2 dimensional -- then, figure out if one could select another  
3 patient population to study in a positive-controlled trial  
4 in whom one would expect the same kind of treatment effect  
5 to occur, or in whom one could predict that the same  
6 magnitude of treatment effect should be there.

7 [Slide]

8 So, the issue that will be discussed today is, in  
9 part, in what patient population have those questions been  
10 answered. The committee is sort of going to try to figure  
11 that out this afternoon, and the speakers are going to try  
12 to make them confused in the morning.

13 [Laughter]

14 Thank you.

15 DR. PACKER: And why is this day different than  
16 any other day?

17 DR. LIPICKY: It is not really I guess --

18 DR. PACKER: I understand.

19 DR. LIPICKY: -- just more uncertain.

20 DR. PACKER: Rob?

21 DR. CALIFF: I think Ray has put the general issue  
22 in the right context.

23 [Slide]

24 For those who are hoping that we will actually  
25 reach some conclusions today, I think you are likely to be

1 disappointed. But my hope is that in the context of this  
2 specific disease that we will explore methodological issues  
3 which, from my perspective, are critical in every area of  
4 cardiovascular disease now and probably most areas that the  
5 FDA and society are dealing with in terms of therapeutic  
6 intervention.

7 [Slide]

8 What we are going to be talking about today, and  
9 Steve is going to show you some pretty pictures of what this  
10 is all about, I think, from the inside of the artery, is a  
11 huge population of patients that show up in our emergency  
12 departments or physician offices or call EMS with symptoms  
13 that could be cardiac ischemia. In the nomenclature that is  
14 evolving now but I think is going to be stable in the next  
15 few years, we talk about two types of syndromes, really  
16 defined, interestingly enough, by the old-fashioned  
17 electrocardiogram which has had a great revival due to  
18 reperfusion therapy.

19 So, some of these people -- they all look kind of  
20 the same and some of them will have ST-segment elevation on  
21 the ECG, and that is not the group we are talking about  
22 today. That is a group that is often discussed in the  
23 context of fibrinolytic therapy or percutaneous acute  
24 revascularization. The group that we are talking about is on  
25 the left-hand side, the much larger population who do not

1 have ST-segment elevation, and represent a very  
2 heterogeneous population of patients, depending on the  
3 characteristics of the patients entered into your trial or  
4 whom you see in your emergency department with a very  
5 heterogeneous set of outcomes.

6           The key point of this slide is that if you look  
7 along the bottom -- unstable angina, non-Q-wave and Q-wave  
8 MI, up until now that has been the nomenclature that  
9 clinicians have tended to use, and we are trying to displace  
10 that nomenclature with what is in the middle because you  
11 really don't know who has had a non-Q-wave MI and who has  
12 had a Q-wave MI until at least 24-48 hours after you see the  
13 patient and make the kinds of decisions that we are going to  
14 be talking about today. In fact, until bedside cardiac  
15 marker testing comes in, you don't even know which ones have  
16 unstable angina versus MI until at least several hours after  
17 most of the major decisions need to be made.

18           So, the nomenclature -- and we are really focused  
19 on the left-hand middle group, people who come to the  
20 emergency department with an ischemia syndrome that is acute  
21 at rest and who do not have ST-segment elevation.

22           [Slide]

23           Steve will go over this in detail, but the key  
24 players we think in the pathophysiology are inflammation  
25 lipids and thrombosis. And, the therapy that we will be

1 talking about now is focused on thrombosis. Over the next  
2 few years we are going to be seeing a lot in the way of  
3 inflammation, and I think that is likely to be equally as  
4 confusing in terms of how everything relates to everything  
5 else.

6 [Slide]

7 Importantly, the event rates in these populations  
8 -- we tend to have focused on the ST-elevation group  
9 thinking that they are at very high risk and, in fact, they  
10 are at high risk of mortality, about a 7 percent mortality  
11 in the clinical trials these days and a substantial risk of  
12 reinfarction. But if we look at the population we are  
13 talking about today, those with a convincing story without  
14 ST-elevation, the event rate is almost as high in the short  
15 term. This is the 30-day event rate from the Gusto 2B study.

16 [Slide]

17 I think what is important in terms of the  
18 discussion is also the shape of the event rate curve. Just  
19 like in ST-elevation patients, most of what happens, happens  
20 in the first few days.

21 This is a slide that is combining Gusto 2 and the  
22 PURSUIT study, a total of about 20,000 patients worth of  
23 data. You can see that there is a very sharp decline in the  
24 freedom from event rate out to the first few days, and then  
25 there is a period of sort of flattening off, and then really

1 a steady period.

2 [Slide]

3 If you look at just mortality, you see a very  
4 similar shape. Most of what happens, happens in the first  
5 few days.

6 [Slide]

7 If we convert this into a hazard function, I think  
8 you get a much better idea of the constancy of the slope  
9 once we get out past about 90 days, and the very sharp  
10 increase in the instantaneous risk at the minute the patient  
11 is first seen in the emergency department, and then that  
12 instantaneous risk declines very sharply. By about 10 days  
13 it sort of reaches a phase that is not quite level, and by  
14 90 days we are at a level which is really about the same as  
15 what we see with chronic coronary-artery disease. So, the  
16 shape of this curve I think is very important in thinking  
17 about how one might look at acute interventions in the  
18 disease.

19 [Slide]

20 This just shows the same thing for death, with a  
21 very sharp function. It looks just like the composite -- I  
22 am sorry, this is death and MI, which looks just like the  
23 composite for death, with the first 10 days being where  
24 almost all the action really is in terms of risk.

25 [Slide]

1           Just as background as you listen to various  
2 people, we can quantify risk in this population now, and  
3 there are a series of papers about to come out that are not  
4 surprising. You can look at the patient and tell a lot, with  
5 age being the dominant risk factor for both death and the  
6 composite of death and MI, but the markers of left  
7 ventricular dysfunction are also critical in the markers of  
8 recurrent ischemia. Very importantly, the electrocardiogram  
9 turns out to be a critical issue.

10                   [Slide]

11           Interestingly, whereas ST-segment elevation  
12 patients are at a bit higher risk of death in the first few  
13 days, we are beginning to follow patients out to 180 days  
14 and beyond, the people who come in with ST depression  
15 actually end up having a higher risk in the long term of bad  
16 things happening, with the 2-way conversion patients being  
17 quite low.

18           So, one can imagine that if you set your entry  
19 criteria just according to the ECG somewhat differently you  
20 might end up with a very different risk in the population  
21 that you are studying.

22                   [Slide]

23           Then, the other thing that I think will be a topic  
24 of discussion today is how we use the cardiac markers. We  
25 have known about CKMB for a long time, but now troponins are



1 coming in as part of the contractile apparatus, and with  
2 death of heart muscle the troponins are released.

3 [Slide]

4 There are now dozens of studies that all show the  
5 same thing. Whether you look at CK or the electrocardiogram,  
6 if you also measure troponin and it is elevated, there is a  
7 much higher risk even in the ST-elevation population of  
8 death and other bad things happening. So, two patients  
9 looking identical to each other side by side in the  
10 emergency department, but one troponin positive and one  
11 troponin negative, will have a markedly different risk and,  
12 as we may discuss later on, potentially a markedly different  
13 response to anti-thrombotic intervention.

14 [Slide]

15 I am obviously not going to go over this slide in  
16 detail. The main point I want to make here is that if we now  
17 switch from the course of the disease to the interventions,  
18 the one thing that is abundantly clear from the thrombotic  
19 system is that it is not a linear pathway. Any intervention  
20 that we make in the system is going to have multiple effects  
21 because what we are talking about are a series of reactions  
22 that predominantly occur on surfaces of cells and involve  
23 multiple parts of the thrombotic system all at the same  
24 time. Because of this, I think that it is fairly  
25 unpredictable what the ultimate clinical outcomes will be

1 when we make an intervention into the anti-thrombotic  
2 pathway, and when we combine two anti-thrombotic drugs the  
3 way they interact is also going to be, I would say, highly  
4 unpredictable.

5 [Slide]

6 I think the next frontier that we may have to talk  
7 about a bit is that we are no longer in this field talking  
8 about single interventions. We are now talking about up to  
9 seven or eight interventions, all done at the same time in  
10 each patient. It at leads needs to be considered today, in  
11 addition to everything else, how is the FDA and society  
12 going to deal with the fact that we tend to do clinical  
13 trials isolating single interventions and, yet, we know that  
14 that is an unrealistic view of what is going to happen when  
15 we put these products out on the market.

16 Just for your thought, I want to take you through  
17 this slide. From the FRISC II study, which used the  
18 remarkable methodology of a factorial design, something  
19 which seems to be almost impossible to get done in trials  
20 done for registration at the FDA because of concern about  
21 contamination of the effects of one treatment by the effects  
22 of the other -- but in this trial there was a randomization  
23 to either low molecular-weight heparin or no low molecular-  
24 weight heparin, and there was randomization to an invasive  
25 strategy or a conservative strategy with cardiac

1 catheterization.

2           If these event curves hold up in other studies,  
3 you can see four distinct patterns in the factorial design.  
4 In the patients who got the noninvasive strategy and no low  
5 molecular-weight heparin you can see that they are actually  
6 better off in the first two days and then a lot of things  
7 happen in the events at the end of the 180 days. That is the  
8 worst group to be in eventually, but the best group to be in  
9 the first two days.

10           If you get randomized to low molecular-weight  
11 heparin and a noninvasive strategy you are very much  
12 protected, it appears, until the low molecular-weight  
13 heparin is stopped on day 30, and then a bunch of events  
14 occur and you end up as the second worst group.

15           If you get randomized to the invasive group, it  
16 doesn't seem to matter whether you get low molecular-weight  
17 heparin or not. There is an early hazard to the  
18 intervention, and then in the end you end up better off.

19           The point here is that whether the effect of the  
20 low molecular-weight heparin appears to be dependent on the  
21 strategy of invasive or noninvasive therapy that is used,  
22 the two treatments are maybe not interacting in a  
23 synergistic or less than synergistic effect, but the effect  
24 of one of the treatments is very dependent on the other  
25 treatment route that is chosen, and we have to consider

1 that.

2 [Slide]

3 So, I think what we are going to do today is to  
4 review a lot of data and a lot of strategies, and anyone who  
5 would pretend to completely understand this I think would be  
6 a fool because I think in all our recent medicine we are  
7 really just beginning to grapple with having large amounts  
8 of quantitative information and seeing how all these  
9 different things interact with each other.

10 [Slide]

11 I hope today we will make just a small step  
12 forward towards what society really wants, which is an FDA  
13 and a practicing community that puts evidence together so  
14 that when products are approved they actually work when they  
15 are in practice to the benefit of patients. Thanks.

16 DR. PACKER: Unless there are any specific  
17 questions to Rob, I think it would be best if we move  
18 forward and ask Steve Nissen to discuss what is being  
19 treated. Steve?

20 **What is Being Treated?**

21 DR. NISSEN: Thanks, Milton. I am here in part  
22 because I recognize, as all of you do, that this is a topic  
23 of great importance. What I am going to show you are plaques  
24 in coronaries and unstable syndromes, and I would point out  
25 to everybody that this is the means by which approximately

1 half of us in this room are going meet our end. So, we  
2 really want to try and understand this as well as we  
3 possibly can.

4 [Slide]

5 We focused a lot of attention over the last 40  
6 years on the coronary lumen, and without question the  
7 coronary lumen is of importance and angiography has defined  
8 coronary disease very well over the last 40 years. But the  
9 syndromes that we are dealing with here are syndromes that  
10 involve the vessel wall, not the lumen. The behavior of the  
11 plaque is what determines what will happen in terms of the  
12 pathophysiology and natural history of the disorder. That is  
13 self evident, but keep in mind that historically we have  
14 not been looking at the plaque. We have looked only at the  
15 lumen. I believe that as a consequence of this we have made  
16 a lot of assumptions about what is really going on that  
17 turned out not to be true, and it misled us towards the  
18 kinds of therapeutic approaches that we might be able to  
19 take.

20 I am going to concentrate now using intravascular  
21 ultrasound and what is going on in the plaque in the wall.  
22 For any of you who haven't looked at intravascular  
23 ultrasound before, it is really quite easy to understand  
24 these images. There is a catheter in the center, about a  
25 millimeter in diameter. This happens to be left anterior

1 descending coronary. This is flowing blood in the lumen.  
2 Then, the wall in this normal artery is very thin. In fact,  
3 the intima here is not actually resolved separately from the  
4 median adventitia, and that is because at birth the  
5 endothelium is only a single cell layer.

6 In the atherosclerotic artery there is a  
7 sonoluscent band which represents the media. The media  
8 doesn't have very much collagen or other reflectors and so  
9 it appears as a sonoluscent band. The external elastic  
10 membrane is right here, at the boundary between the media  
11 and the adventitia, which is not a very well circumscribed  
12 tissue extending out into the distal fields.

13 The lumen here is very small, and this plaque is  
14 quite extensive. Notice that it has a density. It has a  
15 distribution. There are many features about this plaque that  
16 we can now define using intravascular ultrasound. In fact,  
17 we now have some pretty good understanding about why some  
18 plaques behave differently than others. That, of course, is  
19 the issue in these acute coronary syndromes.

20 [Slide]

21 There is a really profound observation about  
22 coronary disease that comes from both pathology and  
23 intravascular ultrasound that dramatically affects  
24 everything that we do and think about with coronary disease  
25 and, in fact, has big implications for clinical trials with

1 respect to everything from regression/progression to the  
2 acute coronary syndrome. This was formally known of as the  
3 Glagov hypothesis, and it is certainly not a hypothesis, it  
4 is a fact that in early coronary disease Cy Glagov published  
5 in The New England Journal of Medicine, 12 years ago, the  
6 hypothesis that in early disease the adventitia remodels  
7 outward, such that one develops atheroma in the wall with no  
8 narrowing of the lumen, and that one can have quite an  
9 extensive atheroma in the wall of the artery before there is  
10 any change in the lumen, and that only at the very end, at  
11 the end-stage of the disease, does the lumen actually narrow  
12 and that is because the artery at this point either cannot  
13 or does not further expand and the lumen begins to narrow.

14           If this is right, then what we are looking at when  
15 we look at angiograms in patients with, say, an acute  
16 coronary syndrome or acute MI, we are looking only at this.  
17 We are not seeing any of this. It turns out that the  
18 remodeling process is actually intimately involved in the  
19 pathophysiology of the acute coronary syndromes that we all  
20 ultimately treat.

21           [Slide]

22           First let me show you that this is a reality. I  
23 could show you literally thousands of examples from our  
24 experience with intravascular ultrasound. Here is the left  
25 main, left anterior descending, ramus and circumflex. The

1 site of the blue arrow, here, is panel B. You will notice  
2 that there is nothing encroaching upon the lumen. This is a  
3 perfectly normal vessel. This is, by the way, a 1 mm  
4 distance marker so you are looking at a very magnified  
5 view. I will also tell you it is operating at 30 MHz or  
6 higher.

7           Here, at the site of the gold arrow, there is a  
8 large crescent shape atheroma, but the lumen is completely  
9 preserved. It is virtually the identical size as the  
10 adjacent uninvolved segment. What has happened is that the  
11 adventitia has remodeled outward and maintained the lumen  
12 size and, therefore, you do not see the lesion on the  
13 angiogram.

14           I tell you these things because in patients  
15 presenting with angiographic coronary disease there is a  
16 continuum of risk based upon the global plaque burden, and  
17 that can be, as I will show you in a minute, everywhere from  
18 minimal to very severe.

19           [Slide]

20           Let me show you some quick measurements here. Why  
21 does the angiogram not show the atheroma? Because the size  
22 of the reference segment is a little more than 5 mm<sup>2</sup>. The  
23 size of the diseased segment is a little more than 5 mm<sup>2</sup>,  
24 ergo negative angiogram. I would point out to you, as I am  
25 going to show you in a minute, the fact that this plaque



1 doesn't narrow the lumen does not mean it is not a risk  
2 factor for the development of acute coronary syndromes  
3 including sudden cardiac death.

4 [Slide]

5 Here is where the continuum comes in. Now, imagine  
6 a clinical trial and we have patients that have a lesion, a  
7 culprit lesion in a coronary intervention. If you go to the  
8 culprit lesion you can obviously see almost always a  
9 stenosis. In this study we are not looking at the culprit  
10 lesion; we are looking at the most normal site in the artery  
11 with stenosis, about to undergo intervention. Put the IVUS  
12 probe not at the culprit but at the most normal site. When  
13 you do that, you find that the percent of the EEM area  
14 occupied by atherosclerotic plaque averages 40 percent, but  
15 it varies from essentially zero all the way up to 70-80  
16 percent. So, if we look at a drug effect and we try to  
17 understand what is going on, unless we know more about the  
18 patient's atherosclerotic disease and their burden, many of  
19 the differences we may see, and the need to do huge trials,  
20 are mitigated in part by the fact that we don't really see  
21 the rest of the plaque in the artery which is what is going  
22 to determine what happens to that patient. So, it is a big  
23 problem for clinical trials to just look at the angiographic  
24 culprit.

25 [Slide]

1           It turns out that this disease is far more  
2 prevalent than any of us would have ever guessed. I am about  
3 to publish some data from the Cleveland Clinic, heart  
4 transplant group. We performed 262 intravascular ultrasound  
5 procedures using the donor heart for transplant patients.  
6 What we did is within a week of the transplantation we  
7 looked with intravascular ultrasound. These are young  
8 Americans, average age 32, who died traumatically and who  
9 had no known history of heart disease, otherwise they would  
10 not have been accepted as donors. We know a little bit about  
11 their demographics but they seemed to be a pretty ordinary  
12 cross-section.

13           When we looked in these hearts we were rather  
14 stunned to find an enormous atherosclerotic burden. This is  
15 a 32-year old woman with this plaque in her left circumflex  
16 and this plaque in her ramus branch. She was not a smoker.  
17 She had a normal body mass index and no history, even family  
18 history of heart disease to our knowledge, and yet she has  
19 huge plaques in her coronary.

20           A question I think we have to ask is why don't  
21 these syndromes occur even more frequently in young people  
22 than we are seeing, and we are certainly seeing them more  
23 commonly?

24           [Slide]

25           This is a 17-year old boy who has a large plaque

1 in his left anterior descending coronary, shown here, at age  
2 17. This is 0.71 mm in thickness, which is 6 standard  
3 deviations above the normal limit for intimal thickness.  
4 This is unequivocal atherosclerosis.

5 This is not new. Necropsy studies from the Korean  
6 and Vietnam War showed us this. But, remember that in the  
7 people that we see with an acute coronary syndrome, if so  
8 many young people in our society already have plaque,  
9 imagine the amount of plaque burden that exists in somebody  
10 that comes in with an inferior wall MI and a single vessel  
11 right coronary disease. They have plaque everywhere and  
12 that, in fact, is part of the target for therapy, the plaque  
13 that is going to cause the next event.

14 [Slide]

15 Here is the data quantitatively, and I know it is  
16 kind of shocking. I think it is a wake-up call perhaps. In  
17 ages 13-19, young people dying traumatically, 1/6 had at  
18 least one large plaque in their coronaries defined  
19 rigorously; ages 20-29, 27 percent did; and ages 30-39, 60  
20 percent, which I suspect encompasses most of us in the room  
21 here -- we are probably up in this category. So, there is a  
22 huge burden of plaque in the coronaries, unrecognized by  
23 most existing techniques, and this represents a continuum of  
24 risk for the patient with an acute coronary syndrome that  
25 must be considered, and I believe also must be treated.

1 [Slide]

2 What happens when plaques rupture? I wish I had an  
3 hour to show you this material but I am going to pick a few  
4 select examples. For the first time now, using intravascular  
5 ultrasound, we can see the plaque that ruptured. We are not  
6 talking in the abstract; we are talking concretely.

7 Let me show you an example. Here is a catheter in  
8 a small lumen in a patient with unstable angina, to use  
9 Rob's term, let's say non-ST-elevation syndrome. You see the  
10 fibrous cap here, and you see the lipid core is gone. There  
11 is actually blood flow through both lumens.

12 I was really surprised when I began to study these  
13 patients to find that frequently the lipid core is  
14 conspicuous by its absence. One of the questions is where is  
15 it going? What happened to it? Is a lot of the no reflow  
16 phenomena that we see in certain patients, is this due to  
17 embolized fat in the coronary? Obviously, that is not  
18 necessarily a drug failure. If you give a glycoprotein  
19 IIb/IIIa inhibitor and blood flow doesn't improve because  
20 the lipid core has plugged all the capillaries in the  
21 perfusion bed, one needs to know that in order to know when  
22 a drug worked and when a drug didn't work.

23 If you go a little more proximally in this artery,  
24 you see the fibrous cap and you see the actual fracture  
25 site. This lumen is continuous with this lumen. So, what has

1 happened is a fracture occurred here and then the genie got  
2 out of the bottle, the lipid core, which we know because the  
3 tissue factor is one of the most thrombogenic substances  
4 that it has ever encountered. It is obvious then when you  
5 see these things why this cascade of platelet aggregation  
6 and thrombus occurs in these patients. We have many, many  
7 examples of these.

8 [Slide]

9 The question is which plaques rupture. It is a  
10 very important issue for the design of future trials and for  
11 understanding this disease. Well, it doesn't take much of a  
12 plaque. Here is a small plaque that happened to rupture and  
13 create an occlusive thrombus and, unfortunately, led to the  
14 demise of this patient. You know, it is great that we have  
15 such wonderful therapies for acute MI and acute coronary  
16 syndrome, but 250,000 Americans will die this year before  
17 they make it to the hospital. I think that is something we  
18 have to really address in our therapies. In fact, it is one  
19 of the reasons I was hoping that some of the oral IIb/IIIa  
20 would work out because, obviously, if you have all this  
21 plaque and if some of it is eventually going to rupture, the  
22 question is can you actually prevent acute coronary  
23 syndromes, and we can with aspirin; we can with some other  
24 therapies, but the data so far on the IIb/IIIa hasn't looked  
25 so promising.

1 [Slide]

2 I think you all are aware of these data, but I  
3 want to remind you of them. Those little plaques that don't  
4 narrow the lumen very much, they are the ones that cause all  
5 the morbidity and mortality. It is not the stenosis that one  
6 should fear; it is all the rest of the plaque that you don't  
7 see on the angiogram that you ought to fear because 68  
8 percent, about two-thirds, of all infarcts are occurring at  
9 site of lesions of less than 50 percent, which would not be  
10 hemodynamically significant, and only 14 percent occur at  
11 the site of a lesion of greater than 70 percent. So, the  
12 smaller, earlier, presumably softer plaques, the ones that  
13 we don't see narrowing the lumen are, in fact, the ones that  
14 produce all the morbidity and mortality.

15 [Slide]

16 This has led to some very wrong thinking. This  
17 patient wasn't in a IIb/IIIa inhibitor trial but could have  
18 been. Let me tell you the story here. This patient comes  
19 with a non-ST-segment elevation event and gets a  
20 glycoprotein IIb/IIIa inhibitor, aspirin, heparin,  
21 nitroglycerin and the usual concoction, and then goes for an  
22 angiogram. And, they have an obvious culprit lesion. I mean,  
23 anybody can tell that it must be a very tight lesion in the  
24 right coronary that caused the acute coronary syndrome  
25 because it is an obvious culprit. As the operator is warming

1 up the stent to place in the coronary, we did an  
2 intravascular ultrasound. I might add, by the way, this  
3 patient has some left to right collaterals. So, this lesion  
4 is actually probably chronic and, in fact, it is right here.  
5 It is a fibrous plaque. You can see the marginal side branch  
6 very well, and it really doesn't look irregular or otherwise  
7 to have any of the features we have seen in acute coronary  
8 syndrome lesions.

9           But if you go back here, to site C, you see this,  
10 a big remodeled plaque. Here is the lipid core and here is  
11 the fracture site, very easily discerned. This lesion, in my  
12 view, almost certainly did not cause the acute coronary  
13 syndrome and, yet, it is the lesion that is going to be  
14 treated.

15           Now, if you look at the long-term outcome of  
16 giving a drug and treating a patient when you put the stent  
17 not over the culprit but over something else, it is a  
18 confounding variable. It is a terribly important confounding  
19 variable that I don't think we often know about. In fact, if  
20 this lesion is back up here in the coronary, it isn't even  
21 going to get covered by the stent. So, what we think was an  
22 effective PCI after an acute coronary syndrome is actually  
23 treating the wrong lesion, and we think this happens very  
24 frequently.

25           [Slide]

1           Here is a blow-up of the actual lesion, and I have  
2 seen enough of these and you have to take my word for it for  
3 the moment, that this is what caused the acute coronary  
4 syndrome. The clot has gone thanks to very good therapy, but  
5 the lesion remains. Here is that lipid core in contact with  
6 blood via this erosion or fracture of the plaque. So, the  
7 tight lesion here, the obvious culprit, isn't the culprit  
8 after all.

9           [Slide]

10           We do have now some data, which will be out in  
11 press in Circulation in the next couple of months, that I  
12 would like to share with you about the nature of which  
13 lesions cause acute coronary syndromes. What you see here is  
14 this process of remodeling where the outer wall protrudes  
15 outwardly, such that the lumen is relatively well  
16 maintained. It turns out, as I will show you in a minute,  
17 that if you look carefully the majority of lesions causing  
18 acute coronary syndromes have a very dramatic positive  
19 remodeling. What has actually happened here is that the  
20 adventitia has gotten bigger, the lumen has been protected  
21 and you ended up with a very big and bulky plaque but not  
22 much of a stenosis. When that plaque ruptures you develop an  
23 acute coronary syndrome.

24           If you take a matched group of patients that  
25 present with stable angina you see primarily negative



1 remodeling. So, it is almost diabolical that positive  
2 remodeling protects against stenosis and so you don't have  
3 angina; you just die suddenly or you have an acute coronary  
4 syndrome. If you negatively remodel, approximately the same  
5 plaque volume leads to a tight stenosis and you present  
6 often with chronic stable angina. So, we used to think that  
7 remodeling was adaptive; it was protecting the patient  
8 against the development of a coronary narrowing but, you  
9 know, angina doesn't kill patients; plaque rupture does.  
10 And, this is in fact the problem. If you have a lot of these  
11 lesions in your coronary we believe that your prognosis will  
12 be very much worse and, therefore, if you want to understand  
13 the effect of a drug I think we have to think about  
14 beginning to control for this variable because right now we  
15 are not even looking at it.

16 [Slide]

17 Let me show you an example, a perfectly typical  
18 example. Here is the reference segment and the culprit  
19 lesion. This was actually an inferior wall myocardial  
20 infarction. The reference segment, the culprit lesion and,  
21 again, you recognize the anatomy -- the media is here, the  
22 fibrous cap, the lipid core which has gone, and the fracture  
23 right here.

24 [Slide]

25 Let me show it to you a little bit closer -- lumen

1 is about the same size, as I will show you in a minute. The  
2 fibrous cap is interrupted almost always at its shoulder.  
3 Another interesting finding is that these things tend to  
4 fracture at a particular location, not at the center of the  
5 fibrous cap but at the edge of the fibrous cap, as you see  
6 in this example.

7 [Slide]

8 What you see, however, is that the lumen area is  
9 about the same in the reference segment and in the culprit  
10 lesion. That is why the angiogram didn't show much of a  
11 narrowing. But look at the EEM area. The external elastic  
12 membrane is over 4 mm<sup>2</sup> bigger in the culprit lesion than in  
13 the adjacent reference segment. So, this patient developed a  
14 large, bulky atheroma that didn't narrow the lumen very much  
15 but then caused a myocardial infarction and that, we  
16 believe, is the process that takes place most of the time.

17 [Slide]

18 There is also the issue not just of the  
19 quantitative aspects but the qualitative aspects. I put  
20 these side by side because I think they illustrate the other  
21 part to this equation. Here are two lesions. They are  
22 similar in size. The lumen here is very similar. Notice that  
23 in both cases the lumen is a perfect circle. It is because  
24 remodeling has completely concealed the lesion. This one, on  
25 the left, has a very thick and well organized fibrous cap

1 overlying a lipid core. This one has a paper thin fibrous  
2 cap -- no fibrous cap really, this is simply the reflection  
3 of where the acoustic impedance changes as the tissue is  
4 entered -- and a big, bulky, soft plaque.

5           Again I would ask you the question, did these two  
6 patients have the same risk? If they were enrolled in a  
7 clinical trial would they have the same risk of a recurrent  
8 acute coronary syndrome? I believe the answer is no,  
9 although it needs to be studied, and one of my appeals to  
10 you is that we should study this in the next wave of  
11 clinical trials.

12           [Slide]

13           Finally, I have shown you if there is a lot of  
14 plaque in the coronaries. Let me also tell you that plaque  
15 rupture probably happens all the time. If we look carefully  
16 at patients who have never had an acute coronary syndrome,  
17 we see the remnants of plaque rupture frequently.

18           Here you see one, where you see sort of a  
19 stalactite and a stalagmite coming from either side of the  
20 artery which, presumably, represents the interrupted fibrous  
21 cap and you can maybe guess here that there was a rupture  
22 that occurred at some point that probably didn't create  
23 enough of an obstruction to lead to an acute coronary  
24 syndrome, but plaque rupture is probably much more common  
25 than we realize in our patients with coronary disease, and

1 it is only certain plaque ruptures that lead to recognition  
2 with an acute coronary syndrome, presumably due to the  
3 extent of the concomitant thrombus.

4 [Slide]

5 Finally, in many of the trials that are going to  
6 be talked out PCI was performed. This happened to be an  
7 acute MI patient who was treated with a lytic, came to the  
8 cath lab, had a culprit lesion and had a successful balloon  
9 angioplasty performed. The problem, of course, is that there  
10 is also a continuum in these patients, and let me show you  
11 that it is not always what you think it is. We know that  
12 there is a significant incidence of non-Q infarction or non-  
13 ST-elevation infarction after coronary intervention.

14 [Slide]

15 But what most people don't realize is that it is  
16 not uncommon for a perfectly good result to look like this.  
17 Here is the lumen before, blown up, here is the lumen after  
18 angioplasty and you can see that that perfect result was  
19 actually primarily a tear in the plaque with a curvilinear  
20 configuration, such that if you fill this lumen with  
21 contrast and make a silhouette of the artery it looks like  
22 you have a really great result, a big lumen.

23 If this patient has a recurrence, it is not a drug  
24 failure; it is a device failure. So, again, if we don't know  
25 this it is very hard to do trials that are appropriate in

1 comparing different strategies because some patients will  
2 have a very poor result of intervention and other patients  
3 will not.

4 [Slide]

5 This is one I like to show. There is a school of  
6 interventional cardiology -- I am probably going to insult a  
7 few people, I refer to this as the knuckle-dragging school  
8 of intervention which says "bigger is better." You know, all  
9 you have to do to get great results is just crank up that  
10 stent or balloon and get a really big lumen and, if you do,  
11 then everything will be honky-dory.

12 Well, here are two patients -- and this is a  
13 little tongue in cheek perhaps -- two different patients.  
14 The one on the left had an intervention. I won't mention  
15 what device but you might guess -- pretty successfully, and  
16 had a lumen size by angiography of 3 mm, a fair result. The  
17 angiographic result on the right was 3.5 mm. If you want to  
18 trace the lumen after this intervention, it goes from here  
19 to there, to there, around to there, to there and all the  
20 way around.

21 Now, let me ask you this, if you are Joe's  
22 platelet which artery are you likely to stick in, and what  
23 is going to happen? Which patient is likely to have the most  
24 benefit from a glycoprotein IIb/IIIa inhibitor after acute  
25 intervention? Again, we aren't factoring this into our

1 thinking and that is one of the reasons why it is very  
2 difficult at times to interpret the results. So, I want to  
3 share with you the fact that it is not so simple.

4 [Slide]

5 Even in the stent area, you know, the "bigger is  
6 better" crowd will tell you, "well, stents are the great  
7 equalizer because everybody gets a great result from a  
8 stent." Well, here is a perfectly good result from a stent.  
9 What they didn't see is that just adjacent to the stent the  
10 effect of the balloon has produced two lumens, this one and  
11 this dissection lumen. It looks a little bit like the  
12 Chinese yin-yang symbol and, in fact, if you calculate from  
13 a hemodynamic perspective, from a fluid dynamic perspective,  
14 the flow in this artery is huge. This explains why patients  
15 that apparently have good results from interventions, acute  
16 or otherwise, go home. You put them on a treadmill or you do  
17 a thallium scan and they are still positive. The answer is  
18 you didn't get a job done. If that patient does badly, it is  
19 not a drug failure. The fact is that the intervention didn't  
20 accomplish what was expected.

21 Now, I have a hidden agenda, and my agenda is it  
22 is time to look at this in clinical trials. We are now doing  
23 several large-scale trials involving regression and  
24 progression of atherosclerosis using intravascular  
25 ultrasound but there exist no properly performed trials

1 using IIb/IIIa inhibitors where anybody has looked at the  
2 plaque.

3 I will tell you if we want to move to the next  
4 level and understand who benefits, why they benefit, and who  
5 doesn't benefit we have to look at more than the lumenogram  
6 in these patients. I also think we have to do this because  
7 if we are going to have active comparators our usual  
8 clinical endpoints are going to be difficult to reach. How  
9 are you going to show that one drug is better than another  
10 drug when both drugs work? Are you going to study 10,000  
11 patients or 20,000 or 30,000? So, I think this is a very  
12 powerful approach.

13 Now, in the last three minutes I would like to  
14 show a video I prepared for you, just to show you a couple  
15 of quick cases. It will take about three minutes, and I  
16 think it will help you appreciate what we see when we look  
17 at acute coronary syndrome by intravascular ultrasound.

18 [Video]

19 So this is a diffusely diseased right coronary  
20 with an ulcerated lesion. I want to show you the morphology.  
21 This is an acute coronary syndrome patient. You can see that  
22 the vessel has a lot of disease, but the disease is of many  
23 different morphologies, as you will see, and there that  
24 little ulcerated lesion. I know many of you have seen this  
25 many times in such patients.

1 I will blow it up for you and show it to you in  
2 some significant detail. Now, we are going to do an  
3 intravascular ultrasound pull-back. This is not the culprit  
4 lesion. It is heavily calcified, as noted by the fact that  
5 the ultrasound beam can't penetrate calcium. This is like  
6 the Rock of Gibraltar. It is not going to rupture. Some more  
7 pretty fibrocalcific plaque. Now a big lumen with a very  
8 fibrotic plaque, right here, and a very well preserved  
9 lumen. This is probably the only area that is even close to  
10 normal in this artery.

11 Pulling back further, we see an area of narrowing  
12 but it is very dense, very fibrous, very fibrocalcific.  
13 Pulling back further, still no issue. Then ultimately we get  
14 into an area, right here, where there is a large soft  
15 plaque. Here is the luminal border. Here is the adventitial  
16 border, and here is the atheroma -- big lipid core, not a  
17 lot of fibrous cap and, sure enough, another millimeter or  
18 two back and there is the fractured, ruptured plaque. You  
19 see the remnants. This is where the fibrous cap was. This  
20 ulcer is actually where the lipid core formerly was located.  
21 You are left with this configuration angiographically, but  
22 this is the nature of the ruptured plaque in this patient.

23 Then, eventually we will pull back and we will see  
24 that there is more fibrous and fibrocalcific plaque in the  
25 proximal vessel; some areas of soft plaque as well, of



1 lipid-laden plaque.

2           One more quick case. This is an unstable angina  
3 lesion. Let's stop it there, if you don't mind, because I  
4 don't want to go over. Let me just say that there is a  
5 continuum of morphology of plaque in the artery. I believe  
6 that it is the single biggest variable in determining what  
7 will happen to the patient, and it is a variable we are not  
8 looking at and I think it is time to maybe move on and begin  
9 to look at it.

10           Thank you very much for your attention.

11           DR. PACKER: Questions from the panel? Before  
12 doing that, your presentation raises so many important  
13 issues that we need to deal with today, but probably the one  
14 that comes to mind first and foremost, especially when we  
15 review the data with IIb/IIIa antagonists and, as we will  
16 hear shortly, much of the therapeutic benefit of these drugs  
17 is in patients undergoing PCI.

18           Can you review for us, given your findings, the  
19 rationale for coronary angioplasty in a patient who does not  
20 have refractory angina? In other words, the angioplasty,  
21 from your presentation, appears to engage the operator in a  
22 process where they frequently pursue the wrong lesion for  
23 the wrong reason. So, the question that one would ask is are  
24 we treating an iatrogenic disease using IIb/IIIa  
25 antagonists?

1 DR. NISSEN: That is a very good question really,  
2 and I think you said it better than I could have, but it is  
3 reality that intervention should not be used to treat  
4 anything but refractory angina because what we are treating  
5 is the tip of the iceberg. We are not treating the other 99  
6 percent of plaque in the coronary. In fact, if you look at  
7 all the clinical trials well, there is not one shred of data  
8 that angioplasty reduces the risk of sudden death or acute  
9 myocardial infarction. And, I know why that is, because I  
10 know what the rest of the artery looks like; I have seen it  
11 enough times to recognize that.

12 I would point out that there is a great tragedy  
13 here in America which is that we are doing a pretty good job  
14 of treating those patients with refractory angina; we are  
15 not doing a good job of treating the rest of the plaque in  
16 the coronaries. The data shows that only 20 percent of  
17 patients with established coronary disease have adequate  
18 lipid lowering, and there is a therapy that we believe, and  
19 we are actually studying now high dose atorvastatin because  
20 we think that we can deplete the lipid core of the plaque  
21 and, as a consequence of that, we can change the natural  
22 history of the disorder. We don't think you are going to see  
23 it in lumen measurements; you are going to see it in  
24 measurements of the wall. So, I agree with your premise. I  
25 think we are off base here.

1 DR. CALIFF: I agree with 98 percent of what he  
2 said, and the pictures are phenomenal, but the 2 percent I  
3 disagree with I just want to raise and maybe have the  
4 committee explore.

5 First, I have to rise in defense of my  
6 professional colleagues. I think you overstated your case a  
7 bit. In fact, I showed the first two pieces of data partly  
8 to point out that there is a shred of evidence and, in fact,  
9 if there is a tragedy in the U.S. it may be that we allow  
10 people to do the procedures who aren't very good at it. I  
11 think there is actually a fair amount of evidence, although  
12 it is not the whole story, that restoring blood flow past  
13 highly stenotic regions -- you know, if you could do it free  
14 of risk it would be a good thing to have done. It doesn't  
15 mitigate at all the need to treat the underlying disease  
16 burden also. So, at least there should be a balance of your  
17 belief that this is a terrible thing to have happening but  
18 it is not necessarily shared by everyone.

19 DR. NISSEN: Yes, I don't really believe that. I  
20 think that intervention is a fabulous technique for  
21 relieving angina, but I also would point out that we  
22 sometimes convert stable disease to unstable disease and  
23 that is why glycoprotein IIb/IIIa inhibitors are important  
24 in intervention because they allow us to mitigate against  
25 that. Before we had these agents, subacute closure -- you

1 know, a lot of the problems that we saw were really pretty  
2 profound. We could take a stable patient off the street and  
3 turn it into a catastrophe, and that still does happen  
4 sometimes without question.

5 DR. CALIFF: But the second, probably more  
6 important issue for today is I just want to make sure I  
7 didn't misunderstand you. You are not really proposing that  
8 we should do clinical trials looking at ultrasound pictures  
9 and then believing that we know which treatment is actually  
10 better for patient outcomes?

11 DR. NISSEN: No, what I am proposing, Rob, is  
12 let's suppose we do a clinical trial and we are going to  
13 have an angiographic arm of the trial. Many of these trials  
14 had significant angiographic arms. So, we are going to be  
15 invasive anyway. Why not look at the plaques? Because it  
16 might turn out that in the course of that we would learn  
17 that there is a subgroup of patients that are conferred  
18 great benefit from the pharmacological agent and other  
19 people who are not. Right now we have no way to determine  
20 that.

21 Now, does that mean people are going to do that  
22 clinically? No, but I think in terms of mechanism of benefit  
23 and understanding who benefits and who doesn't we have to  
24 know a lot more. We may find that plaque burden is such a  
25 powerful risk factor that it overwhelms all other risk

1 factors, in which case we may actually be able to do smaller  
2 trials if we control for those variables.

3 DR. CALIFF: And that it the last thing I just  
4 wanted to speak to. I think that gets to one of the core  
5 issues that we are going to be struggling with today. I am  
6 not convinced that you really offered us a way out of the  
7 20,000 or 30,000 patient trial if we want to distinguish  
8 among active agents which ones are really better for the  
9 intact patient. It is a good theory, and it may work out but  
10 it may not. I think it is worth finding out. I agree with  
11 you, but at least right now it doesn't offer us a solution  
12 to the immediate problem of all these different therapies,  
13 and we can't use them all in each patient.

14 DR. NISSEN: Right, I am just arguing that we  
15 ought to begin to collect the data so that it can help us in  
16 the future.

17 DR. PACKER: Rob, I don't want this to haunt us  
18 the entire day but maybe it would be helpful if you could  
19 just answer the question, or at least give your own  
20 impression, as to what the rationale is for angioplasty in  
21 patients who do not have refractory angina.

22 DR. CALIFF: I think it is fair to say that for  
23 those who strongly believe there was no rationale there is  
24 now more confusion than there ever was because of the FRISC  
25 study. The rationale has been that if you have a highly

1 obstructive lesion there is a lot of data showing -- more  
2 than one highly obstructive lesion -- your risk of death is  
3 related to the number of obstructive lesions on the  
4 angiogram. It is imperfect, for all the reasons that Steve  
5 said.

6           There is plenty of data that if you successfully  
7 bypass those lesions -- there are now comparative trials of  
8 angioplasty in bypass surgery, and if you can do it  
9 successfully you improve intermediate and long-term outcome.  
10 What has happened in the FRISC study is that a very  
11 carefully done trial in a fairly large population does show  
12 a mortality reduction in patients randomly allocated to an  
13 aggressive revascularization strategy.

14           So, the theory can be attacked because it is far  
15 from perfect, and there was really no supporting clinical  
16 trial data in acute coronary syndromes until this most  
17 recent trial which was just published. So, I think one can  
18 legitimately take either side right now of this argument.

19           DR. PACKER: I only mention it because, let's say,  
20 prior to FRISC II one needs, obviously, to collect more  
21 information on this subject. One could, in fact, easily have  
22 supported the premise that the pursuit of a coronary lesion  
23 to prevent coronary occlusion was similar to the pursuit of  
24 asymptomatic arrhythmias in the prevention of sudden death.

25           Marv, do you want to address specifically that

1 issue? Why don't you do that?

2 DR. KONSTAM: You know, Milton, I actually agree  
3 with a lot of the implications of your question because I  
4 think there is a great deal of excess intervention without  
5 clear indication based on clinical trial data. But I just  
6 want to take a step back from it and maybe get Steve's  
7 reaction. And, let me just say, this is coming from the  
8 perspective of a clinician who sees an awful lot of patients  
9 coming in with unstable angina so this isn't directly  
10 answering your question, but an awful lot of patients coming  
11 in with unstable angina, and I daresay the vast majority of  
12 whom do, in fact, have a definable, very tight lesion.

13 I just want to comment and get Steve's reaction  
14 that, you know, when you look retrospectively at prior  
15 angiograms in patients who come in with MIs and document  
16 that, in the majority of them those MIs occur in lesions  
17 that are less than tight on an angiogram. The converse is  
18 not true. That is to say, there are so many more not tight  
19 lesions -- I mean, maybe 10-fold or 100-fold not tight  
20 lesions that the converse -- I just want to detract from the  
21 implication, if there is one, that a tight lesion is not an  
22 adverse prognostic factor. This is agreeing with what Rob  
23 said. So, those data do not support that a tight lesion is a  
24 benign lesion.

25 DR. NISSEN: Yes, there are a couple of things

1 about that actually. First of all, you are right. It turns  
2 out that if you look on a per lesion basis, the tight lesion  
3 has a higher probability of causing myocardial infarction.  
4 The problem is there are literally about 100 times more not  
5 tight lesions. So, your observation is absolutely correct.

6           But I want to point out something to you about  
7 these data that are about to appear in press. We have now  
8 shown that the more remodeling you have, protecting the  
9 lumen from becoming narrowed, the more likely that lesion is  
10 to be the culprit in acute coronary syndrome. So, I think  
11 that fits in with this observation very well and it suggests  
12 that it is mechanistically involved because you end up with  
13 a big, bulky plaque without much narrowing of the lumen and  
14 there may be something about that configuration which makes  
15 that plaque more prone to rupture. So, I think it is another  
16 interesting way of looking at that angiographic data that I  
17 think is making some sense.

18           DR. BORER: As I was listening, I actually had the  
19 same response as Rob's point number three and I just want to  
20 state it again for a second. First of all, I am very glad  
21 that Steve's presentation was on this program because the  
22 information that he presented is extraordinarily compelling  
23 and I think it is magnificent research and it is going to  
24 add tremendously to our understanding of the pathophysiology  
25 of ischemic syndromes.



1           But I am not sure that at this point or at any  
2 time in the foreseeable future it will help us answer the  
3 problem that the FDA has posed, which is how do you get rid  
4 of the need to do placebo-controlled trials because what we  
5 are going to need to do is to appropriately define the  
6 subpopulation asking the kinds of questions that Steve is  
7 asking with his methodology, show that the drug works  
8 against something -- you know, against placebo presumably  
9 since we haven't actually defined the population this way  
10 before, and once we have a clear, quantitatively definable  
11 drug effect, with reasonable certainty -- whatever that is  
12 going to be, as Ray says, around that point estimate, then  
13 you start comparing drug to drug in active-controlled  
14 trials. That is a big long-term process.

15           So, I think that we should be doing all the things  
16 that Steve is suggesting. I think that it is really, you  
17 know, phenomenal work and it will give us great  
18 understanding, but it is going to go on in parallel with  
19 trying to find a solution to the problem that we are set up  
20 to find today, and I think we have to keep that in mind as  
21 we go forward.

22           DR. NISSEN: I think that Jeff is right, and the  
23 one thing that I would just add is that we may, if we do  
24 this work now, find that there is a patient population of  
25 extraordinarily high risk based upon morphology. Then you

1 can do a trial in a group that has a very high predictive  
2 likelihood of an adverse outcome and you can compare two  
3 drugs where the endpoints are much more frequent and,  
4 therefore, you don't need to study as many patients.

5 DR. BORER: You could.

6 DR. NISSEN: Yes.

7 DR. BORER: I mean, I think that is right, and I  
8 don't want to belabor the point because I think you are  
9 absolutely right but the problem, as I understand it, is  
10 what we are trying to do here is at first to know that a  
11 drug has an effect, compared with no drug, compared with  
12 placebo, and then look at the new drug versus the standard  
13 drug. Unless we can show in that high risk population that  
14 the standard drug actually is better than placebo we are  
15 back where we started from, and it may be hard. You know,  
16 the primary reason we are having the meeting is that with  
17 all the new data that are coming out it is becoming harder  
18 and harder to justify doing placebo-controlled trials of any  
19 part of the spectrum. So, that is the problem.

20 DR. THADANI: A couple of comments and a question  
21 to you. I think the question was why we are doing too man  
22 interventions. I think it is physician driven. I was trained  
23 in England and Canada and now here, and when I am on the  
24 unit the intervention rate goes down and the cath-lab people  
25 are on strike, and I don't think more patients are dying

1 because of that. Once you send the patient to the cath lab  
2 there is a reflex that if you see a lesion you are going to  
3 blow the balloon up.

4           One of the dilemmas I have is that all the trials  
5 which have been done to date with IIb/IIIa incorporate the  
6 design-driven infarcts, and I could argue that if you are  
7 doing this you are artifactually producing infarcts, and  
8 what you are doing with your therapy is reducing the infarct  
9 rate by that. And, if you look at the mortality, I can't  
10 believe there is any trial showing a mortality reduction.  
11 So, I think there is some dichotomy here with what we are  
12 trying to do, reducing so-called micro-infarcts and, yet,  
13 not impacting on the mortality. That might be an important  
14 issue when we discuss it today, where we are going with all  
15 these new agents.

16           The problem I am having with your approach -- I  
17 think it is a novel approach but even in your studies I  
18 don't think you are routinely mapping for your plaque burden  
19 both the right and left coronary arteries, circumflex, and  
20 everything. In order to do a trial of your design, even if  
21 it is practical which I don't think it is, you really have  
22 to go to the very smallest branch PDA could have an infarct  
23 site, not your proximal. So, although you are very  
24 enthusiastic and I admire your enthusiasm, it may not be  
25 practical and you will not be able to map the whole coronary

1 artery. Maybe you are expert in ultrasound and other people  
2 have never done that, and there is always a danger that they  
3 could have a complication and there could be even a left  
4 main dissection even with the technique of so-called smaller  
5 catheters with IVU devices.

6           So, I think mechanistic, yes, but I can't buy your  
7 point that you are going to do a trial to show outcome where  
8 the mortality in acute coronary syndrome is 2 or 3 percent.  
9 You know, you just cannot do a small sample size trial and  
10 convince me that your effective therapy is going to be yes.

11           The other problem I am having is there are  
12 patients -- all of us probably have, you know, 70 or 80  
13 percent, have some plaques and, yet, if you look at data on  
14 patients with so-called normal angiographic studies, and  
15 there are several of them, 20-year survival is no different  
16 than in the general population. So, I buy that if you have a  
17 severe lesion you have a lot of plaques but maybe you are  
18 missing those and everything else that is going on. So, I  
19 think I have a problem with your trial design if you were to  
20 incorporate this in trials.

21           DR. PACKER: Well, one, it is not really Steve's  
22 trial design --

23           DR. THADANI: No, but it is a proposition. I think  
24 we can't just implement it.

25           DR. NISSEN: I guess I was advocating looking. I

1 am not telling you that we are ready to have this speedy  
2 principal endpoint of a trial, but I guess what I was  
3 arguing for is that since we know we are dealing with a  
4 disease that has a huge spectrum of both plaque burden and  
5 plaque morphology, maybe it is time to begin to look at that  
6 as a variable so that we can understand how drugs work, why  
7 they work, in whom they work and in whom they don't work.  
8 Again, the concept is that if you have a bunch of lipid  
9 causing microvascular obstruction, it is probably not going  
10 to work to give a glycoprotein IIb/IIIa inhibitor.

11 DR. RODEN: I am not going to discuss what happens  
12 when I send a patient to the cath lab. That is not the point  
13 of this morning. Steve, you suggested to us one marker that  
14 might be useful in subsetting patients, and while the data  
15 are pretty, I think you would concede that that is a pretty  
16 cumbersome marker and it would be convenient if we had more  
17 readily obtained markers of high risk patients. So, my  
18 question is based on total ignorance and I will ask my  
19 question and then make a comment before letting you answer  
20 it.

21 That is, are there other markers of plaque burden  
22 or remodeling that one could use in big trials? And before  
23 you answer that, my comment is that it seems to me likely  
24 that there must be a genetic component to why some people  
25 remodel one way and some people remodel another way. So, my

1 plea to this audience and every other time I talk to my  
2 colleagues from industry is that we ought to be able to  
3 approach the problem with DNA banking in these large trials  
4 to at least retrospectively answer the question of whether  
5 genetic techniques would be able to identify patients at  
6 high risk. You must have some thoughts about that.

7 DR. NISSEN: Yes, I do. Let me just say that the  
8 noninvasive markers -- there are some that have worked and  
9 some that haven't. I am not at all convinced that MRI is  
10 there yet in terms of assessing coronary plaques. It may get  
11 there some day. Obviously, ultra-fast CT has some data. But  
12 I actually think there is some pretty good data now on  
13 carotids. I would remind you of the recent PREVENT study. It  
14 was very interesting, there was a large reduction in  
15 patients that received amodapine compared to placebo, a  
16 large reduction in clinical events, and there was virtually  
17 no progression in amodapine-treated patients in the carotid  
18 plaque. And, that is a pretty good surrogate, in my view,  
19 because it doesn't look at the lumen; it looks at the wall,  
20 which is what we look at. So, we think intravascular  
21 ultrasound and ultrasound of the carotid probably are  
22 measuring some of the same things, albeit in a different  
23 vascular bed.

24 Again, part of my appeal is to get beyond  
25 laminography and get to looking at the wall because that is

1 where the answers are going to lie in terms of understanding  
2 what is going on clinically.

3 DR. RODEN: Is there any sense at all that there  
4 is a familial component to why some people have maladaptive  
5 remodeling and some people have adaptive remodeling?

6 DR. NISSEN: No, we are in the process of doing  
7 very large analyses to try to figure that out, and I will  
8 tell you it is interesting; it looks like the risk factor  
9 makes a difference, whether it is hyperlipidemia or  
10 hypertension. It even looks like gender may make a  
11 difference. So, again, until we begin asking these  
12 questions we are stuck with the fact that a lumen is a lumen  
13 is a lumen. I don't want to sound like a broken record, but  
14 it is time to look at the plaque and try to understand what  
15 is really going on when these patients remodel positively,  
16 negatively or otherwise.

17 DR. CALIFF: Just one more footnote for me based  
18 on the discussion that has gone on. I think we will keep  
19 coming back to the issue that is kind of central right now.  
20 If our goal is to put drugs on the market that benefit  
21 patients, we kind of have to decide whether having a  
22 coronary intervention is a good thing or a bad thing. If it  
23 is a bad thing, then it is a valid endpoint and brings up  
24 all kinds of issues about what should be done with it in  
25 terms of baseline therapy. If it is a good thing, it seems

1 to be a contradiction in terms to me to use something that  
2 is something that is a good thing as a negative endpoint in  
3 a clinical trial, except under very specific circumstances  
4 that one might think of. I am still confused by this myself  
5 so I don't propose to have an answer, but I think Steve has  
6 made the argument even more complicated than it was.

7 DR. PACKER: I must say, I wish I could be  
8 reassured, and I have been trying to gauge the sentiment of  
9 the committee and I wish I could be reassured that we are  
10 not treating an iatrogenic disease. I wish I could be  
11 reassured that angioplasty was doing good for patients other  
12 than those with refractory angina. It would not be that long  
13 ago when this committee would have been appalled by the  
14 suggestion that giving antiarrhythmic drugs for the  
15 suppression for PVCs was other than a good thing, and now we  
16 are very comfortable saying that that was the wrong way to  
17 pursue thing, and there are elements of this argument that  
18 are reminiscent of that old argument.

19 We can't resolve the issue but I think it is  
20 humbling to keep in mind the possibility that what we may be  
21 developing here is a series of drugs that prevent the  
22 adverse effects, or reduce the adverse effects of an adverse  
23 intervention, similar to developing a drug to prevent  
24 torsade in a patient who gets antiarrhythmic therapy for t  
25 he wrong reason.



1 DR. CALIFF: I have to respond to that a little  
2 bit because I think there is a difference, which is that the  
3 antiarrhythmic drug development totally ignored any outcome  
4 data, whereas we have thousands of patients randomized in  
5 trials of percutaneous and surgical revascularization and,  
6 you know, the overview is a benefit. Now, one can argue  
7 about specific circumstances but the systematic overview  
8 shows a clear benefit on average over time.

9 I am amazed that Cindy -- I would have thought her  
10 catecholamine level would be high by your last few  
11 statements --

12 [Laughter]

13 DR. PACKER: I have to ask Cindy what she thinks.

14 DR. THADANI: Before you go around on this one,  
15 you know, when you look at the mortality really there is not  
16 much difference.

17 DR. CALIFF: It is one life per hundred, highly  
18 statistically significant.

19 DR. THADANI: But the problem you are running into  
20 is that means you are saying everybody should go to cath  
21 lab. and I think that is just one trial with a trend. Other  
22 trials with IIb/IIIa do not show any of this. So, one has to  
23 be very careful before jumping to the conclusion that every  
24 patient who is getting either IIb/IIIa or low molecular-  
25 weight heparin the best way is to go to the cath labs

1 because there are other trials in non-Q-wave MI from the  
2 OASIS database where noninvasive strategy was better. So, I  
3 think I will echo Milton's concern that by doing the  
4 invasive you might be creating artifactual infarcts, and we  
5 have to really think about the whole issue of are we doing  
6 the right thing or wrong without impacting on the mortality.

7 DR. PACKER: Cindy, I would like to know what your  
8 thoughts are, and also I would like to hear Paul Armstrong's  
9 thoughts on this.

10 DR. GRINES: Well, first of all, I think that I am  
11 not sure that this is a pertinent question. I mean, we  
12 weren't asked to address whether angioplasty is indicated.  
13 We were asked to address a placebo-controlled versus active-  
14 controlled trials. So, I am not sure we should waste a lot  
15 of time discussing this.

16 But, clearly, you can find many trials which are  
17 supportive of angioplasty in the acute MI literature,  
18 angioplasty instead of thrombolysis is beneficial in the  
19 unstable angina literature, the trials that we have already  
20 discussed. You know, I could spend the whole day debating  
21 the merits or the negative aspects of the trials like the  
22 VANQUISH trial or other trials. There are a lot of problems  
23 with the VA hospital -- the high surgical mortality. All the  
24 mortality was in the surgical arm; virtually none of it was  
25 in the angioplasty arm. But I don't think this is pertinent

1 really.

2 I think the facts of the matter are that most  
3 patients with unstable angina do go to the cath lab and do  
4 undergo coronary interventions. In a large part, that is  
5 physician driven but also it is length-of-stay driven. I  
6 mean, if you look at the original unstable angina  
7 guidelines, in the absence of an interventional approach the  
8 recommendation was to hospitalize them and treat them with  
9 anticoagulants for five days. I mean, that just doesn't  
10 exist in this day and age and nowadays you want to take them  
11 to the cath lab, do the intervention. If you have a drug  
12 that allows you to do it more quickly and more safely, then  
13 that is pretty much what is happening across the country.

14 DR. PACKER: Paul?

15 DR. ARMSTRONG: I think it is a very important  
16 question. I think that the timing of these interventions is  
17 critical. It is a moving target. Several years ago we, and  
18 others, showed that the timing of the angiography relative  
19 to the acute presentation produces a very distinctively  
20 different anatomic characteristic, and if you are shooting  
21 at anatomy and you wait, the anatomy heals and changes quite  
22 dramatically over days. Remember that FRISC not only had  
23 discipline relative to randomization but also waited, such  
24 that the anatomic stabilization of the disease produces a  
25 very different portrait with very different risk

1 characteristics that I think need to be taken into account.

2 I think the other thing, supporting tangentially  
3 Steve's elegant presentation, is that there are other ways  
4 of assessing risk such as the continuous assessment of  
5 ischemia. Ninety percent of ischemia in this disease is  
6 silent; it is not clinically manifest. So, I think that  
7 there is lots of timber for discussion around the rights and  
8 wrongs and the timing, and not a clear simple answer, but it  
9 is a moving target and we need to take that into  
10 consideration in the discussion.

11 DR. CALIFF: I just want to push Cindy a little  
12 bit more on this issue, although I understand that the goal  
13 from our perspective is not to spend the day on the question  
14 of whether percutaneous intervention is indicated but I  
15 think there are two critical issues that we will keep coming  
16 back to.

17 The first is in the design of the trials and the  
18 analysis of the trials. How do we handle intervention as a  
19 co-therapy during the early period of randomization? The  
20 second question is, is it a negative outcome that should be  
21 counted as part of a composite in the evaluation of a  
22 treatment or is it something that is actually desired so  
23 that it shouldn't be a negative outcome? I think both of  
24 those issues just keep coming back, no matter how you look  
25 at the design of these trials, particularly when they are

1 done on an international basis where you have very different  
2 practice patterns.

3 DR. GRINES: Well, with regard to the design of  
4 trials, I actually kind of like the way it has been done in  
5 previous investigations where they have some trials that are  
6 targeted specifically for patients who are going to the  
7 catheterization laboratory, and then they have separate  
8 trials which are targeted to patients with unstable ischemic  
9 syndrome, some of whom may also go to the catheterization  
10 laboratory. I think that is what happens clinically. I think  
11 clinically many of us are waiting to use IIb/IIIa agents  
12 when the patients arrive in the cath lab as opposed to in  
13 the emergency room. You know, I think it is helpful to have  
14 both approaches.

15 With regard to angioplasty being a negative  
16 outcome, I am not convinced that it is a negative outcome,  
17 particularly if it is an angioplasty that is not performed  
18 because the patient evolved into an acute MI. I think that  
19 there are a lot of patients who are undergoing angioplasty  
20 in this country and it is considered the standard of care.  
21 So, I really don't think that performance of an angioplasty  
22 should be considered a hard endpoint. That is more or less  
23 something that is done on a regular basis.

24 DR. PACKER: Why don't we move forward? Steve,  
25 thank you very much for getting us started. We will move on

1 to David Kong, who will present an overview of existing  
2 trials and a meta-analysis of those studies.

3 **Overview of Existing Trials, Meta-Analysis**

4 DR. KONG: Good morning. Can I have the first  
5 slide, please?

6 [Slide]

7 My job I think is to give a little bit of a view  
8 from the hospital's perspective, a little view from the  
9 "Ivory Tower" if you like. I think Steve Nissen started us  
10 off wonderfully with discussion of underlying  
11 pathophysiology, but the underlying question that I am  
12 trying to address is what Dr. Lipicky proposed initially,  
13 that is, what is the overall effect of glucoprotein IIb/IIIa  
14 inhibition as we understand it, and how uncertain are we of  
15 the effect of glycoprotein IIb/IIIa inhibition?

16 [Slide]

17 We have talked a little bit about icebergs. I like  
18 to think about it more as mountains because from the  
19 clinician's standpoint we can see the whole thing.

20 Fundamentally, this committee routinely looks at  
21 the well-conducted randomized trials as the basis of  
22 practicing evidence-based medicine. I think as a clinician,  
23 we often take Steve Nissen's position. That is, we would  
24 love to know the exact pieces of information that constitute  
25 what would be exactly optimal for the individual patient.

1 The problem is that we actually have to generalize from the  
2 data that we have collected in randomized trials, that are  
3 often designed to answer very specific questions, and  
4 integrate that information with the kinds of parameters that  
5 individual patients give us.

6 To do so, I think that there are several tools  
7 that we can use to kind of integrate data and perform  
8 generalizations that are a step beyond what we see in  
9 randomized trial data taken individually. The systematic  
10 overview data that we will be discussing today is one method  
11 for doing so. Clinical guidelines extracted from bodies of  
12 medicine is another. That all forms the foundation for what  
13 we call evidence-based clinical practice.

14 The foundation for evidence-based clinical  
15 practice as opposed to our old-fashioned way of doing it,  
16 before we started accumulating evidence, is that we have a  
17 concept of an underlying mean. That is, instead of saying  
18 that each patient is an absolutely unique individual and  
19 that we are trying to maximize outcomes for each individual  
20 patient, which would require perfect information, rather, we  
21 want to take the populations of patients and improve the  
22 mean performance, the overall effect that we have seen in a  
23 population of patients so that while we may not necessarily  
24 be able to hit home runs all the time, at least we will have  
25 a general improvement in our batting average. We may miss

1 out on some patients; we may strike home runs on some  
2 patients but overall, with respect to the overall spectrum  
3 of the population that we are dealing with, we tend to want  
4 to improve how we are doing on average.

5 [Slide]

6 To sum up then, we have clinical experiments in  
7 focused populations, with specific inclusion and exclusion  
8 criteria, which are trying to answer some very focused  
9 questions which are necessary to continue our understanding  
10 and development of these drugs as a science. On the other  
11 hand, in clinical practice we need to be able to generalize  
12 this information in order to move from the populations we  
13 use for clinical experiments to populations of patients that  
14 we treat everyday.

15 So, this is Dr. Lipicky's original question, we  
16 want to know what the overall effect of an intervention is  
17 and, almost more importantly, how certain we are that this  
18 effect really exists.

19 [Slide]

20 So, in 1998 we sat down to look at glycoprotein  
21 IIb/IIIa antagonists as a drug class using this type of  
22 paradigm. We thought that in order to assume that  
23 glycoprotein IIb/IIIa antagonists are doing something better  
24 than placebo, we need to generate estimates of effect, and  
25 if we were to make assumptions we would try to make



1 relatively conservative assumptions that would tend to  
2 underestimate the effect, if anything, rather than  
3 overestimate the effect.

4           Strikingly, with Dr. Nissen's presentation, the  
5 pictures from people who were getting percutaneous  
6 intervention and people who have spontaneous plaque rupture  
7 are very similar -- double lumens, dissections, exposed  
8 subluminal flaps, activation of platelets. So, if you wanted  
9 to ask the question in a very general way about this  
10 compound class, the question is does interfering with this  
11 pathophysiologic mechanism improve outcome as measured by  
12 the clinical endpoints that patients care about -- death,  
13 myocardial infarction and perhaps trips back for  
14 revascularization? As a result we can look at, "well, gee,  
15 does administering a molecule of an inhibitor to interrupt  
16 this pathophysiologic mechanism do better than if we left  
17 people alone?"

18           [Slide]

19           As a result, if we want to look at the totality of  
20 that, we want to generalize the population that we are  
21 looking at, people who have this pathophysiologic mechanism.  
22 We want to look at the totality of the level of evidence.  
23 Many of the trials at that time, in 1998, were not published  
24 but, fortunately, a substantial amount that we reviewed, in  
25 fact, all of it now has been peer reviewed in press.

1 [Slide]

2 We talked a little bit about heterogeneity and  
3 differences, and I will take a minute here to do an exercise  
4 that I share with graduate students that I teach. The  
5 question is, well, what is this? Often we go around the  
6 room, and the panel will be much relieved that you will not  
7 be expected to render a vote on this, this afternoon. But,  
8 in fact, a lot of people say, "well, gee, it's a dog; it's a  
9 cat; it's a sheep; it's a cow." Then eventually, if you go  
10 around the room, you get somebody who says, "oh, it's an  
11 animal" because they have been able to generalize this  
12 particular estimate of some mean configuration for what we  
13 think animals look like, and be able to say, "well, gee, you  
14 know, although we're missing some details that would make it  
15 specifically a cow or make it specifically a sheep, we have  
16 some idea of what this is and we can probably use this  
17 template to identify what an animal is compared to a rock or  
18 a plant." Although this type of estimate may not necessarily  
19 be so useful if you are looking and asking can we  
20 distinguish, say, a tiger from a lion.

21 Similarly, when we look at the evidence upon which  
22 we base therapy, we want to be able to say, okay, are we  
23 doing better as an overall drug class versus placebo? We can  
24 certainly be able to distinguish between those things,  
25 although there comes some level of resolution at which the

1 individual pieces of data that make up this estimate may be  
2 less helpful. Certainly, we can use this particular template  
3 in the future to give an overall estimate of what subsequent  
4 impressions of effect ought to be.

5 [Slide]

6 To sum up then, heterogeneity, if you look at any  
7 body of evidence, particularly since we are tied to evidence  
8 that has already been collected, is virtually inevitable.  
9 That is, the accumulated experience for any drug class, if  
10 you take any population of trials, will often vary with  
11 respect to patient populations, dosing, the definitions of  
12 the endpoints, and even within NDAs we usually have  
13 populations of trials and it is very, very rare to have  
14 identical trials, identical populations, identical protocols  
15 to support an NDA. Usually the pieces of evidence that in  
16 most arenas of this type you are asked to look at things  
17 that are at least partly heterogeneous.

18 [Slide]

19 So, now what we are trying to do is take those  
20 same principles that apply to, say, a single body of  
21 evidence and look at it for the entire compound class. There  
22 are several ways to react to differences among pieces of  
23 evidence. One way is to say well, we can certainly just give  
24 up. That is, things are entirely too different to measure  
25 and we will not even try to estimate some overall effect.

1 The problem with that is that the tests for statistically  
2 determining when things are significantly different by  
3 statistics are insensitive. That is, they are helpful if  
4 they tell you something, but if they tend to group things  
5 together there may be other hidden differences, either in  
6 protocols or things that are clinically meaningful but  
7 aren't necessarily reflective of the quantitative estimates  
8 we have of effect.

9           Certainly, one could use some statistical models  
10 for performing meta-analyses, called fixed effect models,  
11 that all assume that everything that you are measuring is  
12 attempting to measure exactly the same thing, the same  
13 underlying mean and, as a result, it tends to ignore the  
14 variability between studies.

15           Another method, and this is the tack we took when  
16 doing this particular analysis, was to say we acknowledge  
17 that heterogeneity exists at some level, and that we can  
18 incorporate the amount of heterogeneity that exists to some  
19 extent by choosing a model that, when studies are different,  
20 gives you wider confidence intervals. That is, it will give  
21 you some measurable overall effect but the differences in  
22 the studies will be accounted for by the uncertainty that  
23 you have around the estimates.

24           Finally, and this is something that we are trying  
25 to do in terms of the percutaneous intervention in acute

1 coronary syndrome arena, we can try subgrouping studies at  
2 least broadly to say, well, gee, there is a potential  
3 rationale for why differences might exist in the studies.

4           So, in this publication we did in Circulation, in  
5 1998, we chose a particular kind of random-effects model and  
6 we chose the random-effects model actually that reduces to a  
7 fixed effects model in the special case when trials are  
8 heterogeneous but, fundamentally, we are trying to  
9 accommodate the heterogeneity that exists.

10           We localized through not only Medline searches but  
11 also contacts among investigators to seek out all the  
12 unpublished data at the time, a total of 16 randomized,  
13 controlled, blinded trials, looking at parenteral  
14 glycoprotein IIb/IIIa agents, some of which were Phase II  
15 and some of which were Phase III work, and got about 32,000  
16 patients for the total analysis.

17           As part of trying to explore the differences  
18 amongst trials, we looked at trials of percutaneous  
19 intervention, meaning trials in which the protocol specified  
20 that either a planned or an actual percutaneous intervention  
21 was contemplated for patients as a condition for enrollment,  
22 and setting the trials for non-ST-elevation in acute  
23 coronary syndromes. In addition, although our random effects  
24 model accommodated heterogeneity, we elected to do a formal  
25 heterogeneity analysis just to see how different the patient

1 populations are within these models.

2 [Slide]

3 In terms of clinical endpoints, we looked at the  
4 things that our patients might care about in terms of death,  
5 in terms of all-cause mortality, death from myocardial  
6 infarction, using the definitions that were specified in the  
7 trial protocols, recognizing that, yes, although there are  
8 some variability in the way you define myocardial  
9 infarctions all of the trial definitions of myocardial  
10 infarctions would certainly be things that patients would  
11 want to be avoiding. Then, for those who also believe that  
12 revascularization trips back to the hospital is something  
13 that patients want to avoid, we also measured the triple  
14 endpoint of death, myocardial infarction and  
15 revascularization.

16 Looking at the trial evidence, we combined trials  
17 to get estimates of three approximate time points, one being  
18 an early endpoint, roughly 48 hours for patients who were  
19 undergoing percutaneous intervention, and 96 hours for  
20 patients who were in acute coronary syndromes, but  
21 essentially early in the hospital course during that peak  
22 time when you have lots of events happening, usually during  
23 infusion of these agents, certainly the 30-day point that we  
24 often look at in retrospect and, finally, a later time point  
25 from those trials that collected it at 6 months.

1 [Slide]

2 So, again, we tried to look at not only all  
3 patients taken together but also a subpopulation of patients  
4 who had acute coronary syndromes and patients undergoing  
5 percutaneous intervention, recognizing that on a global  
6 scheme of things when compiling some overall estimate, we  
7 are looking at the effect of glycoprotein IIb/IIIa  
8 inhibition amongst people who have ruptured plaques, either  
9 ruptured spontaneously through an act of nature or ruptured  
10 intentionally through controlled intentional arterial entry  
11 that we call percutaneous intervention.

12 [Slide]

13 So, for looking at all-cause mortality,  
14 percutaneous intervention trials are represented in light  
15 blue on top, the non-acute ST-segment elevation trials in  
16 yellow, and the overall estimate, using all the patients,  
17 32,000 of them, in this light green bar at the bottom, these  
18 bars are centered about where the point estimates are with  
19 lines that explain the 95 percent confidence intervals about  
20 those patients. And, we see that overall there is about an  
21 absolute treatment effect of 1 fewer event, 1 fewer death  
22 per 1000 patients treated across all of these particular  
23 subgroups.

24 [Slide]

25 Similarly, for death from myocardial infarction at

1 an early time point, we see that there are about 26 fewer  
2 events per 1000 patients treated in the percutaneous  
3 intervention group, about 10 fewer events per 1000 patients  
4 treated in the acute coronary syndrome group and about 17  
5 fewer events per 1000 patients treated in the overall group.

6 [Slide]

7 Similarly, for death, myocardial infarction and  
8 revascularization, there were about 38 fewer events per 1000  
9 patients treated in the percutaneous intervention group, 19  
10 fewer events per 1000 patients treated in the acute coronary  
11 syndrome group and about 27 fewer events per 1000 patients  
12 treated overall.

13 [Slide]

14 I have shown you graphically what the odds ratios  
15 and confidence limits are for those folks who enjoy looking  
16 at things in tables. The same data are shown here as odds  
17 ratios and confidence intervals for each of these three  
18 groups, percutaneous intervention group, acute coronary  
19 syndrome group and the overall estimates. So, if we are  
20 looking at death or myocardial infarction or death,  
21 myocardial infarction and revascularization we have an  
22 overall global benefit of an odds ratio of 0.66, with odds  
23 ratios that are statistically significant, as well as  
24 statistically significant effect on mortality at 48-96 hours  
25 using all patients, although with just either of the two



1 subgroups alone you have insufficient statistical power to  
2 detect this.

3 [Slide]

4 For 30-day outcomes, again, the picture looks very  
5 familiar. Again, you see that there is about 3-4 fewer  
6 deaths per 1000 patients treated overall.

7 [Slide]

8 In terms of death from myocardial infarctions,  
9 there are between 13 and 27 fewer events per 1000 patients  
10 for the percutaneous intervention arm and the acute coronary  
11 syndrome arm, as well as for overall, about 20 fewer events  
12 per 1000 patients treated in the death or MI category for  
13 overall. Again, the numbers are very similar for those  
14 people who were having death, myocardial infarction or  
15 revascularization.

16 [Slide]

17 So, if you look at the odds ratios again in a  
18 tabular form, you see that the overall effect is an odds  
19 ratio about 0.77 for death and revascularization group,  
20 about 0.76 for combined death and myocardial infarction, the  
21 revascularization here at 30 days being urgent  
22 revascularization, revascularizations done for recurrent  
23 symptomatology, of course, these latter two outcomes being  
24 statistically significant and, again, the reflection of  
25 percutaneous interventions, as you saw graphically, being a

1 slightly more profound point estimate than those for acute  
2 coronary syndromes.

3 [Slide]

4 At 6 months, again, the groups look very similar  
5 where you have again about 1 death prevented per 1000  
6 patients treated overall.

7 [Slide]

8 For death or myocardial infarction you have  
9 between 23 and 15 fewer events per 1000 patients treated for  
10 death or MI, overall about 20 fewer events per 1000 patients  
11 treated, using all 28,000 patients now with 6-month data.

12 [Slide]

13 For combined death, myocardial infarction or any  
14 revascularization here at 6 months, which is what we have  
15 data for, we have about 23 fewer events per 1000 patients  
16 treated overall when looking at absolute differences between  
17 populations. Again, when you look at the 2 subgroups by 6  
18 months you see that the overall effects tend to become more  
19 and more similar as you go along. So, for death, myocardial  
20 infarction and total revascularization in these populations  
21 you have 0.87 for percutaneous intervention, 0.9 for acute  
22 coronary syndromes for an overall estimate of 0.89.

23 [Slide]

24 So, the way I look at this data is to suggest that  
25 we have certainly significant effects in reductions of

1 myocardial infarction -- Dr. Thadani thinking it might be  
2 enzyme elevation, but we have a consensus group -- Dr.  
3 Califf authored a paper in the Journal of Cardiology to  
4 suggest that any CK leak may reflect early mortality long-  
5 term and, certainly, there is some data from the PURSUIT  
6 evidence, conducted by John Alexander, that suggests that,  
7 again, with increasing CK leaks you might have increasing  
8 risks for long-term events over time.

9           We know that there is a significant reduction  
10 statistically in 48-96-hour mortality, although, again, it  
11 is a relatively small difference such that it takes the  
12 power of 30,000 patients total to detect it.

13           Impressively though, the absolute benefit when you  
14 look at the absolute risk differences, computed using the  
15 random-effects model, is relatively constant every time.  
16 That is, we have an estimate of about 1 fewer death per 1000  
17 treated patients overall, between 17 and 20 fewer deaths  
18 from myocardial infarctions per 1000 patients treated  
19 overall, and about 23 to 27 fewer death, MI or revasc.  
20 overall -- bad things, if you like -- happening per 1000  
21 treated patients over time.

22           [Slide]

23           Some people will say, "well, you know, this is  
24 interesting and it's also interesting that we have more  
25 profound point estimates at times for patients who have

1 percutaneous intervention compared to those with acute  
2 coronary syndromes, and why is this?" We have been  
3 scratching our heads a lot about this, and it is difficult  
4 clinically to separate out what the issues are.

5           On the one hand, in percutaneous intervention you  
6 are delivering the glycoprotein to the IIIa inhibitor at the  
7 exact time that you have intimal disruption, whereas in  
8 unstable angina you are delivering it empirically sometime  
9 after the plaque has ruptured. On the other hand, it may be  
10 due to variations in doses and compounds, but the  
11 variability among the trials precludes comparisons with  
12 individual agents with the data that we have at hand and,  
13 again, sometimes clinical heterogeneity is a greater  
14 challenge than statistical heterogeneity.

15           [Slide]

16           So, if we look at the distribution of agents in  
17 the trials that we have labeled in the little blue boxes as  
18 being percutaneous intervention trials, and the trials that  
19 were labeled acute coronary syndrome trials, we note that  
20 the four agents that we have looked at here, among them  
21 being eptifibatide, abciximab, tirofiban and lamifiban, that  
22 the distribution of agents amongst these categories is  
23 asymmetric. As a result, it is very difficult to isolate  
24 effects of individual agents from effects of the particular  
25 populations being studied.

1 [Slide]

2 As a result, I think that the overviews are  
3 helpful for estimating overall effects. So, if you want to  
4 have some overall generalizable effect that you can use to  
5 have some idea of prediction as to what effect you would  
6 expect in a broad patient population, and a population that  
7 is selected at random from the next universe of people that  
8 is coming through the door, then that is what these types of  
9 meta-analytic estimates are useful for.

10 Certainly, meta-analysis is more than just putting  
11 numbers and crunching them together, and part of what the  
12 committee will have to wrestle with this afternoon is the  
13 philosophy behind it. At what level of overall heterogeneity  
14 are we comfortable? At what level of uncertainty surrounding  
15 this estimate are we comfortable to say that, yes, this is  
16 an overall effect that we will accept?

17 Certainly, within these scenarios indirect  
18 comparisons amongst agents are hazardous simply because the  
19 evidence that we have for individual agents or individual  
20 compounds are non-uniformly distributed throughout the data  
21 and, therefore, are confounded by other types of patient  
22 populations studied in subgroups.

23 Certainly, if you look hard enough, heterogeneity  
24 at some level is inevitable, and this can be for a variety  
25 of reasons. We can all think about a number of reasons why

1 each of these trials may be different from each other, just  
2 as if we have a collection of animals why each of the  
3 animals might be different from each other. But at a general  
4 level, if we are willing to make overall inferences based on  
5 what we know, we can incorporate the heterogeneity as it  
6 exists as increased uncertainty towards that estimate. And,  
7 one of the things that the committee will have to decide  
8 this afternoon is whether this increased uncertainty about  
9 our estimate is acceptable and when is that estimate useful  
10 for future active-controlled trials.

11 So, I will stop there and pause for questions.

12 DR. PACKER: Thanks, Dave. Let's see what  
13 questions emerge from the committee. We will start with Marv  
14 and I will ask Tom Fleming to think about comments he might  
15 have regarding the presentation. Marv?

16 DR. KONSTAM: Let me just first say I think this  
17 is a fabulous analysis and summary. It is the second time I  
18 have heard it and I like it. So, thanks.

19 I have two questions. They relate, first, to the  
20 comparison or the degree to which we can compare or contrast  
21 acute coronary syndromes versus the acute interventions. The  
22 second question relates to early effects versus late  
23 effects. I think looking at the point estimates for the  
24 acute coronary syndromes versus the interventions -- you  
25 know, I recognize that for most of the analyses the

1 confidence intervals for the odds ratios are overlapping and  
2 so probably, you know, if I ask you is there a significant  
3 difference between the two groups, you are going to probably  
4 say no, we don't see one.

5           But, conversely, I guess one of the things that we  
6 have been asked as a panel, and I think we are going to be  
7 asked again this afternoon, if I remember the questions, is  
8 to what extent we are looking at a single syndrome here. I  
9 must say, looking at the point estimates I am not reassured  
10 in fact that we are looking at a single syndrome. And, I  
11 recognize there are ways of explaining the trends toward  
12 differences, and you are probably right, but, conversely, I  
13 am not satisfied that they are the same, looking at all the  
14 data.

15           DR. KONG: Right. So, then the question is how  
16 satisfied are you that they are different? That is, you can  
17 certainly say, fair enough, you know, differences may exist  
18 amongst the patient populations. Certainly, you may intuit  
19 that one patient population may be at one end of the risk  
20 spectrum compared to another. But then, unfortunately, that  
21 leaves you in a hole, and I think one of the questions  
22 addresses this, as to, well, exactly how you would define  
23 that population.

24           I think certainly looking at patients who have  
25 percutaneous interventions versus acute coronary syndromes

1 is one way to do that, isolated patients perhaps who may  
2 have different event rates overall and different amounts of  
3 detectability overall or potential for different effects  
4 overall, but the question is though how within that  
5 population percutaneous interventions, you know, do you look  
6 at urgent percutaneous interventions, elected percutaneous  
7 interventions, interventions done for other things? So, you  
8 know, it kind of leads you on a path which we all have to  
9 wrestle with. There is no pat answer for that. Similarly  
10 among patients with acute coronary syndromes -- are the  
11 patients who have 1 mm ST-depressions or 5 mm ST-depressions  
12 or ST-depressions in a certain region going to be at one end  
13 of the risk spectrum than the other? Yes.

14           And, I think we all get encultured in trying to  
15 think about addressing specific questions in certain patient  
16 populations, and part of the job for the committee will to  
17 find out what distinctions are useful for future trials.

18           DR. KONSTAM: Well, David, you have turned it from  
19 a specific question to a general question. Of course, you  
20 are right. I mean, you hit the issue broadly. This is always  
21 going to be an issue but I have to say with regard to this  
22 specific question of saying is the angioplasty patient  
23 identical for the purpose of clinical trial analysis as the  
24 acute coronary syndrome patient, you know, I have to say my  
25 own judgment about that which is that, no, I am not



1 convinced they are different, but I believe that, in my view  
2 for going forward with this, the onus is on really  
3 convincing ourselves that they are the same.

4 DR. KONG: Right, and I think that there are  
5 statistical differences so that when the confidence  
6 intervals overlap I can certainly say that the populations  
7 are similar statistically but, you are right, that is, there  
8 is more to this than just the statistics and, you know, the  
9 populations differ clinically.

10 DR. LIPICKY: I would ask the same question  
11 slightly differently. If I look at the data and I intuit  
12 that there would be no effect in acute coronary syndrome if  
13 the people with percutaneous interventions were removed from  
14 that population and everything is due to percutaneous  
15 intervention, how would you refute that?

16 DR. KONG: To rephrase the question, if you intuit  
17 that there was no effect in patients with acute coronary  
18 syndromes and dramatic effect in percutaneous interventions,  
19 how would you refute that? That would be by doing a subgroup  
20 analysis, as we have shown. That is, if you look at the two  
21 populations separately you can demonstrate effect in  
22 patients --

23 DR. LIPICKY: That is, you took the percutaneous  
24 interventions out of the acute coronary syndrome?

25 DR. KONG: Well, the way we define acute coronary

1 syndromes was people who were enrolled in the trials by  
2 protocol who did not have either an actual --

3 DR. LIPICKY: But they had percutaneous  
4 interventions.

5 DR. KONG: So, then the people who have subsequent  
6 percutaneous interventions, so, getting back to is  
7 percutaneous intervention a good thing or a bad thing, in  
8 our analysis we uniformly counted percutaneous intervention  
9 as a bad thing, that is, if somebody was enrolled in an ACS  
10 trial and had a subsequent percutaneous --

11 DR. LIPICKY: You are not answering my question  
12 but that is all right.

13 DR. PACKER: This is actually an important point.

14 DR. CALIFF: Let me try to get you on the track of  
15 what I think Ray is asking. He is asking if you had an acute  
16 coronary syndrome population that was not allowed to have a  
17 percutaneous intervention, what the effect would be. As he  
18 said many times before, he thinks that all of the effect in  
19 the ACS group is due to the patients who underwent a  
20 percutaneous intervention where the treatment is effective.  
21 You systematic overview really can't address that question.

22 DR. KONG: Right. I mean, to address that specific  
23 question we would have to turn to individual trials. There  
24 are some trials in the body of evidence where percutaneous  
25 intervention was discouraged but still show an effect of the

1 agents. But, yes, that specific question would have to be  
2 addressed by individual trials.

3 DR. CALIFF: Let me follow up, Milton. There is a  
4 paper about to come out in Lancet that does specifically  
5 address this question across all the trials, and the best  
6 methodology that we could come up with was to count every  
7 patient as medically treated who was randomized, and if they  
8 had a percutaneous intervention to censor them at the point  
9 of the percutaneous intervention from the analysis. If you  
10 do that, you find a homogeneous statistical benefit during  
11 the period of medical treatment of this class of drugs. It  
12 is highly statistically significant across if you pool all  
13 the trials.

14 DR. PACKER: I think that the question that Ray is  
15 asking is a qualitative question. The question that Marv was  
16 getting to was a quantitative question. That is, whether or  
17 not one addresses specifically the issue as to whether there  
18 is a benefit in patients with acute coronary syndrome that  
19 have not undergone an intervention, whether the benefit in  
20 patients who have undergone an intervention is substantially  
21 larger. That is, most of what drives the overall effect is  
22 the effect in the PCI population, either in PCI trials or in  
23 those who were in acute coronary syndrome trials that had  
24 PCI. I understand the point that it is still statistically  
25 significant but that effect may be heterogeneous and Marv's

1 point is that although it may not reach a p value there is a  
2 sense that they are different patient populations. Is that  
3 correct?

4 DR. KONSTAM: Yes, I mean I just would state it  
5 more defensively. I would just say that I would go into this  
6 saying, you know, if I want to study coronary interventions  
7 we should study interventions. If I want to study acute  
8 coronary syndromes we should study acute coronary syndromes.  
9 I think, in my mind, in movement to the next step and  
10 saying, you know what, this is all the same -- I think that  
11 there is a certain burden of proof that we have to pass  
12 through and in my looking at the overview of the data, I  
13 don't quite get there.

14 DR. LIPICKY: But I guess part of the issue is, if  
15 you are thinking along the lines of positive clinical  
16 trials, what you want to do is choose a patient population  
17 where you have a large effect size because that is basically  
18 your best signal to noise. My bet would be that in the study  
19 that you cited the effect size is pretty small. It may be  
20 there but it is pretty small. So, the question is where does  
21 the major effect come from, and if you are trying to think  
22 about positive control trials, what kind of patient  
23 population should you think about? I don't know that I know  
24 the answer but I don't think you told me either.

25 DR. CALIFF: I think you do know the answer, and

1 you gave it correctly. But societally, I think this is like  
2 the bigger, faster, cheaper -- what is it? -- better,  
3 faster, cheaper argument. You can't have everything because  
4 if you do your positive control trial in a population where  
5 you see the greatest effect you don't know what the impact  
6 of that therapy is in the much broader population. And, I  
7 will guarantee you the people making these drugs are not  
8 interested in selling them only to the small group of people  
9 where you are going to see the greatest effect in a positive  
10 control trial. So, there are sort of two sides to that coin  
11 in terms of a trial design.

12 DR. PACKER: Jay?

13 DR. SEIGEL: I have a question to clarify a point  
14 of information. David, you commented, and I think one of the  
15 panelists commented, that there was not overlap but  
16 [microphone not turned on]... and in most, if not all of  
17 them, there was no overlap... Is that not the case?

18 DR. KONG: With respect to the odds ratios, there  
19 is no significant overlap. Well, there is overlap for both  
20 death and MI and death, MI and revasc. between the two  
21 subpopulations at the early time point and at six months. At  
22 30 days there is a hair-thin gap where we have rounded  
23 things off to two decimal points, but if you actually go out  
24 to further decimal points there comes a point, at about the  
25 third decimal place, where there is a difference. So, then

1 the question is, well, what is the stability of that  
2 difference since you don't see it at the early time point?  
3 You don't see it at six months; you only see it at 30 days  
4 for death, MI and revasc. and death and MI. You know, if you  
5 added one more trial to the population would that still be  
6 there? My impression was not.

7           So, I would think that, yes, certainly we are on  
8 the verge of perhaps being able to say something to that  
9 effect but I think the reassuring thing is that at either  
10 end, both the early end points or the six-month end points  
11 when you look at a group of trials there is overlap in the  
12 estimates.

13           DR. THADANI: A couple of issues. I think what you  
14 are suggesting, most of the data is being driven by PCI  
15 here, and I still believe that PCI is producing the enzyme  
16 bumps, and if enzyme bumps are so bad you are not reflecting  
17 at six months a mortality benefit. There might be a  
18 suggestion but there is an overlap, and that is very  
19 different than the antiplatelet trial has shown in the  
20 aspirin database, which was much more convincing than I am  
21 convinced with your data. So, that is one problem.

22           Now, what about if you add the EXCITE trial? I  
23 know it is not an intravenous agent. It was oral IIb/IIIa  
24 given for PCI one hour before in 7000 patients. There was  
25 zip effect. I know it is not published yet; negative trials

1 don't get published that soon. But it was presented and  
2 there were 7500 patients. There was no effect early on acute  
3 occlusions; there was no effect on late. So, if you add  
4 that, I think there are more problems that I could be  
5 convinced -- at least it was negative data which was shown.  
6 I might be wrong because I haven't seen the data.

7           The other problem I have when I was reading all  
8 these piles of paper, even the trials you are lumping, the  
9 methodology used for PCI is also very variable. Some  
10 patients had infusion for 12 hours then intervention; some  
11 were having intervention. So, I think to lump all those with  
12 the different techniques -- I am not convinced, sitting  
13 here, that you can apply this to all the agents generically.  
14 I think there are a lot of problems even if you combine in a  
15 meta-analysis.

16           DR. KONG: Right. So, to answer question number  
17 one, how much is the analysis being driven by PCI? Well,  
18 certainly in terms of initial PCI, the intent to perform  
19 initial percutaneous intervention, the subgroup analysis  
20 addresses that in part. So, yes, people with acute coronary  
21 syndromes not necessarily having intended PCI, you still  
22 have effect.

23           In terms of how much does PCI drive the analysis  
24 in terms of outcome, it turns out that, yes, it does appear  
25 that the death, MI and urgent revasc. estimate is very

1 similar to the death and MI estimate. So, I would agree with  
2 you that one potential explanation for this data is that  
3 they are being driven by enzyme elevations. Now, whether  
4 enzyme elevations are a clinically meaningful event or not  
5 certainly is open to debate.

6 DR. THADANI: How about definitions? Some trials  
7 are day two, some day three, they keep on changing the  
8 definitions. My colleagues hate for me to label when a  
9 patient is going home as a post-procedural microinfarction  
10 because they are worried because insurance companies say  
11 your procedures are complicated MI. So they arbitrarily  
12 define three times. Yet, in acute coronary syndrome, even  
13 for the PURSUIT database, any enzyme elevation was harmful  
14 to a certain extent, and now we are saying, okay, I realize  
15 these are microinfarcts. Either we don't measure them and  
16 forget about it, and then just talk about death and show me  
17 the data on death to convince me, or if you talk about it  
18 just give the continuum. So, have you ever looked at any  
19 enzyme bump? Maybe five years down the road this patient  
20 could be harmed. I have no idea.

21 DR. KONG: Right, I think that to do that type of  
22 analysis would require per patient data, and in order to do  
23 that type of analysis of per patient data would require  
24 additional cooperation from folks that have the data. We do  
25 have a substantial amount of that per patient data available



1 to us at Duke, and our current thinking at Duke is that it  
2 is not necessarily is variable. That is, although for  
3 reporting purposes we like to divide people into groups as  
4 those who have had some type of MI event or not as a binary  
5 condition, in fact, what may be happening is that the  
6 continuous value of the CK elevation may be predictive in  
7 determining outcomes. So, certainly people with high CK  
8 elevations will certainly do worse than people who have  
9 small CK elevations but exactly where a definitive cut point  
10 exists is very difficult to draw.

11 For convenience sake, what we decided to do was to  
12 look at the protocol definitions of microinfarction,  
13 realizing that that is perhaps the most straightforward way  
14 of at least attempting to distinguish those people who had  
15 events from those who did not.

16 DR. GRINES: I just wanted to bring up the issue  
17 of these other trials that are outstanding that are included  
18 in the meta-analysis. Maybe you don't have access to these  
19 data but, for the panel members' benefit, there is another  
20 25,000 patients who have been randomized in three very large  
21 trials, the EXCITE trial, the OPUS trial and the Symphony  
22 trial, all using oral IIb/IIIa agents, and totally negative  
23 outcomes with regard, to my understanding, to death and  
24 recurrent MI. I think we need to take that into  
25 consideration when talking about mandating active-controlled

1 trials because basically we have, you know, more or less  
2 double the sample size out there that we are not even going  
3 to talk about today.

4 DR. KONG: Correct. One of the criteria that we  
5 specified early on in this analysis, in 1998, was that we  
6 looked at exclusively parenteral agents, and that was in  
7 part because the oral data were unavailable. We are  
8 certainly working on an analysis of the oral agents as we  
9 collect the data.

10 DR. KONSTAM: David, the other question I had  
11 relates to comparing the early findings and the late  
12 findings. I asked you this question last time, and I think I  
13 got snowed by the mathematics the last time so I am going to  
14 brace myself. But, you know, the findings early are more  
15 impressive than the findings late and, certainly, it is more  
16 difficult to show a significant odds ratio late,  
17 particularly in this disease entity, and I understand that.  
18 But again, conversely, I think there sort of is a growing  
19 sentiment that it is okay to look at an early time point but  
20 you would like some reassurance that there is not something  
21 going on adversely that is going to negate that later on.

22 Looking at the way your data are displayed, you  
23 know, you don't get reassured of that but I guess if you  
24 look at the mortality point estimate at six months -- let's  
25 take the mortality point estimate at six months for the

1 acute coronary syndromes, it looks like it is right on  
2 unity. So, maybe you could explain that, and maybe you could  
3 explain or give us some support based on your analysis that,  
4 in fact, you are not seeing any adverse trend that is moving  
5 the data in the wrong direction as you go out further beyond  
6 the acute setting.

7 DR. KONG: You are very correct, you asked this  
8 question to me sometime before and the best I can do is give  
9 you the same answer. One is that certainly when you look at  
10 odds ratios, which are measures of relative performance,  
11 they will diminish across time because in both treatment  
12 groups you are accumulating events. So, although your  
13 absolute benefit is constant, the relative performance of  
14 the two treatment arms tends to converge.

15 Now, that is something that certainly you are  
16 aware of because you have previously told me that that is a  
17 very reasonable explanation, but in terms of differentials  
18 between acute coronary syndromes and how much reassurance we  
19 can give that at six months the odds ratio isn't one, well,  
20 that is where the confidence intervals fall in, and although  
21 we have a point estimate in this particular analysis that is  
22 very close to one, the confidence intervals certainly are  
23 not trivial, and the true mean of that population could lie  
24 on either side of that.

25 So, yes, indeed, in terms of how much reassurance

1 I can give you at six months as to what is going on, the  
2 answer is, you know, I am limited in my power to do that.

3 DR. PACKER: Could you just clarify, Dave, you say  
4 that the absolute benefit persists --

5 DR. KONG: Yes.

6 DR. PACKER: Explain how you reached that  
7 conclusion that the absolute benefit persists when the odds  
8 ratio progressively approaches one. And, maybe the  
9 explanation is mathematical; maybe the explanation is  
10 philosophical. Could you explain what leads you to conclude  
11 that the absolute benefit persists?

12 DR. KONG: One is that you have to understand that  
13 in all these clinical trials most of the initial benefit is  
14 recognized early, and then after that initial early benefit  
15 events accumulate in both the treatment and placebo arms  
16 simultaneously over time. So, the odds ratio is measuring  
17 relative benefit. I will try to do this off the cuff here --  
18 if you have a trial of 100 patients and we have an event  
19 rate of, oh, 50 percent in the placebo arm and an event rate  
20 of 25 percent in the treatment arm, then your difference,  
21 the absolute difference is 25 percent. Okay? Then your  
22 relative difference is, well, a relative difference of 2.  
23 Your treatment is twice as good as placebo.

24 So, that is an early time point. So now in this  
25 hypothetical trial let's move on through time and assume

1 that 25 additional events occur in both arms. Okay? So, at  
2 your 6-month time point you have 75 events in the placebo  
3 group and 50 events in the treatment group. So, the absolute  
4 treatment difference is still the benefit that you saw  
5 early, that is, there are 25 fewer patients who had their  
6 event with treatment compared to placebo. However, the  
7 relative treatment benefit is now 75 versus 50. That is, you  
8 have now only reduced the relative number of events by a  
9 third instead of by half.

10 DR. PACKER: I understand that. I just want to see  
11 if I understand what you would conclude from that. In other  
12 words, the absolute delta, the numerical delta may remain  
13 the same --

14 DR. KONG: Right.

15 DR. PACKER: Would you conclude from that that the  
16 treatment effect persists?

17 DR. KONG: That is correct. That is, the absolute  
18 treatment benefit persists, yes.

19 DR. PACKER: Let me see if I got this. Let's say  
20 that in early intervention you had an intervention where  
21 there were 50 events in placebo and 25 events, as you say,  
22 in active treatment that occurred at 24, 96 hours after  
23 treatment. You then take that patient population and follow  
24 them not for 30 days, not for 6 months but for 5 years.

25 DR. KONG: Fair enough.

1 DR. PACKER: And, at the end of 5 years there are  
2 550 events in one arm and 525 events in the other arm --

3 DR. KONG: That is right.

4 DR. PACKER: -- would you say that the treatment  
5 effect persists?

6 DR. KONG: Yes, the treatment effect that you saw  
7 earlier persists. That is, your treatment is still doing  
8 something better than your placebo arm did. It is true, the  
9 relative measures may shrink. In fact, if you try to compute  
10 a p value on that, which is also a relative measure --

11 DR. PACKER: I would agree that the delta  
12 persists, but the extrapolation to a conclusion that the  
13 treatment is still working is --

14 DR. KONG: Oh, no, no, that is not necessarily the  
15 fact that the treatment is still working, just that you have  
16 accumulated events, you know, simultaneous in both arms. You  
17 still have preservation of the initial treatment effect.  
18 That is, you are not shifting mortality.

19 I think what Dr. Konstam was worried about is, is  
20 there some process that instead of reducing the number of  
21 events that actually happen, are we just shifting them in  
22 time? That is, are we simply delaying events so that  
23 eventually one arm will catch up to the other?

24 DR. PACKER: All arms eventually catch up with  
25 each other.

1 DR. KONG: That is true if you wait long enough.

2 DR. KONSTAM: You know, David, I actually  
3 understand and agree with everything you have said. So, I  
4 don't have any problem with your pursuing it the way you are  
5 pursuing it. The problem I have is with the actual data, and  
6 concluding that there is even -- from the way you have  
7 displayed the data, and I have your publication in front of  
8 me -- concluding that there is -- I mean, actually looking  
9 at it I get nervous the other way, and particularly if I  
10 point out the point estimate for all-cause mortality in the  
11 acute coronary syndromes at six months. To my looking at it,  
12 it doesn't approach unity; it is unity. So, I accept your  
13 presumptions and your analysis and the limitations. I guess  
14 from the way you display the data I am not reassured -- in  
15 fact, I am a little concerned that it is, in fact, moving in  
16 the other direction. I don't know how you would respond to  
17 that.

18 DR. KONG: Part of it may be that by six months  
19 you know, you are dealing with extraordinarily small  
20 treatment effects. Now, some may say, well, if it takes  
21 30,000 patients to demonstrate a small treatment effect,  
22 then how valuable is the treatment effect anyway? And, that  
23 is a philosophical point that perhaps is open to debate.  
24 But, yes, I agree that at six months you can certainly take  
25 that venue and that is one alternative explanation.

1 DR. PACKER: Four people, not necessarily in this  
2 order but JoAnn, Rob, Jay and I still want to get to Tom on  
3 this. Why don't we do it in the following order, JoAnn, Jay,  
4 Rob, Tom?

5 DR. LINDENFELD: Just as a point of reference, can  
6 you give us some idea in the thrombolytic trials what the  
7 odds ratios do from 30 days to a year?

8 DR. KONG: Rob may be able to answer that better  
9 than I can.

10 DR. CALIFF: They shrink in exactly the same  
11 fashion because what you see is that the absolute benefit  
12 that you see at 30 days for thrombolytic therapy does not  
13 either increase or decrease actually all the way out to ten  
14 years, which is fairly remarkable. So, the absolute  
15 difference remains the same. The odds ratio becomes much  
16 smaller.

17 DR. SEIGEL: [Microphone not on] ...But I have a  
18 question. I would like to ask whether heterogeneity comes,  
19 in fact, from grouping together various drugs. You commented  
20 at the end that variability among the trials precludes  
21 comparisons and that indirect comparisons are hazardous. You  
22 used a random-effects model that accounts for the  
23 possibility of variability by widening confidence intervals  
24 but, nonetheless, the very fact that there is a meta-  
25 analysis and that you have generated some mean effect size



1 suggests some belief that a mean effect size is meaningful.

2 DR. KONG: Yes.

3 DR. SEIGEL: That may have weak implications to do  
4 the analysis and may have stronger implications for some of  
5 the questions going to this committee. For example, some of  
6 the questions might imply that you could use that mean and  
7 confidence interval effect size for all drugs to calculate  
8 the expected effect size of one drug in one trial as an  
9 active control. I wonder if you would care to comment on how  
10 strong you think the data are to suggest that one can  
11 exclude consideration of differences among the agents.

12 DR. KONG: Where random-effects analysis works the  
13 best is where the data you are analyzing are, in fact,  
14 random draws from the universal populations that you could  
15 anticipate treating in future trials. That is one of the  
16 reasons why there are practitioners of meta-analysis who shy  
17 away from random effects because they know that if you look  
18 at existing clinical trials evidence that is not likely to  
19 be so. That is, every trial that you use has got inclusion  
20 and exclusion criteria in it, whereas, there are certainly  
21 no inclusion or exclusion criteria for patients who come  
22 through the door.

23 So, as a result, yes, you do have to take a  
24 certain grain salt in that the patients within each of the  
25 subgroups are being treated somewhat differently and may

1 represent separate pockets of populations, some of which are  
2 more defined than others. But one of the ways to get around  
3 that is if you have sufficient data, if you accumulate  
4 certain trials from enough different subpopulations, as an  
5 aggregate you get a better representation of what the  
6 population that you anticipate treating might be.

7 DR. SEIGEL: I would take that to mean by  
8 inference that there is not sufficient data to comment  
9 specifically on whether patients treated with one drug or  
10 another would have a similar or a different effect.

11 DR. KONG: Right. That is, if we had the universal  
12 trial where we have uniform inclusion and exclusion criteria  
13 amongst all the trials that were done retrospectively, which  
14 of course we can't do, if we were to be in that particular  
15 situation, then indirect comparisons might be more feasible  
16 but at the moment, the way the data stand, they are not.

17 DR. CALIFF: I would just make several  
18 observations. First of all, I think the most important point  
19 that Dave has made is that heterogeneity is always present,  
20 which I think means that there is no statistical answer to  
21 many of the questions that we are asking today. It is a  
22 matter of taste. Can you compare among the drugs? I know we  
23 are going to get into this later on, but we did look at this  
24 in the analysis that is reported in Circulation, and there  
25 is at least one trial which shows evidence of heterogeneity.

1           But I would point out that, as David said, because  
2 there is heterogeneity of the drugs, and of the entry  
3 criteria, and of the setting in which the trial was done, I  
4 would argue that one can never use indirect comparisons. I  
5 would even argue that if the entry criteria were identical  
6 you still couldn't use it because you have historically  
7 different control groups that may have had a lot of other  
8 things happen that you never could account for. It is like  
9 doing an observational study to do a treatment comparison.  
10 You really have to know a lot about what is going on before  
11 you can believe it. But there is also heterogeneity in the  
12 setting, not only the population but also the basic  
13 diagnosis, which is what Marv brought up, and heterogeneity  
14 in time and, you know, I am reminded of the old quote that  
15 "life is a sexually transmitted disease with 100 percent  
16 mortality."

17           [Laughter]

18           So, as you point out, if you follow patients  
19 forever, you are always going to find that events accrue in  
20 both groups and you will conclude that the treatments aren't  
21 different. So, it is a matter of taste; a matter of your  
22 belief structure as to what point in time you really want to  
23 look and believe.

24           On two specific issues I do want to at least give  
25 my opinion. The first is on are you discomforted or

1 comforted by the six-month mortality data, and I would say  
2 relative to most things that we look at on this committee  
3 and certainly most things looked at by the FDA in general, I  
4 am very comforted. What we have is an early, very small  
5 mortality effect, about which we are relatively certain. The  
6 p value says that a difference at least that big or greater  
7 would have happened by chance alone maybe 3.5 times out of  
8 100, which is pretty good. It is not great but it is pretty  
9 good.

10 We then follow the patients for six months and at  
11 the end of six months there is a little wiggle in that data  
12 for the two different conditions, but basically we don't see  
13 any evidence that things are changing by any dramatic  
14 amount. I would turn it around the other way, we are really  
15 trying to look at six months to see if things head in the  
16 wrong direction, and they really don't head in the wrong  
17 direction; they kind of stay the same. At least, that is the  
18 way we interpret it. I would point out that for most things  
19 we do we don't even have this kind of follow-up data. So, it  
20 is reassuring to me to see it doesn't go in the wrong  
21 direction.

22 Then, lastly, I would also, Marvin, as frequently  
23 we do, look at the PCI and ACS in just the opposite way.  
24 Since heterogeneity is always present, the question to me is  
25 not are they the same because we know they are not the same.