

1 for cardiovascular disease. I have a slide of those
2 specific codes if you would like to see them.

3 DR. RUSKIN: What I'm getting at -- I
4 don't want to waste your time or the committee's time.
5 What I'm getting at is that the definition of
6 cardiovascular disease can be very broad or very
7 narrow. A broad definition in this situation is not
8 terribly helpful because what you're interested in are
9 the highest risk subsets.

10 Those are patients with left ventricular
11 dysfunction and congestive heart failure primarily.
12 If there is any way that you could help me understand
13 what percentage or what numbers of patients, in fact,
14 had those two diagnoses, it would be helpful. Do you
15 have any LV function data for example? I wouldn't
16 expect that you would here but I have to ask the
17 question.

18 DR. HOLLISTER: We don't -- none of our
19 protocols involved determination of LV function but
20 certainly a number of the patients that entered our
21 protocols had histories of diagnoses, of heart
22 failure, left ventricular dysfunction and the like.
23 We can look at the historical data that was recorded
24 as patients entered into the study and provide that to
25 you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 DR. RUSKIN: It would be helpful to look
2 at the QT effects in the subset with congestive heart
3 failure in particular. This may have some importance
4 in treating some of the pneumonias because clearly
5 with the exacerbation of chronic bronchitis, there
6 will be a subset of those patients who have congestive
7 heart failure. It would be interesting to know what
8 the effects are.

9 The last two points are comments. One is
10 that although there was no mean change in QTc effect
11 in the setting of hypokalemia and hypocalcemia five
12 percent, one in 20 of your patients who were
13 hypocalcemic, had developed QTc prolongation of
14 greater than 60 milliseconds which is, I think,
15 important to emphasize and what you would expect with
16 an Ikr blocker and not trivial.

17 The last comment relates to the proposed
18 labeling which you read which surprised me a little
19 bit. I would just like to read it back to you and get
20 your comments.

21 The last sentence says, "Consequently
22 moxi. should be used with caution in patients with
23 congenital or acquired syndromes of QTc
24 prolongation..." and I underscore congenital or
25 acquired "...or in patients taking concomitant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 medications known to prolong the QTc interval Class IA
2 and Class III antiarrhythmics."

3 And you followed it with a statement that,
4 "We found no evidence of risk in these patient
5 populations." I would suggest that you haven't
6 studied those patient populations. That, I think, is
7 the core of this issue, and that is that the highest
8 risk patients are patients about whom we have no data
9 here. You have no information.

10 I didn't see one patient in this database
11 who had congenital long QT syndrome. You've got three
12 in the entire database who were exposed to Class III
13 antiarrhythmic agents. That's just a fact of life.
14 That's the nature of the patient population in
15 conjunction with the constraints that you set on the
16 protocol.

17 We need to, I think, restrain our
18 comments, or at least confine our comments to the
19 database that exists and you don't have the data to
20 make that statement.

21 DR. HOLLISTER: I meant to be sure to say
22 that with the limits of our database we found no
23 effect in those admittedly small numbers of patients.
24 Again, they are **small** numbers.

25 DR. RUSKIN: You have no database there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You have no database. You don't have a single patient
2 with long QT syndrome. You have three patients on
3 antiarrhythmic agents. There is no database on that
4 subset. This is a beautifully worked up drug. You
5 deserve to be congratulated. I think you've done a
6 wonderful job of evaluating it. The comments, I
7 think, that you make should be confined to the data
8 that you have, not data that you don't have.

9 DR. HOLLISTER: I agree.

10 DR. RELLER: Dr. Battinelli.

11 DR. BATTINELLI: I just wanted to as a
12 practicing clinician in some ways amplify what Dr.
13 Ruskin said and point out another piece of -- another
14 problem with that data on the 61 patients. I would be
15 concerned about patients on a variety of medications
16 as most of my patients are. I would agree with some
17 of the others that we're not going to measure the QTc
18 on every single person who comes in for an upper or
19 lower respiratory tract infection.

20 Dr. Ruskin was concerned that there were
21 only three patients on Class III agents. If you look
22 at the drugs that you listed, you listed over 33 drugs
23 and only had an N of 61. There was no patient there
24 on more than one or two at best. I would be concerned
25 with other drugs that are commonly used for long

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 periods of time such as cisapride and some of the
2 others.

3 DR. HOLLISTER: I agree. The numbers
4 often times were one or maybe two in an individual
5 patient. The number of individuals with those drugs
6 was small.

7 DR. RELLER: Dr. Rodvold.

8 DR. RODVOLD: To follow-up with their
9 comments, did you change your protocols to exclude
10 people with cardiovascular diseases or any type of
11 diseases associated with arrhythmias after you found
12 this in your Phase I work?

13 DR. HOLLISTER: No, we did not. The
14 exclusions were for the antiarrhythmic drugs.

15 DR. RODVOLD: But any cardiovascular
16 disease anyone had, they could enroll?

17 DR. HOLLISTER: That's correct.

18 DR. RELLER: Dr. Christie.

19 DR. CHRISTIE: I have a question in Dr.
20 Church's detail. She indicated that six patients who
21 were treated with moxifloxacin had atrial fibrillation
22 versus none of the controls. I just wondered what
23 happened to those patients? What were the clinical
24 outcomes? If she could tell us more about those six
25 patients, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOLLISTER: Okay. I have information
2 on those patients. In the largest database we had a
3 total of 13 patients experienced atrial fibrillation
4 either during therapy or within 30 days after therapy
5 with moxifloxacin. That is in contrast to two
6 patients that we identified in the comparator drugs.

7 Of those eight patients that experienced
8 atrial fibrillation during therapy, and we define that
9 as up to 24 hours after termination of the last dose
10 of moxifloxacin, six of them had histories of atrial
11 fibrillation. Four of them were on antiarrhythmic
12 drugs that are commonly used for atrial fibrillation.
13 There were no adverse events associated with those.

14 DR. RELER: Dr. Platt.

15 DR. PLATT: I'd like to ask you two
16 questions about the concentration effects on QTc.
17 Also about the outliers. First you said that on
18 average the QTc prolongation was six milliseconds plus
19 or minus 26. That's a standard deviation. Would it
20 also be fair to say that something on the order or two
21 or two and a half percent of people exposed to the
22 drug have QTc prolongations greater than 60
23 milliseconds? Am I understanding that data correctly?

24 DR. HOLLISTER: The number for our all-
25 paired data set were 10 subjects had QT prolongations

1 more than 60 milliseconds on moxifloxacin 400.

2 DR. PLATT: Well, there's another slide
3 you showed that it was something like 1.7 percent, I
4 think, had --

5 DR. HOLLISTER: Yeah, 10 divided by 787 is
6 about 1.3 or 1.2.

7 DR. PLATT: Which is consonant with that
8 standard deviation you showed; that is, among all
9 comers a couple of percent might have those
10 prolongations that are above the 60 millisecond cutoff
11 for whatever 60 milliseconds is worth. I just want to
12 make sure I'm understanding properly what you're
13 saying.

14 DR. HOLLISTER: Yes, that's true. It
15 might be useful to ask what's the comparable
16 percentage for those comparator drugs because in that
17 same slide it looked as though it was a four to one
18 excess with prolongations of 60 milliseconds or more.

19 DR. PLATT: That takes me into my question
20 about concentration effects. That regression line you
21 put up, if I squint at it properly, it looks as
22 though at the upper end there was maybe a 20
23 millisecond increase in the fitted line. Was I
24 reading that properly?

25 DR. HOLLISTER: No. Perhaps better than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 just looking at the QT would be the delta QT so that
2 you've got in-patient comparisons because that's what
3 the delta of six milliseconds is.

4 DR. PLATT: Right. Well, in the briefing
5 documents we were sent, there was actually a graph
6 that showed delta QTc and it looked not unlike that
7 regression line you showed but it did have -- it
8 looked as though it was pointing to 20 milliseconds at
9 concentrations of 4,000 to 5,000 micrograms.

10 I'm going toward this same question. If
11 4,000 to 5,000 micrograms per mil. is the steady state
12 concentration expected by the end of a treatment
13 regimen, is that --

14 DR. HOLLISTER: The Cmax.

15 DR. PLATT: Right. What proportion of
16 individuals -- putting that data together, what
17 proportion of individuals who have concentrations of
18 4,000 to 5,000 micrograms would be expected to have a
19 delta QTc of 60 milliseconds or more? Can you predict
20 that from the data that you have?

21 DR. HOLLISTER: Only in a way, I think.
22 You know, we tried to obtain the EKGs at or near the
23 Cmax concentration. That range was broad in the
24 database so I can't say that every one was obtained
25 there. In the database in which we were attempting to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obtain a Cmax EKG, the mean change was six
2 milliseconds. In the more tightly controlled Phase I
3 studies, you see the regression line there. Maybe I
4 should show the delta QT because there may be some
5 very interesting biology on that.

6 Jim, maybe you can help me find the delta
7 QTc change.

8 Because it's interesting that at very low
9 concentrations of drug it's negative so that when
10 you're at or around the therapeutic --

11 Okay. Renée, that's slide No. 45 on
12 carousel five.

13 At around the what we think are the Cmax
14 concentrations that are going to be achieved with
15 steady state, the slope actually is for delta right in
16 the range that we found it with the Phase III
17 database.

18 Here I showed you earlier the QTc. This
19 is the delta QTc. Here's the zero time point and out
20 this direction here with milligrams per liter of the
21 drug concentration. Again, a lot of scatter in the
22 data. Most of our Cmaxes are going to be falling in
23 this range of the data. You know, as well as this
24 regression line can predict what the change is with
25 all the variability that you see in the scatter there,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 our finding with the large database was it was around
2 six.

3 DR. PLATT: Just one more comment and then
4 I'll relinquish the microphone. It seems to me that
5 these data are quite consistent with the clinical data
6 you showed but show the tyranny of small numbers.
7 That is, if you plotted the 95 percent confidence
8 intervals around the upper range of doses, what
9 proportion of people would have delta QTc that are in
10 the range that could be worrisome?

11 It's likely that if you treat a lot of
12 people, you'll have a small fraction which is a
13 substantial number in that four to five range. For
14 those who are more than one or two standard deviations
15 above, that still may be a lot of people. It would be
16 useful to know how many that is and what kind of QTc
17 prolongations you could expect for them.

18 DR. HOLLISTER: The numbers that we have
19 from the all-paired valid EKG database are 10 of 787
20 met that criteria of being greater than 60
21 milliseconds prolonged. That might give you the
22 proportion of people that might be above this number
23 at therapeutic concentrations of the drug.

24 We have our largest database with
25 consequent problems in terms of the EKG

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interpretation. That number is about 23 out of the
2 1,200 patients meet that criteria, but the noise is
3 much larger in the comparator group, too, so they come
4 up a great deal also.

5 I think your earlier point about these are
6 variable measures and it's hard to ascribe huge
7 effects to measure that has a variability that's four
8 to five times the measure.

9 DR. RELLER: Dr. Murray.

10 DR. MURRAY: Just one. You made a comment
11 that this had not been studied for prolonged periods
12 of time. Do you have any reason to suspect that
13 prolonged administration would lead somehow
14 cumulatively to an effect on the QT?

15 The reason I ask is because there is a
16 tendency once the drug is out there on the market to
17 use it for a number of nonapproved indications. One
18 I can think of immediately is osteomyelitis with a
19 staphylococcus where fluoroquinolone might be used for
20 prolonged therapy. One would theoretically think of
21 using the most active in vitro one on the market since
22 few have been studied for osteo.

23 Do you have any sort of information on
24 prolonged therapy or would this need to be addressed
25 in the labeling?

1 DR. HOLLISTER: Obviously we're not going
2 for that kind of labeling or those indications. We do
3 have very limited numbers of patients that have been
4 studied for more than 12 days, I believe, in our
5 database. In terms of the effect on QT, we don't have
6 data. All I can say as a clinical pharmacologist is
7 we don't have those issues of drug accumulation going
8 on here. If there is a relationship between drug
9 concentration and the effect on QT, then the maximum
10 effect that we get is unlikely to be any worse.

11 Another way of approach that would be some
12 of our Phase I trials where we did acute
13 administration and then chronic administration of the
14 drug. On average the change in QT reduced slightly
15 with chronic administration but those were not the
16 length of time that you're talking about for some of
17 these very chronic illnesses.

18 DR. MURRAY: Thank you. And I have not as
19 a question to answer now but perhaps to just let the
20 sponsor know I would be asking it later, or would like
21 it hear it addressed later in the context of -- and
22 some of this may come up in the FDA's presentation --
23 in the context of assessing the strength of efficacy
24 in general for pneumococcus which might allow us to
25 have a sense of the strength of efficacy against

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 resistant organisms.

2 I would be interested in a review, perhaps
3 in the afternoon or in the question/answer session
4 then, the total number of pneumococci in the CAP
5 studies and how many of those were bacteremic. And an
6 assessment of the severity of the illness of people
7 that were treated with moxifloxacin broken out, in
8 fact, in terms of penicillin resistance and
9 intermediate much as we heard yesterday.

10 I don't expect you to throw that out now
11 but it would be something that -- and some of it may
12 come out in the FDA presentation.

13 DR. HOLLISTER: I believe Dr. Meyerhoff
14 will have some information on that. We have
15 additional information that we can provide you on that
16 in the afternoon.

17 DR. RELLER: Dr. Christie.

18 DR. CHRISTIE: This drug was not tested in
19 children but once it is approved, it will be used in
20 children. MY concern was would you expect any
21 cardiovascular problems or any problems in children
22 once the drug is approved?

23 DR. HOLLISTER: Well, you are correct that
24 the drug has not been studied in children. We have
25 toxicological data in young animals that indicate that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it shares with many of the other fluoroquinolones,
2 some of the effects on joints that are found with
3 other fluoroquinolones and represent a relative
4 contraindication. With respect to the cardiovascular
5 effects or EKG effects, QT effects, we have no
6 information.

7 DR. CHRISTIE: What can we do about that?

8 MR. CALCAGNI: In accordance with the
9 pediatric regulations, we'll have further discussions
10 with the FDA. There are requirements as of April of
11 this year to certainly study any drug that potentially
12 will be used in children. It was not indicated for
13 children and we're not requesting it, but consistent
14 and in the spirit of evaluating drugs, we will be
15 looking at it. We can't put it in our labeling
16 because we did not study it at this time.

17 DR. RELLER: Dr. Parsonnet.

18 DR. PARSONNET: I have a few questions
19 very much related to what Dr. Platt had asked. It
20 relates to some of the outliers. You had it looks to
21 me a difference in the number of outliers, at least by
22 the CPMP criteria that you talked about, the greater
23 than 500 milliseconds and the greater than 60
24 millisecond change. I was wondering in those two
25 categories you had 10 in one and three in the other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There were three who had longer than 500 milliseconds
2 and 10 in the other group. Whether they were the same
3 people, the total was 13?

4 DR. HOLLISTER: Yes. Those categories are
5 nonexclusive categories so, in fact, someone who met
6 a criterion to be greater than 500 milliseconds often
7 times met one of those other criteria, either greater
8 than 60 or greater than 30 to an abnormal value.

9 DR. PARSONNET: Well, that's what I'm
10 asking. I'm asking was that the case. How many of
11 those three were also in that group of 10?

12 DR. HOLLISTER: I would have to look at
13 the data. I can't answer right off the top of my
14 head.

15 DR. PARSONNET: And I guess that then
16 comes to the question that I have which is it seems to
17 me to some degree looking at your dose response, that
18 regression curve that you did, that there is some
19 degree of idiosyncrasy to the prolongation of the QT.

20 Some of your people in your very low
21 categories had very prolonged QTs and that this may
22 not be in this respect having a sort of -- and also
23 it's not clear to me that this is a normally
24 distributed variable. That there aren't some people
25 that your means don't reflect, that most people don't

1 change at all but some people change a lot.

2 I was wondering whether -- and this is a
3 question as well for my consultants -- whether, No. 1,
4 the data of QT prolongation are normally distributed
5 and, No. 2, what we are really interested in is the
6 proportion of people who are those outliers as opposed
7 to this mean difference which really to a clinician
8 may be really have much meaning.

9 DR. HOLLISTER: We analyzed our data both
10 in terms of the mean change in QT as well as frequency
11 of outliers and all those data are available to you.
12 I believe Dr. Morganroth can comment about the
13 distribution of QT prolongation and it's variable.

14 DR. MORGANROTH: The small change in a
15 mean QTc duration is not terribly meaningful to
16 clinicians, just as you say, particularly when you're
17 dealing with numbers in the one to 10 millisecond
18 range which are not clinically easy to measure and
19 generally are not measured to that specificity.

20 But when you see in a drug development
21 program in several hundred to 1,000 plus patients a
22 small three millisecond, six millisecond change in
23 QTc, the real question, I think, is whether it's real
24 or not or whether it is -- is it really an effect on
25 cardiac repolarization. That's where one goes back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 look at some of the preclinical potassium channel
2 information that might be useful.

3 Also to look at the outliers analysis
4 because, in fact, if the drug is inducing a QT change
5 and the QT change is not spontaneous variability,
6 which is what accounts for most of that distribution
7 you see on the concentration QTc graph in which we
8 don't believe the data are normally distributed for
9 that reason, then the outlier percentages are a guide,
10 if you will, as to the likelihood that, in fact, the
11 drug is causing a QT change versus that it's not when
12 you are dealing with very small millisecond change.

13 I look at the data somewhat differently
14 than it's been presented by Dr. Hollister. When I see
15 a 60 millisecond category in which you have 1.3
16 percent of the drug under consideration meeting that
17 criteria in .3 percent of the "control" group, in this
18 case comparators rather than placebo, while that is
19 statistically probably not different, to me it's
20 meaningful in the sense that there is a difference
21 numerically that is used as a supportive mechanism
22 with IK channel data to suggest that a six millisecond
23 effect is real meaning it's more likely real than not
24 meaning it's more likely caused by the drug or not.

25 As someone over here suggested, the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 way that I look at it is what percentage of patients
2 being treated by the drug are going to have a change
3 in the QTc that's more likely due to the drug than
4 spontaneous variability. I think the answer for this
5 drug, and for many drugs of this nature, is in the two
6 to three percent range of patients, which is somewhat
7 comforting because that suggests that 97½ percent of
8 the patients are not going to have it.

9 The other issue which is very important is
10 concomitant drug medication that prolong the QT. In
11 the database of this nature, and frankly in almost all
12 the databases, there isn't sufficient numbers of
13 patients on cisapride or amiodarone or sotalol to
14 really come to any data driven conclusion, it becomes
15 an issue of wobbling in caution.

16 Just like the pediatric issue. We don't
17 have data on pediatrics but we still have to do
18 something or say something about it. I think the same
19 thing holds here. Does that answer your question?

20 DR. PARSONNET: It answers my question
21 somewhat but my concern still is that actually I think
22 that 1.3 percent versus .3 percent may be
23 statistically significantly different. I also am
24 concerned that the way this is being presented,
25 especially in the way that you are proposing in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 labeling, is not as informative to the physicians
2 using it than actually providing that percentage of
3 people who have a dangerously -- what is considered to
4 be a potentially dangerous prolongation of QT.

5 DR. MORGANROTH: The only comment I would
6 make is to say that having a 60 millisecond change
7 shouldn't be looked at as necessarily a dangerous
8 level. It's a level picked by CPMP to suggest that
9 it's due to the drug versus spontaneous variability.
10 Remember, normal healthy persons can have a 75
11 millisecond change over the day.

12 The really salutary effect is that this
13 drug is only going to be used for five or 10 days.
14 You take an antihistamine and it might be used for
15 weeks or months or other drugs that may be used for
16 years. Then a 60 millisecond change chronically which
17 may be, of course, more or less depending on the time
18 of day, would be much more important. I think that's
19 another factor you should consider.

20 But I agree with you. I think that it's
21 important to know the percentage of patients that
22 reach certain criteria and what the likelihood that
23 the drug is, in fact, causing the QT rather than not
24 at all affecting the QT because just a six millisecond
25 change is something that most clinicians would think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is ridiculously trivial. In this case I think it's an
2 effect that needs to be understood so that concomitant
3 medication use can be appropriately guided.

4 DR. RELLER: Dr. Ruskin.

5 DR. RUSKIN: I would agree with those
6 comments and perhaps state it a little differently.
7 That is, a small change in mean QT is very difficult
8 to interpret. The outliers are really the only
9 subsets of interest. What is critical about looking
10 at an agent that you think may affect the QT is what
11 its ion channel profile is. What you know about this
12 drug is that it's an Ikr blocker and that it is both
13 dose and concentration dependent. Those are very
14 important properties.

15 The effects appear to be relatively small
16 but the fact is it's an Ikr blocker. A weak Ikr
17 blocker will not cause a problem in the vast majority
18 of patients to whom it's given. The only patients in
19 whom we have concerns are high-risk subsets. Those
20 are patients who have what Dan Roden has called
21 reduced repolarization reserve.

22 That is, people with ion channel
23 genetically based ion channel abnormalities that
24 predispose them to agents that block Ikr to predispose
25 them to problems with ion channel blocking agents; (2)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 People at particularly high risk, elderly females with
2 structural heart disease; and (3) people on
3 concomitant Ikr blockers for other reasons. Those are
4 the subsets in whom we have no data here.

5 I think that when you make a decision
6 about whether or not you use a drug in large
7 populations of patients, you have to be influenced by
8 the benefits and the risks. If you have a drug that
9 clearly as a favorable profile as this one does, you
10 may decide that the benefits outweigh what is probably
11 a very small risk but you want to be intelligent about
12 how you label it.

13 That was the reason for my comments to Dr.
14 Hollister about the proposed labeling. There is
15 absolutely no data here to suggest that this drug
16 should be used with caution in people with congenial
17 long QT or concomitant QT prolongation. It just
18 shouldn't be used with them period. I think to answer
19 your question, the outliers are the critical subset
20 and they are the subset about whom we have little to
21 no data. That's where the concern lies.

22 You can do a guesstimate of the upper
23 bound if you assume about 60,000 person weeks or --
24 no, 6,000 person weeks of exposure here. The upper 95
25 percent boundary for potential events here is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 somewhere around five per 100 -- no, five for 10,000.

2 The event rate here could be as high as
3 one in 2,000. It's probably a lot lower than that but
4 you can't exclude the possibility of, say, two events
5 in

6 -- excuse me, one event in 2,000 uses of this drug
7 based on this data in this relatively low-risk subset.
8 That's the problem that you're faces with in grappling
9 with this issue. You've got short duration exposures
10 which is almost a favorable thing but a very limiting
11 feature in terms of assessing risk.

12 DR. RELLER: Dr. Temple.

13 DR. TEMPLE: Can I just ask Jeremy
14 something? In these people who might be at greater
15 risk, is what you would expect to see a larger effect
16 on QT or a different consequence of a given QT effect?

17 For example, we know that people on
18 diuretics are more susceptible to getting torsade from
19 a drug that is capable of causing it. But is that
20 because their QT effect is larger or because they are
21 more susceptible to whatever is there already?

22 DR. RUSKIN: I think it can be both, Bob.
23 I think that clearly you do tend to see longer QTs in
24 people who have other predisposing factors like
25 hypokalemia or other drugs that block Ikr. There are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly cases of torsade that occur without dramatic
2 QT prolongation so it's not the QT and I think Joel
3 said this, or someone in one of their presentations.

4 It may actually have been Dr. Hollister
5 who said very clearly that the degree of QT
6 prolongation is neither necessary nor sufficient to
7 predict risk. It's a factor but it's certainly not
8 the only factor. you can see torsade with even modest
9 degrees of QT prolongation in some patients. Most
10 people who develop drug induced torsade have QTc in
11 the range of 500 or greater but by no means all.

12 DR. TEMPLE: That goes a little to how one
13 might study the interaction. If you have to get an
14 event to learn something, that makes it very
15 difficult. If you simply have to look at the high-
16 risk people and see whether their QT was more
17 prolonged than other people, you could actually study
18 that.

19 DR. RUSKIN: I think it makes it very,
20 very difficult to study. That's why you're left with
21 using some sort of guesstimate of a risk benefit ratio
22 never really getting a tight grip on the risk. You've
23 seen this with the antiarrhythmics that work by
24 prolonging the QT interval and even there we have
25 trouble estimating what the real risks are.

1 DR. RELLER: Dr. Soper.

2 DR. SOPER: As a follow-up to Dr.
3 Christie's question, is this drug safe to give
4 reproductive age group women who may be pregnant but
5 who don't know it? Has there been any inadvertent
6 administration to this drug to a pregnant woman and do
7 you have any outcome data?

8 DR. HOLLISTER: I don't have anything.
9 Dr. Eckhard van Keutz is the toxicologist who is
10 associated with the development of this drug and he
11 can answer that question in terms of the animal.

12 DR. VAN KEUTZ: We have started
13 moxifloxacin in the normal range of reproduction
14 toxicity studies and we have performed studies in rats
15 as well as in monkeys. In none of these animal
16 species we have seen any indication of teratogenicity
17 but we have seen signs of embryo toxicity. This
18 occurred only at dosages which were already maternally
19 toxic.

20 This is certainly not a direct affect of
21 the drug but it's indirect or a secondary affect due
22 to the maternal toxicity. In addition, we have seen
23 in the monkey study, again at a maternally toxic dose,
24 an increased rate of abortions but, again, no
25 teratogenicity.

1 DR. SOPER: You talked about the
2 arthropathy in small children. Can you explain on
3 that a little bit? Or in small animals, I guess.

4 DR. VAN KEUTZ: Okay. We have performed
5 this typical young beagle dog toxicity study and the
6 outcome of this study was that moxifloxacin is a very
7 typical quinolone which means that we have induced
8 these very well known damages to the joints at a dose
9 of 30 milligram per kilogram which caused problems to
10 P concentration and the plasma of approximately eight
11 milligrams per liter.

12 I think at this athrotoxic concentration
13 we are in the range of the other quinolones. There's
14 nothing which has surprised us. For us it would be a
15 surprise to have a quinolone which was not inducing
16 the typical athrotoxicity.

17 DR. RELLER: Dr. Norden.

18 DR. NORDEN: I think we've all -- at least
19 I've learned much more about QT than I ever thought I
20 would know or want to know. I am concerned and Dr.
21 Ruskin has been very helpful. One of the questions I
22 have for the FDA grepafloxacin and sparfloxacin, which
23 are both approved, are listed in the slides as having
24 prolonged QT intervals of the same or slightly longer
25 duration if you just look at the mean.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Do we have data on them? I mean, were
2 they studied in the same way or examined? Do we have
3 any experience that would help us in terms of
4 evaluating this quinolone?

5 DR. NORDEN: I think that the comment that
6 was made by Dr. Ruskin with regards to the company's
7 efforts here in terms of studying this phenomena are
8 certainly accurately. I think that, as far as I know,
9 neither sparfloxacin nor grepafloxacin was studied at
10 nearly the same degree of intensity. I think that is
11 obviously an issue that we need to assess once we
12 hopefully, as part of this meeting, get a framework
13 for.

14 You'll notice that one of our last
15 questions to the committee this afternoon is about
16 talking a little more -- and there has already been
17 some discussion about the parameters that are
18 appropriate for anti-infectives and, in truth,
19 probably for noncardiac agents -- to look at and the
20 question will come up about assessing some of these
21 other drugs.

22 There is some information about QT changes
23 on sparfloxacin. I know some was done. We were not
24 involved in that assessment. When I say we meaning
25 current division who is reviewing this product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As far as grepafloxacin, there was some
2 information. You saw the QT prolongation. On the
3 other hand, if I recall correctly, grepafloxacin did
4 not seem to have in the initial data the same exposure
5 prolongation relationship that, for instance, has been
6 seen with this product. That may, in fact, be a
7 little different.

8 I think it's fair to say that what Bayer
9 has done for moxifloxacin probably sets a new standard
10 for the overall evaluation of products, certainly
11 anti-infective products, with QT prolongation. The
12 question is how to sort of use some of this to apply
13 to new products and perhaps at some level to products
14 that are already approved. As was also noted, this QT
15 prolongation is not limited to the fluoroquinolones.
16 There are issues, in particular, with the macrolides
17 that may well also have to be subsequently assessed a
18 little more.

19 DR. KWEDER: I actually have a question
20 for Dr. Hollister. Some of the slides that you've
21 showed looking at the pharmacokinetics of the 400
22 milligram dose, as well as some of the ratios that
23 you've looked at, the Cmax MIC 90 really do show, you
24 know, that fall well above the eight to 10 range for
25 strep. pneumo indicate that you've got a pretty wide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 margin for expected efficacy.

2 When you take that in combination with the
3 suggestion of a concentration effect that dose matters
4 for risk of QT prolongation, and the fact that you
5 also -- it's interesting that you also have some older
6 studies of the 200 milligram dose that showed some
7 degree of efficacy.

8 When you presented your QT data, it was
9 for all moxi. studies. Do you have any data on QT at
10 the -- even the mean QT which we think may not be the
11 ticket here, but do you have anything that teases out
12 a distinction between that the 200 milligram dose and
13 400 milligram dose?

14 DR. HOLLISTER: I can let Dr. Church
15 comment because the studies that you are referring to
16 or the parameters that you are referring to were part
17 of her presentation. We do have some data at the 200
18 milligram dose level but that dose level was abandoned
19 because in our Phase II trials we didn't think we had
20 adequate efficacy at that concentration.

21 DR. KWEDER: Right. I guess I'm thinking
22 more about the linear pharmacokinetics here. There
23 appears to be a linear effect of the QT prolongation
24 as well. I'm just wondering if you have any data at
25 any other dose of moxi. other than the 400 milligrams

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005.3701

1 on the QT issue.

2 DR. HOLLISTER: The progression slide that
3 I showed you was our Phase I database where doses
4 ranged from 50 milligrams to 800 milligrams. We've
5 also included in the NDA and the special safety
6 section on QT the IV data plotted in the same fashion
7 indicating that it does look like it's a similar
8 relationship throughout.

9 DR. KWEDER: Right. And I'm just asking
10 about in the other clinical studies, the non Phase I
11 did you have any data? Your mean QT changes come from
12 the clinical trials. Did you have any of that data
13 from the 200 milligrams or were those studies -- I
14 gather that they were already completed by the time
15 you began to look at this issue in the clinical trials
16 beyond the Phase I PK data.

17 DR. HOLLISTER: We do have limited
18 information on the QT effect. In our clinical
19 pharmacology studies we did have a dose group with 200
20 milligrams orally during which we obtained Cmax EKGs
21 and determined the QT interval there.

22 The 37 subjects that were in that trial
23 had a mean change of 4 plus or minus 18 so it was
24 less. I think it's probably better yet, though, to
25 sort of use the regression because that more directly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relates the drug concentration with the effect on QT.

2 DR. RELLE: Dr. Danner.

3 DR. DANNER: Do you have data on how this
4 drug affects potassium and magnesium excretion from
5 the kidney? The second question, in terms of the
6 accumulation of this drug in tissues, over what time
7 period does that occur? What is the half life in
8 tissues? Is it likely if people use the drug for
9 longer periods of time that the drug would continue to
10 accumulate and levels would continue to rise in
11 tissues?

12 DR. HOLLISTER: We do have some tissue
13 accumulation studies in Phase I and Phase II and in
14 small numbers of patients multiple time points. We
15 also have a dialysis and skeletal muscle study. Most
16 of those studies, however, were done with a single
17 dose administration of very short term.

18 There is, as Dr. Church showed you,
19 considerable accumulation in pulmonary tissues which
20 is helpful in this sort of setting. Our data for
21 skeletal muscle is that the concentrations reached in
22 skeletal muscle are about 80 percent of the plasma
23 concentrations of the drug.

24 We don't have data to address the
25 possibility of long-term accumulation in tissues but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005.3701

1 for skeletal muscle the ratios are less than plasma
2 concentrations.

3 We do have from clinical pharmacology Dr.
4 John Lettieri who can also comment on some of those
5 data.

6 DR. LETTIERI: I'll just make the comment
7 that, in fact, the half life from tissue is the same
8 as the parent drug, about 12 hours, so there wouldn't
9 be accumulation beyond what you see with the single
10 dose.

11 DR. RELER: We're running short on time.
12 We will big up some with a slightly reduced lunch
13 hour. I would like to close this portion of it before
14 the break with one final query because it directly
15 relates to the sponsor's presentation and proposals.

16 Dr. Hollister, given the limits of
17 interpretation that have been expressed of the
18 clinical importance of the mean QTc prolongation in a
19 general population as opposed to a subset, could you
20 share the sponsor's rationale for proposing the
21 comparison and the proposed QT labeling, the
22 comparison with a single comparator agent,
23 clarithromycin. What was the thinking there?

24 DR. HOLLISTER: The inclusion of
25 clarithromycin was because that was used in the larger

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 scale studies as the comparator drug.

2 DR. RELLER: Thank you. We will break for
3 15 minutes and then reconvene.

4 (Whereupon, at 11:00 a.m. off the record
5 until 11:16 a.m.1

6 DR. RELLER: I'd like to ask everybody to
7 take their seats so that we can begin the next portion
8 of our -- the last portion of our morning session. As
9 always happens on the second day of a two-day meeting,
10 there are those who must meet flights before the final
11 hour and we want to have ample time for fair
12 discussion and to address the specific questions asked
13 of the advisory committee by the FDA.

14 I would like now to ask Dr. Robert Temple
15 to initiate the FDA presentation.

16 DR. TEMPLE: Thank you and good morning.
17 It's still morning. How's that? Okay. That's okay.
18 I'll just use this. I don't have any slides today and
19 I would be surprised if I can tell you anything that
20 Dr. Ruskin and others cannot but let me talk a little
21 bit about QT prolongation and what it means to us.

22 QT prolongation and the ability of drugs
23 to do that, to cause torsade and sudden death, is
24 clearly one of the most important adverse consequences
25 of drugs. It has lead to withdrawal of some therapies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and severe limitations of use.

2 It's a relatively new bad effect of drugs.
3 Wasn't really recognized, to my best knowledge, until
4 about 1982 when we encountered a calcium channel
5 blocker called lidoflazine. We were very close to
6 approving it when I ran into an English antiarrhythmic
7 specialist named Dennis Krickler at a meeting and
8 mentioned the drug and he said, "Oh, kills people,
9 doesn't it?"

10 Whereupon, we looked and found in the
11 literature plentiful evidence that at least in people
12 who had recently been converted from atrial
13 fibrillation it caused plenty of nasty arrhythmias.
14 Actually, the arrhythmias were published in the
15 journal article and were obviously torsade, although,
16 as far as I know, it wasn't named yet. There they
17 were. Even an amateur could recognize them.

18 It's clearly a growth industry. Since the
19 early day of lidoflazine and sotalol and things like
20 that we've discovered dozens and dozens of drugs that
21 have at least some property of this kind which has
22 made everybody extremely nervous because it isn't
23 clear what to do about this phenomena.

24 Drugs with the capacity to block
25 appropriate channels and cause QT prolongation show up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in every drug class. Terfenadine and astemizole are
2 antihistamines with major effects depending on the
3 dose and depending on whether you interfere with their
4 metabolism.

5 Thioridazine, sertindol, pimozide, other
6 antipsychotics seem to have some effects of this kind.
7 Type III antiarrhythmics do, of course, by definition
8 because that's what they do. Some of those effects
9 are very large. Nonetheless, some of those drugs are
10 used usually to treat bad arrhythmias.

11 Calcium channel blockers sometimes have
12 this property. Lidoflazine did. Bepridil does. As
13 a consequence, it's reserved for people whose angina
14 doesn't respond to anything else. There was once a
15 drug in Europe called prenylamine which was one of the
16 first drugs ever discovered with this property, sort
17 of a landmark drug.

18 Drugs that alter GI motility like
19 cisapride and domperidone have QT activities.
20 Ketanserin is an anti-hypertensive serotonin
21 antagonist. The list goes on and on. I'm not sure
22 everything on the list that Joel found is real but
23 there are candidates in every category.

24 From a regulator's point of view, it's
25 very difficult to know what to do with these. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 poles of view, I would say, are that once you discover
2 this property, you are really in trouble. That's one
3 because it's hard to know how to quantify the risk.
4 The other pole would be dose matters, concentration
5 matters, size of the effect matters. It's hard to
6 know where to come out in these because the experience
7 isn't good enough on the cases that are difficult.
8 What is also obvious is that what the drug is for
9 always matters and how good it is always matters.

10 I should say it's not clear to me, maybe
11 people here can say, whether we should be looking at
12 corrected QT or QTc. All the bad actors I know affect
13 both. I have never understood the logic of thinking
14 that corrected QT is the better measure. The reason
15 for correcting QTs are historical and arose long
16 before anybody began thinking about blocking potassium
17 currents.

18 The clearly bad actors, I think it's fair
19 to say, all prolong both QT and QTc and have pretty
20 good sized effects when you look at mean effects, in
21 the neighborhood of 20 milliseconds or so. Now, that
22 may be what they do by themselves or it may be what
23 they do when you inhibit their metabolism so that the
24 concentration goes up.

25 The drugs of that kind are drugs like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sotalol and bepridil and other Type III
2 antiarrhythmics. The effects are so prominent with
3 those drugs that you actually see cases of torsade in
4 the NDA database. It's not hard to detect these.
5 If metabolism blockage is required, you won't see
6 those in the NDA database because that probably won't
7 happen.

8 There is concern that factors other than
9 the absolute size of the QT prolongation matter that
10 susceptibility can be enhanced and that there may be
11 an interaction. How well worked up this is not so
12 clear to me. But we do have several examples. A drug
13 called ketanserin, a serotonin antagonist, was put
14 into a very large study, an outcome mortality study
15 of patients with peripheral vascular disease.

16 What was discovered was a profound
17 increase in mortality in the patients who happened to
18 be on a diuretic. I don't think, but I'm not sure,
19 that was because their QT was affected more. I
20 believe it's because the consequence of the QT
21 prolongation effect of the ketanserin was more severe.
22 There are other examples in which hypokalemia has
23 triggered events that perhaps were not present without
24 that.

25 It's also possible that some underlying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 conditions, as Jeremy said, like congestive heart
2 failure may exaggerate the response to a given
3 increase. There may be an interaction of patient
4 substrate and the size of the effect and it's very
5 hard to say that's true.

6 As I said, there's a point of view that
7 says that any evidence of QT prolonging effect means
8 that we are going to have major trouble. The reasons
9 for thinking that are several. One is that every drug
10 known to cause torsade which, by definition requires
11 QT prolongation, is also associated with cases of
12 polymorphic ventricular tachycardia which is without
13 QT prolongation. That makes you wonder what the
14 precise mechanism is.

15 Another reason is the general
16 philosophical view that those responses for most
17 events are continuous and they don't drop off to
18 nothing usually, although there must be some things
19 with thresholds.

20 It also seems likely, but how well studied
21 this is is not clear to me, that when the drug with a
22 small effect is used with another drug that also has
23 the same effect or is used in people who are unusually
24 susceptible, there will be an interaction so that even
25 a drug with a small effect might cause trouble. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not sure how well studied that is but it doesn't seem
2 unlikely.

3 Probably most important is as a number of
4 people discussed and Dr. Platt suggested, it isn't the
5 mean that matters. It's how you affect the
6 distribution. It may be that we should start
7 representing QT effects not as means but as either
8 cumulative distributions to see what fraction of
9 people have a change or more than a certain size, or
10 the bell shaped distribution, and then look at what
11 the tails are.

12 It seems likely if the mean is very small,
13 one millisecond or so, you may not be able to see much
14 effect on the tail but that does seem where people
15 should look. By definition, if you change the
16 meaning, you will probably change it in the
17 distribution. It seems likely that more people will
18 get into a danger range and that's probably what
19 everybody should focus on.

20 Anyway, those are all reasons for thinking
21 that any effect might be something to worry about. I
22 wouldn't dismiss that but I think there is another
23 point of view that is also supported by some data.
24 It's fairly clearly that there's a dose response
25 relationship in most cases between QT prolongation and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose, or concentration. That would be better. There
2 is some relationship at least between the effect on
3 the QT and how much trouble you get into.

4 The reason can even address that question
5 -- sorry. The problem here is that while QT effects
6 are frequent and easily detected in clinical trials,
7 causing torsade is rare and it's not easy to detect
8 that NDA databases. But there is one advantage we
9 have here. That is that torsade is virtually
10 pathomnemonic of an effect on one or another of the
11 ion channels. It's readily detected as a problem.

12 It's, therefore, rather much as aplastic
13 anemia, agranulocytosis, and things like that are.
14 It's fairly readily attributed to drugs. People
15 notice this and they immediately worry about what drug
16 people are on and then report it to us.

17 So it seems at least likely that you can
18 learn about whether drugs have the ability to cause
19 torsade by looking for torsade in the post-marketing
20 period. It's a relatively favorable one. Sudden
21 death, on the other hand, is extremely difficult
22 because many things cause sudden death but not that
23 many things cause torsade.

24 So the marketing experience with some of
25 these drugs it seems to me matters. Now, we know, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example, for terfenadine, that it's extremely easy to
2 discover. Not that we discovered this rapidly. We
3 should have but now we know more. It's extremely easy
4 to discover that terfenadine taken in combination with
5 a cytochrome P450 3A4 inhibitor causes Torsade de
6 Pointes. There are many, many cases. Hundreds. It's
7 very easy to detect that.

8 We looked also to see whether there were
9 any cases in which there was no 3A4 inhibitor. There
10 may be a debate about whether there are any but there
11 are very few. Perhaps none. That is a drug that has
12 a small QT effect even in the absence of an inhibitor.

13 Our experience with astemizole, another QT
14 prolonger, was similar. It was fairly easy to
15 discover clear cut cases when people took more than
16 the 10 milligram recommended dose, but few, if any,
17 cases at the 10 milligram dose. It doesn't really
18 matter whether there was one or two. There were many
19 fewer, even though many more people were exposed to
20 the larger dose again suggesting a dose response.

21 For dofetilide, a drug recently approved
22 to maintain sinus rhythm in people who have been
23 having atrial fibrillation, the frequency of torsade
24 went down when dosage was suggested for renal
25 function. It didn't go to zero but that's a drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whose mechanism is to prolong the QT. The rate went
2 down when the dose was appropriately modified.

3 I've been talking with Jeremy about this
4 but there are a few cases to my knowledge of cisapride
5 induced torsade in the absence of an interfering drug.
6 He thinks he knows of some and he's going to report
7 them from now on. But most of the cases anyway occur
8 when you interfere with its metabolism and send the
9 blood level way up.

10 Domperidone is another motility modifying
11 drug which given intravenously causes torsade while
12 the infusion is still going on. It's really easy to
13 detect but it's been difficult, not necessarily
14 impossible. There's probably some internal debate
15 about it. It's been difficult to conclude that it
16 causes it when you give it orally when the blood level
17 is much lower.

18 This leads me to think that dose and size
19 of response matter to how much of a risk a particular
20 drug is. What isn't clear is where the cutoff point
21 is or if there is one. I would feel happy to say that
22 something with one millisecond mean increase probably
23 is no problem and that something with a 20 millisecond
24 increase probably is a problem. I don't think any of
25 us can tell you where the problem gets very small or

1 disappears.

2 Now, having said that, one wants to be
3 sure one isn't giving more of a drug than one needs
4 to. You are the infectious disease people and need to
5 think about this, but one important question is
6 whether the dose at 400 really is needed in all cases
7 or whether a lower dose would do just as well, at
8 least for some infections, and keep people further
9 away from whatever risk there is. Dose becomes very
10 important.

11 I should tell you where we are as an
12 agency. We don't have a formal policy. We don't even
13 have a policy that we put out and then withdrew like
14 the CPMP does. They are ahead of us but we are
15 thinking actively about this. A joint FDA task force
16 is forming to review all available data -- you learn
17 a lot from history here -- and try to think about what
18 an appropriate clinical and preclinical workup is of
19 these cases and try to define risk as best we can.

20 So far, unfortunately for both us and you,
21 it's kind of case by case and the only way to go is to
22 try to make everybody pay attention and pull together
23 the best expertise we can find.

24 Thank you. Any questions?

25 DR. RELER: Since we will be returning to

1 this issue after the safety presentation, which I
2 would like to do immediately after lunch so that we
3 can have continuity fusing questions for Dr. Temple
4 after and in concordance with the safety presentation,
5 I've asked Dr. Meyerhoff if she would move her
6 presentation forward before lunch.

7 Then we'll have her presentation and then
8 our lunch break from approximately 12:00 till 1:00
9 starting promptly at 1:00 with the safety presentation
10 by the FDA and then moving as swiftly but judiciously
11 as we can to addressing the questions given the
12 constraints of having sufficient voting members to
13 have a real sense of the entire committee presented
14 for FDA's further consideration.

15 Dr. Meyerhoff. Thank you, Dr. Temple.
16 We'll be back to you in the afternoon.

17 DR. MEYERHOFF: Good morning. Can people
18 hear me? Can people hear me now? Not projecting?
19 Can people hear me now? Okay. Thanks. Thank you.

20 Good morning. I'm going to be presenting
21 the FDA's perspective on clinical efficacy for
22 moxifloxacin.

23 As you've already heard this morning,
24 Bayer is seeking a claim for four different
25 indications on the draft label. Those are acute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 bacterial exacerbation of chronic bronchitis, skin and
2 skin structure infections, acute sinusitis, and
3 community acquired pneumonia.

4 These last two indications are
5 particularly noteworthy because for both of them among
6 the organisms that are sought in the label is
7 penicillin resistant streptococcus pneumoniae. This
8 is a claim that has not previously been granted to any
9 antimicrobial. For those of you who were here
10 yesterday, it is also under discussion for another
11 drug in this class.

12 I'm going to give an overview of the
13 efficacy data initially by saying a number of pivotal
14 trials have been reviewed for each of these four
15 indications. In general, the FDA's analysis by both
16 intent to treat and for protocol populations generally
17 agrees with that of the sponsor, and that is that
18 clinical efficacy has been demonstrated in the four
19 indications.

20 I would like to focus my discussion on the
21 claim for clinical efficacy and the treatment of
22 infections caused by pen. resistant pneumococcus. For
23 this purpose I'll focus on the pneumonia and the
24 sinusitis indications.

25 Let me just make a couple of statements

about language and usage because these terms get long and I think interfere with the flow. In any case, when I'm using the term efficacy simply for the purposes of my talk, I'm referring only to clinical efficacy. Resistance again only refers to penicillin resistance. I think we are all in agreement that the MIC cutoff for that is the current NCCLS criterion which is two micrograms per ml. Similarly, any references to pneumonia refer specifically to community acquired pneumonia.

I would like to start by looking at overall efficacy in the pneumonia by highlighting two representative studies. The first of these is D96026. This was conducted entirely in the United States entirely in outpatients spread over 60 centers.

The control agent in this study was clarithromycin. I would point out that the dose used, 500 milligrams BID, is actually twice the FDA approved dose for pneumonia for clari.

The test of cure visit was undertaken at the late follow-up; that is, 21 to 28 days following completion of therapy. I think you can see from the table I'm showing that both per protocol and intent to treat analyses demonstrate efficacy rates that are equivalent to those observed for the comparator

1 agency. These do meet the statistical criterion for
2 equivalence in that the 95 percent confidence interval
3 around the differences and efficacious rates in both
4 analyses have a lower bound that's greater than minus
5 10.

6 I want to look at a second pneumonia study
7 and that's No. 140. This study was conducted entirely
8 outside the United States at over 80 centers. The
9 comparator agent was amoxicillin, 1,000 milligrams
10 TID. Again, it think it's important to point out that
11 this is twice the FDA label dose for amoxicillin for
12 this type of infection.

13 This study's organization was centered in
14 Europe and the genesis for the choice of this dose is
15 that a number of European countries are now
16 recommending this higher dose of amoxicillin for
17 initial treatment of community acquired pneumonia
18 because of decreasing penicillin susceptibility among
19 clinical isolates of pneumococcus.

20 This study is interesting for a couple of
21 reasons. One is it was actually enriched for patients
22 with pneumococcal infection by requiring some
23 additional entry criteria. Besides patients needing
24 to have evidence of pneumonia, they also needed to
25 have any two of the following five findings; that is

1 disease of rapid onset within 48 hours of
2 presentation; temperature greater than or equal to 39
3 degrees; pleuritic chest pain; frankly lobar
4 infiltrate on chest x-ray; or gram positive cocci on
5 sputum gram stain.

6 Thought this is a study of two oral
7 agents, about 80 percent of the patients were
8 hospitalized. I think we need to realize that's
9 probably a somewhat different situation than we would
10 see in this country. Again, these patients were
11 deemed suitable for oral treatment but a very large
12 proportion of them did go into the hospital. All of
13 them had blood cultures drawn.

14 If we look at the overall efficacy rates
15 in both the per protocol and intent to treat analyses,
16 again I think we can see that equivalence is
17 demonstrated to high dose amoxicillin in both cases.

18 I want to turn now to talk specifically
19 about pneumococcal and resistant pneumococcal
20 infections. I think there are a number of questions
21 we want to ask about moxifloxacin when we start to
22 consider this issue. Firstly, does it work in
23 infections due to penicillin susceptible pneumococci.
24 The first bullet point will be an attempt to address
25 that question.

1 Secondly, what kind of data do we have to
2 tell us something about activity against resistant
3 strains or strains with intermediate susceptibility to
4 penicillin. Thirdly, what types of supporting data
5 can supplement what we can learn from the clinical
6 trials.

7 There was a detailed discussion of these
8 types of data this morning and I'm going to focus
9 mostly on the first three bullet points for the
10 purposes of my discussion.

11 Drs. Church and Zinner summarized a
12 significant amount of data on in vitro microbiologic
13 studies, animal models, and PKPD ratios that I think
14 attest to the preclinical activity against
15 pneumococcus that has been seen for moxifloxacin.

16 The data submitted in this original NDA
17 are really our first opportunity to assess the
18 clinical efficacy of this drug in gram positive
19 infections. The pneumonia indication is our first
20 opportunity to see how it does in pneumococcal
21 pneumonia.

22 For the purposes of this particular
23 organism, I would like to turn again to study 0140.
24 Again, this is the study that was enriched for
25 pneumococcal infection and provides us with a

1 significant number of patients who were infected with
2 this organism.

3 Overall, efficacy, which is shown in the
4 first row of this table, again for moxifloxacin and
5 the control agent look to be comparable. The control
6 was high dose amoxicillin for this study. If we look
7 at clinical efficacy in pneumococcal pneumonia across
8 all of the pneumonia studies, a similar point is made.
9 That is, moxifloxacin achieves comparable efficacy
10 rates to the comparator agent. Just to remind you,
11 the comparator is either high dose amoxicillin or
12 clarithromycin in all of these studies.

13 This study, 0140, is an unusual
14 opportunity to gather data on bacteremic patients with
15 pneumococcal pneumonia. It enrolled over 400 and I
16 think gives us a chance to look at this particularly
17 interesting subpopulation.

18 I've chosen to focus on them for two
19 reasons. One is that patients with an infiltrate on
20 chest x-ray who grow pneumococcus from their blood
21 represent the gold standard in diagnostic criteria for
22 pneumococcal pneumonia. Secondly, these are a
23 particularly sick subpopulation of patients with this
24 infection.

25 If you look at the first row of this

1 table, you can see that there are 21 patients who were
2 bacteremic with pneumococcal pneumonia in study 0140.
3 The clinical efficacy rate achieved among those
4 patients treated with moxifloxacin was 70 percent.
5 For those treated with amoxicillin 100 percent. These
6 are small numbers. They are not amenable to a lot of
7 statistical manipulation. I offer them mostly for
8 your inspection.

9 If we look at the second row in this table
10 which is those patients who only had a positive
11 culture from the respiratory tract; that is, not a
12 positive blood culture. Efficacy rates between the
13 two treatment groups appear to be more similar.

14 I would like to point out to those of you
15 who had seen the briefing document that these numbers
16 are slightly different from the table that you have in
17 the briefing package. The reason for that is back
18 before I put the tables in, I learned of a small
19 number of additional patients who were bacteremic and
20 also for the need to reclassify some of those who had
21 positive respiratory cultures.

22 For those of you who haven't seen the
23 briefing package, I don't think these numbers changed
24 things significantly. The breakdown between
25 moxifloxacin and amoxicillin for the bacteremic

1 patients is quite similar as is the comparability of
2 those with positive respiratory tract cultures.

3 Let me give you a little bit of
4 information on the patients who were bacteremic.
5 After Dr. Murray asked the question a little earlier
6 this morning, I have tried to separate this out. Of
7 the 21 patients who had pneumococcus grow from their
8 blood in this study, we had MIC data on 18. Eight of
9 those patients received moxifloxacin and 10 received
10 amoxicillin. This is only my recollection and I
11 believe it's correct but I'm not 100 percent sure. I
12 would ask anyone at Bayer if they can remember those
13 line listings better than I can.

14 I think the eight moxifloxacin bacteremic
15 patients all had pneumococcal isolates that were
16 susceptible to penicillin. The 10 patients who
17 received amoxicillin who were bacteremic had two
18 patients infected with resistant isolates.

19 I'll give you a little bit of clinical
20 information on the three patients treated with
21 moxifloxacin who were clinical failures. These were
22 all men. Their ages were 55, 75, and 85. The MICs of
23 their isolates ranged between .016 and .032. Two of
24 the patients had underlying congestive heart failure.
25 One of them developed an empyema on day six of

1 therapy.

2 Let's stick with study 0140 to start our
3 discussion of efficacy in resistant pneumococcal
4 pneumonia. There were a very small number of isolates
5 for us to look at in this study. There were nine
6 total, six in the group treated with moxifloxacin,
7 three in the amoxicillin group.

8 Again, I think we can see there is a
9 divergence in efficacy rates in this small number of
10 patients with the 67 percent cure rate being the key
11 in the moxifloxacin group and 100 percent cure in the
12 three patients who received amoxicillin.

13 Of the six penicillin resistant isolates
14 from the moxifloxacin treated patients, five of them
15 had MIC values of 2.0. One had an MIC of eight. Of
16 the three penicillin resistant isolates from the
17 patients treated with amoxicillin, two were
18 bacteremic.

19 I'll give you a little bit of clinical
20 background on the two moxifloxacin failures. The
21 first, a 67-year-old woman with a history of chronic
22 bronchitis whose pneumococcal isolate had an MIC of
23 two. This woman took a full course of therapy but was
24 observed to relapse eight days following completion.
25 She was considered a cure after treatment with

1 augmentin.

2 The second patient was a 38-year-old man,
3 also with a history of chronic bronchitis. His
4 isolate had an MIC of eight. He was discontinued from
5 the study after six days for an insufficient
6 therapeutic effect and was switched to treatment with
7 IV cefataxime.

8 This is a limited amount of data but at
9 this point it's not possible to conclude that
10 moxifloxacin is the clinical equivalent of high doses
11 of amoxicillin for the treatment of resistant
12 pneumococcal pneumonia.

13 I think the next thing we want to ask
14 ourselves is there a way to learn more about the
15 efficacy of moxifloxacin in this special subpopulation
16 of patients. We had very small numbers from study
17 0140. For those of you who may have been following
18 this issue over the past year, there have been a
19 number of public and private discussions with industry
20 about development of agents for resistant pathogens
21 and the paucity of resistant clinical isolates when
22 people actually go out and try to study them.

23 In the course of these discussions, the
24 possibility of pulling organisms has been raised as a
25 means to accrue more patients. Pulling a cross

2 studies within an indication or pulling across
3 indications. If it's sound to pull organisms
4 retrospectively, we may obtain a better powered
5 assessment of moxifloxacin efficacy in resistant
6 pneumococcal infection.

7 First, I would like to look at what we can
8 learn from the pneumonia studies as a whole. 0140 is
9 our largest source of pneumococcal isolates that are
10 resistant or of intermediate pen. susceptibility.
11 Another controlled study, D96026, and an uncontrolled
12 study, D96025, also provide a handful of resistant or
13 intermediately susceptible isolates.

14 If we sum these up, you can see that a
15 slightly higher efficacy rate is observed for the
16 moxifloxacin treated patients who had frankly
17 resistant isolates. An efficacy rate that approaches
18 that which was seen for pneumococcal infections over
19 all for this drug is achieved with the patients from
20 whom intermediately susceptible isolates are cultured.

21 I've raised the question of pulling data
22 and I would like to take a minute to make explicit
23 some of the questions inherent in this. Can we go
24 back and retrospectively combine data from a number of
25 studies that were not designed to have their data
combined across studies of different design within the

1 same indication.

2 From the previous slide you can see that
3 some of these studies were controlled and some
4 uncontrolled. Some blinded, some open label.
5 Different studies had patients evaluated for test of
6 cure at different points in time following completion
7 of therapy.

8 Is it reasonable to pull data across
9 indications? When we looked at this NDA and looked at
10 the different indications included in it, the one
11 other site of infection that we thought may be
12 amenable to this kind of analysis was sinusitis. The
13 reasons for that are that the sinuses are another
14 point along the respiratory tract and they are
15 normally sterile space that is closed.

16 So I'm going to take a minute and talk
17 about the sinusitis indication. This slide is mostly
18 a discussion of efficacy in sinusitis overall. I've
19 picked a representative study, 100107, which compared
20 moxifloxacin to cefuroxime in a lo-day regimen.

21 The per protocol and intent to treat
22 analyses both demonstrated equivalence between the two
23 treatment arms by the statistical criteria that were
24 perspectivevely determined for this study.

25 I would like to point out that the medical

1 officer analysis for this study differs slightly from
2 that of the sponsor in that the medical officer
3 determined the test of cure visit that took place a
4 little bit later at 27 to 31 days following completion
5 of therapy. Again, for those of you who may have seen
6 other numbers on this, this is the medical officer
7 analysis and it's a later follow-up visit.

8 How can we use the sinusitis indication to
9 learn something about efficacy of moxifloxacin in
10 pneumococcal infections? Probably the best study for
11 looking at this is No. D96023 which used the only
12 method that FDA is currently considering appropriate
13 for obtaining sinus specimens for microbiologic
14 efficacy analysis and that is antral tap studies.

15 A number of pneumococcal isolates were
16 cultured in D96023 which was an uncontrolled study.
17 All of the patients in this study were treated with
18 moxifloxacin. Thirty of them had a susceptible
19 isolate, six a resistant isolate, and nine an isolate
20 of intermediate penicillin susceptibility.

21 I think you can see from looking at the
22 cure rates on the bottom row that they were high and
23 consistent between the three groups. This is
24 providing us a slightly different look at moxifloxacin
25 efficacy in pneumococcal and resistant pneumococcal

1 infections.

2 I think we can say that clinical
3 equivalence of moxifloxacin to approve comparators has
4 been demonstrated in all four indications including
5 sinusitis and community acquired pneumonia, data step
6 pneumoniae. However, the efficacy rate for
7 moxifloxacin was less than that of high dose
8 amoxicillin for the treatment of pneumonia in two
9 subpopulations of interest. Those are patients who
10 had frankly penicillin resistant strains of
11 pneumococcus and those patients who had pneumococcal
12 bacteremia of any degree of susceptibility or
13 resistance to penicillin.

14 As you've heard, preclinical data, PKPD
15 ratios, and efficacy against penicillin intermediate
16 strains of pneumococcus are supportive of this
17 clinical efficacy. I think the question remains
18 whether or not there are sufficient data to support a
19 claim of efficacy in the treatment of pneumonia and/or
20 sinusitis due to penicillin resistant pneumococcus.
21 Are there any questions?

22 DR. RELLER: Dr. Murray.

23 DR. MURRAY: Yes, just one. The severity
24 of pneumonia, do you have a sense of that? We heard
25 data yesterday about how many of the pneumonias were

1 classified as severe.

2 DR. MEYERHOFF: I cannot give you that
3 kind of breakdown. All of these patients were
4 amenable to oral therapy. At the same time we can see
5 that some proportion of them were bacteremic. I can't
6 give you a sense beyond that.

7 DR. RELLER: Gordon.

8 DR. ARCHER: You didn't mention it but I
9 assume that the isolates that were penicillin
10 resistant were all moxifloxacin susceptible at the
11 same MICs that we heard this morning? And do you have
12 any of the relapse isolates from those two patients?
13 Were they more resistant to moxifloxacin?

14 DR. MEYERHOFF: In answer to your first
15 question, I believe yes, that's true. No, I don't
16 have MIC data on those repeat isolates.

17 DR. ARCHER: Does the sponsor have such
18 data?

19 DR. CHURCH Dr. Meyerhoff is accurate
20 when she is stating about the MICs to moxifloxacin in
21 those patients. They were all susceptible. With
22 regards to MICs done afterwards, I believe there are
23 not and I'll have to look at any of my colleagues.
24 Barbara Painter? That's correct. There are no MICs
25 after the initial ones.

1 DR. RELER: So the organism was recovered
2 after therapy but no testing was done for the agent to
3 which the patient -- with which the patient failed?

4 DR. CHURCH: Probably because the patients
5 -- are you talking about the initial patients that Dr.
6 Meyerhoff showed that are failures or patients that
7 were cured?

8 DR. RELER: No, the failures.

9 DR. CHURCH: The failures. I do not have
10 that information it sounds like.

11 DR. MEYERHOFF: They didn't say they were
12 microbiologic failures. We're not sure of that
13 actually.

14 DR. RELER: I understand that. That's
15 why I'm asking the question. I mean, actually Dr.
16 Meyerhoff specifically said in her addressing
17 efficacy, her numbers were based on clinical efficacy.
18 Is that correct?

19 DR. MEYERHOFF: That's correct.

20 DR. RELER: I mean, we don't know. If
21 mean, if they were not microbiological failures, then,
22 of course, we wouldn't have any post therapy MICs.

23 DR. MEYERHOFF: There were no cultures in
24 the follow-ups.

25 DR. RELER: Meaning they were not done in

1 the clinical failures or they were done and no
2 organism was recovered?

3 DR. MEYERHOFF: Not done.

4 DR. RELLER: Thank you.

5 DR. MEYERHOFF: You're welcome.

6 DR. RELLER: Dr. Christie.

7 DR. CHRISTIE: To look at the severity of
8 this in another way because I think it's important.
9 You did say that 80 percent of the patients were
10 hospitalized in 0140? I guess I wonder in that
11 population could you break out how many received moxi
12 versus hydromcymox. Could you break out as well to the
13 resistance in the bacteremic cases.

14 DR. MURRAY: These weren't U.S. based
15 studies thought, Were they?

16 DR. MEYERHOFF: No, they weren't. They
17 were done in probably 30 or 40 foreign countries.

18 DR. MURRAY: So hospitalization should not
19 be taken as a sign of severity necessarily?

20 DR. MEYERHOFF: The sponsor is saying
21 that's correct. I think that's a caveat we have to
22 bear in mind when we look at these patients. They are
23 sort of mixed. If you would compare them to an
24 pneumonia patient we would see in this country, they
25 could get oral therapy but 80 percent of them needed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be in the hospital by somebody's judgment.

2 DR. RELLER: Dr. Platt.

3 DR. PLATT: This is a question actually
4 for Dr. Church left over from this morning but I think
5 it fits here better than anywhere else. Although the
6 common treatment course is 10 days, you showed a
7 serial passage for resistance lasting either six or
8 eight days for what looked like a single strain of
9 staph. aureus and strep. pneumoniae. Do you have more
10 data that takes a larger number of organisms out well
11 beyond the usual treatment course?

12 DR. CHURCH: No, we do not.

13 DR. RELLER: I'd like to thank Dr.
14 Meyerhoff for bringing us to this point before lunch.
15 Despite the written agenda, we will -- well, in accord
16 with the written agenda despite the time that we
17 stopped, we will reconvene promptly at 1:00, please.

18 (Whereupon, at 12:02 p.m. off the record
19 until 1:01 p.m.)
20
21
22
23
24
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:01 p.m.

ACTING CHAIRMAN RELLER: We're ready to begin the afternoon session. First will be the FDA presentation about safety by Dr. Leonard Sacks.

Dr. Sacks.

DR. SACKS: Good afternoon. I'm Leonard Sacks, I'm the Medical Officer at the Division of Special Pathogens, and what I'll be doing over approximately the next 20 minutes is reviewing the FDA's perspective on the safety of moxifloxacin as demonstrated to us in NDA 21085.

My safety review will be divided into two broad sections. Firstly, I'll be covering issues of general safety, and then I will move on specifically to issues of cardiac safety, and in the discussion of cardiac safety I will move across the various topics that have been addressed this morning, the in vitro information that we have, the animal studies, the Phase I and II study data, and finally the big clinical database of Phase III trials.

In terms of general safety, this is the database that we are looking at. We are looking at 4,370 patients treated with the recommended 400 milligram oral dose of moxifloxacin. There were 557

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients treated with 200 milligrams a day, and we are
2 looking at 3,415 patients on comparator agents.

3 This slide demonstrates the most common
4 adverse events occurring in at least three percent of
5 patients treated with moxifloxacin or comparator
6 agents. These are drug-related adverse events. As you
7 can see, the rates here are slightly different from
8 those reported this morning, which referred to all
9 adverse events, and they are pretty comparable between
10 moxifloxacin and comparator. All events, 32 percent
11 in moxifloxacin treated patients, 30 percent in
12 comparator treated patients, far and away the
13 commonest adverse events reported were nausea and
14 diarrhea, gastrointestinal occurring in respect to the
15 agent, seven percent of the moxifloxacin treated
16 patients, six and five percent of the comparator
17 treated patients. Headache was found in three percent
18 of both. Dizziness was slightly more common in the
19 moxifloxacin treated patients, three percent as
20 opposed to two percent.

21 What I then did **was** summarize some of the
22 known quinolone-related toxicities, just to see the
23 effects of **moxif** loxacin in this regard, and the
24 information here is similar to that that was presented
25 earlier this morning. Phototoxicity, as far as I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could establish, was reported in four patients on the
2 moxifloxacin arm, two of these were actually
3 attributed to the drug, two were not. Hemolytic
4 uremic syndrome was not described in the database at
5 all. Tendon rupture was not reported in the database
6 either, although there were two patients with Achilles
7 tendon pain, and there were no cases in the comparator
8 group.

9 Looking at the question of hypoglycemia,
10 this was seen in five percent of the moxifloxacin
11 treated patients, four percent of comparator treated
12 patients.

13 Looking for the specific effects on the
14 central nervous system, we noted previously that
15 dizziness was slightly more frequent in the
16 moxifloxacin treated patients. In this example of all
17 adverse events, we see a rate of four percent among
18 moxifloxacin treated patients, two percent among
19 comparator treated patients. Convulsions were
20 described in two patients on moxifloxacin and two
21 patients on the comparator, no significant difference,
22 and abnormal liver functions as a whole were reported
23 in two percent of both moxifloxacin treated patients
24 and comparator treated patients.

25 Given the current concern about quinolone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 toxicity, hepatotoxicity, I'm just going to go into
2 the issue of liver functions in a little bit more
3 detail. This slide shows the treatment emergent
4 abnormalities in AST, ALT, alkaline phosphatase and
5 total bilirubin. These abnormalities, I must point
6 out, were categorically defined as either normal or
7 abnormal depending on the cutoffs for each of the
8 studies, and we see that the rates are very similar
9 across the board. They are seven percent for elevated
10 AST on the moxifloxacin, and eight percent among
11 controls. ALT was equivalent among the two arms.
12 Alkaline phosphatase four and three, and bilirubin
13 three percent of the moxifloxacin treated patients
14 were elevated, two percent of the comparator treated
15 patients.

16 This was an attempt to climb the NDA
17 iceberg and take a look from the very top at the most
18 toxic possible liver events to try and capture any
19 cases of drug-induced hepatitis. And, what I've done
20 here is presented patients who had at least a two-fold
21 increase in AST and ALT and bilirubin related to
22 therapy, and these patients were to have at least one
23 of these parameters significantly abnormal. Using
24 this analysis, you can see that there were seven
25 patients identified on the database, four in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 moxifloxacin treated group, three in the Cephalexin,
2 three on comparator agents as listed there, and I've
3 listed the individual results pre and post treatment -
4 - yes, pre and post treatment, the results for each of
5 the parameters, and here are the outcomes where they
6 were available. These were resolved, this **was**
7 reported as improved. This patient who developed an
8 on treatment bilirubin of 7.2 **was** reported to have a
9 bilirubin of 2.2 milligrams per deciliter five days
10 after stopping treatment, and we know that the patient
11 was listed as a clinical cure after 30 days, although
12 the final outcome of the adverse event I'm sorry, I
13 don't have the information on. These are the three
14 comparative patients, just to show comparative levels
15 of treatment emergent abnormalities.

16 Let's move on now to examine the deaths in
17 the study. This presentation, I must mention, is
18 different from that that was shared with us this
19 morning. These are absolute numbers, these are not
20 normalized for numbers of patients. This just
21 illustrates the 38 deaths on the patient database
22 according to when they occurred, and these are the
23 deaths that occurred while on treatment, three on
24 moxifloxacin, one on comparator. Remember again that
25 these are not normalized for the patient denominators.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 These are the deaths that occurred over a period of
2 time, and these are deaths which occurred after 30
3 days following treatment.

4 I think one of the purposes of this slide
5 is to illustrate that there are differences in the
6 death rate according to when these are analyzed.
7 Overall death rates, these were calculated for all
8 patients on the database. This includes both patients
9 on the 200 milligram and the 400 milligram dose and
10 patients over the entire period of the study. This
11 incorporates the latter data points, and you can see
12 that these death rates are very similar for
13 moxifloxacin and comparator treated patients, .45
14 percent and .47 percent, respectively.

15 I'm now going to change gears and move on
16 to the cardiac safety, just to cover a couple of
17 issues. Let's move on to the next slide which
18 addresses some of the data that you've already seen on
19 the in vitro models, just to point out that the effect
20 on the delayed inward rectifier current, the I_{Kr} , we
21 see that in one of three experiments on models
22 moxifloxacin was able to block this particular ionic
23 channel in mouse atrial cells at a concentration of 75
24 micromolar as compared to, that's probably .75
25 micromolar, as compared with .23 micromolar in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sparfloxacin, so it's about one third as potent as
2 sparfloxacin.

3 For completeness, I believe that the
4 status of the IKs is less well established in terms of
5 its effect on the QT interval, but moxifloxacin did
6 show some blockage if the IKs, and, perhaps, more
7 importantly the action potential duration was
8 prolonged by moxifloxacin as a concentration of 50
9 micromolar and sparfloxacin at a much lower
10 concentration, three micromolar.

11 Let's turn to the animal studies. This is
12 a busy slide summarizing a number of animal studies.
13 Most have been performed, as you will see, in beagle
14 dogs, looking at various doses, various infusion rates
15 in combinations with other medications. The point I
16 want to highlight on this slide is basically the
17 reasons for getting the most striking QT
18 prolongations, and you will note that this is related
19 predominantly to the rate of infusion and, obviously,
20 to the dose of the drug, and here you can see a 69
21 millisecond prolongation in dogs who were given 30
22 milligrams per kilogram as a bolus. Here you can see
23 that high infusion rates produce the same sort of
24 effect, and a 30 milligram per kilogram dose every 15
25 minutes gave a 64 millisecond prolongation. Giving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Sotalol concurrently does not seem like a good idea.
2 There's 113. And, when you give extreme overdoses,
3 such as was demonstrated in this study, you can
4 obviously get very prolonged QT intervals and clinical
5 episodes of ventricular -- the Torsade de Pointes and
6 ventricular extrasystoles.

Let's move on to the next slide. We are
8 now turning to a little bit of human data. This may
9 be slightly different from the slides you've seen
10 earlier presented by the sponsor. In this case, we
11 are looking at the change in QTc interval related to
12 treatment, and this is a population of patients who
13 were given single doses of oral treatment, anything
14 over and above 200 milligrams, 181 patients in the
15 group. Again, the points here are that we have a
16 regression line there which shows a positive slope,
17 it's a shallow slope, but it is, as you see -- it's
18 not showing anymore, I apologize -- it's significantly
19 different from zero with a p value of .001. The
20 superimposed mauve lines there indicate the
21 anticipated serum concentrations after a single oral
22 dose of 400 milligrams taken from a subpopulation and
23 analyzed by members of our staff. The dotted line
24 shows the mean and the standard deviation on either
25 side, so that's where the bulk of these concentrations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 seem to fit after the first dose of 400 milligrams.

2 Let's move on to the next slide. Just in
3 terms of the Phase I and Phase II clinical
4 participants, these were mean prolongations of the QTc
5 that were found in that population group. You can see
6 there are 112 patients treated with a recommended 400
7 milligram oral dose, a mean prolongation of 6.9
8 milliseconds with quite a wide range. Those treated
9 with intravenous infusions of 400 milligrams at
10 varying periods of time developed more marked QTc
11 prolongations, mean prolongations, 28 subjects, the
12 mean prolongation of 12.1, and a mean prolongation was
13 increased, at least the mean prolongation was detected
14 in patients on placebo, 3.5, which does emphasize that
15 there is some variability in placebo treated patients.

16 Let's move on to the next slide. I'm not
17 going to cover this in any detail, I think we've been
18 through the definitions of outliers before. Just to
19 bear in mind that we were a little bit more lenient on
20 females, because they start off with a longer QT
21 interval to normally.

22 Next slide, so in terms of the three
23 categories, normal, borderline and prolonged, what we
24 are looking at in this shift analysis is patients on
25 a 400 milligram oral dose who changed from their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 baseline status, and of the 107 patients who had a
2 normal baseline QT interval you can see that nine
3 percent of them developed borderline prolongations and
4 2.8 percent of them developed prolonged QT
5 prolongations, as compared with 2.4 and 2.4 in the
6 comparator. Note again, small numbers of patients,
7 we've got a total of 41 in that group. There were no
8 patients of the 12 patients with borderline at
9 baseline who developed marked prolongations, whereas
10 here one of the five patients with borderline
11 developed marked or significant prolongation on
12 treatment.

13 Let's move on to the next slide. This is
14 really just a summary of the Phase I and Phase II
15 clinical data, trying to establish whether there were
16 any events which looked like actual arrhythmias as a
17 result of the drug. The first one was described as an
18 elderly woman, who was given a single 200 milligram
19 oral dose of moxifloxacin, she developed a subjective
20 complaint of irregular heartbeat 12 hours after the
21 dose, and an ECG which was performed after the event
22 was normal. And, the relationship with this is
23 remote. The other patient was a young healthy male.
24 This male apparently tolerated a 33-minute infusion of
25 400 milligrams of moxifloxacin. He remained on the IV

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and 11 minutes later he developed weakness, he
2 developed nausea and a sinus bradycardia of 35 to 40
3 per minute. He fainted and there was an episode of
4 asystole described for several seconds. Cardiac
5 resuscitation was implemented, he developed a
6 ventricular rhythm, junctional and sinus rhythm with
7 no sequelae. The events were analyzed by two
8 cardiologists, and they were deemed a vasovagal
9 syncope.

10 Let's move on to the next slide. At this
11 point I think it became -- or, in fact, earlier on in
12 the Phase I and Phase II studies, it was apparent that
13 further investigations should be implemented to look
14 at the broader clinical database in terms of the
15 effects on the QT interval. The protocols, all
16 ongoing protocols were modified as of May, '97 to
17 incorporate a baseline and a follow-up ECG two to six
18 hours after the dose. Also, there were exclusions
19 included in this modification, in this addendum.
20 Patients with baseline prolongations of the ECG were
21 excluded from QT analysis, and also patients on
22 medications known to prolong the QT interval were
23 prohibited, and this included many that we discussed
24 this morning of the antiarrhythmic group primarily,
25 except for terfenadine, so amiodarone, sotalol,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disopyramide, quinidine, procainamide and terfenadine
2 were not permitted.

3 Let's move on to the next slide. This is
4 the size of the database. We are looking at 8,341
5 patients valid for safety. This was before the four
6 month safety update, and that will account for some
7 small differences between the company's figures and
8 some of our's. Two thousand, one hundred and thirteen
9 of the patients had ECGs. You'll notice that in large
10 number, 1,002 patients, were excluded for technical
11 reasons, and I will go into some of these in a little
12 bit more detail, and we are left with 1,111 patients
13 who had paired valid ECGs of reasonable quality, 559
14 of these were on 400 milligrams, the recommended dose
15 of moxifloxacin, 37 on the low dose of 200 milligrams,
16 and 515 patients on the comparator.

17 So, let's look at some of the reasons for
18 excluding patients, next slide please, and you can see
19 that a lot of these are practical reasons. In a large
20 percentage of patients, the ECGs were not paired, the
21 relative timing of the ECGs was not known, which was
22 first, which was subsequent. They did not fall into
23 the six-hour time window. The quality was not
24 interpretable, scale may have been missing. There was
25 a restriction on the number of PVCs that allowed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interpretation of the QT interval, both in the pre and
2 the post dosing ECG, and atrial fibrillation also made
3 the calculation of the QT interval unreliable.

4 Let's move on to the next slide. This is
5 similar to the slide that I showed you on the mean QTc
6 prolongation of Phase I and II studies. This is in
7 the general clinical database, and we see that of the
8 patients with valid paired ECGs, patients on the
9 recommended 400 milligram dose, 611 patients in this
10 particular calculation. The mean prolongation here was
11 five milliseconds. I've mentioned that the company
12 presented this information based on the four month
13 safety update and they got a figure of six
14 milliseconds. Here is the 95 percent confidence
15 interval around that mean. In parentheses I've just
16 included the uncorrected QT intervals.

17 Just to compare this with the 136 patients
18 in the comparator group who were treated with
19 clarithromycin, and you see that the mean prolongation
20 here was two, with a confidence interval of minus 2 to
21 6, and overall this is all comparators, including the
22 clarithromycin treated patients, there was really no
23 change in the -- no mean change in the QTc interval on
24 treatment.

25 Next slide. This is another attempt to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 200053701

1 look at outliers, in terms of the bigger clinical
2 population, and what we see here is of the 424
3 patients who started off with normal QT intervals pre-
4 treatment, there were 15 percent who developed
5 borderline prolongations and 2.4 percent who developed
6 significant prolongations after dosing. Compare this
7 with ten percent and 2.6 percent in the comparator,
8 and a similar sort of effect noticed for borderline.
9 Those who started off, 100 patients starting off with
10 borderline prolongations, 26 percent developed
11 prolongations, and on a comparator there were 90
12 patients starting off with borderline prolongations,
13 21 percent developed prolongations on treatment.

14 Let's move on to the next slide. This is,
15 again, another look at the tip of the iceberg. This
16 was an attempt to characterize the most severe
17 aberrations of QT abnormalities, and this was just
18 looking at patients who developed a QT interval of
19 greater than 500 milliseconds at any interval during
20 the study. This could have been pre or post. And,
21 what you see here is that there were three patients
22 who developed QTc prolongations on moxifloxacin of
23 greater than 500 milliseconds. There were three
24 patients in the comparator group who started off with
25 500 milliseconds or greater prolongations, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 normalized on comparator treatment, there was one
2 patient on a comparator arm who increased from 494 to
3 over 500.

4 It has been pointed out to me, and,
5 perhaps, the company will address this later on, that
6 one of these patients was shown to have been misread
7 and the speed of the ECG tracing was misinterpreted,
8 but they will have to provide that information.

9 So, based on our information, we had three
10 out of 559 patients treated with moxifloxacin who
11 developed treatment emergent QTcs of greater than 500
12 milliseconds, compared with one out of 515 among the
13 comparator, again, very small numbers to make any
14 statistical inferences.

15 Can we move on to the next slide? This
16 was another attempt to look at predisposing conditions
17 resulting in prolongations of the QTc, and I selected
18 the one which certainly impressed me the most. This
19 is looking at the effects of hypokalemia, and you can
20 see that this is looking at prolongations, treatment
21 emergent prolongations of the QTc of between 30 to 60
22 milliseconds and greater than 60. These intermediate
23 prolongations among patients with normal potassiums
24 before treatment, there were 12 percent of the
25 moxifloxacin treated patients who developed

1 prolongations of intermediate severity. Once you
2 looked at the populations who were hypokalemic, this
3 figure went up to 18.9 percent. Notice that in the
4 comparator there **was** no increase. When we look at
5 those who developed more extreme prolongations of
6 greater than 60 milliseconds, 1.7 percent of the
7 patients who were normokalemic on moxifloxacin had
8 extreme prolongations before with normal potassiums
9 and when you looked at the population with low
10 potassiums that went up to 8.1 percent, which was
11 statistically significantly different. Note again
12 that the comparator didn't show the same increase.

13 Can we move on to the next slide? This is
14 another attempt to try and look at clinical events
15 which may be telling us that either there were
16 arrhythmias or surrogates for arrhythmia, and across
17 the board you can see that these event rates are very
18 similar between the 3,000 odd patients reported for
19 the 400 milligram moxifloxacin dose and the control
20 dose.

21 I do want to draw your attention to atrial
22 fibrillation which was significantly more common,
23 there were 12 cases in the moxifloxacin treated data
24 group, as compared to two in the control group.

25 Okay, I think we can move on to the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 slide, so I'm going to summarize by saying that we
2 noted that moxifloxacin blocked the Ikr at a
3 concentration approximately three times that of
4 sparfloxacin. It prolonged the action potential
5 duration by about -- at concentrations of 50
6 micromolar compared to three micromolar for
7 sparfloxacin. It showed a dose-related prolongation,
8 both in animals and in humans. The mean prolongation
9 was five milliseconds in this database, it appears to
10 be six when you look at the revised database, on the
11 oral dose, 12 milliseconds on the intravenous.
12 Outlier analysis showed three or possibly two out of
13 the 559 treated moxifloxacin patients with QTc
14 emergent values of greater than 500, but otherwise the
15 outliers looked pretty similar between the two groups.

16 I wanted to draw your attention again to
17 the effects of hypokalemia on moxifloxacin-induced QT
18 changes.

19 Now, I think we've revised the order of
20 the program, so I will hold until we have the next
21 presentation, before going on to the questions.

22 Okay, so without further ado, let me
23 introduce Allen Brinker, who will present some
24 information on the post-marketing experience with QTc
25 prolongations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BRINKER: We're ready for the first
2 slide. Very good, thanks for the introduction,
3 Leonard. My name is Allen Brinker, and I'm going to
4 be presenting some data to you this afternoon from the
5 post-marketing environment, specifically reporting
6 rates for serious cardiac dysrhythmias among the
7 marketed fluoroquinolones; azythromycin,
8 clarithromycin and cefuroxime.

9 My presentation will be divided into the
10 following categories, an introduction to reporting
11 rates, the strength and limitations of spontaneous
12 adverse event reports, the methods that I utilized to
13 calculate these reporting rates, a very important
14 topic that I will come back to again and again is
15 interpretation of these reporting rates, given some
16 comments about the tyranny of small numbers and other
17 such comments about making a lot out of very small
18 numbers, and finally results of discussion.

19 Next slide, please. In general, a crude
20 reporting rate can be calculated as the number of
21 spontaneous domestic reported cases over some estimate
22 of domestic use. In this **case**, it's domestic
23 prescriptions, and this can be calculated for any
24 specific interval of marketing or year on the market.
25 I'm going to be using a two-year interval this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 afternoon, because some of these drugs, specifically,
2 sparfloxacin, has only been on the market for two
3 years.

4 Next slide, please. The numerator comes
5 to us from our spontaneous case reports database or
6 AERS database, which include the spontaneous reactions
7 collected by the FDA's -- and I just mentioned the
8 acronym, Adverse Event Reporting System, or AERS.

9 Next slide, please. The denominator is
10 total prescriptions, which comes to us from the IMS
11 HEALTH National Prescription Audit, or NPA. The NPA
12 is a proprietary product that we use internally within
13 the FDA, and the numbers that I'm going to be
14 presenting to you this afternoon are used with
15 permission from IMS HEALTH.

16 Next slide, please. Just a little bit of
17 background on the spontaneous adverse events
18 collection process. In our country we utilize a
19 passive surveillance system to collect spontaneous
20 reports from clinicians, nurses, pharmacists and
21 individuals. This is sometimes referred to by the
22 division that collects these reports, the MedWatch
23 Division. This is a cost-effective process for the
24 evaluation of safety in the post-marketing
25 environment, and it is most applicable for qualitative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 signal generation. Currently, we receive
2 approximately 250,000 such adverse case reports per
3 year.

4 Next slide, please. There are some
5 substantial limitations with regard to a spontaneous
6 adverse reporting system, the biggest of which is
7 probably reporting, or as we refer to it, under-
8 reporting, in that we receive next to none or maybe 15
9 percent of incident cases within the population, and
10 these statistics for how many cases are actually
11 reported to us vary for the specific adverse event.
12 There are studies that suggest that we receive a
13 higher proportion of such reports for serious adverse
14 events, such as liver failure, and probably
15 practically none for trivial or clinically mild
16 processes. We know that spontaneous reports are
17 influenced by publicity, and there are more reports
18 early in a market life of a drug, and this has been
19 termed the Weber phenomenon.

20 Next slide, please. As far as case
21 ascertainment used in this analysis, because of the
22 difficulty in collection, in classification and
23 detection of Torsade de Pointes, I utilized the coding
24 term ventricular arrhythmias in cardiac arrest to
25 collect cases for this reporting rate. I included all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unduplicated domestic cases, and as I said earlier
2 this **was** calculated, or these calculations were
3 performed after the second market year.

4 Next slide, please. I will be presenting
5 rates to you this afternoon that have been adjusted --
6 I'll be presenting both, actually, but I will be
7 specifically highlighting those that have been
8 adjusted for secular trend. Secular trend is based on
9 the observation that the reporting of adverse events
10 has increased since the early 1980s. Next slide,
11 please. That phenomenon is highlighted on this
12 particular slide.

13 Next slide, please. Adjustment for
14 secular trend increases the weight of cases that were
15 reported in the past. It's applicable in a comparison
16 of drugs, drug products first marketed in different
17 years, and I will highlight in this analysis we had
18 drugs spanning ten years, and so it was very important
19 to consider secular trend, and I will be presenting
20 both crude and adjusted rates.

21 Next slide, please. General limitations
22 that I want to touch base with again include that
23 reporting rates do not equal incidence rates. The
24 relationship between reporting rates and incidence is
25 unknown, and comparisons of reporting rates do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 include the unique benefits of each agent. This is
2 sometimes referred to as a risk benefit ratio or risk
3 benefit analysis.

4 Next slide, please. Further limitations
5 include the biases for comparisons between drug
6 products, given differences in indications and off-
7 label use, the patient population where the drugs are
8 used, the prescriber specialty, and the particular
9 drug sponsor.

10 Next slide, please. I also want to
11 highlight whether or not these data are most
12 applicable for a qualitative or quantitative
13 evaluation, and I will be presenting both. For the
14 subjective interpretation of the data, one must have
15 a signal threshold, which you might refer to as a rate
16 ratio, and conjecture and literature reports cite a
17 rate ratio, a relative risk of two or three below
18 which epidemiologic or observational data probably
19 can't distinguish noise from association, and that
20 would probably be an underestimate for these data.

21 Another way to do that would be objective,
22 and that would be application of 95 percent confidence
23 intervals. However, because the distribution of these
24 data are uncertain I do this with trepidation.
25 Fortunately, in my conclusions that I draw from these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analyses you come out with the same impression.

2 Next slide, please. Given all that, let's
3 press on with the results. This table lists domestic
4 case reports in the first two years that were returned
5 under the term ventricular arrhythmias and cardiac
6 arrest and usage data for the first two years of
7 marketing for the agents specified in my introduction.
8 These agents are listed by year of introduction over
9 here. The prescriptions over here, I want to note,
10 are given to you in terms of thousands, so for the
11 first agent here, norfloxacin, that's 2.7 million
12 prescriptions. As we go down the list I want to make
13 one important point, and that is that for both
14 cefuroxime and for sparfloxacin they both had only one
15 case, and given the qualitative nature of these data
16 that raises substantially our uncertainty with regard
17 to any point estimate calculated for these particular
18 agents, and that's magnified even further when we
19 consider that sparfloxacin introduced in 1997 only had
20 49,000 prescriptions in its first two years of use,
21 first two years on the market. So, in comparison to
22 3.8 million for cefuroxime, so as you will see, I will
23 come back to sparfloxacin. The jury is out with
24 regard to this agent.

25 Next slide, please. So, this slide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presents the crude and adjusted two year reporting
2 rates for these specific individuals, or these
3 specific agents, and they are ranked on adjusted
4 reporting rate right over here. The first thing I
5 want to point out is the reporting rates that I have
6 listed here are per 10 million prescriptions. So, for
7 cefuroxime the first -- that one case represents an
8 adjusted reporting rate of three per 10 million
9 prescriptions, so in absolute magnitude these are very
10 infrequent events, or at least these are reported very
11 infrequently.

12 The second thing I want to point out to
13 you from this list is that sparfloxacin comes in with
14 an adjusted reporting rate of 145, which sticks out
15 like a sore thumb in comparison to the others. I
16 can't -- I don't know what to make of that number,
17 other than it's based on so much uncertainty given the
18 limited use and the one case that it really can't be
19 isolated.

20 Now then, with regard to interpretation of
21 this data, I said that I will be performing, or I
22 would subject them to both a qualitative and
23 quantitative analysis. One way to look at these data
24 would be to normalize to the lowest individual here,
25 the one with the lowest rate, cefuroxime, in which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 case your first agent, cipro, comes in with a rate
2 ratio of nine, and then all the rest of these agents,
3 including really clarithromycin because the difference
4 between nine and 30 is only three-fold, would really
5 follow -- there would be a gap between examination of
6 this agent and the rest of the agents, which aren't
7 even necessarily a comparison -- which include a
8 comparison between classes, given that this is
9 cephalosporin and the issue we are talking about today
10 is for a fluoroquinolone. So, I don't necessarily
11 think that would be the most valid comparison to
12 normalize to cefuroxime. It is one way to look at the
13 data. I would probably prefer to normalize to
14 ciprofloxacin and group all of these agents probably
15 in the same - qualitatively as having basically the
16 same reporting rate with a difference of three-fold
17 between them.

18 Next slide. I highlight those points
19 right here. Qualitative assessment is going to vary
20 with your threshold that you choose. It's also going
21 to vary with your reference agent, whether or not you
22 choose a drug with similar agents, and choose
23 cefuroxime, or whether you normalize to ciprofloxacin
24 and do it by class.

25 Next slide, please. However, I find no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 qualitative difference seen in the reporting of this
2 adverse event among these antibiotics with the
3 possible exception of sparfloxacin.

4 Next slide, please. In this
5 interpretation of the data I've given you 95 percent
6 confidence intervals for the adjusted reporting rates,
7 and I want to point out that the confidence bands
8 overlap basically with the exception of clarithromycin
9 seems to stand out a little bit in comparison to
10 cefuroxime, but given the nature of these data I would
11 not call attention to this difference. I also want to
12 call attention to sparfloxacin, yet again you really
13 see the effect of one case and a low denominator with
14 a confidence interval of 3.7 to 807, that's so far out
15 there as to make it uninterpretable.

16 Next slide, please. So, these data
17 suggest no or very limited quantitative differences
18 seen in the reporting of this adverse event among
19 these antibiotics. I note the possible exception of
20 sparfloxacin.

21 Next slide, please. With regard to
22 sparfloxacin, given its limited use in the one case
23 these data cannot be used to isolate it. It is
24 interesting to note that among these antibiotics it
25 stands out and it's the one whose label actually

1 includes the incidence of QT prolongation in
2 comparison to erythromycin and cefaclor in the label.

3 Next slide, please. As far as a
4 supplemental sensitivity analysis, I was unable to
5 differentiate between these agents in this analysis,
6 and so I went back and using the same coding term I
7 performed this exercise in a comparison of cisapride
8 versus omeprazol, and this product was chosen because
9 it actually leads the list of adverse drug reports for
10 QT prolongation and Torsade.

11 Next slide, please. And, this table
12 summarizes those findings. Okay. There are four cases
13 for omeprazol in the first two years versus 27 for
14 cisapride, gives you an adjusted reporting rate of 13
15 versus 63, which is almost a five-fold difference, and
16 it's interesting to note that the 95 percent
17 confidence intervals are divergent for these two point
18 estimates.

19 So, next slide, please, I believe that
20 this actually suggests that we can use these agents to
21 possibly detect a difference with regard to certain
22 agents in a comparison of agents with similar use.

23 Next slide, please. So, I want to
24 finalize with what I believe is the take home message,
25 and that is that I chose to examine a rather general

1 term of cardiac arrhythmias and it doesn't really
2 address the issue of QT prolongation with specific --
3 specifically for moxifloxacin.

4 Leonard, if you'll join me now we'll see
5 if there are any questions.

6 ACTING CHAIRMAN RELLER: Questions for
7 Dr.s Sacks and Brinker.

8 Yes, Dr. Ruskin.

9 DR. RUSKIN: I have a question for Dr.
10 Sacks. You showed a mortality slide that confused me,
11 and I'm probably just being dense about this, but I
12 was impressed with the data that Bayer showed, and you
13 described what you said were raw mortality rates, yet
14 at the bottom you showed percentages. I don't know
15 how you get a percentage without using a denominator,
16 yet you said you hadn't used a denominator. So, can
17 you unconfuse me?

18 DR. SACKS: Sure, or I'll try. The
19 graphic just represented the numbers of patients
20 dying, but the calculated value was based on the
21 denominator of all patients in the safety database.

22 Now, the fact that you may be confused
23 between the company's data and mine is based on the
24 fact that I've included six deaths on 200 milligram
25 doses which were not included by the company, and

1 they've included the denominator for those patients on
2 the 200 milligrams, or at least they've excluded the
3 denominator for those 200 milligram patients, whereas
4 we've included them. The other difference between our
5 data analyses was that they excluded the patients who
6 died after 30 days, where you would have seen that
7 there were a couple of disparities in the number of
8 deaths after day 30. And, in fact, we went through
9 this at some length.

10 There are many different ways in which you
11 can look at that data, in terms of indications, in
12 terms of dosages, in terms of duration of follow up,
13 that give substantially different results.

14 DR. RUSKIN: Is it fair to say then that
15 if you include all patients exposed to moxifloxacin at
16 any dose and compare those with the comparators that
17 there was no difference in mortality?

18 MR. SACKS: Across all time frames, that
19 was our impression, yes.

20 DR. RUSKIN: What about leaving out the
21 late deaths? If you leave out the greater than --

22 MR. SACKS: Perhaps, we could get back to
23 the slide. I haven't done the calculation
24 specifically for that interval. I'm not sure if
25 anyone in the audience can help me with that. I think

1 we do have some calculations. The one with the bar
2 graph, perhaps, it will just give you --

3 DR. KWEDER: Slide eight, John.

4 DR. SACKS: Yes. I think what you see are
5 the number of deaths after 30 days, there were one,
6 two, three -- there were six in the moxifloxacin
7 treated arm and two in the other. So, I guess the
8 calculation is a little bit complicated to perform,
9 but it may just give you some sort of relative idea.

10 DR. RUSKIN: And, I had one other question
11 that related to exclusion criteria. We heard about the
12 fact that patients exposed to Class 1A and Class 3
13 anti-arrhythmic drugs were excluded from the
14 protocols, at least part way through, but I wasn't
15 aware earlier that patients with baseline QT
16 prolongations were excluded. Can you tell us what the
17 cutoff was and when that was implemented?

18 DR. SACKS: That was implemented at the
19 inception of the ECG studies, that was in, I think it
20 was, May, '97 did I say? I'm not sure what the actual
21 baseline was, and we could ask Bayer to supply us with
22 that information.

23 DR. HOLLISTER: Alan Hollister from Bayer.
24 This exclusion was subjects with known congenital QT
25 prolongation. That was the term that was used for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exclusion, not baseline prolongation.

2 DR. RUSKIN: So, was it based on an
3 interval or a clinical diagnosis?

4 DR. HOLLISTER: It was based on a history
5 of known QT prolongation.

6 DR. RUSKIN: Can you tell us how many
7 patients were excluded on that basis?

8 DR. HOLLISTER: Any idea? No.

9 ACTING CHAIRMAN RELER: Dr. Parsonnet.

10 DR. PARSONNET: The sponsor showed us a
11 number of different ways of looking at QT
12 prolongation, including greater than 60, a change in
13 greater than 60, and 500, greater than 500 absolute
14 QTc, and I was wondering, you presented -- your data
15 looked quite different because you presented, it looks
16 like, just the greater than **500**, whereas, the
17 sponsor's data look most pronounced for the greater
18 than 60 change. And, I was wondering whether you
19 looked at that as well, and why you chose to present
20 us just with the absolute greater than 500.

21 This is not in my area, obviously, so **I'm**
22 not really sure what numbers we should be paying most
23 attention to.

24 DR. SACKS: I can answer that in part. We
25 did look at prolongations. For practical reasons, I

1 was given the prolongations by the Cardiorenal
2 Division, at levels greater than 80 milliseconds, and
3 we thought that that was, perhaps, not the standard
4 comparison as referred to in the CPMP document.

5 But, based on that comparison, we got very
6 similar results to those presented by Bayer. There
7 were nine patients who developed a greater than 80
8 millisecond prolongation on moxifloxacin out of about
9 559, versus one patient on comparator.

10 DR. PARSONNET: I just have maybe a
11 question for the consultants about, are there certain
12 numbers that we should be paying more attention to
13 than others? What are the significant values for us
14 to really consider?

15 DR. MORGANROTH: Joel Morganroth. As I
16 said before, I think that the real issue is not that
17 the numbers you are striving for tell you that that's
18 a danger level versus a safe level. It's really a cut
19 point, an outlier cut point, that suggests that the
20 drug is more likely causing that QTc increase than
21 spontaneous variability. And, when you look at normal
22 variability data to make that judgment, 60
23 milliseconds turns out to be very good at that, 15
24 percent change from baseline tends to be very good for
25 that. Obviously, anything higher than that, which is

1 implied by 500 or greater, would, of course, be almost
2 always, but not always, drug effect versus spontaneous
3 variability, and I think that's the purpose.

4 The issue as to which amount of QTc is
5 sort of dangerous versus not, as we've heard today, I
6 think is not clear because there is no good
7 relationship that's ever been established between
8 degree of QT prolongation and incidence of Torsade.
9 As Dr. Ruskin appropriately pointed out, we've seen
10 cases of Torsade with very minimal QTc prolongation,
11 but most clinicians have seen most of the Torsade with
12 400, 500, 550, 600 milliseconds.

13 And, I think as Dr. Temple pointed out, 20
14 milliseconds or greater as a mean effect would be sort
15 of a number that I'd be very nervous about, where
16 anything in this one to ten range, or one to, I guess,
17 less than 20 range, is unknown in terms of where that
18 risk is in terms of quantitative risk compared to the
19 benefit that you have to judge.

20 DR. RUSKIN: I would agree with that, but
21 I would add one point, and that is that, while it is
22 true that it's important to distinguish spontaneous
23 variability from drug effect, we have the benefit in
24 many of these studies now of a control population.
25 So, it's important to ask what the drug does in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relation to the control agents, and if you see a
2 change in distribution in relation to a control
3 population then you are talking about more than just
4 spontaneous variability, you are talking about a
5 difference between two sets of agents, and there
6 appears to be some difference here.

7 What the clinical significance of that is,
8 and how much it actually predicts events, is something
9 that we can't answer, but there does appear to be some
10 difference.

11 ACTING CHAIRMAN RELLER: Dr. Norden.

12 DR. NORDEN: Yes, can I ask Dr. Ruskin a
13 question based on Dr. Sacks' presentation? Do you
14 have any concerns about the difference in the rate of
15 atrial fibrillation in the two populations, which was
16 striking?

17 DR. RUSKIN: I was intrigued by that. I
18 have no idea what the significance of that is. I can
19 tell you, and this is getting very hypothetical, that
20 there are some people who believe in the concept of
21 atrial Torsade and that Class 3 agents, typical Ikr
22 blockers, may have effects on atrial muscle that may
23 predispose them to arrhythmias, perhaps, AF. I am not
24 aware of any clinical data to support that, it's
25 purely hypothesis at this point. But, I was equally

1 intrigued by the observation, I don't know how to
2 explain it.

3 DR. RODVOLD: If I understood all the
4 presentations, most of the data that's on the QT
5 against serum concentrations from Phase I, Phase II,
6 mainly human volunteer type studies, dose ranging
7 studies, was there a population analysis done on PK of
8 serum levels in patients in these studies, and are
9 those serum levels in those patients different than
10 those volunteer data?

11 DR. SACKS: Yes, I'm not aware of any such
12 data on the clinical database and the clinical trials.
13 I don't know if Bayer has any other to offer or not.

14 ACTING CHAIRMAN RELER: Dr. Platt?

15 DR. PLATT: Both Dr. Morganroth and Dr.
16 Temple have said 20 milliseconds is a number to keep
17 in mind. And, with that thought I wonder if we could
18 see Dr. Sacks' slide No. 12.

19 I appreciate that these data come from
20 normal volunteers, but it seems the data are very
21 consistent with the clinical trial data, and what I
22 understood from the briefing papers is that the steady
23 state concentration is about 4,500. If that's
24 correct, that suggests that even though there isn't
25 much data, Dr. Ruskin's comment that most of the data

1 we're looking at don't speak about the likely clinical
2 population who would be treated comes to bear because
3 it suggests that at 4,500 the best guess is that the
4 prolongation would be about 20 milliseconds. And, of
5 course, there's only one person who is out at that
6 concentration on this table, but it would also suggest
7 that there might be a substantial fraction who are up
8 above 60 milligrams as prolongation.

9 So, is that a -- my question is, is that
10 a correct interpretation of these data, that most of
11 the data don't speak to the clinical use, but that
12 there's a suggestion that 20 milliseconds might be in
13 range?

14 DR. RODVOLD Can I add to that just one
15 moment before you answer that? Is that slide correct
16 on the bottom statement, that mean serum
17 concentrations for the 400 milligram is 2,165? The
18 briefing document from the FDA says it's 4,500 for
19 steady state, but even single dose in the sponsor's
20 packet says 3.3 or 3.36, and I was wondering how you
21 got 21 up there?

22 DR. SACKS: This was a subpopulation that
23 we analyzed. This was based on a single oral dose,
24 400 milligrams, and it was taken at presumed Cmax,
25 which could have been any time from two to six hours

1 afterwards. I noticed that in the sponsor's briefing
2 package the numbers of patients referred to, they were
3 small, but I can't really make a claim for exactly why
4 those differences occurred.

5 This is definitely not steady state, I
6 must point that out, this is Cmax. I don't know if
7 the company has any responses.

8 DR. HOLLISTER: I think there's always
9 concern when we are trying to do regressions, and, in
10 fact, the FDA took us to task for having multiple
11 points down here at a very low level, or zero level,
12 and rightfully so, because if you are trying to do a
13 regression to identify a drug effect, then a zero
14 concentration should not be included in your
15 regression line.

16 So, there may be some of that influence
17 here. These are, as the slide indicates, just single
18 dose, and with multiple dose administrations the
19 concentration is higher. The dearth of points out
20 here doesn't help us very much in terms of identifying
21 the relationship. I think the bottom line, though, is
22 that in the several thousand patients who did receive
23 steady state concentrations, who got the EKGs at
24 presumed Cmax, our mean effect **was** six milliseconds.

25 DR. MORGANROTH: This is Joel Morganroth.

1 In reference to Dr. Platt's prior question
2 about the 20 milliseconds, those comments, and I
3 assume what Dr. Temple meant, he's here and he could
4 speak for himself, but what I meant was, if you look
5 at large Phase III databases in drugs on clinical
6 development, and if you look at electrocardiograms
7 taken and measured properly, if you see a mean change
8 of 20 milliseconds and you look to see what happened
9 to those drugs that reached that level or greater when
10 used clinically, and you find that a lot of them have
11 a lot of incidence of Torsade. I showed you a slide
12 earlier with anti-arrhythmic drugs that had 20 to 60
13 milliseconds in which the prevalence of Torsade was,
14 what, anywhere from one in ten to one in 100, and I
15 think Dr. Temple mentioned a drug that had a 20
16 millisecond, I don't think it was approved in the
17 United States, I'm pretty sure it was not, it was
18 approved in Europe, and it had a lot of Torsade that
19 occurred afterwards in a non-cardiac drug. And so,
20 that's where the number 20 comes from, if you reach a
21 mean of 20 or greater in a big population, you know,
22 that's a long enough number that clinical experience
23 suggests that that might be important in terms of
24 actual real Torsade incidence in the treated
25 population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, with moxifloxacin, that database
2 turned out to be six, and I'm not sure -- I don't
3 think it's fair to take normal volunteers and find
4 that at a certain level that some of them had 20
5 milliseconds, because remember the normal variability
6 could be much higher than that, and to make any
7 suggestions that, therefore, that would be a more
8 dangerous drug than if you don't see that, you see a
9 flatter dose response curve.

10 In my experience, the dose -- or let me
11 put it a different way, the concentration in plasma to
12 the QTc effect relationship looks almost like that
13 slide you saw for every drug that I've seen. I mean,
14 there's very poor correlations between plasma
15 concentrations and QTc duration in milliseconds. With
16 dose and QTc, there's some drugs that have a good
17 relationship, like terfenadine. You have .128
18 milliseconds per milligram of dose, and it's at
19 linear. But, most other drugs it's too scattered to
20 really make even an oral dose to QTc relationship.

21 ACTING CHAIRMAN RELLER: Dr. Murray.

22 DR. MURRAY: Yes. I apologize, because
23 this has probably been asked and answered, but the
24 levels that we have heard about, the blood levels,
25 drug concentrations that we have heard about, are all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701