

1 the alosetron responses in both pivotal Phase III studies.  
2 Although we did not conduct any studies yet with relevant  
3 J.S. comparators, we do have two comparator studies from  
4 relevant European comparators.

5 The study you are looking at here which has  
6 recently been completed was a large, multi-center trial  
7 evaluating mubevrin [ph], which is one of the most widely  
8 used agents in Europe, versus alosetron. As you can see,  
9 alosetron was significantly superior to mubevrin.

10 DR. LAINE: So your expert IBS consultants do  
11 agree that 10 percent improvement is indeed clinically  
12 significant, as well as statistically significant?

13 DR. MANGEL: It sounds like you're asking me to  
14 speak for them.

15 Dr. Camilleri, would you have an opinion?

16 DR. CAMILLERI: I think one of the important  
17 issues here is that these trials have used a global response  
18 endpoint and the proportion of individuals that respond at  
19 that threshold endpoint is increased relative to the  
20 placebo-treated arm. The question you are posing is, is a  
21 10-percent difference in the symptomatology different. And  
22 I think what Dr. Mangel has shown is that certainly for  
23 several of the endpoints that I saw on that slide, there was  
24 certainly a greater than 15-percent across the board for  
25 most of those symptoms.

1           So I think one has to distinguish between the  
2 proportion that reached the global endpoint in alosetron  
3 versus placebo group, where the sample size was  
4 appropriately chosen to show a 10- to 15-percent increment  
5 which would justify the prescription of this medication in  
6 this study population.

7           CHAIRMANHANAUER: While you're up there, Michael,  
8 Dr. Geller has a question.

9           DR. GELLER: In all the material I received, I  
10 didn't say that overall percent. Now, doing some quick  
11 averaging, I guess I would like you to tell me what the  
12 prime--rather than looking at the six percents, then, you  
13 really only should be looking at two, which is the overall  
14 three-month comparison in each of the trials, and those  
15 percents aren't given, although the p values are.

16           DR. LAINE: You mean--I have 17 and 9.

17           DR. GELLER: That's not right, that's not it.  
18 It's in the 50s, according to their analysis.

19           DR. LAINE: The difference?

20           DR. GELLER: The difference is, I think--well,  
21 quick--they have the data.

22           DR. MANGEL: Of course, I would agree, Dr. Geller,  
23 there are several different ways to look at it. When we  
24 look at the portion of weeks with adequate relief in--

25           DR. GELLER: I'm just asking for the primary

1 endpoint, the percent of response in each treatment group  
2 for each trial, which is not in the book, I don't think.

3 DR. MANGEL: You're looking for--

4 DR. GELLER: The primary endpoint--

5 DR. MANGEL: For the total number of months?

6 DR. GELLER: Yes.

7 DR. MANGEL: Okay. Could we have slide N-2 up?

8 DR. GELLER: That doesn't give--

9 DR. MANGEL: What this is is the number of months  
10 as a monthly responder for either zero, 1, 2, or 3 months on  
11 alosetron treatment versus placebo. Is that--

12 CHAIRMAN HANAUER: Is the question you're asking  
13 when was the primary endpoint measured?

14 DR. GELLER: The primary is three months.

15 CHAIRMAN HANAUER: Are you looking at multiple or-

16 -

17 DR. MANGEL: The primary endpoint is monthly  
18 responder for each of the three-month intervals. The  
19 primary endpoint was not the total number of months.

20 Could I defer to our statisticians on this because  
21 I'm clearly not answering?

22 Dave?

23 MR. McSORLEY: If you could put that slide back  
24 up, N-2, please? Dave McSorley, clinical statistics at  
25 Glaxo Wellcome. Could I have slide N-2 back up, please?

1 I think, Dr. Geller, what you were asking was that  
2 in terms of subjects who are monthly responders for all  
3 three months in each study. In study S3BA 3002, although  
4 the numbers aren't here, this was 41 percent, and this was  
5 29. So there was a 12-percent difference in the proportion  
6 of subjects who are monthly responders for all three months.

7 And, similarly, in S3BA 3001, I don't recall the  
8 exact proportions. I believe it was--again, it was 41  
9 percent versus 26, so I think it was a 15-percent difference  
10 in the proportion of subjects who were monthly responders  
11 for all three months. And I think that's what your question  
12 was, and that's what the p values represent, a comparison  
13 between the two treatment groups with respect to the total  
14 number of months, subjects for monthly responders.

15 DR. LAINE: Which exactly was the primary  
16 endpoint?

17 MR. McSORLEY: Yes.

18 DR. LAINE: Was it this or was it the--I thought  
19 it was the number of people with adequate relief, and the  
20 question is was it each month an endpoint or was it at three  
21 months, your primary endpoint?

22 MR. McSORLEY: Well, as you recall, the primary  
23 endpoint was the monthly responders. Since there are three  
24 months, our strategy for dealing with the multiple endpoints  
25 involved looking at the total number of months, so monthly

1 responder being a dichotomous endpoint; either you were or  
2 you weren't. Therefore, the total number of months could  
3 take on a value across all three months as either zero, 1,  
4 2, or 3. That was our first test.

5 DR. LAINE: At each month?

6 MR. McSORLEY: Yes.

7 DR. LAINE: Okay.

8 DR. GELLER: The p value of whatever, .001 and  
9 .012, corresponds to the number of months, zero, 1, 2, or 3  
10 of response compared in the two arms?

11 MR. McSORLEY: That is correct.

12 DR. GELLER: Thank you.

13 CHAIRMAN HANAUER: Dr. Senior?

14 DR. SENIOR: Would you clarify? I thought Dr.  
15 Mangel said--and maybe the statistician will stay--said that  
16 if a patient left the study after responding in the first  
17 month, that response would be carried forward for the rest  
18 of the study, so that we therefore have credit for all three  
19 months. So if a patient had a response but withdrew for  
20 constipation, they would be counted as a three-month  
21 responder. Is that correct?

22 MR. McSORLEY: Yes. The last observation carried  
23 forward approach was applied on the monthly basis.  
24 Therefore, if you had an entire month missing, you looked at  
25 the data at the previous month and carried that forward.

1 DR. SENIOR: You could have two months missing.

2 MR. McSORLEY: That's correct. If you had any  
3 data at all in a month, if it was just one value, you  
4 obviously could not be a monthly responder, so you would be  
5 a non-responder for that month. So months in which there  
6 were partial data--the missing weeks in a month with partial  
7 data, those missing weeks were then assumed to be no  
8 response.

9 DR. SENIOR: I understand, but the critical number  
10 is the patients who were credited with responding for all  
11 three months, and that group includes people who weren't  
12 studied for three months and who may have left the study in  
13 the first month.

14 MR. McSORLEY: Right. I think the question you're  
15 asking is does the imputation--was that driving the results  
16 for the adequate relief? And let me assure that the  
17 imputation, according to the last observation carried  
18 forward approach, was not driving the treatment differences  
19 for adequate relief. In fact, at month one, none of the  
20 differences were attributable to the last observation  
21 carried forward approach because there was nothing to carry  
22 forward. Missing months were assumed to be no relief, so  
23 they were non-responders.

24 In addition, at months two and three, less than  
25 1.6 percent of the treatment difference was attributable to

1 the last observation carried forward approach. Therefore,  
2 the last observation carried forward approach was quite  
3 neutral in estimating missing features with respect to  
4 adequate relief and was not explaining the significant  
5 treatment differences we've seen on the monthly responders  
6 or the total number of months analysis.

7 CHAIRMAN HANAUER: Dr. Geller?

8 DR. GELLER: I'd like to pursue the discontinued  
9 patients just a little bit. My first question--I work in  
10 cardiovascular clinical trials on the whole, and they are a  
11 lot larger than these and follow patients for a lot longer  
12 time. And I would be downright embarrassed to have this  
13 kind of discontinuation rate, so I wondered what actions you  
14 took so that patients would not discontinue.

15 MR. McSORLEY: If you recall--could I have slide  
16 R-49 from the core presentation?

17 This shows you the adequate relief data week by  
18 week, and although these are labeled weeks 13, 14, 15, and  
19 16, during the follow-up when patients were discontinued,  
20 you know, they were encouraged by the staff at the site to  
21 continue answering the adequate relief question, calling in  
22 each week for up to four weeks. Of course, you know, you  
23 can't guarantee that people are going to do that if they  
24 withdraw, but what this shows you is that we did actually  
25 collect data for four weeks' follow-up for patients who

1 withdrew. And these data in each study show that there was  
2 no differential response once patients withdrew from  
3 treatment.

4 DR. GELLER: I was interested in during the  
5 treatment when you have **various** reasons for withdrawal, and  
6 one of them is, in fact, consent withdrawn--I was just  
7 wondering what kind of encouragement you gave patients who  
8 were not particularly reporting symptoms to continue taking  
9 the drug if they said, no, I don't want to continue this  
10 now.

11 DR. MANGEL: The only actual measure which was  
12 instituted as an effort to try to keep patients in were for  
13 individuals with four consecutive days without a bowel  
14 movement. They could have a brief interruption of alosetron  
15 therapy or in treatment, whichever arm they may be in, for  
16 up to four days.

17 DR. GELLER: Well, what if somebody said, I didn't  
18 take my pills, I forgot, I was out of town, I forgot to take  
19 them with me for a few days, and it was more than four?

20 DR. MANGEL: That is actually something different,  
21 Dr. Geller. The criteria of the drug holiday for up to four  
22 days was in response to four days without a bowel movement.

23 DR. GELLER: Right.

24 DR. MANGEL: Individual patient compliance of  
25 pills were not--except in the very large extreme, were not a



1 cause for removal of the patient from the study.

2 DR. GELLER: But what did you do to encourage the  
3 patients to stay in the study if they weren't exhibiting  
4 symptoms?

5 DR. MANGEL: Yes. The primary measure to  
6 encourage patients to remain in the study is, as you may  
7 recall, we collected data on the electronic data capture  
8 system. If a patient did not enter data for any specific  
9 day within the study, then a fax was automatically sent to  
10 the site of that patient. The site was instructed to call  
11 the patient to remind them to enter data to see if they were  
12 having any problems. Those were the only measures that were  
13 taken to encourage patients to remain within the study.

14 CHAIRMAN HANAUER: I have several questions and  
15 they are all in different directions. First is the  
16 endpoint. We heard from actually the public that the most  
17 important endpoint from their perspective was quality of  
18 life. Yet, by the SCL-90, there were no differences. What  
19 is your take on that?

20 DR. MANGEL: Yes. The SCL-90, Dr. Hanauer, is not  
21 a quality of life instrument. It's more a measure of  
22 distress. The SCL-90 is more measuring psychometric  
23 dimensions than quality of life parameters, per se. In our  
24 study, we did actually have a quality of life--we actually  
25 had two quality of life instruments, as well, the SF-36,

1 which is a generic instrument, as well as a disease-specific  
2 instrument, the obvious QOL.

3 In the United States, which is somewhat different  
4 from many of the European countries where statistical  
5 significance is all that is required for achieving--or  
6 recognizing that you've received benefit in quality of life,  
7 in the United States we also have to exceed a clinical  
8 hurdle which is known as the MMD, or meaningful minimum  
9 difference.

10 We have recently received our MMD data and are in  
11 the process of evaluating whether we achieved a clinical  
12 hurdle on our IBS quality of life data. We achieved  
13 statistical significance on eight of the nine domains in one  
14 study, nine of the nine domains on the other study for the  
15 IBS QOL. I should point out, though, that that may be  
16 misleading, as in addition to achieving statistical  
17 significance to achieve satisfactory quality of life benefit  
18 as far as a claim in the U.S., you must also achieve a  
19 clinical hurdle. We do not have those results to share with  
20 you. They were not included within the NDA.

21 How come the results were not included within the  
22 NDA? There are actually two reasons. One is the MMD  
23 instrument; we have just received the results from that. It  
24 was actually a separate instrument. It was administered in  
25 a 12-month-long study entitled S3B 3006. The instrument was

1 not included within the pivotal program.

2 CHAIRMAN HANAUER: Well, I guess we'll come back  
3 to that in a subsequent discussion because obviously you had  
4 preliminary discussions with the agency regarding the  
5 endpoint that you used, and that was the reason that you--I  
6 presume that's the reason that you came up with the current  
7 primary endpoint.

8 DR. MANGEL: Yes, at the end of Phase II.

9 CHAIRMAN HANAUER: And the agency didn't request  
10 any additional quality of life as part of the NDA?

11 DR. MANGEL: Well, I don't want to speak for the  
12 agency unless the agency wants me to.

13 CHAIRMAN HANAUER: Did you guys want any quality  
14 of life data?

15 DR. TALARICO: We don't have any regulatory  
16 criteria yet for accepting quality of life as a parameter.

17 CHAIRMAN HANAUER: Well, you know, from our  
18 standpoint, one of the issues is we kind of set the hurdle  
19 now, then, as their current primary endpoint. So, that's  
20 kind of setting a--it's going to set a precedent if we  
21 accept it as that primary endpoint.

22 DR. HOUN: We're open to comments on that primary  
23 endpoint, and I think in terms of quality of life, I mean if  
24 a company wishes to pursue that as another indication, you  
25 know, that is up to the company and further discussions with

1 the agency. Quality of life has been a difficult area in  
2 tool validation and meaning, and so it's not as clear-cut as  
3 maybe other endpoints and trials.

4 CHAIRMAN HANAUER: Dr. Wald, do you have comments  
5 on that just to get some follow-up? Are you satisfied?

6 DR. WALD: Well, I think it's a very important  
7 issue that you raise. One was talking about symptoms, and  
8 then you're breaking them down into primary and secondary  
9 endpoints. But, of course, the global issue is quality of  
10 life and I think that is what is important to patients. And  
11 I think it will be very helpful if we have the kind of data  
12 that hopefully will come forth that will show that. It  
13 makes sense that if you have improvement in symptoms, you  
14 should have improvement in quality of life, depending, of  
15 course, on what you are measuring.

16 One of the questions I wanted to ask goes back to  
17 a preliminary slide in which you indicated or asked patients  
18 what their most discouraging symptom was, and about a third  
19 talked about abdominal discomfort. I may have missed it,  
20 but do you have data that breaks down that to separate out  
21 those who view urgency or frequency of defecation to see  
22 whether those patients who indicated abdominal discomfort  
23 also had significant, or statistically significant  
24 improvement in the major cause of their problem?

25 DR. MANGEL: I would to rephrase your question,

1 Dr. Wald, just to make sure that I have it correct. You  
2 would like to know how individuals did on adequate relief by  
3 what was their most bothersome symptom, just to make sure I  
4 answer the correct question?

5 DR. WALD: Yes, but specifically for the group,  
6 the 36 percent or so--perhaps that's not true in all of the  
7 trials--who would indicate that abdominal pain was the  
8 primary symptom that caused them the most distress. If we  
9 just took that group and eliminated the others, how much of  
10 the improvement that you see in your data comes from that?

11 DR. MANGEL: There was about a 10-percent  
12 improvement on adequate relief for that population with  
13 alosetron treatment as compared to placebo. The statistical  
14 significance--actually, we didn't analyze it because what  
15 we're doing is we're taking the population and then you've  
16 dividing it by the percent of people, or subcategorizing by  
17 the percent of people which had that specific most  
18 bothersome symptom, so you're starting to lose power. But  
19 we were looking for the trend to see how those people would  
20 do. We also--

21 DR. WALD: So, in other words, that subgroup had  
22 approximately the same amount of improvement difference-wise  
23 from placebo as did the rest of the population?

24 DR. MANGEL: Yes, and what we saw, Dr. Wald, is  
25 for the patients who reported urgency to be their most

1 bothersome symptom, they did quite well on adequate relief.  
2 For individuals who were diarrhea-predominant and reported  
3 bloating as their most bothersome symptom, they actually  
4 also did quite well on adequate relief. For those who were  
5 categorized as those with an alternating bowel pattern and  
6 bloating was their most bothersome symptom, they did not do  
7 well at all on adequate relief.

8 DR. WALD: I just want to focus on those who had  
9 abdominal pain, the 36 percent. The reference is 10 percent  
10 in those with diarrhea predominance and a similar amount  
11 with the alternating?

12 DR. MANGEL: No. I'm sorry. That number was for  
13 the diarrhea-predominant; it's on the order of about 10  
14 percent. For the alternators, it actually was about 15  
15 percent.

16 DR. LAINE: A smaller point. You know, typically,  
17 one gives approval for the population that was studied.  
18 You're asking for approval in people who have diarrhea,  
19 basically. It strikes me as basically the population you  
20 included was anybody who didn't have hard stool, basically.  
21 So it would strike as your endpoint is in those who don't  
22 have hard stool. And it may be a subtle difference, but  
23 that's why I say looking at IBS, perhaps, obviously you  
24 certainly had your investigators check whether it was  
25 diarrhea-predominant or not. But, in reality, you entered

1 anybody who had a stool greater than 2.5.

2           And the other problem is you didn't really talk  
3 about--it's not a problem--frequency, which is the other  
4 part of diarrhea or constipation, was not really an  
5 inclusion criteria. So, in reality, it was really only  
6 stool consistency that was an entry criteria. So it strikes  
7 me as what you would be asking for based on this study would  
8 be people who didn't have hard stools, IBS female patients.

9           DR. MANGEL: Yes. First, I would like to start my  
10 answer, we agree with you, Dr. Laine. Our entry criteria  
11 for bowel function were based on the stool consistency score  
12 being greater or equal to 2.5 on the 5-point scale, which is  
13 somewhere between hard and formed stool. The intent of that  
14 was, clearly, we thought patients who were very constipated  
15 would not benefit from a drug that tends to induce  
16 constipation. So, that is why we simply chose not to study  
17 those patients.

18           We did find somewhat of a disparity in the results  
19 of the 3001 and 3002 study with respect to how the  
20 alternating patients performed. In the 3001 study, as well  
21 as in the mubevrin study, which is the recent European  
22 study, the alternators all received benefit over placebo for  
23 adequate relief.

24           In the 3002 study, the alternators were much more  
25 constipated variety overall, and that was based on stool

1 consistency scores as well as stool frequencies. When we  
2 dissected out the alternators from the 3002 study who had a  
3 normal consistency and a normal frequency, they also  
4 received good benefit with alosetron.

5 So we agree that we did not study those patients  
6 who were constipated, and at screening we actually only had  
7 a stool consistency entry requirement, not a stool  
8 frequency.

9 CHAIRMANHANAUER: Following up on those lines,  
10 the most common side effect was constipation, and also the  
11 reason for withdrawal. Did you correlate the likelihood  
12 that the patients were going to complain of constipation  
13 based on their baseline stool consistency? Was that a  
14 factor overall?

15 DR. MANGEL: Yes. The overall rate of  
16 constipation in the alternators was approximately--when you  
17 correct for placebo because the placebo rate was 1 or 2  
18 percent higher, was approximately 7 percent higher in the  
19 alternators than in the diarrhea-predominants, you know, so  
20 the alternators started with a lower frequency and a harder  
21 consistency than the diarrhea-predominant patients. So it's  
22 exactly as you predicted, Dr. Hanauer. Those who tended to  
23 be more constipated at study entry were more likely to  
24 develop constipation.

25 DR. RACZKOWSKI: I wonder if you could clarify one



1 of the summary slides that you had. It was slide number A-  
2 53--

3 DR. MANGEL: Could we have A-53, please?

4 DR. RACZKOWSKI: --where you indicated that  
5 alosetron provides significant and sustained adequate relief  
6 of IBS pain and discomfort. And the question I have is  
7 where the term "sustained" comes from because my  
8 understanding of what a monthly responder would be is  
9 someone who responded in two out of the four weeks of that  
10 month, or more, not necessarily contiguous weeks.  
11 Similarly, in your overall analysis when you're looking for  
12 monthly responders for two months, those don't necessarily  
13 have to be contiguous months. So what do you mean by  
14 "sustained" there?

15 DR. MANGEL: Sure. Could we have slide A-49 from  
16 the core, please?

17 The notion of monthly responders is, of course,  
18 more applicable to a regulatory environment than a clinical  
19 environment. We feel the data presented on the week-by-week  
20 basis which, of course, comprised the primary data to  
21 generate the monthly adequate relief responders, you know,  
22 may illustrate this point a bit better. And what you see is  
23 once benefit is achieved, a sustained response occurs on  
24 adequate relief.

25 DR. RACZKOWSKI: But that's not in any given

1 patient. You're talking about overall in the population.

2 DR. MANGEL: Yes. Okay, could we have--what we  
3 also did--and I will show it to you all; just pull up the  
4 slide. We also evaluated for individual patients, the  
5 patients who had at least two weeks of adequate relief for  
6 month for each of the three months, and individuals who had  
7 at least three weeks of adequate relief for each of the  
8 three months. So I believe this is addressing your  
9 question. So those are the individuals who would have at  
10 least, in that latter group, 9 weeks of adequate relief out  
11 of the 12-week study.

12 If you bear with me for just one moment, because  
13 this is an important issue, I will pull up that slide.  
14 Could we have in the C set slide number 27?

15 So what you're looking at here, and as you would  
16 anticipate because you've made your hurdle higher, that the  
17 relative percent of patients, the absolute percent of  
18 patients who would achieve adequate relief for at least  
19 three weeks per month for every month will be lower than two  
20 weeks per month for a month. But what you see with  
21 alosetron--you know, you see a similar delta between  
22 alosetron and placebo-treated patients. So this represents  
23 patients who have received at least three weeks per month  
24 for each month with adequate relief. And this, as well as  
25 the weekly basis, is some of the evidence for

1 ssustainability.

2 We also analyzed transitional probabilities, such  
3 as the probability, once you have relief, of switching to a  
4 no-relief state, but more appropriately, once you have  
5 relief, of staying in a relief state. The transitional  
6 probability was approximately 80 percent. So once you're in  
7 relief, it's an 80-percent probability you're going to stay  
8 in relief.

9 DR. LAINE: As you got closer and closer to no  
10 pain, is it not true that--you didn't give all the data, but  
11 that alosetron and placebo were quite comparable for pain-  
12 free status?

13 DR. MANGEL: For pain-free days?

14 DR. LAINE: Yes. Well, actually, you presented it  
15 in two different ways, or it was presented in different  
16 ways, but the numbers weren't always given. No data was  
17 given for that.

18 DR. MANGEL: Yes, and I believe, Dr. Laine, you're  
19 referring to the pain-free day responders for the secondary  
20 endpoints, yes. And a pain-free day responder is actually,  
21 we believe, a very high hurdle. That represents individuals  
22 who had to have at least 50 percent of the days within a  
23 month of no pain at all, and we agree. I mean, that  
24 analysis only showed significant improvement at month three  
25 in the one study for virtually the absence of pain.

1 CHAIRMAN HANAUER: Dr. Geller?

2 DR. GELLER: I have some questions about the  
3 analyses you conducted. I understand that for your primary  
4 endpoint, you had first an overall analysis and then the  
5 three monthly analyses. I wonder if you had any system in  
6 place for sequential analyses when you were doing all these  
7 week-by-week comparisons.

8 The slide you just had up a few moments ago, A-49,  
9 is the first example where you have 17 weeks of data,  
10 counting week zero, and it looks like you conducted 17  
11 hypothesis tests for each study. Was there any sequence  
12 rule in place for conducting the next test?

13 DR. MANGEL: I would like to refer to Dave  
14 McSorley again.

15 MR. McSORLEY: Our strategy for dealing with the  
16 multiple significance testing was --you're exactly right--we  
17 did test endpoints sequentially by pre-specifying the order  
18 for which we tested endpoints and then requiring  
19 significance before we proceeded to the next endpoint.

20 Specifically, on the week-by-week analysis, that  
21 was a secondary endpoint, a supportive endpoint to the  
22 monthly responders mainly to identify the onset and  
23 durability of the treatment effect. And those p values that  
24 are starred in slide A-49 are the raw p values and they are  
25 not adjusted for multiplicity. The multiplicity adjustment

1 again applied to the monthly responders, as the first test  
2 was the total number of months. And if that was  
3 significant, we primarily assessed the individual months to  
4 see which months were significant or responsible for the  
5 significant result on the total number of months, and then  
6 the weekly results were done as complementary to that to  
7 identify the onset and duration.

8 So the primary adjustment sequence was the total  
9 number of months, and if that was significant, then we  
10 looked at the other things as complementary and supportive  
11 and moved on to the secondary endpoints. So just let me  
12 show you how that all plays out in terms of our primary and  
13 key secondary endpoints.

14 If I could have backup slide N-46, what this slide  
15 shows is our multiple testing strategy involved the total  
16 number of months with adequate relief was the primary  
17 assessment for efficacy. And then if that was significant,  
18 we proceeded to the secondary endpoints that were given in a  
19 pre-specified order--stool consistency, urgency, stool  
20 frequency, then bloating and incomplete evacuation.

21 And as you can see, in each study we were  
22 significant at  $p$  less than .05 for each of the endpoints.  
23 However, when we got to the bloating endpoint, it was not  
24 significant at the pre-specified interval, month one. So  
25 the testing--

1 DR. GELLER: Where was that in the sequence,  
2 bloating?

3 MR. McSORLEY: I'm sorry. Bloating was number  
4 four and it was not significant at the primary interval that  
5 was specified, which was month one. So testing then stopped  
6 at that point, and so this was the sequence for testing  
7 endpoints and the significance is seen there. Again, the  
8 whole rationale for testing in sequence is if we have  $p$  less  
9 than .05 for each of the endpoints, then the overall  
10 significance level is less than .05, so no adjustment is  
11 necessary.

12 DR. GELLER: But then on the question I asked  
13 initially on the weekly data, we do have 17 comparisons for  
14 each study for each of those weekly graphs.

15 MR. McSORLEY: That is correct.

16 DR. GELLER: Okay. Now, I have one last question  
17 regarding the multiple testing and it relates to slide A-58-  
18

19 MR. McSORLEY: Could we have slide A-58?

20 DR. GELLER: --where you have the secondary  
21 endpoints broken down by months. So we have some different  
22 kinds of combinations here, so is there a sequential  
23 procedure in place here?

24 MR. McSORLEY: Well, for incomplete evacuation and  
25 bloating, that is a continuation of the pre-specified order

1 for the secondary endpoints.

2 DR. GELLER: But what about the months?

3 MR. McSORLEY: Well, the primary interval for  
4 assessment was month one, and that not being significant--  
5 these are just displayed to show how the results came out.  
6 So I think at this point--

7 DR. GELLER: So month one is not significant in  
8 any of those?

9 MR. McSORLEY: That's correct, and so these are  
10 primarily presented for supportive and descriptive purposes.

11 DR. GELLER: Okay, so now just let me get this  
12 straight. For the secondary endpoints, the months were  
13 specified in what sequence?

14 MR. McSORLEY: Month one was the primary interval.

15 DR. GELLER: And then?

16 MR. McSORLEY: And then weeks within month one.

17 DR. GELLER: And then?

18 MR. McSORLEY: Months two and three.

19 DR. GELLER: Combined or separately?

20 MR. McSORLEY: Separately. At that point, months  
21 2 and 3 and weeks 5 through 12 were looked at, you know, as  
22 complementary or supportive purposes.

23 DR. LAINE: That wouldn't prevent you from going  
24 on to the next one, then?

25 DR. GELLER: Yes, indeed.

1 MR. McSORLEY: No, because the primary interval  
2 for assessment was month one.

3 DR. GELLER: But these have no significance in  
4 month one, all these?

5 MR. McSORLEY: That's correct.

6 DR. GELLER: Thank you.

7 DR. LAINE: So that means if, at month two, there  
8 wasn't significance, you could still go on to the next  
9 endpoint because of the fact that you were only looking at  
10 month one in the sequence, is what you're saying?

11 MR. McSORLEY: Right.

12 DR. LAINE: For instance, in the two-month  
13 adequate relief, you reached a p value that was not .05 at  
14 two months.

15 DR. GELLER: I think there was a sequential  
16 procedure in place, but it wasn't in place, in that there  
17 was a sequential procedure in place and then if everything  
18 went right, it followed. But if everything didn't go right,  
19 the remainder of the tests are still done. I think that's  
20 actually what we see here.

21 MR. McSORLEY: Oh, you're exactly right. All of  
22 the tests were done, but interpretation for whether it's  
23 inferential versus descriptive purposes, we followed exactly  
24 the pre-specified--

25 DR. GELLER: But nobody said this particular slide



1 was descriptive and not for inferential purposes.

2 MR. McSORLEY: I do think the title for the slide  
3 did say secondary endpoints.

4 CHAIRMAN HANAUER: Do you need more clarification?

5 DR. GELLER: I don't think so. Thank you.

6 CHAIRMAN HANAUER: Dr. Berardi?

7 DR. BERARDI: I have two questions. I don't want  
8 to interrupt the momentum here in this direction and if you  
9 want me to, I can ask these questions later, but one of them  
10 has to do with potential drug interactions and the other one  
11 has to do with hepatic metabolism.

12 CHAIRMAN HANAUER: Go for it.

13 DR. BERARDI: The first question has to do with  
14 the potential for alosetron to have drug interactions, and I  
15 was particularly reading some of the information that was  
16 sent as background information. I wondered if you all  
17 collaborate on, in particular, the study that was done with  
18 theophylline because this drug is a known inhibitor of  
19 cytochrome p4501-A-2 [ph]. And I was curious as to was this  
20 a steady state or a single-dose study. Was AUC measured?

21 I know the data was given on blood levels, but I  
22 was wondering if one could elaborate on that for me, please.

23 DR. KOCH: Yes. Kevin Koch, Glaxo Wellcome,  
24 clinical pharmacology. It was a single-dose--I'm sorry--it  
25 was a repeat-dose study. We dosed for 15 days with

1 theophylline and then added the alosetron placebo 8 days  
2 into that. So we were looking at steady state blood levels  
3 of theophylline, and we didn't see any effect of alosetron  
4 in vivo.

5 DR. BERARDI: Was AUC measured?

6 DR. KOCH: Yes, it was.

7 DR. BERARDI: And you saw no differences in AUC?

8 DR. KOCH: No differences at all.

9 DR. BERARDI: And if you don't mind, could you  
10 talk a little bit more about the cisapride study, and I  
11 think you did haloperidol and morphorine [ph].

12 DR. KOCH: Yes; not morphorine, haloperidol, yes.

13 DR. BERARDI: Okay, and--

14 DR. KOCH: The cisapride study, as well, we looked  
15 at-- saw no effects on AUC blood levels.

16 DR. BERARDI: And that was the effect of alosetron  
17 on cisapride, or cisapride on alosetron?

18 DR. KOCH: Alosetron on cisapride.

19 DR. BERARDI: On cisapride?

20 DR. KOCH: Right.

21 DR. BERARDI: Okay. My second question is this  
22 drug is highly metabolized, and I was curious as to--I know  
23 that this probably isn't going to be a major issue for most  
24 of these women, but for the woman who has hepatic impairment  
25 of significance, do you have any information on or any

1 studies that have looked at how clearance would be altered  
2 in patients that are hepatically-impaired?

3 DR. KOCH: We did not study it, per se. In  
4 mild/moderate impairment, the literature shows very little  
5 effect on cytochrome p450. In severe impairment, the  
6 literature is a bit mixed. There are certainly decreases in  
7 1-A-2, which accounts for about 10 percent alossetron  
8 metabolism. So, there, we wouldn't expect to see much of an  
9 impact. There are some effects on 3-A-4 as well.

10 DR. BERARDI: And if I may, I just have one last  
11 quick question. I was just curious as to how compliance was  
12 measured in the study, or how did you define compliance  
13 first, whether it was 80 percent of all doses that were to  
14 be expected? I think you did pill counts, if I read it  
15 correctly.

16 DR. MANGEL: Yes, 80 percent.

17 DR. BERARDI: Eighty percent?

18 DR. MANGEL: Yes, and at that level for each month  
19 for both treatment groups in each study, it was greater than  
20 90-percent compliance.

21 DR. BERARDI: Thank you.

22 DR. GELLER: Are you including the discontinued  
23 patients in that assessment or not? You must be excluding  
24 them because you had 20-percent discontinued patients and  
25 you can't have 90-percent compliance then.

1 DR. MANGEL: You know, compliance with pill count,  
2 as compliance with the phone system, would only be  
3 applicable to while the patient is still within the study.

4 DR. HOUN: I just wanted Dr. Washington to  
5 describe the performance characteristics of her immunohisto  
6 testing.

7 DR. WASHINGTON: We did not test the antibody  
8 ourselves on other serotypes of E. coli. The paper that we  
9 used as a reference says that they contacted the  
10 manufacturer, who is here in Maryland, and by the  
11 manufacturer's report there is only weak reactivity with a  
12 few other serotypes of E. coli. So we have not tested it  
13 for cross-reactivity to other E. coli. We're relying on the  
14 manufacturer's report there.

15 DR. HOUN: If this is a commercially available  
16 antibody, then it is regulated under FDA and the  
17 laboratories that are performing the test have to acquire  
18 independent laboratory characteristics from this test. Is  
19 your lab routinely doing this?

20 DR. WASHINGTON: No, we do not do this for  
21 diagnostic purposes. I was sent the antibody by the company  
22 and asked to perform the testing on these slides. But, no,  
23 we do not, and I don't know of anyone who uses this antibody  
24 routinely for diagnosis.

25 CHAIRMAN HANAUER: Dr. Laine?

1 DR. LAINE: Just a quick follow-up. On your case  
2 one, the 1996 case, it appeared that there was no  
3 significant inflammatory cell infiltration. You had the  
4 withering bland, as you said, and there was some erosion of  
5 the--or some lack of epithelium. Is that--

6 DR. WASHINGTON: Well, in one area it looked like  
7 the surface epithelium had stripped off. That's often  
8 artifactual. There was no neutrophilic response. There was  
9 a little bit of reactive change in the crypts, but they w&e  
10 not noticeably smaller. So I really do not think this is  
11 diagnostic of ischemic injury or even--I would not call it  
12 suggestive if I had that biopsy blind.

13 DR. LAINE: There certainly are times when you  
14 can't really say one way or the other whether something is  
15 ischemic or not ischemic.

16 DR. WASHINGTON: Sure, right.

17 DR. LAINE: So you wouldn't rule it out. You just  
18 wouldn't rule it in.

19 DR. WASHINGTON: I wouldn't totally rule it out.  
20 I just simply have no evidence for it in the biopsies. I'm  
21 relying on the gastroenterologist to sample abnormal areas.

22 CHAIRMAN HANAUER: Dr. Prizont?

23 DR. PRIZONT: A question; I think it's Dr. Mangel.  
24 I'm impressed by the number of E. coli infections you have  
25 here. My understanding is that enteropathogenic E. coli

1 usually is prevalent in enlisted soldiers, children, and so  
2 on. And the question I have is whether the slowing down of  
3 the motility by alosetron may predispose the infection with  
4 E. coli.

5           It is known that decreasing peristalsis in the  
6 case of ? , for instance, or in the experiments  
7 Shigella--I used to work in Shigella--that predisposed  
8 infection with pathogenic microorganisms. And I wonder if  
9 you can postulate about it.

10           DR. MANGEL: Yes, and perhaps, Dr. Prizont, I  
11 would comment on the first half of your statement and then  
12 answer the question as best we know it. The trigger for us  
13 to do aminohistochemistry looking for E. coli 0517:H7 was a  
14 paper published in the American Journal of Gastroenterology  
15 by Soo, et al, coming from Dr. Brandt's group, in which they  
16 retrospectively reviewed cases which were considered  
17 ischemic colitis. Of those cases, 27 percent were found to  
18 be E. coli-positive.

19           So, you know, that's probably the extent of the  
20 retrospective case review. So in that series, 27 percent of  
21 the cases were E. coli-positive. We believe our specimens  
22 are consistent with--two of the cases represent the E. coli  
23 infection.

24           In terms of the actual question, Dr. Prizont, I'm  
25 not familiar with any data one way or another in terms of

1 constipation predisposing to E. coli infection. I'm just  
2 not--I'm not aware either way.

3 CHAIRMAN HANAUER: Dr. Gallo-Torres?

4 DR. GALLO-TORRES: Thank you. These are questions  
5 for Dr. Washington. Did the case of infectious colitis  
6 occur in the middle of an epidemic, number one? Number two,  
7 are there results of cultures of the stools in these four  
a patients, but especially in those two that you are labeling  
9 infectious colitis?

10 Number three, it wasn't quite clear to me how many  
11 cases did show infiltrated crypts.

12 DR. WASHINGTON: Just two cases.

13 DR. GALLO-TORRES: And the final question, please.  
14 Are you categorizing the cases as being exclusively ischemic  
15 colitis or exclusively infectious, or are you thinking of a  
16 mixture of the two entities?

17 Thank you.

18 DR. WASHINGTON: First of all, I don't know of  
19 any--we're talking about the two '98 cases that look, in my  
20 opinion, like they represent E. coli infection. I don't  
21 know of any particular outbreak at that time, but I think E.  
22 coli 0157 colitis is probably under-diagnosed because I  
23 think many pathologists don't recognize this mixed  
24 ischemic/infectious pattern and it simply gets labeled  
25 ischemic. And if it's in an older person, it may not get

1 investigated further.

2           You know, obviously, if it's in a 4-year-old and  
3 you have an ischemic-looking picture, you're going to think  
4 about E. coli, but in an older patient that might not be the  
5 case. So I don't know of any associated outbreak with these  
6 two cases.

7           What was the second question?

a           DR. GALLO-TORRES: The second question was do we  
9 have stool cultures and whether we have any results of  
10 these.

11           DR. WASHINGTON: Well, stool cultures--you have to  
12 notify the microbiology lab in many hospitals to look  
13 specifically for the serotype of the E. coli. If they just  
14 grow E. coli out on their McConkey agar plate or whatever,  
15 they're not going to regard that as a pathogen. So there  
16 has to be specific testing for the E. coli 0157:H7 serotype,  
17 and I do not believe those were done, although someone else  
1a may have more information on that.

19           DR. MANGEL: Culture was done on one of the two  
20 patients, Dr. Gallo-Torres.

21           DR. GALLO-TORRES: Which one was it for, please,  
22 what year?

23           DR. MANGEL: One of the '98 patients.

24           DR. GALLO-TORRES: '98.

25           DR. MANGEL: I'm saying culture was done on the



1 96 case and one of the '98 cases, and was read as negative  
2 by culture in each of those.

3 CHAIRMAN HANAUER: For--

4 MR. McSORLEY: For E. coli.

5 DR. GALLO-TORRES: For E. coli?

6 DR. MANGEL: Yes.

7 DR. GALLO-TORRES: Thank you. The third question  
8 was--

9 DR. WASHINGTON: There are some other rarer  
10 serotypes of E. coli that are associated with this  
11 hemorrhagic colitis, so simply testing for one serotype may  
12 not identify the rarer ones. I feel we don't really know  
13 the full spectrum of the clinical or the pathology of the  
14 disease.

15 CHAIRMAN HANAUER: Dr. Ferry?

16 DR. FERRY: There are other organisms, at least in  
17 children that have produced this hemorrhagic colitis as  
18 well.

19 DR. WASHINGTON: Sure.

20 DR. FERRY: And I guess my question is how  
21 specific is this pathology for this, and is this--I mean,  
22 can you clearly just by looking say there is enough ischemia  
23 here that it clearly differentiates this type from any other  
24 just infectious colitis?

25 DR. WASHINGTON: I think the ischemic pattern is

1 the--the mixed ischemic/inflammatory pattern is the pattern  
2 we associate most closely with E. coli 0157 colitis, but I  
3 can't give you any figures on absolute specificity for that.  
4 I think it is clearly not just an ischemic colitis. You  
5 know, ischemic injury is part of the spectrum of this  
6 disease, as the toxin damages blood vessels, is my  
7 understanding. So it's not surprising we have an ischemic-  
8 appearing injury to the colon. What makes me think it's  
9 infectious is the superimposed acute colitis in the intact  
10 mucosa which is not typical of the usual ischemic colitis,  
11 in my experience.

12 DR. LAINE: CBF can also cause, can it not, a  
13 similar picture?

14 DR. WASHINGTON: Right.

15 DR..LAINE: Was that ruled out in these people, C.  
16 difficile?

17 DR. MANGEL: C. difficile was also collected, Dr.  
18 Laine, in the same acute patients, and C. difficile was also  
19 negative in those two patients. I think, though, as stated  
20 by Dr. Washington, we would certainly conclude that a  
21 negative culture for C. difficile is much more reliable than  
22 a negative culture for E. coli.

23 DR. WASHINGTON: The test for the toxin, I  
24 suppose, is more reliable.

25 DR. WILSON: I have a question for Dr. Washington.

1 In your opinion, as an academic clinical pathologist, how  
2 would you have read these four biopsies certainly without  
3 going beyond your standard of care at your hospital?

4 DR. WASHINGTON: The '96 case I would have signed  
5 out as a non-specific reactive change, negative for acute  
6 and chronic colitis, no evidence of ischemia. The two '98  
7 cases, I would have diagnosed as, you know, mixed  
8 ischemic/acute inflammatory colitis, and in a comment I  
9 would have said that E. coli 0157 infection should be  
10 clinically excluded, and say that although there are  
11 elements of ischemic injury in there, the pattern was not  
12 typical of classic ischemic colitis and infectious etiology  
13 was favored. The '99 case, I would have signed out as  
14 compatible with ischemic colitis.

15 CHAIRMAN HANAUER: Dr. Houn?

16 DR. HOUN: I just wanted to know if you read these  
17 blind.

18 DR. WASHINGTON: I looked at the slides as they  
19 came in without reading the laboratory reports that were  
20 supplied or any of the description. You know, I knew they  
21 were cases that had been considered ischemic colitis, but I  
22 had none of the clinical information in front of me as I  
23 looked at the cases.

24 DR. RACZKOWSKI: I wonder if there's any data on  
25 whether there are carriers, non-symptomatic carriers of E.

1 coli 0157 in an analogous situation to have group A beta  
2 hemolytic strep in the throat but not having strep throat.

3 DR. WASHINGTON: The pathology literature that I  
4 read refers, you know, just basically in the introductory  
5 portions to asymptomatic carriers. I don't know the data on  
6 that, but, yes, I believe it occurs.

7 DR. PRIZONT: Maybe Dr. Hanauer can answer this.  
8 We know from the point of view of ulcerative colitis, in  
9 enteritis, as well, that there is an association between  
10 viruses and bacteria and inflammation of the bowel. I'm not  
11 sure if this is in association, if the ischemic colitis  
12 started before the infection or the infection was a  
13 consequence of the ischemic colitis.

14 CHAIRMAN HANAUER: I'll give you a crack.

15 DR. WASHINGTON: I think I'll defer on that one.

16 CHAIRMAN HANAUER: Yes, we know--well, obviously  
17 it goes both ways, but most of the time we think that  
18 infections lead on to the other disease and that these are  
19 not secondary manifestations. But we're certain that it can  
20 happen secondarily. People with known ulcerative colitis  
21 can get Clostridium difficile, et cetera.

22 Well, I think I'm going to take a chairman's  
23 prerogative. My stomach is churning. I want to thank Glaxo  
24 Wellcome for their lucid and timely presentation. We're  
25 going to take a lunch break. We'll try and get back at 1:40

1     o we can start exactly at 1:45 for the afternoon session,  
2     nd that's what we'll go for.

3             Thanks.

4             [Whereupon, at 12:45 p.m., a luncheon recess was  
5     aken.]

AFTERNOON SESSION

[1:45 p.m.]

CHAIRMAN HANAUER: We're going to begin our afternoon session, now that Dr. Laine is here, and I'm happy to introduce Dr. Robert Prizont from the FDA who is going to give their perspective on the clinical aspects of the study.

DR. PRIZONT: Chairman, Members of the Advisory Committee, Ladies and Gentlemen, I was assigned the task to review the efficacy results of alosetron, a novel serotonin receptor antagonist in patients with a gastrointestinal functional disorder known as irritable bowel syndrome, or IBS.

In this brief presentation, I will point out relevant issues included or excluded from the study protocol. I will mention the actual disposition of patients enrolled in the clinical trials and will make observations on efficacy result issues as they relate to the indication proposed by the NDA sponsor.

Next slide.

Glaxo Wellcome proposes to indicate the use of alosetron for the treatment of IBS in female patients whose predominant bowel symptom is diarrhea, either alone or as part of an alternating stool pattern.

Next slide.

To support the claim of alosetron efficacy, the

1 sponsor conducted two pivotal clinical trials, abbreviated  
2 here as A3001 and A3002, and evaluated alosetron performance  
3 in IBS patients enrolled in a number of U.S. centers.

4 Next slide.

5 Both pivotal trials have an identical protocol  
6 with a design prospectively established as randomized,  
7 double-blind, and placebo-controlled, with a 12-week  
8 treatment period.

9 Next slide, the slide before that one, please.

10 This slide reviews some relevant issues included  
11 in the protocol. Women considered as candidates for  
12 treatment were diagnosed as having IBS by applying the  
13 guidelines to diagnostic criteria defined by a working team  
14 of experts in the World Congress of Gastroenterology, held  
15 in Rome in 1988, diagnostic criteria now known as the Rome  
16 Diagnostic Criteria.

17 In order to be eligible for enrollment, the IBS  
18 manifested in patients had to exhibit subjective symptoms,  
19 particularly IBS abdominal pain and, in addition, lower  
20 bowel symptoms had to reveal absence of constipation. As  
21 part of the methodology, the study protocol included a core  
22 scale to assess and define stool consistency.

23 Next slide.

24 Excluded from the protocol was a prospective  
25 definition of diarrhea and a stratification of IBS by types

1 or subtypes.

2 Next slide.

3 The last protocol issue relates to the prospective  
4 definition of clinical endpoints or clinical outcomes. The  
5 prospective primary efficacy endpoint was the adequate  
6 relief of IBS abdominal pain or adequate relief of IBS  
7 abdominal discomfort for at least two weeks per month.  
8 Relevant secondary efficacy endpoints were the proportion of  
9 pain-free days and improvement in lower bowel functions,  
10 such as stool consistency and stool frequency.

11 Next slide.

12 The next three slides show the similarities and  
13 differences in the disposition of patients enrolled in the  
14 pivotal trials. Between September 1997 and the summer and  
15 spring of 1998, enlisted centers randomized a total of 1,275  
16 women diagnosed as having non-constipating IBS. 625 were  
17 randomized to trial A3001 and 647 were randomized to trial  
18 A3002.

19 Next slide.

20 There was a difference between pivotal trials  
21 A3001 and A3002 in their proportion of alosetron and placebo  
22 patients who discontinued prematurely. In trial A3001, 23  
23 percent of patients on alosetron and 22 percent of patient  
24 on placebo discontinued or had to be discontinued  
25 prematurely from the trial. In trial A3002, 24 percent of



1 patients randomized to alosetron were premature withdrawals  
2 from the study, compared to only 16 percent of premature  
3 withdrawals in the placebo group. This difference was  
4 statistically significant.

5 Next slide.

6 In both trials, the reason for the high rate of  
7 premature discontinuation in patients treated with alosetron  
8 was the development of severe constipation. Between 62  
9 percent to 69 percent of patients on alosetron developed  
10 this adverse reaction and had to be prematurely withdrawn  
11 from the studies. The issue of withdrawal due to  
12 constipation will be dealt in detail by the next presenter,  
13 Dr. John Senior.

14 Let's turn now to some relevant issues on efficacy  
15 ensuing from proposed label indication.

16 Next slide.

17 First is the issue of response to treatment, i.e.,  
18 number of months with adequate relief of abdominal pain or  
19 adequate relief from abdominal discomfort. This slide,  
20 introduced by the sponsor as the first relevant comparison  
21 of treatment responses, shows the primary efficacy results  
22 in the intention to treat population of trial A3001. The  
23 column on the left lists the number of months with response.  
24 The alosetron and placebo columns represent the proportional  
25 responders who had either one, two, or three months'

1 response.

2           The comparison revealed that 41 percent of IBS  
3 women on alosetron versus only 26 percent of IBS women on  
4 placebo were responders for the three-month treatment  
5 period. As noticed in row two and three of the table, there  
6 was no difference between treatments in responders in a  
7 combined one or two-month treatment.

8           Next slide.

9           As seen in this slide, the favorable difference of  
10 alosetron over placebo in the proportion of primary efficacy  
11 responders to the combined three-month treatment was  
12 replicated in pivotal trial A3002.

13           Next slide.

14           This illustration is an amplified and detailed  
15 representation of monthly responders in the all-randomized  
16 patient population of trial A3001. Months are specified  
17 here as month one, two, or three. By prospective trial  
18 design, a patient could respond to either one, two, or to  
19 the three-month treatment. This slide displays eight  
20 possible patterns of response or no-response over the three-  
21 month treatment period.

22           Bars indicate the proportion of patients in each  
23 treatment group who displayed a particular pattern as  
24 defined from left to right. The bars on the left represent  
25 the proportion of patients who had no response to any of the

1 three-month treatment. The bars in the intermediate  
2 patterns indicate no substantial difference between the  
3 treatment groups. The bars on the far right indicate that  
4 treatments differed in the proportion of patients who  
5 achieved a response for the combined three-month period.  
6 This three-month period of response revealed a larger  
7 proportion of responders in the alosetron group.

8 Next slide.

9 The next relevant issue is the post hoc breakdown  
10 of the randomized IBS patient population in subtypes of  
11 diarrhea-predominant IBS and in alternating constipation  
12 diarrhea IBS. IBS subtypes are proposed as a label  
13 indication for alosetron treatment.

14 How was IBS diarrhea defined? As mentioned, the  
15 protocol did not define diarrhea. Simply, it included a  
16 numerical scale to score stool consistency in eligible IBS  
17 patients. This slide shows a clinical translation of the  
18 numerical scores of stool consistency. Scores of 1 and 2  
19 represent very hard and hard stools and are consistent with  
20 the diagnosis for constipation; On the other extremes,  
21 scores 4 and 5 represent loose and watery stools and are  
22 consistent with a diagnosis of diarrhea. In the middle is  
23 the lonely score of 3, representing formed stools, stools  
24 consistent with normal bowel function.

25 Next slide.

1 IBS patients enrolled in trial A3001 and  
2 randomized to alosetron treatment, whether we consider the  
3 all-randomized population or the post hoc subtype of  
4 liarrhea-predominant, had scores of 3.4 or 3.5, perhaps  
5 considered as semi-formed stools, but certainly not  
6 consistent with a diagnosis of diarrhea.

7 Next slide.

8 These two squares illustrate the distribution of  
9 scores in the all-randomized population to trial A3001. The  
10 alosetron represents that between 75 percent to 80 percent  
11 of IBS patients enrolled in the trial had stool consistency  
12 tower than 3.7 scores, consistent with formed or semi-formed  
13 stools.

14 Next slide.

15 The other final element to consider in the  
16 lefinition of diarrhea is stool frequency. The Rome  
17 Diagnostic Criteria requires a frequency of greater than  
18 three bowel movements per day to include the diagnosis of  
19 diarrhea. Patients enrolled in the two pivotal trials had  
20 an average baseline stool frequency of less than three bowel  
21 novements per day.

22 Let's summarize now.

23 Next slide.

24 Glaxo Wellcome submitted data from two controlled  
25 clinical trials to support a claim of alosetron efficacy on

1 IBS. The trials enrolled 1,275 women with IBS. The  
2 protocol did not define IBS subtypes. Stool characteristics  
3 of enrolled patients did not meet the definition of  
4 diarrhea.

5 Next slide.

6 In this trial, alosetron 1 milligram twice a day  
7 given for a period of three months provided adequate relief  
8 of abdominal pain or adequate relief of abdominal  
9 discomfort.

10 Thank you.

11 CHAIRMAN HANAUER: Why don't we hear John Senior's  
12 presentation on safety and then we'll combine our  
13 discussions at that point.

14 DR. SENIOR: Good afternoon. We appreciate very  
15 much the elegant pharmacodynamic and physiologic reviews by  
16 Drs. Gershon and Camilleri, and the most interesting gender'  
17 studies of Dr. Chang.

18 Now, as you've heard from Dr. Prizont, we  
19 considered this new drug to be a very promising treatment  
20 for at least some IBS patients. Really, no adequate, proved  
21 treatment has been available, and so we decided to review  
22 safety and efficacy concurrently to speed it up.

23 Next slide, David, please.

24 This is the primary safety database. It's pretty  
25 much as Dr. Mangel described this morning. He told you

1 about the designs. These first two studies were the dose-  
2 ranging studies, the European and the U.S. dose-ranging  
3 studies. These were both placebo-controlled, so we went  
4 anywhere from zero, 0.1, 0.5, 1, 2, 4 and 8 milligrams twice  
5 a day, covering a reasonable range.

6           These are the two principal efficacy studies, also  
7 called pivotal, and this is the year-long study which really  
8 was just finished at the end of September and for which we  
9 have a first interim study submitted with the application, a  
10 second interim study which we've really just received, and a  
11 final report to be received later on. About five-sixths of  
12 the 2,800 patients were women.

13           Next slide.

14           Initially, there was concern about the possibility  
15 of arrhythmias, as has been seen with other types,  
16 particularly the 5HT4 agonists. But we did not see it.  
17 They did a good job, but I think pretty much assured us that  
18 arrhythmias were not a problem. There were some troubles  
19 with the animals in possible hearing loss, and that was  
20 disproved by audiograms in patients. However, we did  
21 confirm the sponsor's finding of constipation, and we  
22 discovered really a couple of new problems that we had not  
23 expected.

24           Next slide.

25           Let's talk about constipation first and the

1 evidence for it. Now, it was dismissed by Dr. Mangel as a  
2 class effect, but to the patients it's a problem. As we see  
3 with the placebo group in the European study, 3 percent had  
4 constipation and 2 percent were withdrawn. The 0.1  
5 alosetron twice a day really did not make much of a change  
6 in that, but when we got to 0.5 or 2, we saw significant  
7 increases in both constipation incidence and in patients  
8 withdrawn for it.

9           And when we looked at the higher dose-ranging  
10 study--next slide--at 1, 2, 4 and 8 twice a day, we see very  
11 significant increases in the number of patients reporting  
12 constipation and the number of patients withdrawn from  
13 study. These are very highly significant findings on  
14 alosetron. When we looked at the male/female ratio, we  
15 really did not see a gender effect on this dose relationship  
16 response of constipation.

17           When we plot the whole thing--next slide--here we  
18 have--adding in the principal efficacy studies, we had 834  
19 people on zero dose, placebo, with about a 1-percent  
20 incidence of people withdrawn for constipation. At 0.1 and  
21 0.5, we had another 100 or so, and we saw a beginning of a  
22 rise in the number of people who were withdrawn for  
23 constipation. We had over 700 people on 1 milligram twice a  
24 day, and then smaller numbers at 2, 4 and 8 milligrams, but  
25 there is definitely a trend line for a dose-related and

1 common occurrence of constipation severe enough to cause  
2 withdrawal of a patient from the study or cessation of  
3 therapy.

4 Next slide.

5 In the principal efficacy studies, I looked at  
6 constipation at three levels; first, any constipation that  
7 was new in onset while on study drug; second, where it was  
8 bad enough to require interruption of treatment. So this is  
9 a subset of these. And then the third subset is even worse;  
10 they had to be withdrawn from study because of constipation.  
11 So each of these three levels shows a highly significant  
12 increase in alosetron in the population to be treated at the  
13 dose recommended to be used. These are very highly  
14 significant numbers.

15 In the next study, 3002, we really saw pretty much  
16 the same thing. And if we put the two studies together,  
17 because they are very eminently poolable--next slide--in the  
18 principal efficacy study we had over 600 people in the  
19 placebo and alosetron arms. Again, we see an average of  
20 about 28 percent showing constipation while on study drug.  
21 Thirteen, almost fourteen percent had to have treatment  
22 stopped for four days so that they could maybe have a chance  
23 to recover, all very significantly greater on alosetron than  
24 placebo, and about 10 percent versus 1 percent withdrawn  
25 from the study because of constipation.



1           So what can we say? Is it adequate to dismiss  
2 this as a class effect or should something be done about it?  
3 We'll leave that for the learned consultants of the Advisory  
4 (Committee.

5           This problem was not expected.

6           Next slide.

7           This patient, whom you will recall had a biopsy  
8 that was non-specific, was a young woman 33 years old, a  
9 rather tall, not obese woman, not very well-educated, had  
10 not finished high school. She started alosetron, in one of  
11 the dose-ranging studies had 2 milligrams BID for only two  
12 days beginning back in July of '96. On the morning of the  
13 third day, she developed explosive diarrhea. She had first  
14 loose and then watery stools, 30 stools that day. They  
15 didn't find anything on physical exam in the emergency room  
16 of her local hospital.

17           They gave her a hyoscyamine preparation. It did  
18 not help her. The pain was worse. She came in the next day  
19 with peritoneal signs, rebound tenderness, rebound pain, and  
20 left-sided abdominal tenderness. She was scoped by the  
21 investigator, who found mucosal erosions in the left colon,  
22 and diagnosed ischemic colitis and did the biopsy which you  
23 saw shown by Dr. Washington. Now, the biopsy didn't show  
24 anything, but the patient certainly did. It took the  
25 patient almost a year, from July until October to recover,

1 and that case was reported to the sponsor in October of  
2 1996.

3 Next patient.

4 Now, these two patients, the 41-year-old and the  
5 next one is a 38-year-old, had similar pain, abdominal pain  
6 with rectal bleeding. Seen in the ER, did not respond to  
7 hyoscyamine, admitted; segmental colitis. Biopsy showed  
8 what they thought was ischemic colitis, but is now being  
9 claimed to be E. coli 0157 hemorrhagic colitis.

10 And the next case is similar; again, rectal  
11 bleeding, crampy abdominal pain. Local doctor consulted,  
12 gave fluid and fiber; did not respond, pain worse. 3:00  
13 a.m., she came in. This is not trivial. Colonoscopy  
14 showing sloughing in the mucosa. It was not attributed to  
1 5 study drug. The patient was withdrawn, and although the  
16 case report did not give much information beyond that, there  
17 were no more cases of rectal bleeding. Now, I will point  
18 out that we have not received any of the biopsies. We have  
19 not received even any of the reports of the colonoscopies or  
20 pathologies, so we are waiting to see this information.

21 Next slide.

22 Now, ischemic colitis has been around for probably  
23 a long time. It was reported in 1963, predominantly in  
24 older people, often after some event such as shock or  
25 digestive failure or aortic clamping, say, for an aortic

1 graft. And this was bad. This often caused transmural  
2 infarction, gangrene of the colon, perforation. And unless  
3 they were operated on promptly, they died.

4 Now, in more recent years, it is known that maybe  
5 a third of the cases occur in people under 50, and that  
6 things such as drugs may cause this--ergot agents, cocaine,  
7 pseudoephedrine. But not just them; efregens [ph] and  
8 danazol [ph] may cause this. These are not necessarily  
9 considered vasoconstrictors. This is characterized by  
10 crampy abdominal pain, diarrhea, submucosal hemorrhages that  
11 look like thumb prints on the barium enema. These people  
12 recover. They often do not show lesions in the small  
13 vessels, and certainly no occlusions of the inferior  
14 mesenteric artery. These are called non-occlusive ischemic  
15 colitis.

16 Next slide.

17 So we saw one case of whatever it was in the dose-  
18 ranging study. We saw another case in each of the principal  
19 studies. So we're looking at 3 out of 900, or about 1 in  
20 300. Now, our statisticians tell us, basing this on a  
21 simple binomial expansion, that the confidence interval of  
22 that is anywhere from 1 in 1,500 to 1 in 100. So the  
23 estimated incidence of this may be as much as 1 percent when  
24 we get more data to look at.

25 Next slide.

1           So how can ischemic colitis be diagnosed, or  
2 hemorrhagic colitis, whatever it is? If you've already got  
3 abdominal pain or diarrhea to begin with, these are cardinal  
4 findings. They are unreliable, therefore, to detect this  
5 and confound the diagnosis. So probably rectal bleeding may  
6 be the best indicator of this and we ought to be watching  
7 for this very closely. Now, they did look a little bit at  
8 this.

9           Next slide.

10           Going back to the principal studies, these are my  
11 reviews of the sponsor's listing of adverse events in these  
12 studies. If they had known hemorrhoids or menstruation or  
13 known lesions such as fissures or whatever, I didn't count  
14 them. Maybe a little more in alosetron than in placebo of  
15 unexplained rectal bleeding, but certainly I agree that  
16 there were no further cases here of missed ischemic colitis.

17           Now, the third problem, just one case.

18           Next slide.

19           This is a woman who was withdrawn from the study  
20 because she had pulmonary edema as her serious adverse event  
21 the day after an endoscopic retrograde colangeopancreatogram  
22 [ph] . Now, I was curious and said, well, why is she having  
23 an ECRP done? So I looked back and said, oh, the drug had  
24 been stopped some time before because she had abnormal liver  
25 values, elevations of the enzymes after the first visit at

1 22 days, and ALT almost four times the upper limit,  
2 accompanied by a doubling of the bilirubin on the 50th day.  
3 **The** drug was stopped three days later when these results  
4 came back and she recovered promptly. That's nice, but what  
5 does our experience tell us about such cases?

6 Next slide.

7 The late Dr. Zimmerman noted many years ago, over  
8 20 years ago in his first edition of his book, that when you  
9 have combined hepatocellular injury and loss of overall  
10 organ function indicated by jaundice, you're looking at the  
11 probability of mortality in 10 to 15 percent from liver  
12 failure, from drug-induced liver injury. This observation  
13 was restated by Hy Zimmerman posthumously in the second  
14 edition of his book just published in September, and has  
15 been confirmed over and over again by Dr. Robert Temple, of  
16 the agency, anecdotally but repeatedly in the years in  
17 between.

18 Now, we might call up, David, slide 29 so you can  
19 see what the data look like. Before you get to this, let's  
20 go to 29. No, 29; that's 30. There you go.

21 Can you see those numbers? The patient started  
22 out with normal enzymes, normal bilirubin, at screening.  
23 Study drug was started 27 February, '98. 20th of March, 22  
24 days later, up went the enzymes, all three--AST, ALT and alk  
25 fos [ph]. But the bilirubin was still normal. A month

1 later, everything is abnormal. Her ALT is up to almost four  
2 times normal and the bilirubin has gone many times over what  
3 it originally was. And she's not jaundiced, but she  
4 certainly had a bilirubin problem.

5 Drug was stopped 3 days later, after 53 days of  
6 treatment. She they did follow her and she recovered very  
7 promptly. As you see, in 2 days it was already better, and  
8 in 11 days she was back to normal. And then they did the  
9 IERCP which showed nothing.

10 Let's go back to where we were, wherever it was,  
11 slide 22, David? This one, that's it.

12 So what does this mean in terms of safety  
13 concerns? From the patient's standpoint, this constipation  
14 is more than a class effect; it's a darn nuisance. I'm not  
15 sure that it's a good thing to go from normal stools to hard  
16 stools, which was the finding claimed to be an efficacy  
17 finding. And, certainly, it's not a good idea to get this  
18 whatever colitis, ischemic, hemorrhagic. There's not much  
19 to choose. Hemorrhagic colitis due to E. coli 0157 is not a  
20 nice disease. It causes not just a little rectal bleeding,  
21 but may cause hemolytic uremic syndrome, thrombotic  
22 thrombocytopenia peripia [ph], renal failure, and all kinds  
23 of bad stuff. So I'm not sure that's a good alternative.

24 Liver injury is rare, less than 1 in a 1,000,  
25 probably 1 in 1,200 here if we count all the patients. But

1 I don't think we can afford to ignore this either because of  
2 what has happened with other drugs when they get out in the  
3 market and are used in hundreds of thousands of patients, or  
4 more.

5 So we are then balancing our concerns. How can a  
6 patient with IBS and her doctor weigh the chances of a good  
7 probability of a modest benefit against a small probability  
8 of a serious adverse effect? That's a dilemma and that's  
9 the problem we're putting to you.

10 Dr. Hugo Gallo-Torres will summarize the issues  
11 raised by the efficacy and safety reviews.

12 DR. GALLO-TORRES: Good afternoon. My very brief  
13 participation this afternoon is to summarize for you  
14 efficacy and safety issues as presented to you by Dr.  
15 Prizont, reviewer of the efficacy data, and Dr. Senior,  
16 reviewer of the safety data, of alosetron.

17 Among the issues raised regarding the efficacy of  
18 alosetron is, one, efficacy was evaluated only in women.  
19 Efficacy was most pronounced in the diarrhea-predominant  
20 group in an analysis not pre-specified in the protocol.  
21 And, number three, treatment duration was limited to three  
22 months.

23 Next one.

24 We really did not raise this precisely, but we  
25 feel it's very important. Pharmacodynamic data were

1 generated mainly in men and at doses other than those  
2 proposed for marketing. We feel very strongly that drug  
3 metabolism has not been fully characterized. Regarding this  
4 issue, with us is Dr. David Lee, a biopharmacist from our  
5 division who will be happy to comment a little bit more on  
6 the pharmacodynamic issues. Incidentally, also in the  
7 audience are Dr. Hoberman and Dr. Friar, both statisticians,  
8 ready to answer questions regarding statistical issues, if  
9 needed.

10 Next one, please.

11 Now, regarding the safety of alosetron as  
12 summarized by Dr. Senior, among the issues raised are  
13 ischemic colitis is very important. It would not be  
14 expected in this patient population, women with mild to  
15 moderate IBS, or in clinical trials of that size. As you  
16 heard, one case of liver injury occurred with a pattern that  
17 predicts liver failure in 10 to 15 percent of such patients.

18 Next one, please.

19 One will have to wonder what will happen if one  
20 approved this compound when the conditions are no longer  
21 controlled, and so one will have to raise potential  
22 additional risks, such as uncontrolled settings, such as the  
23 drug being taken by sicker patients, longer use, other  
24 medications, concurrent diseases such as liver disease,  
25 variable follow-ups, and other risk factors such as, for



1 example, acetaminophen or the intake of alcohol.

2 Last one, please.

3 Finally, irritable bowel syndrome is very common,  
4 and many patients will seek relief of discomfort and  
5 inconvenience from IBS-associated symptoms. Uncommon or  
6 rare events may become serious public health problems when  
7 hundreds of thousands or millions of patients are exposed to  
8 the drug.

9 That's it. Thank you.

10 CHAIRMAN HANAUER: Does the Committee have  
11 questions for any of the FDA reviewers?

12 Let me begin with one regarding the safety issues.  
13 We looked at a database of only the 2,000 or so patients in  
14 the clinical trials that were reported, the pivotal trials.  
15 Yet, the sponsor has performed a number of other trials  
16 inside and outside the United States. I presume that the  
17 agency has had access to a larger database than what you've  
18 just presented.

19 John?

20 DR. SENIOR: Yes, Steve, but a lot of the studies  
21 were done outside the U.S., particularly in the early  
22 stages. They were single-dose studies. There were all  
23 kinds of pharmacodynamic studies, young men getting this  
24 dose in IV preparations. We didn't really consider those as  
25 germane to the way the drug is going to be given. It is

1 being proposed to be given for 12 weeks at a dose of 1  
2 milligram. So we focused the safety database, which was  
3 approximately 2,800, on the controlled studies.

4 Now, we have an extra **800-and-some** patients in  
5 this year-long trial that has just finished, and we have not  
6 yet had a full final report on that. In addition, there are  
7 several other studies underway on which we have no  
8 knowledge, no report, no data. So what we're reporting  
9 here, Dr. Hanauer, is what we have to look at that is  
10 germane to the proposed labeled use.

11 CHAIRMAN HANAUER: I was just going to follow up  
12 and ask Dr. Mangel, can you expand that database for us?  
13 Can you give us a total number of patients exposed at 1  
14 milligram or above?

15 DR. MANGEL: Overall, Dr. Hanauer, for completed  
16 as well as ongoing studies--and the reason ongoing studies  
17 are important, of course--although the studies are blinded  
18 during the course of the treatment, serious adverse events  
19 do become known to us during the course of the study and if  
20 the investigator gives attributability, then the blind is  
21 broken on that.

22 I was wondering, as long as I'm up here--we  
23 actually disagree factual with some of the statements which  
24 were made and if I could provide some clarifications?

25 CHAIRMAN HANAUER: Clarification or rebuttal?

1 DR. MANGEL: Clarifications.

2 CHAIRMAN HANAUER: In either event, we're happy to  
3 hear them.

4 DR. MANGEL: Okay. In reference to the case 2829  
5 of ischemic colitis in which it was reported that it took 11  
6 weeks for the patient to recover, if you turn to page 52 of  
7 your briefing document, in the first paragraph, a clinical  
8 diagnosis of ischemic colitis was made. The patient  
9 improved and was discharged five days after admission. The  
10 follow-up visit with the patient was 11 weeks later. It did  
11 not take 11 weeks for the patient to recover.

12 The next point I would like to add clarity to--at  
13 least sitting back here, I believe that there is a  
14 misunderstanding. The data which we presented today was on  
15 the ITT or total population. This was not subgroup data as  
16 our primary efficacy data which were presented. Data in  
17 diarrhea-predominant individuals were referred to to  
18 illustrate some points or to answer some questions. The  
19 data which you saw today were strictly from the ITT  
20 population.

21 I believe there could be some lack of clarity from  
22 the wording of the proposed indication, and it's something  
23 certainly which we could work out in the future with the  
24 FDA. But once again, the data were not from the diarrhea-  
25 predominant subtype.

1           The next clarification which I would like to make  
2 is--and if I could see one slide, please, because we  
3 actually on October 25th submitted to the FDA the course of  
4 the **LFTs** for that one patient. Could I have slide E-91, is  
5 it, Chris? E-91, please. And what you are looking at is  
6 the **LFTs** for the patient which Dr. Senior was referring to,  
7 and as you can see, this patient's **LFTs** normalized while  
8 still on treatment.

9           DR. SENIOR: The case report does not say that.  
10 Now, if you have other information, please provide it.

11           DR. MANGEL: Dr. Senior, if you--

12           DR. SENIOR: The case report says she was  
13 withdrawn on the 53rd day, and those peaks were seen on the  
14 50th day. Now, the drug was stopped before she was  
15 withdrawn. She was not on drug from day 53 until the ERCP  
16 was done, unless your case report is erroneous.

17           DR. MANGEL: Dr. Senior, on October 25th,  
18 additional information about this case was submitted to the  
19 FDA.

20           DR. SENIOR: On October 25th? This submission  
21 came in June.

22           DR. MANGEL: This question was brought up to us by  
23 the FDA at our 90-day meeting on October 6th. With all due  
24 diligence, we contacted the site. We were able to gather  
25 the information and submit the information in our October

1 25th submission to the FDA.

2 CHAIRMAN HANAUER: Yes, Dr. Prizont?

3 DR. PRIZONT: I don't recall presenting data on  
4 diarrhea-predominant patients. I presented data on  
5 intention to treat. My point on the subtypes correlated to  
6 the indication, precisely what you said. I'm going to stop  
7 here, but, you know, I just want to mention that in the  
8 original submission you did include analysis of **diarrhea-**  
9 **predominant** and the alternating subtype patients. You  
10 didn't present it here today, but you did present that in  
11 the summation.

12 CHAIRMAN HANAUER: I just want to add two  
13 sentences of amplification to the potential issue for  
14 everybody because we're talking about a drug that has  
15 potential applicability to 10 to 20 percent of our  
16 population of women that the sponsors have described. And  
17 although the trials went for 12 weeks, what was presented to  
18 us was that the efficacy went back to baseline, was lost,  
19 once the drug was discontinued. And no one here would  
20 anticipate that this drug is just going to be used for 12  
21 weeks, so we really should anticipate the potential for a  
22 significant exposure to the female population here.

23 DR. LAINE: Along those lines of those specific  
24 [HI criteria, can the agency representatives tell us if  
25 those criteria were met in terms of the number and length of

1 evaluation? Anybody, anybody?

2 CHAIRMAN HANAUER: Harmonization?

3 DR. LAINE: Right.

4 CHAIRMAN HANAUER: IHC.

5 DR. LAINE: IHC, whatever it is.

6 CHAIRMAN HANAUER: CI, whatever.

7 DR. LAINE: CHI. I always get confused, whatever.

8 CHAIRMAN HANAUER: There's an international there,  
9 something.

10 DR. LAINE: Yes, international harmonization  
11 something.

12 DR. TALARICO: I think three months was selected  
13 as an adequate duration that would give us an idea of  
14 prolonged use of a drug which may be used for a much longer  
15 period of time, but not necessarily continuously.

16 DR. LAINE: But I thought those rules were "x"  
17 number for "x" months, and they were like 6 and 12 months.  
18 mean, at other meetings we've been told about those.

19 DR. MANGEL: Yes. The ICH guidelines specify at  
20 least 300 patients for 6 months. As you saw this morning,  
21 we had 415 patients for 6 months. The ICH guidelines  
22 specify at least 100 for 12 months. In the second interim  
23 analysis, we had 187 patients for 12 months.

24 DR. LAINE: Thank you.

25 DR. RACZKOWSKI: And in terms of the total number

1 of exposures, the ICH guidelines recommend 1,500 patients be  
2 exposed to the drug.

3 CHAIRMAN HANAUER: Other questions from the  
4 Committee members for the FDA before we move on to the  
5 questions that we've been posed?

6 Everyone is very quiet here.

7 Statistically, Dr. Geller, you said you had some  
8 comments before regarding the statistical analysis. Were  
9 they solved by the agency's presentation?

10 DR. GELLER: Well, Glaxo probably has a slide of  
11 the imputation which is in the briefing book, page 70 on the  
12 middle of the page, and page 75 on the right-hand of the  
13 page, and it's the bottom table. It shows the number of  
14 positive imputations with the number of data missing. So,  
15 what would show--some people are concerned--I'm concerned  
16 about the percent of missing data, and this shows the effect  
17 of the imputation. And I didn't understand the table when I  
18 saw it in the book and I think everybody would benefit by  
19 understanding the imputation, the effect of the imputation  
20 in the final analysis by an explanation of that table.

21 MR. MCSORLEY: Thank you. Yes, if I could have--  
22 Dr. Geller, we've also summarized the data that are  
23 presented in the table that you're referring to in the  
24 briefing document on page 75. It is also summarized on  
25 slide--if I could have backup slide N-12, please?

1           This slide shows the amount of adequate relief  
2 from monthly responders; that is, monthly responders for  
3 adequate relief in terms of a "yes," having at least two  
4 weeks of adequate relief for each month within each of the  
5 months for those patients who had missing data for the  
6 entire month. And as you can see, at month one in each  
7 study, there is no monthly responders being imputed for  
8 either study, and at months two and three, in particular in  
9 33BA 3002, there is more monthly responders for adequate  
10 relief being imputed for placebo than alosetron.

11           In particular, the numbers then--the heights of  
12 these bars represent the percent of subjects in terms of  
13 actual numbers. There were, in 3001, 5 patients imputed as  
14 monthly responders out of the 309 patients in the alosetron  
15 group, versus 3 out of 317 on placebo. So in terms of a  
16 treatment difference for all patients on the percent of  
17 subjects who were monthly responders, that translated into  
18 less than 1 percent of the treatment difference being  
19 attributable to the last observation carried forward  
20 approach.

21           Similar findings are seen on month three where,  
22 for alosetron, 10 subjects who had missing months had  
23 adequate relief imputed for that month, versus 5 for  
24 placebo. So, again, 10 out of the 309 alosetron subjects,  
25 versus 5 out of 317 for placebo, yields 1.6 percent of the



1 treatment difference that could be attributable to the last  
2 observation carried forward approach. And that's the  
3 largest percent difference between treatments that is  
4 attributable by the last observation carried forward  
5 approach.

6 And, similarly, you see the same kind of thing in  
7 S3BA 3002. On placebo, you have 6 out of 323 at month two  
8 that are imputed with adequate relief, versus 6 patients out  
9 of 324 for alosetron, which is less than 1 percent of the  
10 difference attributable to adequate relief. And similarly  
11 For month three, there are 10 patients who have adequate  
12 relief imputed for month three, versus 8 on placebo, and so  
13 Less than 1 percent of the treatment difference is  
14 attributable to the last observation carried forward  
15 approach.

16 DR. GELLER: If I understand the table in the book  
17 correctly, the difference between the table and the slide is  
18 that the table has denominators, and the denominators have  
19 the number of people at each month--

20 MR. McSORLEY: Who were missing.

21 DR. GELLER: --who were missing altogether.

22 MR. McSORLEY: That's correct.

23 DR. GELLER: And therefore the table in the book  
24 also tells you the number of imputations of zeroes, of non-  
25 response, as well.

1 MR. McSORLEY: That's correct. At month one, for  
2 all of the treatment groups, since there's actually nothing  
3 to carry forward for month one--

4 DR. GELLER: Right.

5 MR. McSORLEY: --all missing months are considered  
6 as no relief. So there are no monthly responders being  
7 imputed for month one in either treatment group.

8 DR. GELLER: Right, but what I see in this table  
9 now is that at month three--you've shown us figures, and the  
10 FDA concurs, that the effect is on the total three months.  
11 But when you look at this table, you see that in the first  
12 trial, 67 alosetron patients had imputed data, and 69 in the  
13 second trial.

14 MR. McSORLEY: Well, no. Sixty-seven had--that's  
15 how many had missing months.

16 DR. GELLER: That's right, so you imputed either  
17 zero--so you imputed 10 one's and 57 zeroes, so that the  
18 result at three months depends very highly on all that  
19 imputation in that sense; that is, the effect you see that  
20 there's response at three months depends on the fact that  
21 there were as much missing data as there are.

22 MR. McSORLEY: Not exactly. I think there is  
23 actually more response being imputed on placebo in 3002 at  
24 month three than on alosetron. So, in actuality--

25 DR. GELLER: In month three--

1 MR. McSORLEY: No--yes, that's true.

2 DR. GELLER: There's more response imputed for  
3 alosetron.

4 MR. McSORLEY: In 3002, there is more response  
5 imputed for placebo than alosetron. In 3001, yes, there's a  
6 little bit more response imputed on alosetron than placebo.  
7 But with respect to the treatment differences in terms of  
8 all patients in both studies, when you take the difference  
9 between how many were imputed as a "yes" for monthly  
10 responder in each of the groups and take that difference,  
11 it's less than 1 percent of the treatment difference at  
12 month three is attributable to the last observation carried-  
13 the imputation approach. And what's in this table--

14 DR. GELLER: But there's a percent imputed as a  
15 no," and I'm just saying whatever the results are, the  
16 results that look so good at three months, in particular,  
17 have a lot to do with the fact that 59 placebo patients and  
18 7 alosetron patients on the first trial have values imputed  
19 rather than real data.

20 MR. McSORLEY: But the amount that are imputed as  
21 yes" is very small. The amount imputed as "no" is correct,  
22 and since there are slightly more missing data on alosetron  
23 than placebo, imputing a "no" would actually tend to be  
24 conservative in terms of underestimating the treatment  
25 difference as opposed to over-estimating it.

1 DR. PRIZONT: Can I intercede one minute, please?  
2 On that, I just wanted to respond, you know. I'm going to  
3 refer to A 3001, and as you remember, I showed a table with  
4 the specific combination of months. I mean, when we say  
5 three months, we don't know which one--or when we say two  
6 months, we don't know which one of the two months are. And  
7 in that case--and that was the statistician that did the  
8 analysis--patients with missing data were considered  
9 failures who were not carried forward in that particular  
10 analysis.

11 DR. GELLER: I'm not--

12 DR. PRIZONT: Is it more or less approximate, more  
13 or less the same percentage of difference or the same delta  
14 that, you know, they got with the LOCF? So just referring  
15 to A 3001. I think that 3002 overall is a little bit weaker  
16 study.

17 DR. GALLO-TORRES: Steve? I'm sorry, I don't mean  
18 to interrupt you, but I also would like to have Dr. Hoberman  
19 commenting on this. It's very important because he is very  
20 familiar with the data. I do not mean to interrupt you.

21 CHAIRMAN HANAUER: I'd just like to know is the  
22 data concerning or are you satisfied that we're not losing  
23 efficacy here?

24 DR. HOBERMAN: When I started reviewing this NDA,  
25 I was concerned that response was being carried forward. I

1 am a believer in LOCF analyses in many situations. This one  
2 seemed a little peculiar to me, so I did several analyses  
3 and my bottom line is that the imputation has zero to 1  
4 percent effect on any decision made on whether alosetron is  
5 effective.

6 Any kind of imputation might slightly inflate, you  
7 know, the number of responders at three months. But whether  
8 or not you do my analyses which do not carry forward or the  
9 sponsor's, the percentage of patients at three months is  
10 virtually the same. The treatment effect is virtually the  
11 same.

12 Just one more remark. When Dr. Prizont presented  
13 those eight bars with the different patterns of response,  
14 the eight different possible patterns of response over three  
15 months, that was generated by me. And what I did was say if  
16 a person was not in the trial, they were a non-responder.  
17 When that data was analyzed, the results were consistent  
18 between the two trials, with no doubt about statistical  
19 significance.

20 DR. GELLER: Thank you. I have one more question.  
21 Given the primary endpoint of the trial and that you asked  
22 the question every week, you must have done an analysis of  
23 the number of weeks of response, with some assumptions about  
24 the missings. I'm interested not only in the comparison,  
25 but in the average number of weeks of response.

1 MR. McSORLEY: We did do an analysis using a  
2 generalized estimating equation analysis as a supplementary  
3 post hoc analysis to explore what was happening week by week  
4 in terms of a longitudinal analysis approach to that, and  
5 essentially it confirms what you see in the week-by-week  
6 figures for adequate relief at each week that early on--  
7 well, in a model that had just simple main effects for  
8 treatment and week, the treatment effect was significant,  
9 and during the first four weeks when you did not see a  
10 significant treatment effect, there was an effect due to  
11 week.

12 But after that, for the second eight part of the  
13 weeks in which the curve stayed fairly consistent over the  
14 duration, there was no interaction effect between treatment  
15 and week. So it was consistent in terms of the weekly  
16 analysis looking at weeks in a longitudinal way.

17 DR. GELLER: Well, I just wondered if you did  
18 total number of weeks of relief, 12 weeks of data so you get  
19 an answer, rather than one to three you get an answer, or  
20 zero to 3, you get zero through 12.

21 MR. McSORLEY: We did not do an analysis of the  
22 number of weeks. I don't recall. Amy or Steve or Allen, do  
23 we have a backup slide for any--looking at the number of  
24 weeks or the proportion of weeks?

25 DR. MANGEL: I have the values for the proportion

1 of weeks. In the 3001 study, the proportion of weeks with  
2 adequate relief for placebo was 38 percent. For alosetron,  
3 it was 51 percent. For the 3002, the proportion of weeks  
4 with adequate relief for placebo was 42 percent. The  
5 proportion of weeks with adequate relief for alosetron  
6 treatment was 53 percent. Each of the p values were less  
7 than .001.

8 DR. GELLER: And that's with last--is that last  
9 observation carried forward on the missing?

10 DR. MANGEL: Yes, that was observed. That was the  
11 observed data.

12 DR. GELLER: Well, what do you do for people who  
13 aren't followed the full time? So are you making the non-  
14 response zero in that?

15 MR. McSORLEY: No, no. That's just looking at the  
16 proportion of weeks with relief.

17 DR. GELLER: So that's an on-study analysis?

18 MR. McSORLEY: That's correct. That would be the  
19 proportion of weeks with relief out of those weeks for which  
20 they answered the adequate relief question.

21 DR. LAINE: Can I ask a very quick question? Kind  
22 of like hepatitis, if you took this drug for a month or some  
23 period of time and you didn't respond, did you have any  
24 chance of responding in the second or third month; i.e., can  
25 we use non-response after a certain period of time as an

1 indicator you shouldn't go the full three months?

2 DR. MANGEL: Probably, Dr. Laine, the way I would  
3 answer that question best is that IBS is a multidimensional  
4 disorder. Alosetron produces improvement on multiple IBS-  
5 relevant dimensions. You know, a subset of that question  
6 might be what proportion of patients are receiving benefit  
7 on some endpoint, versus the proportion of patients on  
8 adequate relief.

9 As there is no obvious responder definition for  
10 changes in stool frequency or changes in stool consistency,  
11 we actually thought long and hard about that to make a  
12 responder--to answer that, to get at the notion, you know,  
13 what are patients receiving benefit on. I mean, you know,  
14 so all I can really comment on is for adequate relief the  
15 transitional probabilities, either staying with relief or no  
16 relief, staying with no relief, are about the same.

17 DR. LAINE: Do you have those data?

18 DR. MANGEL: It's about 79, 80 percent, also,  
19 right, Dave?

20 MR. McSORLEY: Yes.

21 CHAIRMAN HANAUER: Dr. Prizont showed his data  
22 that it was an all-or-none phenomenon, that they either  
23 responded for three months or they didn't respond because  
24 they didn't respond at one month. There were no--

25 DR. GELLER: That's what I was picking on earlier.



1 That depends on missing data. That conclusion depends on  
2 missing **data**.

3 DR. PRIZONT: But I mean we amplified **that in the-**  
4 **-we amplified that in the analysis by the statistician**  
5 reviewer where the missing data **was considered--**

6 DR. LAINE: But these people all **had active IBS**  
7 when they were enrolled. It's kind of like an arthritis  
8 flare, if you want to study it; they all, in a sense, had  
9 disease for the two weeks. So, i.e., when they started they  
10 **allegedly** had the disease. IBS goes up and down, but if you  
11 took at the one-month non-responders and see what happened  
12 to them in the next two months, I guess that's what I'm  
13 really asking.

14 DR. MANGEL: I don't believe we have that  
15 analysis.

16 MR. McSORLEY: I wondered, Dr. Hanauer, if I  
17 could--right before the break for lunch, we were discussing  
18 multiplicity with Dr. Geller, and I wonder if we could come  
19 back to that to add--if I could have Dr. Gary Cook, who is a  
20 statistical--

21 CHAIRMAN HANAUER: Yes, only if you can do it in  
22 human terms that won't take more than a minute or two.

23 MR. McSORLEY: I believe that that would--I think  
24 that was an important issue and I think that we didn't have  
25 complete closure on that and I want to make sure that Dr.

1 Geller is comfortable with that.

2 CHAIRMAN HANAUER: Okay, if you can close it  
3 quickly in terms that we will generally understand.

4 MR. McSORLEY: Thank you, Dr. Hanauer.

5 MR. COOK: I'm Gary Cook, with the University of  
6 North Carolina. On the missing data issue, you asked a  
7 question about number of weeks. The GEE analysis, which has  
8 week-by-week analyses, when it fit in a main effect model  
9 with just time and treatment in the model, that gives you an  
10 average over all of the weeks and is effectively testing the  
11 number of weeks. So the significance of that analysis  
12 addresses your question about that.

13 The issue that you had about responders for all  
14 three months--what David McSorley was trying to indicate is  
15 that the number of patients who actually were imputed as  
16 responders for all three months is a small number. The  
17 number who were imputed as non-responders is indeed a larger  
18 number, mainly because a lot of them may not have responded  
19 in the first month.

20 In order to have this particular statistical  
21 endpoint have more interpretable clinical relevance,  
22 assessments were done of each of the three months, and also  
23 week by week, to show that the data that applied globally  
24 for all three months also was exhibiting the difference on a  
25 month-by-month basis, which it clearly did two out of three

1 times with p values below 0.05, and on a week-by-week basis  
2 which it did basically in all of the latter weeks, the weeks  
3 after week four for the most part. And so those were  
4 intended to be descriptive p values to help understand where  
5 the difference in the overall assessment of the primary  
6 endpoint was coming.

7 Now, the study did have a pre-planned assessment  
8 of secondary endpoints, and those are indicated here and  
9 they were, in order, stool consistency, urgency, stool  
10 frequency, incomplete evacuation, and bloating. And for  
11 them, the primary assessment was month one and they were  
12 tested sequentially. And if one proceeds basically to  
13 display number N-46, there was statistical significance in  
14 both of the studies for the first three of those.

15 Now, once you got to the fourth one--I'm sorry--  
16 stool consistency--this is the primary and then this is the  
17 three secondaries in month one, in their sequential order of  
18 testing; consistency, first; urgency, second; frequency,  
19 third. Now, the fourth one in the hierarchy corresponded to  
20 incomplete evacuation. That was not statistically  
21 significant, so no inferential statement has been made about  
22 that.

23 Now, it is true that on some of these other  
24 measures, key values below 0.05 were shown for some of the  
25 other endpoints, again to show where p values below 0.05

1 were descriptively obtained. But the only ones that are  
2 inferential are month one for these three secondary  
3 endpoints.

4 DR. GELLER: Okay. Now, just to make sure I  
5 understand this, back to the slide I asked about earlier,  
6 which is the secondary endpoint, it's slide A-58. **It's**  
7 about secondary endpoints in months two and three, and month  
8 three, right.

9 MR. COOK: All of those are descriptive. They  
10 have no inferential role. They are **there--**

11 DR. GELLER: I was told earlier that these were  
12 not significant in month one.

13 MR. COOK: And because they were not significant  
14 in month one, they were outside the inferential process  
15 **because** only month one was the priority. The five endpoints  
16 were assessed in month one.

17 DR. GELLER: Okay, so the slide you just showed  
18 before this overall--

19 MR. COOK: Those are the ones that were  
20 inferentially confirmed.

21 DR. GELLER: Overall?

22 MR. COOK: Overall, for month one because the  
23 second--go back to the previous slide, number N-44.

24 DR. GELLER: Yes, this one.

25 MR. COOK: N-44. What it says here was assess

1 change from baseline at month one first. If p is less than  
2 0.05, then they would have assessed weeks one to four, and  
3 if all of them had been individually significant, they would  
4 have proceeded to the next endpoint.

5 DR. GELLER: And slide 46 is about what?

6 MR. COOK: Slide 46--

7 DR. GELLER: That's about **one**--

8 MR. COOK: --is month one for these three  
9 secondaries, all three months for the primaries.

10 DR. GELLER: I see.

11 MR. COOK: Go back to 44.

12 DR. GELLER: Okay.

13 MR. COOK: That was the plan. Forty-four was  
14 global test of total number of months of adequate relief as  
15 the primary, and the assessment was looked at month by month  
16 to identify the fact that months contributed individually to  
17 the global overall significance. The secondaries were then  
18 assessed in this order, with month one being the primary.

19 CHAIRMAN HANAUER: Okay, we've got it. Thank you.

20 MR. COOK: And on the next slide--

21 [Laughter.]

22 DR. GELLER: Yes, we've got it.

23 CHAIRMAN HANAUER: Please. Thank you.

24 MR. COOK: I've tried to make it simple.

25 [Laughter.]

1 CHAIRMAN HANAUER: Was this made simple in  
2 advance? These were pre-defined **endpoints**--

3 MR. COOK: Yes.

4 CHAIRMAN HANAUER: --agreed upon by the agency  
5 **before** the study was started? Yes?

6 DR. HOUN: Yes.

7 CHAIRMAN HANAUER: Thank you.

8 Okay, we're going to go on to questions. We're  
9 going to forge ahead here. Does the Committee have any  
10 **comments** on the design, conduct, or further discussions  
11 **regarding** the analysis of the principal efficacy trials that  
12 **we've** just heard, 3001 and 3002? So what we're looking for,  
13 just as I said, comments on this.

14 Dr. Geller, you've got the mike. Any comments on  
15 the design, conduct, or analysis?

16 DR. GELLER: I'd just make one point about the  
17 **endpoint**, about the primary endpoint. It's not **time-**  
18 **invariant**, so if you had a patient who had a response in two  
19 weeks in month one and two weeks in month two and then  
20 **dropped out**, that patient would not have the same assessment  
21 **as** somebody who had four weeks of response in the first  
22 **month** and then no response and dropped out. So the endpoint  
23 **is** a peculiar property.

24 CHAIRMAN HANAUER: Other comments?

25 Dr. Ferry, in particular I want your comments

1 because a significant--you can maybe enlighten us on--we've  
2 heard the general incidence of irritable bowel, but  
3 certainly this affects children and we'd like your impact on  
4 the pediatric perspective.

5 DR. FERRY: The incidence of irritable bowel  
6 syndrome really probably varies by age. It's most clearly  
7 defined in adolescents, children 13 years and up, sometimes  
8 a little younger who have matured or are in adolescence.  
9 And it's pretty much the same disease, I think, and we would  
10 characterize it the same. It's not a very common problem in  
11 pediatrics, but it's definitely there, and its implications  
12 and its severity are, I think, very much the same as in  
13 adults.

14 In younger children, it's, I think, much harder to  
15 define. We see lots of children with abdominal pain; it's  
16 the number one diagnosis that comes into our clinic,  
17 actually, but it's almost always with a little bit of  
18 constipation, but very hard to define, very hard to treat.  
19 Some people would classify it as irritable bowel syndrome.  
20 Others would say it's not, that it's really something, you  
21 know, quite different.

22 The true incidence of pain and diarrhea in the  
23 younger children, I think, is pretty infrequent. I do  
24 believe there will be a real interest in using this drug in  
25 children, and perhaps at all ages, actually. We are

1 struggling with many patients we have no treatment for at  
2 all, not even a hint of treatment for children, you know,  
3 with pain. So I do believe people will use this in a  
4 variety of settings in children, and probably younger than  
5 adolescence, **probably** a younger age.

6 **CHAIRMAN HANAUER:** Do we have data in--does the  
7 **sponsor have any** data in children?

8 George Dukes?

9 **DR. DUKES:** Yes, George Dukes, Glaxo Wellcome.  
10 Actually, Steve, we have submitted a proposal to the agency  
11 to discuss with them a development program in pediatrics,  
12 where we intend to study age groups 6 to 11, as well as  
13 adolescents. And we will be negotiating the exact protocol  
14 with the agency to look at that, and hopefully starting the  
15 first of the year.

16 **CHAIRMAN HANAUER:** Is there any reason to expect  
17 differential metabolism in children than in adults?

18 **DR. DUKES:** I'll try to answer that, but Kevin may  
19 want to get up here. Our understanding is no. The enzyme  
20 systems that are used to metabolize alosetron are mature by  
21 age 6 and, in fact, are mature at a much younger age than  
22 that. So we do not believe there will be a difference.

23 **CHAIRMAN HANAUER:** Dr. Ferry, as long as you're  
24 here to provide advice, do you have any specific issues  
25 regarding the design and conduct as applied to adults as you



1 would apply it to children as far as endpoints are concerned  
2 or duration of the trial?

3 DR. FERRY: I don't have any concerns about  
4 duration of the trial. I think endpoints are going to have  
5 to be looked at, you know, very closely. You're going to  
6 have to rely on parents' evaluation of what's happening with  
7 children, and I think the endpoints may have to be a little  
8 bit more specific in terms of real changes rather than just  
9 kind of well-being. But I mean I think it's an important--  
10 we need to do studies in children, so I'm very much in favor  
11 of it. I think the endpoints may have to be looked at a  
12 little differently.

13 CHAIRMAN HANAUER: Dr. Wilson, comments regarding  
14 conduct, design, and analysis?

15 DR. WILSON: No, not really.

16 CHAIRMAN HANAUER: Dr. Laine?

17 DR. LAINE: Well, just very briefly, in general, I  
18 think we see that--I'm generally okay with it. It does  
19 work. I certainly think this is going to be widely used.  
20 The concern, obviously, I have is what I expressed earlier.  
21 I mean, I think this is a--it's a significant and a real  
22 effect. It's a relatively modest effect in terms of using  
23 their primary endpoints, only about a 10-percent increase if  
24 we look at their primary endpoint at one month as compared  
25 to placebo.

1 CHAIRMAN HANAUER: That's efficacy. Design,  
2 control, and analysis?

3 DR. LAINE: That's analysis, too.

4 CHAIRMAN HANAUER: How is that?

5 DR. LAINE: Okay, and--go ahead.

6 CHAIRMAN HANAUER: Any other?

7 DR. LAINE: No. I mean--

8 CHAIRMAN HANAUER: Are you happy with the  
9 endpoint, relief of primary symptoms?

10 DR. LAINE: I think, you know, obviously I'd  
11 actually want to have an instrument person confirm that they  
12 properly evaluated the instrument. It's a hard thing to do,  
13 but I think at least to a novice their evaluation in Phase  
14 I and Phase III seemed a reasonable thing in terms of  
15 validating that it met other criteria of IBS as well.

16 CHAIRMAN HANAUER: Dr. Berardi?

17 DR. BERARDI: No additional comments.

18 CHAIRMAN HANAUER: Speak up, Dr. Wald.

19 DR. WALD: Well, I think that the method in terms  
20 of obtaining data, I think, has been quite innovative. I  
21 think it may even set the standard for future studies in a  
22 disorder which has no disease markers, which depends upon  
23 symptoms accurately obtained. And I think prospective data  
24 are the way to do it, so I would have to say that I'm very  
25 impressed with the way that the study was conducted.

1 I think the issue of three months is sufficient  
2 for efficacy. It does not answer the important questions  
3 that have been raised about using a drug which has potential  
4 side effects in a disorder which causes no mortality. so I  
5 think you have to set the bar fairly high when you're  
6 talking about a disease--or a disorder--I'm sorry--which  
7 itself has no mortality, which has a normal life expectancy.

8 So I think that we have to respect the information  
9 that has been presented to us in terms of some of the side  
10 effects that we've seen, and I think constipation will be a  
11 major issue to confront. This is a very potent anti-  
12 diarrheal agent and it's not going to be suitable for  
13 everyone. I would depend upon the statisticians to tell us  
14 whether the analysis has been done correctly, but I think  
15 given the nature of the population we've studied, I seem  
16 pretty satisfied with it. The effect is modest, but I think  
17 it has been definitely established here.

18 CHAIRMAN HANAUER: Again, we'll come back to  
19 efficacy, but I really want to push this panel, if anyone  
20 has comments, because we are setting a bar of efficacy based  
21 on relief of primary symptoms. And, again, as an IBS  
22 expert, is that going to be the bar that other compounds  
23 should reach?

24 DR. WALD: I think you asked a very important  
25 question before, as I told you at lunch, which is in a

1 disorder, again, with no mortality, the issue of quality of  
2 life is everything. And it would be especially important  
3 for us to confirm some of the primary efficacy endpoints  
4 with quality of life data. However you establish that with  
5 NSF-36 or a disease- or disorder-specific issue, this is a  
6 quality of life disorder, as we've heard from our patient  
7 advocates here, and for physicians. So I would look very--I  
8 would like to see that data before making a final decision.

9 CHAIRMAN HANAUER: Well, that's important because  
10 you're going to make a recommendation of approvability based  
11 on the data you have now, versus waiting on quality of life,  
12 and it can go either way. you can accept the data as given  
13 with or without quality of life.

14 Would you accept the data as--assuming the data is  
15 positive, would you accept that without quality of life for  
16 approval of the drug?

17 DR. WALD: Well, to borrow your analysis, if you  
18 had a situation where you could prove efficacy of data but  
19 quality of life was unchanged, I think we would all on this  
20 panel have to think very carefully about introducing a drug  
21 like this. If, on the other hand--and I think, to me, I  
22 would be very surprised if otherwise--if the quality of life  
23 data do confirm the primary efficacy data and the secondary,  
24 I think that would--I would anticipate that. I can't  
25 imagine why it wouldn't, unless there are so many people who

1 are so unhappy with side effects that that would negate the  
2 positive consequences. And that might be an issue to  
3 confront when you talk about a **20-percent** or so incidence of  
4 constipation as a side effect, but I would be optimistic.

5 DR. LAINE: Just in terms of your question, I  
6 definitely think the endpoint should be symptoms, and I have  
7 no problem with that at all. I mean, the only question I  
8 raise is making sure that the instrument used to document  
9 the symptoms is acceptable. But I think that's completely  
10 okay. There are instances, by the way, where quality of  
11 life improves, but symptoms don't improve in some studies.  
12 So I think they are both important.

13 DR. WILSON: One question I have is have any other  
14 drugs been submitted to a quality of life measure previously  
15 as a measure of efficacy in any disorder? I mean, I just  
16 don't know.

17 DR. TALARICO: There is still some work done on  
18 the validation of the data that one collects on quality of  
19 life. For some conditions, it seems to be pretty  
20 acceptable. For others, it's more difficult.

21 DR. WILSON: No. I mean--

22 DR. TALARICO: But for a policy, we don't--

23 DR. WILSON: That's what I mean, before the FDA as  
24 a measure of efficacy and a measure of approval or reason  
25 for approval.

1 DR. HOUN: In the three divisions that I oversee,  
2 there hasn't been approved for quality of life.

3 DR. WILSON: So this would be the first.

4 DR. HOUN: This one doesn't have a quality of  
5 life--

6 DR. TALARICO: But it would not be exclusively on  
7 the quality of life.

8 DR. WILSON: I know, I know.

9 DR. TALARICO: Okay.

10 DR. WILSON: What I'm saying is that if we  
11 withheld for quality of life, that would be precedent-  
12 setting. Is that true?

13 DR. HOUN: I think for this drug, for IBS, yes,  
14 this would--this whole thing is precedent-setting.

15 DR. WILSON: No, but I mean for any drug.

16 DR. HOUN: Other drugs, like dealing with pain,  
17 there are pain-specific drugs like for arthritis that  
18 incorporate improvement in daily activities and are probably  
19 more leaning toward quality of life indicators.

20 DR. WILSON: But they're not doing global--global  
21 quality of life is a very different measure because that  
22 measures more than just--I mean because if you just say  
23 daily activities, with arthritis, it's like you're moving.  
24 You know, that's what you measure; you're moving. I mean,  
25 this is like--that's the same as measuring the number of

1 stools or whether you have adequate relief of pain.

2 DR. HOUN: I think in some of those assessments,  
3 they approach more global assessment of well-being  
4 improvement, not just of activities but overall relief of  
5 pain. But in this field, and in many other fields in FDA,  
6 that has not been accepted.

7 CHAIRMAN HANAUER: Do those of you from the agency  
8 have any more specific questions regarding the Committee's  
9 assessment of the design, conduct, or analysis? Have we  
10 addressed your questions to this?

11 DR. TALARICO: I think here the Committee should  
12 know the primary efficacy endpoint and the secondary  
13 efficacy endpoint, put together somewhat in a global  
14 assessment.

15 CHAIRMAN HANAUER: Any other questions for us  
16 regarding conduct or analysis for this or subsequent trials?

17 DR. PRIZONT: I have a question. I mean, I  
18 included that in my review. I wonder if prospectively these  
19 trials were designed to assess adequate relief of abdominal  
20 pain or discomfort. The primary endpoint was that, and I  
21 wonder whether that alone encompasses all the symptomatology  
22 included in IBS based on the Rome Diagnostic Criteria.

23 I mean, we are talking about abdominal pain  
24 related to, associated with, or relieved by lower bowel  
25 functions. And I, for one--I'm not sure if I'm speaking on

1 behalf of the agency, but I, for one, I would like probably  
2 to include a more general endpoint, like adequate relief of  
3 IBS symptoms, and that's it. That encompasses probably all  
4 the symptoms.

5 CHAIRMAN HANAUER: So what's the question?

6 DR. PRIZONT: The question is what do you think  
7 about it.

8 CHAIRMAN HANAUER: Do I think--do we think--I and  
9 se think that--

10 DR. PRIZONT: You and everybody.

11 CHAIRMAN HANAUER: --the Committee think that the  
12 primary endpoint should be rephrased as adequate relief of  
13 symptoms or primary symptoms?

14 DR. PRIZONT: Right, IBS symptoms.

15 DR. LAINE: Pain and discomfort.

16 DR. PRIZONT: Right.

17 CHAIRMAN HANAUER: I'll throw that to Dr. Wald.  
18 How can they better ask this question, or is it adequate?

19 DR. WALD: I think that's a very difficult  
20 question to ask because the irritable bowel population is  
21 such a heterogeneous one in terms of its symptomatology. And  
22 what you're asking for with this particular drug is a very  
23 narrow indication; that is, I like your term the non-  
24 constipated IBS because we could argue what diarrhea means.

25 I think that you're really stating the same thing



1 as the primary and the secondary endpoints. We could be  
2 specific. I think I would prefer to be specific because I  
3 think we would need to emphasize, if we were to label this  
4 drug, that it's for a very select population with a very  
5 select group of symptoms. So I would try to be as specific  
6 as possible rather than to make a global statement because  
7 it seems to me that the irritable bowel syndrome is already  
8 too vague for many of us, and particularly when you get away  
9 from the super, super specialists in this area, it becomes  
10 even vaguer still. So I would try to be very specific and  
11 try to inform in terms of the labeling issue rather than  
12 make a global statement, although I understand what you're  
13 letting at.

14 CHAIRMAN HANAUER: Okay, moving on to the next  
15 question, was efficacy demonstrated in the overall  
16 population--well, was efficacy demonstrated in the overall  
17 population enrolled in the two clinical trials?

18 Dr. Geller?

19 DR. GELLER: I think so.

20 CHAIRMAN HANAUER: Dr. Ferry?

21 DR. FERRY: Yes, I believe so.

22 CHAIRMAN HANAUER: Yes.

23 DR. WILSON: I think so.

24 DR. LAINE: Yes, and again just to make the point  
25 about just, I would say, hard stool or whatever other term

1 we use rather than non-constipated, rather than just the  
2 terms used here.

3 DR. BERARDI: Yes.

4 DR. WALD: Yes, for half of them.

5 CHAIRMAN HANAUER: Well, they are asking the  
6 overall population. You can divide it later. So who wasn't  
7 it demonstrated in, or which half was demonstrated?

8 DR. WALD: The half who got better.

9 [Laughter.]

10 CHAIRMAN HANAUER: Yes, so efficacy was  
11 demonstrated in those who improved. Specifically, they are  
12 talking about the overall population of irritable bowel.  
13 Was there one specific population that you can define in  
14 advance for labeling purposes that should be described,  
15 when?

16 DR. WALD: Yes. I would think it would be the  
17 non-constipated, what we would call diarrhea-predominant  
18 group. I'd have to agree with Dr. Prizont that there was no  
19 efficacy demonstrated for the alternating group and, by  
20 design, the alternating constipation diarrhea group. That  
21 was not statistically significant, and the constipated group  
22 was not studied. So, yes, for that specific population.

23 CHAIRMAN HANAUER: Well, there is an expansion of  
24 this in the subsequent component of our question, which is  
25 the sponsor is proposing indication for the treatment of

1 irritable bowel syndrome in female patients whose  
2 predominant bowel symptom is diarrhea, either alone or as  
3 part of alternating stool pattern.

4 Let's just ask, has efficacy been demonstrated in  
5 women with diarrhea predominance?

6 DR. WALD: Yes.

7 CHAIRMAN HANAUER: Dr. Berardi?

8 DR. BERARDI: Yes.

9 DR. LAINE: Again, I want to make the point that  
10 that's again a post hoc analysis. I mean, to me, you'd look  
11 at the group that they entered.

12 CHAIRMAN HANAUER: Do you feel that--

13 DR. LAINE: Well, I'm trying to explain that you  
14 can't say that because with--I think the quick answer is  
15 yes, but you can't start breaking it down because that's not  
16 what they did. They did a study in people who had stools  
17 that were not hard and they showed efficacy. Then when they  
18 did a post hoc analysis, it seemed to be pretty much clear  
19 in the diarrhea and it was plus/minus in the alternators,  
20 but the alternators were a much smaller population as a post  
21 hoc analysis. So I have problems with breaking it down in  
22 terms of labeling. I mean, to me, you just say it was  
23 effective in people who didn't have hard stools, you know,  
24 and were women.

25 CHAIRMAN HANAUER: Well, let me ask again, has

1 efficacy been demonstrated in women with diarrhea-  
2 predominant IBS?

3 DR. LAINE: They didn't study that.

4 DR. WILSON: Yes. I think one of the points that  
5 we did harp on is irritable bowel patients do not have to  
6 have diarrhea, loose stools, everyday. If you have a score  
7 of 3.5, that means by definition you had to have some days  
8 that it was 4, okay?

9 DR. LAINE: But they excluded people with less  
10 than--excuse me--more than 2.5, whatever it was.

11 DR. WILSON: Right.

12 DR. LAINE: Less than 2.5; sorry. So they  
13 couldn't have people who had a mean really that was at all  
14 loose--at all hard, rather. I keep confusing.

15 DR. WILSON: Right, exactly, but what I'm saying  
16 is that so there were patients who did have some days that  
17 they had loose stools. So the bottom line is, yes, I think,  
18 in patients who were non-constipated.

19 CHAIRMAN BANAUER: Well, I didn't ask non-  
20 constipated. I asked has been demonstrated in diarrhea-  
21 predominant.

22 DR. LAINE: That wasn't your question.

23 CHAIRMAN HANAUBR: Well, that is the question.  
24 We'll come to modification in a minute.

25 So your answer was yes?

1 DR. WILSON: Yes.

2 CHAIRMAN HANAUER: My answer is yes.

3 DR. FERRY: And my answer is yes, also.

4 DR. GELLER: Yes.

5 CHAIRMAN HANAUER: Okay. Now, I'm going to  
6 rephrase this. Has efficacy been demonstrated in women with  
7 IBS as part of an alternating stool pattern?

8 DR. WALD: I have to defer to Dr. Prizont. I  
9 think when you break the analysis, although post hoc and  
10 perhaps not the primary intention, there are insufficient  
11 numbers to make that determination. So I cannot say yes, so  
12 I will say no.

13 CHAIRMAN HANAUER: Thank you.

14 [Laughter.]

15 CHAIRMAN HANAUER: Dr. Berardi?

16 DR. BERARDI: No.

17 DR. LAINE: They didn't study it, so no.

18 DR. WILSON: I'd have to say no.

19 CHAIRMAN HANAUER: And I'd say no.

20 DR. FERRY: No.

21 DR. GELLER: No.

22 CHAIRMAN HANAUER: It sounds trivial, but I think  
23 my purpose in expanding that question is that the primary  
24 symptom was constipation and I certainly think that my own  
25 interpretation of this is that the therapeutic margin, as

1 modest as it is, definitive but modest, probably would be  
2 lost in that alternating group because of the risks of  
3 constipation, because the sponsor also concurred that those  
4 were the patients who were more likely to have constipation  
5 from the drug. So, that was purpose in dividing that out.

6 Question 3: The following events were seen in  
7 greater proportion of patients receiving alosetron: ischemic  
8 colitis, elevated liver enzymes, and constipation. We're  
9 going to have comments on each of those, so ischemic  
10 colitis.

11 Dr. Wald, any comments? What's your take on the  
12 ischemic colitis?

13 DR. WALD: I used to know what ischemic colitis  
14 is; I'm not sure I understand it now.

15 CHAIRMAN HANAUER: You used to know what IBS was  
16 until today.

17 [Laughter.]

18 DR. WALD: I never knew what IBS was.

19 I have to say that something happened with greater  
20 frequency in the patients who took the active drug than the  
21 placebo. It was something associated with an inflammatory  
22 response, perhaps of the colon, and I'm not sure I  
23 understand whether it's an infectious agent or what often  
24 passes in clinical medicine as what we call ischemia.

25 I certainly think some of the diagnoses are

1 improved, but I would like to be submitted to a panel of  
2 pathologists as unknowns in order to get an assessment of  
3 that. But I am somewhat concerned about the increased risk  
4 of that, without putting a label on it, and I'd perhaps not  
5 use the word "ischemic colitis," but non-specific or  
6 hemorrhagic colitis of some sort.

7 CHAIRMAN HANAUER: That's real helpful for a  
8 clinician.

9 DR. WALD: Well, it's vague, but--

10 CHAIRMAN HANAUER: They already have a non-  
11 specific condition, and there's a possibility of inducing  
12 another non-specific condition.

13 DR. WALD: Well, I thought I knew coming in that  
14 this was ischemic colitis. I think listening to the data,  
15 reading Dr. Brandt's comments, and so forth, I'm not sure we  
16 know what it is. But it is worthy of taking that data, the  
17 blocks, and so forth, and really looking at it, but in a  
18 blinded fashion. I would submit it to perhaps three or four  
19 pathologists with no axe to grind and tell them to tell us  
20 what it is without really implicating what happened.

21 CHAIRMAN HANAUER: Dr. Berardi, any comments on  
22 the non-specific colitiform disorder, colitic disorder?

23 DR. BERARDI: If Dr. Wald can't figure it out, I  
24 can't either. I too agree that there appears to me to be  
25 something happening. As to exactly what it is in terms of

1 ischemic colitis, I'm certainly not in the position, but I  
2 do think that this should be pursued further.

3 DR. LAINE: I agree it may be nothing, but it  
4 could be something, needs to be evaluated when the agency  
5 has to get the blocks and all the information and go over it  
6 more carefully to make a final decision.

7 DR. WILSON: I would agree as well. It's really  
8 unclear. Unfortunately, as a clinical gastroenterologist,  
9 it's a common kind of colitis or colitidy that one  
10 encounters where you never know what the real answer is, but  
11 it goes away.

12 CHAIRMAN HANAUER: I also agree that this is a  
13 potential problem. I'm not as optimistic as you are that an  
14 additional pathologic review is going to add clarity to the  
15 diagnosis, but I think that this ischemic-like colitis, with  
16 or without infectious component, has been seen more with  
17 this than was seen with placebo and it needs to be watched  
18 for.

19 Dr. Ferry?

20 DR. FERRY: You know, in pediatrics we don't have  
21 an issue where we really see ischemic-type colitis. I mean,  
22 we do see infectious colitis and we do see hemolytic uremic  
23 syndrome with very severe forms of colitis. And I came away  
24 with the impression that there is, you know, a strong  
25 possibility that this may be, at least in two of these



1 cases, something related to infection, and I think that  
2 really does need to be looked at more closely. So I have  
3 some concerns about it, but I came away with the idea that  
4 there's a good chance it's in infectious and maybe not  
5 ischemic.

6 DR. GELLER: I would like to see the slides read  
7 blindly, but also in addition to those slides, some others  
8 should be added in, so that there should be true blinded  
9 review.

10 CHAIRMAN HANAUER: Okay. What about the elevated  
11 Liver enzymes? Dr. Wald?

12 DR. WALD: Well, I guess I'm impressed by Dr.  
13 senior's presentation. I think we have enough patients to  
14 show efficacy, but we certainly don't have enough experience  
15 to show hepatotoxicity. And, again, I think there's a high  
16 barrier to leap here on a disorder which is not itself  
17 associated with mortality. So it may be one case and it may  
18 be a fluke, but I think we have to respect it because of the  
19 temporal relationship with which the enzymes came up and  
20 went down, unless, of course, we get additional data that  
21 shows, in fact, the original information was perhaps  
22 incomplete, and that would be helpful. Apparently, there is  
23 such data or may be such data, but for now I have to be  
24 concerned.

25 CHAIRMAN HANAUER: Anyone have differing comments

1 than that?

2 DR. LAINE: My only additional comment is even if  
3 there was additional data, I don't say we should disregard  
4 hepatocellular injury, but I think that the issue of the 10  
5 or 15 percent leading to fatal acute liver failure is really  
6 an overstatement and concerned me somewhat because this was  
7 somebody who had mild elevation transaminases and one slight  
8 elevation in bilirubin and was watched over 50 days. I  
9 think that's a far different case than what you were talking  
10 about, Dr. Senior, in the sense that if somebody has  
11 dramatic hepatocellular injury with very high transaminases  
12 and clinical jaundice, it is a much different situation.

13 In addition, that person would develop acute liver  
14 failure. This person was watched, and even if they were  
15 taken off the drug at day 50 and the liver tests came down  
16 afterwards, they had a lot of time to develop acute liver  
17 failure and didn't. So although I think we need to watch  
18 and I'd be concerned, I wouldn't want to be quite as  
19 alarmist in terms of suggesting, you know, that this  
20 scenario is suggestive of a high rate of acute liver failure  
21 and death.

22 DR. SENIOR: We can't predict it, of course,  
23 Loren, but as you say, I just think we ought to keep it in  
24 mind and not just ignore it.

25 DR. LAINE: I absolutely agree. I think we need

1 to keep it in mind, not ignore it. I just wouldn't be quite  
2 as alarmist with this particular case that was--

3 DR. SENIOR: Right. What Zimmerman was talking  
4 about was jaundice. We didn't have jaundice here. We had a  
5 2.1 bilirubin. That's not jaundice.

6 DR. LAINE: I agree. That was my point.

7 DR. WILSON: I would agree with that, and also  
8 just pointing out that women do take a number of other drugs  
9 that have potential hepatotoxicity, NSAIDS, and so forth,  
10 and that's the only reason I'd take note of it.

11 DR. WALD: But if I can also--women are more  
12 susceptible to drug-induced hepatocellular injury, and since  
13 this is going to be exclusively in women, it must be  
14 respected.

15 DR. FERRY: I would agree actually with Dr. Wald.  
16 There may be more information that says these levels went  
17 back to normal before the drug was stopped. That would be  
18 very, very important, and I think we just have to watch this  
19 closely.

20 DR. GELLER: I think I have to defer on this one.

21 CHAIRMAN HANAUER: Constipation. Dr. Wald?

22 DR. WALD: Constipation is a very serious problem.

23 CHAIRMAN HANAUER: First of all, do you understand  
24 what it is yet?

25 [Laughter.]

1 CHAIRMAN HANAUER: We've confused you on  
2 everything else.

3 DR. WALD: Well, I think if anybody who has used  
4 ondansetron and granisetron [ph]--and talk to your patients--  
5 --that's a major issue. This drug can produce constipation  
6 and it's a very potent anti-diarrheal agent. It's going to  
7 inhibit the use in some individuals. On the other hand, for  
8 those who have diarrhea predominance, it could be a very  
9 helpful drug. And I'm concerned it has to be mentioned, but  
10 I don't see it as a complication that should preclude it's  
11 being released.

12 CHAIRMAN HANAUER: Dr. Berardi?

13 DR. BERARDI: Yes. I too am concerned about it,  
14 and I also recognize that in the real world patients that  
15 have diarrhea-predominant that aren't getting efficacy at  
16 least immediately with this drug may, in fact--I can see  
17 them taking this with other anti-diarrheal medications, or  
18 even other medications that have a decreased motility  
19 effect. So, that could increase the potential for  
20 constipation, but I don't see that precluding using or  
21 approving this drug.

22 CHAIRMAN HANAUER: Dr. Laine?

23 DR. LAINE: I mean, I think we should make the  
24 point that the modest significant improvement was shown,  
25 despite this. So it was still shown even with the side