

UNITED STATEMENT OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

JOINT MEETING OF DENTAL PRODUCTS PANEL AND
CENTRAL NERVOUS SYSTEM DRUGS ADVISORY COMMITTEE

+ + + + +

WEDNESDAY, SEPTEMBER 6, 2006

+ + + + +

The meeting was held in the Grand Ballroom, Gaithersburg Holiday Inn, Two Montgomery Village Avenue, Gaithersburg, Maryland, at 8:00 a.m., Richard G. Burton, D.D.S., and Karl D. Kiebertz, M.D., MPH, Co-chairmen, presiding.

PRESENT:

RICHARD BURTON, D.D.S, Co-chairman

KARL D. KIEBURTZ, M.D., MPH, Co-Chairman

SALOMON AMAR, D.D.S., Ph.D., Member, DPP

THERESA A. COWLEY, Patient Representative, DPP

MASON DIAMOND, D.D.S., Industry Representative, DPP

MICHAEL FLEMING, D.D.S., PA, Consumer Representative,
DPP

YIMING LI, D.D.S., Ph.D., Member, DPP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

PRESENT (Continued):

MAN WAI NG, D.D.S., MPH, Member, DPP

WILLIAM J. O'BRIEN, M.S., Ph.D., Member, DPP

DOMENICK T. ZERO, D.D.S., MS, Member, DPP

JOHN R. ZUNIGA, Ph.D., DMD, Member, DPP

MICHAEL E. ADJODHA, MCHE, Executive Secretary, DPP

LARRY B. GOLDSTEIN, M.D., Member, PCNSDAC

MICHAEL D. HUGHES, Ph.D., MSC, Member, PCNSDAC

SANDRA F. OLSON, M.D., Member, PCNSDAC

ROGER J. PORTER, M.D., Industry Representative,

PCNSDAC

MATTHEW RIZZO, M.D., Member, PCNSDAC

RALPH L. SACCO, M.D., MS, Member, PCNSDAC

LT. DARRELL LYONS, BSN, R.N., Executive Secretary,

PCNSDAC

MICHAEL ASCHNER, Ph.D., Consultant

MICHAEL DOURSON, Ph.D., Consultant

LYNN R. GOLDMAN, M.D., MS, MPS, Consultant

MARGARET HONEIN, Ph.D., MPH, Consultant

CURTIS D. KLASSEN, Ph.D., Consultant

MICHAEL I. LUSTER, Ph.D., Consultant

GEORGE WESLEY TAYLOR, III, DMD, DPH, Consultant

NORRIS E. ALDERSON, Ph.D., FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

C O N T E N T S

	<u>PAGE</u>
Statement regarding Conflict of Interest	6
Dental Amalgam and Other Restorative Materials, Dr. J. Rodway Mackert	23
Overview of Device Classification under the FFD&C Act, Heather Rosecrans	38
Scientific Basis for the Regulation of Dental Amalgam in Canada, Dr. Arthur Conn	61
Scientific Basis for Regulation of Dental Amalgam in Sweden, Dr. Lennart Philipson ...	83
U.S. Public Health Agencies' Evaluation re Dental Amalgam Prior to 1997, Dr. Richard Canady	105
Open Public Hearing	127
Linda Brocato	127
Charles Brown	133
Dr. Amid Ismail	140
Dr. Ronald Zentz	146
Kathleen Nelson	153
Dr. Joel Berg	158
Dr. Paul Gilbert	166
Dr. David Kennedy	172
Dr. Hal Huggins	179
The Honorable Diane Watson	184
Sara Moore-Hines	196
Dr. Bruce Hutchinson	203
Dr. Nairn Wilson	207
Dr. Vincent Mayher	213
Dr. Milton V. Marshall	219
Carol Ward	226
Dr. Amanuel Finn	232
Angela Kilmartin	236
Teresa J. Pichay	242
Jessica Kerger, Esq.	245
Marie Flowers	251
Robert Reeves, Esq.	257
Dorice Madronero	262
Dr. Rebecca Painter.	268
Dr. William Raymond King	273

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

C O N T E N T S (Continued)

PAGE

Open Public Comment (Continued):

Johann Wehrle, Esq. 277
 Kelly Gallagher 282
 Dr. Nathan Fletcher 287
 Clinton Zimmerman 292
 Dr. Steven London 298
 Sue Ann Taylor 303
 Anita Tibau 308
 Dr. Paul Connett 315
 Dr. Isabella DeMede 320
 Dr. Andrea Brockman 325
 Karen Palmer 329

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(8:15 a.m.)

CO-CHAIRMAN BURTON: Good morning, and welcome to this joint meeting of the Dental Products Panel of the CDRH Medical Devices Advisory Committee and the Peripheral and Central Nervous System Drug Advisory Committee.

My name is Dr. Richard Burton. I am the Chairman of the Dental Products Panel and Co-chair of this joint committee.

I would like to call this meeting to order. The Executive Secretary will now make some introductory remarks.

MR. ADJODHA: Thank you, Chairman Burton.

My name is Michael Adjodha, the Executive Secretary of the Dental Products Panel and this joint committee.

As a joint committee, this committee will be chaired by both Drs. Burton and Kiebertz.

The Chairman of the Dental Products Panel and Co-Chair of this committee is Dr. Richard Burton.

Dr. Burton is the Vice Chair of the Hospital Dentistry Institute at the University of Iowa, Hospitals and Clinics, Iowa City, Iowa.

The Chairman of the Peripheral and Central

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Nervous System, or PCNS Committee, and Co-chair of
2 this committee is Dr. Karl Kieburtz. Dr. Kieburtz is
3 Professor of Neurology and Preventative Medicine at
4 the School of Medicine and Dentistry at the
5 University of Rochester, Rochester, New York.

6 Dr. Burton will chair the morning
7 sessions, while Dr. Kieburtz will chair the afternoon
8 sessions.

9 The Executive Secretary of the PCNS
10 Committee is Lieutenant Darrell Lyons. He is seated
11 in the audience, and before turning the meeting over
12 to Dr. Burton, I am required to read two statements
13 into the record, a conflict of interest statement and
14 a deputization of temporary voting members statement.

15 I will now read into the record the
16 conflict of interest statement for this meeting.

17 The Food and Drug Administration is
18 convening today's meeting of the Dental Products Panel
19 of the Medical Devices Advisory Committee at the
20 Center for Devices and Radiological Health and the
21 Peripheral and Central Nervous System Drugs Advisory
22 Committee of the Center for Drug Evaluation and
23 Research under the authority of the Federal Advisory
24 Committee Act of 1972. This will be a joint meeting
25 of two committees.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 With the exception of industry
2 representatives, all members and consultants of the
3 committees are special government employees or regular
4 federal employees from other agencies and are subject
5 to federal conflict of interest laws and regulations.

6 The following information on the status of
7 the committee's compliance with federal ethics and
8 conflict of interest laws covered by, but not limited
9 to, rules found in Title 18 of U.S. Code, Section 208,
10 are being provided to the participants in today's
11 meeting and to the public.

12 FDA has determined that the members and
13 the consultants of these committees are in compliance
14 with federal ethics and conflict of interest laws
15 under 18 USC Section 208. Congress has authorized FDA
16 to grant waivers of special government employees who
17 have financial conflicts when it has been determined
18 that the agency's need for a particular individual's
19 services outweighs his or her potential financial
20 conflict of interest.

21 Members and consultants of these
22 committees who are special government employees at
23 today's meeting have been screened for potential
24 financial conflicts of interest of their own, as well
25 as those imputed to them, including those of their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 employer, spouse, minor child, related to today's
2 discussions.

3 These conflicts of interest include
4 investments, consulting, expert witness testimony,
5 contracts, grants, CRADAs, teaching, speaking,
6 writing, patents, royalties, and primary employment.

7 Today's agenda involves review and
8 discussion of peer review scientific literature on
9 dental amalgam and its potential mercury toxicity
10 specifically as it relates to neurotoxic effects.

11 Based on the agenda for today's meeting
12 and all financial interests supported by members and
13 consultants of the committees, conflict of interest
14 waivers have been issued in accordance with 18 USC 208
15 to Drs. Larry Goldstein and Sandra Olson. These
16 waivers allow these individuals to participate fully
17 in today's deliberations.

18 Copies of these waivers may be obtained by
19 visiting FDA's Website or by submitting a written
20 request to the agency's Freedom of Information Office,
21 Room 630 of the Parklawn Building. A copy of this
22 statement is available for review at the registration
23 table during this meeting and will be included as part
24 of the official transcript.

25 Dr. Mason Diamond is serving as the device

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 industry representative, as acting on behalf of all
2 related industry and is employed by TyRx Farmer,
3 Incorporated.

4 Dr. Roger Porter is serving as a drug
5 industry representative, acting on behalf of all
6 related industry, and is a retired employee of Wyeth
7 Research.

8 Dr. J. Rodway Mackert, who is a guest
9 speaker for us today, has acknowledged a financial
10 interest in and professional relationship with a firm
11 at issue.

12 We would like to remind members and
13 consultants that if discussions involve any other
14 products or firms not already on the agenda for which
15 an FDA participant has a personal or imputed financial
16 interest, participants need to exclude themselves from
17 such involvement, and their exclusion will be noted
18 for the record.

19 FDA encourages all participants to advise
20 the committees of any financial relationships they may
21 have with any firms at issue.

22 Next I will read a temporary voting member
23 statement from CDRH.

24 Pursuant to the authority granted in the
25 Medical Devices Committee charter, as amended, dated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 April 27, 1990, as amended April 20, 1995, I appoint
2 the following consultants as voting members of the
3 Dental Products Panel for the joint meeting to be held
4 on Wednesday, September 6th, and Thursday, September
5 7th:

6 Michael Dourson

7 Lynn Goldman

8 Peggy Honein

9 Curtis Klaassen

10 Michael Luster

11 George Wesley Taylor

12 For the record, these individuals are
13 special government employees and are consultants to
14 this panel and the Medical Devices Advisory Committee.

15 They have undergone customary conflict of interest
16 review, and they have reviewed the material to be
17 considered for this meeting.

18 Signed, Daniel G. Schultz, M.D., Director,
19 Center for Devices and Radiological Health, dated
20 August 25th, 2006.

21 I have another memo from Center for Drug
22 Evaluation. Michael Aschner is attending the meeting
23 as an expert consultant in neurotoxicology, and we
24 would like him to serve as a full voting member.

25 Concur, Steven Goldston, Director of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Center for Drug Evaluation and Research.

2 I have a note for the panel members here
3 to use the microphone you have to actually press the
4 button and have the light come on. Otherwise the mics
5 do not work. And when you are completed speaking, you
6 press the button again to turn off the light.

7 I would like to request everyone in
8 attendance at this meeting to take the opportunity to
9 sign the attendance sheet that is available at the
10 table outside.

11 Also, if you are scheduled to present
12 during the open public session, please be sure to
13 obtain your number from Ms. Ann Marie Williams. Can
14 you raise your hand, Ann Marie? That is Ms. Ann Marie
15 Williams Back there.

16 Okay, and please also silence your cell
17 phone ringers and refrain from taking flash
18 photography, as this can disrupt the meeting.

19 Also, note not to disrupt the meeting for
20 safety concerns, and for local codes, we are not
21 allowing large signs in the rooms with sticks in the
22 meeting room. The hotel has allowed a display area in
23 the lobby.

24 And with that, I will turn the meeting
25 over to Chairman Burton.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN BURTON: Thank you, Michael.

2 My name is Dr. Richard Burton. I am from
3 the University of Iowa, and I would like to welcome
4 all of the panel members, our consultants, the FDA
5 staff, and the public to this meeting.

6 I would like to start off by having each
7 of the members of the two committees that we have
8 present here please just introduce yourself, stating
9 your name, your area of expertise, position, title,
10 the institution you're with, and again, your status on
11 the committee, whether you're a voting member,
12 industry consumer or patient representative or
13 consultant.

14 I would start over here on the left,
15 please.

16 DR. PORTER: Roger Porter, 20 years at
17 NIH, ten years at Wyeth; currently an Adjunct
18 Professor of Neurology at University of Pennsylvania
19 and Adjunct Professor of Pharmacology at the Uniformed
20 Services University; nonvoting PHRMA member

21 DR. DIAMOND: Dr. Mason Diamond. I am
22 with a company called TyRex Pharma. I'm the Vice
23 President of Clinical and Regulatory Affairs; over 20
24 years' experience in clinical, academic, and product
25 development. I am the nonvoting industry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representative to the Dental Products Panel.

2 DR. FLEMING: Dr. Michael Fleming, a
3 private practitioner, Durham, North Carolina, for 28
4 years. I'm the consumer representative under the
5 Dental Products Panel, nonvoting member.

6 MS. COWLEY: Terry Cowley, co-founder and
7 President of the TMJ Association; patient
8 representative, nonvoting.

9 DR. ASCHNER: Michael Aschner. I am a
10 Professor of Pediatrics and Pharmacology at Vanderbilt
11 University Medical Center. My interest is in
12 neurotoxicology. I am a consultant to this committee.

13 DR. KLAASSEN: Curtis Klaassen, professor
14 at the University of Kansas Medical Center,
15 Department of Pharmacology and Toxicology, and I'm a
16 consultant to this committee.

17 DR. RIZZO: Matthew Rizzo. I'm a
18 Professor of Neurology, Engineering, and Public Policy
19 at the University of Iowa, and I'm a voting member of
20 the committee.

21 DR. SACCO: Ralph Sacco, Professor of
22 Neurology and Epidemiology at Columbia University
23 where I've been for over 20 years, Director of Stroke
24 and Critical Care, and a voting member of the PCNS
25 Panel.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TAYLOR: George Taylor, Associate
2 Professor in epidemiology and dentistry at the
3 University of Michigan. I'm a consultant to the
4 panel.

5 DR. LI: Yiming Li, Professor of Full
6 Restorative Dentistry at Loma Linda University School
7 of Dentistry and a Professor of Microbiology and
8 Molecular Genetics in the School of Medicine, Loma
9 Linda University, and I also serve as the Director for
10 Center for Dental Research at the university. My area
11 of expertise is the biological property of
12 biomaterials and dental materials. I am a member of
13 the Dental Products Panel.

14 DR. OLSON: Sandy Olson. I'm a Professor
15 of Clinical Neurology at Northwestern University
16 Medical School, where I have been for over 35 years.
17 I am a general neurologist, and I am a member of the
18 Peripheral and Central Nervous System Advisory Panel
19 for the FDA, and I am a voting member.

20 CO-CHAIRMAN KIEBURTZ: Karl Kieburtz. I'm
21 a Professor of Neurology at the University of
22 Rochester in Rochester, New York, and I'm the Chair of
23 the PCNS Advisory Committee.

24 DR. HUGHES: I'm Michael Hughes. I'm
25 Professor of Biostatistics at Harvard University. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a member of the PCNS Committee.

2 DR. NG: Good morning. I'm Man Wai Ng.
3 I'm a pediatric dentist, and I'm also the Chief of the
4 Department of Dentistry at Children's Hospital,
5 Boston. My interests are in early childhood caries
6 and public health.

7 DR. ZUNIGA: I am John Zuniga. I'm a
8 professor and Chair of the Division of Oral and
9 Maxiofacial Surgery in the Department of Surgery at
10 the University of Texas, Southwestern Medical Center
11 in Dallas. My particular interest is oral maxiofacial
12 surgery and sensory disorders of the oral cavity. I'm
13 a voting member of the Dental Products Panel.

14 DR. GOLDSTEIN: And I'm Larry Goldstein.
15 I'm Professor of Medicine, Division of Neurology at
16 Duke University, where I have been also for over 20
17 years. I'm also Director of the Duke Stroke Center,
18 and I'm a voting member from the PSNC panel.

19 DR. ZERO: Domenick Zero. I'm a professor
20 and Chair, Department of Preventive and Community
21 Dentistry at Indiana University School of Dentistry.
22 I also serve as the Associate Dean for Research there,
23 and the Director of the Oral Health Research
24 Institute. My main interest is in the prevention of
25 dental caries, and I'm a voting member of the Dental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Products Panel.

2 DR. GOLDMAN: I'm Lynn Goldman. I'm a
3 pediatrician and professor of Environmental health
4 sciences at the Johns Hopkins Bloomberg School of
5 Public Health, and I am a consultant to the committee.

6 DR. DOURSON: Mike Dourson. I'm a
7 toxicologist with a nonprofit group, Toxicology
8 Excellence for Risk Assessment, where I serve as its
9 director for the last 12 years. Prior to that, 15
10 years with U.S. EPA. My specialty is risk assessment,
11 specifically non-cancer.

12 DR. O'BRIEN: I'm Dr. William O'Brien,
13 Professor of Biomaterials at the University of
14 Michigan in Ann Arbor, and my interest is in the
15 enviromaterials, and I'm a voting member of the Dental
16 Panel.

17 DR. AMAR: Good morning. Salomon Amar from
18 Boston University. I'm Professor of Periodontology
19 and Oral Biology, and I serve also as Associate Dean
20 of Research at Boston University; 15 years of
21 experience in host response and inflammation, and I
22 serve as a voting member in the Dental Product Panel.

23 DR. LUSTER: I'm Mike Luster. I'm Chief
24 of the Toxicology and Molecular Biology Branch at
25 NIOSH, which is under CDC. My expertise is in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 toxicology, particularly immunotoxicology and risk
2 assessment, and I'm a consultant.

3 DR. HONEIN: I'm Margaret Honein. I'm an
4 epidemiologist with the Division of Birth Defects and
5 Developmental Disabilities at the Centers for Disease
6 Control and Prevention.

7 CO-CHAIRMAN BURTON: Thank you all for
8 taking the time and effort to be present.

9 I would like to note for the record that
10 the number of members present constitute a quorum for
11 a meeting as required by 21 CFR, Part 14.

12 We will now proceed with our published
13 agenda. Dr. Alderson.

14 DR. ALDERSON: Thank you, Mr. Chair, and
15 good morning, panel members. I want to thank you in
16 advance, the two committees, for their work today and
17 tomorrow in helping us evaluate the potential health
18 risks from mercury in dental amalgam.

19 I also wish to welcome the members of the
20 public, consumers, patients, health care providers who
21 have joined us today. One committee chaired by Dr.
22 Burton is the Dental Products Panel of the Metal
23 Device Advisory Committee of the Center for Devices
24 and Radiological Health.

25 The second committee, chaired by Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Kieburtz, is the peripheral and Central Nervous System
2 Drug Advisory Committee for the Center for Drugs and
3 Research.

4 Together these two committees formed a
5 joint advisory committee for this meeting. The Joint
6 Committee will be co-chaired by the chairs of these
7 two committees.

8 To aid in our considerations of some of
9 the key science issues raised during public comment on
10 the proposed rule on dental amalgam, we are holding
11 this Joint Advisory Committee meeting to review the
12 draft FDA white paper, reviewing the peer reviewed
13 scientific literature on the safety of mercury in
14 amounts released from dental amalgams, fillings.

15 The draft white paper was prepared by the
16 FDA's National Center for Toxicological Research
17 located at Jefferson Arkansas and is intended to cover
18 the literature published since the last review of this
19 subject by the U.S. Public Health Service in 1997.
20 NCTR provides research support to FDA's product
21 centers and has recognized expertise in risk
22 assessment.

23 We have provided the draft FDA white paper
24 to the committee members, and we have also provided
25 them copies of the questions we want them to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deliberate.

2 We posted these materials on our Website
3 last week, and copies are also available here.

4 As I said earlier, the primary purpose of
5 this Joint Advisory Committee meeting is to provide a
6 peer review of the draft FDA white paper which
7 summarizes and interprets recent peer reviewed
8 scientific literature about possible health effects of
9 exposure to amalgams containing mercury and assesses
10 whether this research merits change to the conclusions
11 based on earlier risk assessments.

12 It has been nine years since we released
13 the last update on the issue of dental amalgam.
14 Several well conducted studies have been published
15 since then. Therefore, it is appropriate to conduct
16 this review to insure that we have considered all of
17 the relevant scientific information.

18 In addition, this meeting gives us an
19 additional opportunity to receive public comment on
20 the issue of whether significant new and valid
21 scientific information has arisen since our last
22 review of this subject in 1997.

23 Public comment is important to FDA because
24 it gives us a chance to hear directly from all
25 affected parties about their views on the issue at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hand. Practical considerations, however, limit the
2 time we can allocate to public comment at this
3 meeting. We have many public speakers, registered to
4 speak today and tomorrow, and after consulting with
5 the Chairs, we have allocated an equal amount of time
6 to each presenter.

7 If there is additional information that
8 anyone would like to submit to us on this issue,
9 please submit it to the public docket. We are open to
10 accommodate any written comments.

11 We will review all submissions to the
12 docket to evaluate evidence that contributes to our
13 decision on the issues raised in the Federal Register
14 notice. Other comments we have recently received on
15 dental amalgam will also be placed in the public
16 docket.

17 As part of the program today, Dr. Mackert
18 will provide information on the use of dental amalgams
19 and other restorative materials.

20 Following Dr. Mackert will be Ms.
21 Rosecrans, who is the head of the FDA's Device 510(k)
22 Program. She will provide an overview of the device
23 classification and regulation process, which may be
24 unfamiliar to many of our panel members and speakers.

25 As other countries also regulate dental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam under different legal provisions, we wanted
2 you to hear their approaches to regulation and the
3 scientific basis for their decisions.

4 Dr. Conn, who is a dental advisor, Medical
5 Devices Bureau, Health Canada, and Dr. Philipson,
6 Director of Medical Devices, Medical Products Agency,
7 Sweden, will address the regulation of dental amalgam
8 in their respective countries. We appreciate their
9 participation in this meeting.

10 Their presentations will be followed by
11 Dr. Canady from the FDA's Office of Science, who will
12 provide background information about evaluations of
13 the risk of dental amalgam conducted by the Federal
14 Public Health Agencies prior to 1997.

15 The agenda for late this morning until
16 tomorrow morning is an open public meeting. This is
17 the opportunity for the public to provide information
18 to the joint committee on the objective of this
19 meeting.

20 We are honored to have Congressman Diane
21 Watson be our lead speaker for the public session
22 immediately after lunch today.

23 Following the end of the time allocated
24 for public speakers tomorrow morning Drs. Slikker and
25 Paule will present the FDA white paper evaluating the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific literature on dental amalgams since 1997.

2 The rest of the meeting will feature a
3 discussion by you, our distinguished panel of experts
4 and your answers to our questions provided by FDA.
5 We want an independent evaluation of whether we have
6 identified and properly assessed the relevant
7 scientific literature on the potential for health
8 risks from dental amalgam.

9 After this meeting, the next steps depend
10 on what you, the joint committee, gives us in your
11 response to our questions. However, one thing that is
12 certain is that we will consider any recommendations
13 of the committee and all of the information provided
14 here and to the docket in a determination of the need
15 for any further action on dental amalgam.

16 Mr. Chairman, we look forward to your
17 discussions and deliberations.

18 Thank you.

19 MR. BROWN: Mr. Chairman, Charles Brown,
20 Consumers for Dental Choice.

21 The first --

22 CO-CHAIRMAN BURTON: I'm sorry. I'm
23 sorry. There will be an open comment period later
24 this morning.

25 MR. BROWN: No notice was given to comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the proposed FDA rule at 2002.

2 CO-CHAIRMAN BURTON: Well, we will deal
3 with that when we get to the open portion of this.
4 This is not -- this is not the public hearing session.
5 Please take your seat.

6 MR. BROWN: Okay, sir, but you're in
7 the --

8 CO-CHAIRMAN BURTON: Thank you.

9 It has been duly noted. Thank you very
10 much.

11 In returning to our agenda, the next item
12 is the presentation by Dr. J. Rodway Mackert,
13 Professor of the Medical College of Georgia, on dental
14 amalgam and other restorative materials.

15 Dr. Mackert.

16 DR. MACKERT: Mr. Chairman, distinguished
17 members of the panel and guests this morning, my name
18 is Rod Mackert, and as you can see I'm from the
19 Medical College of Georgia in Augusta. I realize that
20 many of the members of the panel have no dental
21 background or have familiarity with dentistry as
22 patients, and I just wanted to try and go over some of
23 the aspects of dental amalgam as used by dentists.

24 Dental amalgam is manufactured and
25 supplied by manufacturers in the form of capsules

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 usually which contain in separate compartments
2 powdered amalgam alloy, which I'll talk about in a
3 minute here; liquid mercury. Some are manually
4 activated, and others are self-activated, and they
5 usually have a pestle that aids in the mixing of these
6 materials.

7 These are placed in a machine called an
8 amalgamater or a triturator, and these have speeds
9 which the arms of this device move back and forth as
10 you can see right here at speeds up to about B from
11 3,000 rpms and higher.

12 The mixing times vary from five to 20
13 seconds, and these machines mix the powder and liquid
14 components to achieve a pliable mass, and the reaction
15 between the mercury and the alloy powder begins after
16 the components are mixed.

17 There are several different types of
18 dental amalgam. Copper amalgam, which was used in the
19 last two centuries, the 1800s and 1900s, is no longer
20 used. The so-called conventional or low copper
21 amalgam was standardized in the 1890s, and then high
22 copper amalgams were first developed in the 1960s and
23 almost all amalgams used today are of this
24 composition.

25 Just a couple of examples of popular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dental amalgams. There's one called Dispersalloy, and
2 this is the composition of the metal powder that's
3 mixed with mercury. It's about 69 percent silver, and
4 the rest is copper, tin, and one percent zinc. And
5 this particular alloy is mixed in proportion with
6 mercury, 50 percent of the alloy and 50 percent
7 mercury.

8 Another amalgam alloy is called Tytin, and
9 it is about 59 percent silver and 28 percent copper
10 and 13 percent tin.

11 Amalgam is, as you can see from this
12 dictionary definition, any alloy of mercury with
13 another metal or other metals, and this is the example
14 given in the dictionary. Silver amalgam is used as a
15 dental filling.

16 In the McGraw-Hill Dictionary of
17 Scientific and Technical Terms, the definition is
18 given simply as an alloy of mercury.

19 An alloy, this is an example of a silver-
20 gold alloy composition. This is called a constitution
21 diagram or a phased diagram, binary phased diagram,
22 showing from 100 percent silver over to 100 percent
23 gold, and this is actually a rare type of alloy called
24 continuous solid solution. Temperature is shown on
25 the Y axis and composition on the X axis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is what is called a continuous solid
2 solution, and this is kind of a rare type of alloy,
3 but gold and silver are completely miscible in the
4 solid state.

5 More commonly we have alloys that look
6 like this. This is a silver-tin phased diagram where
7 we have only a limited area of solid solution of tin
8 in silver and virtually no solid solution of silver in
9 tin, and then we have various compounds across the
10 composition which indicate chemical compounds call
11 intermetallic compounds between silver and tin.

12 This is the diagram for silver and mercury
13 in which we see a similar kind of arrangement where
14 here is the liquid phase here. Here is the solution
15 of mercury in silver, and then once we reach a certain
16 composition, intermetallic compounds begin forming
17 which are labeled by their Greek letters starting with
18 alpha and going down.

19 The reaction for low copper alloys, we
20 have this intermetallic compound, which is called a
21 gamma phase, silver and tin, Ag_3Sn . I'm sorry. Okay.

22 Silver. The gamma phase, Ag_3Sn reacts with mercury to
23 form several intermetallic compounds which cause the
24 amalgam to set into a hard material.

25 The high copper reaction is similar,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 except that there is an additional reaction between
2 the tin-mercury phase, which is the corrosion prong
3 phase called the Gamma 2 phase. That reacts with the
4 silver-copper phase and forms some additional phases,
5 the ADA copper-tin phase and more Gamma 1 phase, which
6 is the main reaction product with silver and mercury.

7 This removes the corrosion prone phase,
8 which is the Gamma 2 phase, and makes the high copper
9 alloys last longer in the mouth.

10 So in summary, the power is the silver-
11 tin-copper alloy with other elements depending on
12 brand. The liquid is elemental mercury, and these
13 react together to form intermetallic compounds,
14 including primarily the Gamma 1 phase, which is Ag_2Hg_3 ,
15 and there is no free elemental mercury remaining in
16 set dental amalgam.

17 The composition of set amalgam, therefore,
18 is a matrix of the Gamma 1 phase and the ADA copper-
19 tin phases with imbedded particles of the unreacted
20 gamma phase and the unreacted silver-copper phases.

21 It is important to note amalgam is not a
22 solid emulsion as it has been called or a mixture. It
23 is an aggregate of intermetallic compounds. And a
24 belief that amalgam is a kind of mixture, a solid
25 emulsion has led to much of the current controversy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I contacted Laurier Schramm, who is an
2 expert in colloid science the author of the Dictionary
3 of Colloid and Interphase Science. He's at the
4 University of Calgary in Canada, and he replied and
5 noted that it is not correct to refer to an aggregate
6 of intermetallic compounds, all of which are solid at
7 room temperature as a solid emulsion.

8 Mercury is, of course, the element of
9 controversy here, and mercury is unique in that it is
10 the only liquid metal at room temperature and one of
11 only two liquid elements at room temperature. It has
12 an evaporation rate according to Langmuir's equation
13 at 20 degrees. The theoretical maximum is 58
14 micrograms per square centimeter per second. At body
15 temperature that evaporation rate goes up to 229
16 micrograms per square centimeter per second, and
17 oxidation of mercury lowers its rate by a factor of
18 1,000, which allows things like this to be done, which
19 this is an azimuth staring liquid mirror telescope at
20 the University of LaValle in Quebec, and as you might
21 guess, the reflective surface here is liquid mercury,
22 and the reason that these people can be standing
23 around without respiratory gear on is that an oxide
24 forms on the surface of this pool of liquid mercury
25 and reduces the vaporization rate, and this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 courtesy of Eugene Borra at the university of LaValle.

2 Below the exposure limits, that would be
3 dangerous for people to be standing around like that.

4 If amalgam were a mixture instead of an aggregate of
5 intermetallic compounds, the vapor pressure above
6 mixtures is given by Raoll's law, which indicates that
7 mixture components contribute according to their mole
8 fraction, and if it were a mixture, amalgam would have
9 a mercury vapor pressure of 0.0016 torr at 37 degrees
10 C., which would be about one-third that of liquid
11 mercury.

12 At this vapor pressure, amalgam would emit
13 mercury at a rate of 76 micrograms per square
14 centimeter per second, according to Langmuir's
15 equation. In fact, however, measured as vaporization
16 rates from amalgam are only on the order of .027
17 nanograms per square centimeter. That should be minus
18 two per second, and the average vaporization rate over
19 a 24 hour period measured in humans is .048 nanograms
20 per square centimeter per second.

21 The evaporation rate of mercury from
22 amalgam is over four million times lower than that
23 from unoxidized liquid mercury. The evaporation rate
24 predicted from Langmuir's equation, assuming amalgam
25 as a mixture, would be 76 micrograms per square

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 centimeter per second. And the measured vaporization
2 rate, as I've stated, is .048 nanograms per square
3 centimeter per second, which is over 1.6 million times
4 lower than that predicted, assuming amalgam is merely
5 a mixture.

6 Because amalgam contains mercury, people
7 have been concerned ever since the 1830s when amalgam
8 was first used about escape of mercury from set
9 amalgam. In 1957, Frykholm studied the release of
10 mercury from amalgam and including in animal studies,
11 and he found no detectable mercury in organs of
12 animals after nine weeks after placement.

13 The late Carl Svare, et al., at Iowa in
14 1972 measured mercury vapor release during setting of
15 amalgam, and they found after 400 minutes that there
16 was no detectable release of mercury from amalgam.
17 However, in 1972, that same year John McNerney, et
18 al., developed a mercury vapor detector, which was
19 much more sensitive than the current technology at
20 that time, and as a result also at Iowa, Gay, Cox and
21 Reinhardt in 1979 first demonstrated the release of
22 mercury from set amalgam. This was published in the
23 Lancet in 1979, and that sparked the current
24 controversy.

25 Here's the paper in Science, John McNerney,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 et al., with the mercury vapor detector based on gold
2 films.

3 And that became the Jerome mercury vapor
4 detector manufactured by the Arizona Instrument
5 Corporation. This instrument is designed to measure
6 mercury vapor where room volume is much larger than
7 sample volume. Differences in sampling volumes and
8 flow rates must be taken into account if it's used in
9 a method otherwise than the way it's designed to be
10 used or gross errors will result.

11 And this is just a schematic showing how
12 the Jerome instrument is designed to be used. A 250
13 milliliter sample volume is collected in a room that
14 in this example has a mercury vapor concentration of
15 32 micrograms per cubic meter, and as the instrument
16 is turned on and draws in that volume over a 20 second
17 period, then the instrument registers the same reading
18 as what is present in the room.

19 Similarly, if a human being is in that
20 room and inhales in a two and a half second period,
21 which assuming a respiration rate of 12 breaths per
22 minute, inhalation would be half of that five second
23 breath cycle and so the inspiratory volume being 500
24 milliliters, that person would inhale the air with
25 that same concentration of 32 micrograms per cubic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meter.

2 However, if the Jerome instrument is used
3 intraorally and we compare these two things, we have
4 the human being inhaling air in a two and a half
5 second period, and if the amalgams in that person's
6 mouth are giving off mercury vapor at a rate of one
7 nanogram every two and a half seconds, then the person
8 would inhale that 500 milliliter breath with a one
9 nanogram amount of mercury in that breath. Whereas
10 the Jerome instrument would be only one-eighth of the
11 way through its cycle, drawing in that 250 milliliter
12 volume.

13 And so as that continues for the 20
14 second period that it takes to draw that 250
15 milliliter volume into the instrument, mercury vapor
16 continues to be emitted from the fillings during that
17 period. So by the time we reach 20 seconds, there are
18 eight nanograms of mercury in that 250 milliliter
19 volume.

20 And so if we compare those two, we have a
21 smaller volume, half as large, 250 milliliters, with
22 eight times as much mercury in it. So we have an
23 apparent mercury vapor concentration, and this is what
24 the instrument would show, of 30 micrograms per cubic
25 meter, whereas what the person would actually inhale

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is only two micrograms per cubic meter.

2 So in summary, this instrument is designed
3 to measure mercury vapor in a room where the volume of
4 the air is larger compared to the sample volume, and
5 the volume of the flow rate issues cause the Jerome
6 instrument to overestimate the mercury concentration
7 by at least a factor of 16 if these are not taken into
8 account, and this is not, I would emphasize, a fault
9 of the instrument. It is just that it is not designed
10 to be used in this manner.

11 Other factors that will affect the meter
12 reading, mercury accumulation in the oral cavity for
13 each second of delay will cause the meter reading to
14 be an additional five percent too high, and there are
15 also known interferences that can be detected as
16 mercury, particularly -- garlic was one example of
17 different foods shown by Shelton Newman in 1987.

18 Okay. Alternatives to amalgam. In
19 comparing particularly restoration longevity, it is
20 difficult to measure because of selection bias. In
21 all of the studies that have been done, there have
22 been no studies prospective, randomized trials looking
23 at the longevity of amalgam versus composite. So all
24 of these have been retrospective.

25 There's a selection bias just because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people will assume that a certain type of restoration
2 should go in a particular toothpaste on its size and
3 other factors. So these have to be taken into
4 account as we look at these retrospective studies.

5 These almost always suffer from effects of
6 selection. Another problem with retrospective studies
7 is that often only failed restorations are analyzed
8 instead of restorations that are in situ.

9 A 2001 study, a median age of over 1800
10 failed amalgam restorations was nearly 12 years, but
11 slightly less than five years for over 1,500 failed
12 resin composite restorations.

13 A 2000 study of 6,761 replaced
14 restorations. The median age of replaced amalgams was
15 ten years, but that of composite was eight years.

16 A 1999 study of over 9,000 restorations
17 showed that amalgam outlasted resin composite in Class
18 1, 2, and 5 restorations, which are types of
19 restorations in different parts of the tooth.

20 In 1998, a study showed the median age of
21 a replaced amalgam restoration was 15 years versus
22 only eight years for a replaced composite restoration.

23 A 2002 study of insurance claims database
24 of 207,000 replace amalgam restorations, 93,000
25 replaced composite restorations found that resin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 composites were significantly more likely to fail than
2 amalgams. And as I've mentioned, no prospective
3 clinical studies comparing amalgam and composite
4 restoration longevity per se have been performed.

5 However, the Casa Pia study recently
6 published in April of this year showed that after five
7 years the need for additional restorative treatment
8 was approximately 50 percent higher in the composite
9 group.

10 Some clinical issues regarding composites.

11 A difficult area for composite restorations has been
12 the proximal contacts where the teeth touch the
13 adjacent teeth, and so manufacturers have tried to
14 develop composites that would be easier to place and
15 achieve better proximal contacts and the so-called
16 packable composites were developed for this purpose,
17 but a study in 2001 of these compared to regular
18 composites didn't show any advantage in yielding
19 better proximal contacts.

20 Even with the newest composite materials,
21 greater wear than amalgam is apparent after two years,
22 and this has been an ongoing problem with composites.

23 A five-year comparative prospective study showed a
24 higher incidence of secondary caries in Class 2
25 composite restorations than Class 2 amalgam

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 restorations by Evon Mjor, et al, in 1993.

2 Composite resin components may contribute
3 to plaque formation, Kawai and Sutjiani in 2000.

4 The levels of cariogenic bacteria at the
5 margins of composite restorations have been shown to
6 be higher than those of amalgam restorations.

7 Glass ionomers are the other category of
8 materials that could be alternatives to amalgam.
9 These are made with fluoride containing glass and have
10 been thought to inhibit formation of recurrent decay
11 around fillings, but this has been a theoretical
12 concept and has not really been demonstrated
13 clinically.

14 And in spite of the fluoride release which
15 occurs from glass ionomer restorations, studies have
16 shown that the leading cause of failure of glass
17 ionomer restorations is secondary caries.

18 And no preventive effect was exerted in
19 vivo from the glass ionomer to protect the adjacent
20 enamel wall from secondary caries attack.

21 There are some biological risks of
22 composites. The estrogenicity issue has been debated
23 for the last ten years or so, and the debate is
24 ongoing about that because there are other components
25 besides Bisphenol A which show estrogenicity in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 composite materials.

2 Cytotoxicity and other effects,
3 allergenicity is also an issue with composites.
4 Patients are slightly more likely to be allergic to
5 one or more resin components than to mercury.

6 In addition to the material itself,
7 virtually all composites used today unless they are
8 cements are cured by exposing them to a blue light,
9 and John Wataha, et al., in 2004 published a study
10 showing that dental photocuring lights pose at least
11 some risk to oral cells.

12 And on a personal note, I realize as we
13 focus on vaporization rates and intermetallic
14 compounds it's easy to lose sight of human factors in
15 this. I assure you that I am very aware of the human
16 factors. I have multiple sclerosis myself, and I'm
17 very interested in finding a cure, but if we spend
18 time barking up the wrong tree, that's only going to
19 delay finding the real cause for this disease and
20 other diseases that have been attributed to dental
21 amalgam.

22 Thank you very much.

23 CO-CHAIRMAN BURTON: Thank you very much,
24 Dr. Mackert.

25 I would like to ask the committee members

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if any of them have any questions for the speakers on
2 this presentation. Yes.

3 DR. O'BRIEN: Yes. Dr. O'Brien,
4 University of Michigan.

5 That was an excellent presentation, and I
6 wonder if there's a copy of it in the folder that was
7 handed out and if we could get a copy of it.

8 DR. MACKERT: I will give a photocopy to
9 Mr. Adjodha, and he can make that available to you.

10 CO-CHAIRMAN BURTON: Thank you very much,
11 Dr. Mackert.

12 DR. MACKERT: Thank you.

13 CO-CHAIRMAN BURTON: We will move on to
14 our next speaker, which is Ms. Heather Rosecrans, who
15 is the Section Chief for the 510(k) program operation
16 staff here at CDRH, and she will be talking an
17 overview of device classifications under the FFD&C
18 Act.

19 Ms. Rosecrans.

20 MS. ROSECRANS: Thank you, Dr. Burton.

21 It is my pleasure today to address the
22 distinguished members of the joint committee, the
23 agency, and members of the public to discuss overview
24 of device classification process.

25 The medical device amendments to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Federal Food, Drug, and Cosmetic Act were enacted on
2 May 28th, 1976. They defined a device, required
3 classification of device types according to potential
4 risk, required pre-market review of devices for the
5 first time.

6 Prior to 1976, there was no pre-market
7 review of medical devices in the United States.

8 The act divided the arena of medical
9 devices into two categories, the pre-amendment
10 devices, those that were legally marketed prior to May
11 28th, 1976, the date of the enactment of the Medical
12 Device Amendments. Those devices are called
13 grandfathered devices if they were legally marketed,
14 and the post amendment devices, those that were to
15 come to market after May 28th, 1976. They all
16 required pre-market review unless they were exempt by
17 regulation.

18 The pre-amendment devices, again, those
19 devices on the market prior to May 28th, 1976, are
20 grandfathered devices for purposes of pre-market
21 review. They serve as predicate devices for the post
22 amendment, those new devices, after May 28th, 1976.
23 Pre-amendment devices can remain on the market unless
24 legal action is taken to remove them or unless
25 classified into Class 3 through our rulemaking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process, and FDA has issued a regulation requiring
2 pre-market approval applications for these device
3 types.

4 Pre-amendment devices include dental
5 mercury, amalgam alloy, and encapsulated amalgam.
6 Post amendment devices, again, are those introduced
7 into commercial or wished to be introduced into
8 commercial distribution after May 28th, 1976. They
9 require pre-market review.

10 If a new manufacturer wishes to market the
11 same type device as one that is grandfathered, the
12 manufacturer must submit a pre-market notification,
13 also known as a 510(k) submission, demonstrating
14 substantial equivalence to the agency for review and
15 receive a clearance prior to marketing in the United
16 States.

17 So, for example, a new manufacturer of
18 dental mercury would need a 510(k) review and
19 clearance in order to market their dental mercury in
20 the United States.

21 Device regulation is risk based. Section
22 513(a)(2) of the act requires FDA to determine safety
23 and effectiveness of a device by weighing any probable
24 benefit to the health from the use of the device
25 against any probable risk of injury or illness from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the use.

2 Classification of devices is risk based
3 under the act. There are three regulatory classes,
4 that is, the level of control based on risk necessary
5 to provide reasonable assurance of safety and
6 effectiveness of a device type in the United States.
7 These three classes are Class 1, general controls;
8 Class 2, general controls and special controls; and
9 Class 3, general controls along with pre-market
10 approval.

11 Now, Class 1 is our lower risk category of
12 device. In order to provide reasonable assurance for
13 the device types, reasonable assurance of safety and
14 effectiveness is provided, again, as we said, through
15 general controls.

16 Some examples of Class 1 type devices that
17 are exempt from 510(k) requirements would be
18 toothbrushes and liquid bandages as a skin protectant
19 over a stump.

20 On the other hand, a Class 1 type device
21 that would require 510(k) review and clearance are
22 liquid bandages on open burns and wounds.

23 Class 2 devices again are intermediate
24 level of risk, include devices such as most anesthesia
25 equipment, dialysis equipment, and even though these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devices are for high risk situations, the devices are
2 well understood and reasonable assurance of safety and
3 effectiveness can be provided at the Class 2 level
4 with special controls.

5 Class 3 devices, again, are our highest
6 risk category. Premarket approval is required and
7 include devices such as drug eluting stents for
8 coronary artery disease and implanted nerve
9 stimulators for Parkinson's disease.

10 So let me go over the description of the
11 classes. Again, Class 1 are devices for which general
12 controls alone are sufficient to provide reasonable
13 assurance of safety and effectiveness. General
14 controls include prohibition against misbranding. An
15 example of misbranding would be if a device were
16 labeled for an indication for use that had not been
17 cleared by FDA and the firm was marketing it in that
18 manner.

19 For example, if a dental restorative
20 material was advertised for preventing dental caries
21 and FDA has not cleared such a device, that device
22 would be misbranded.

23 General controls also include prohibitions
24 against adulteration. An example of an adulterated
25 device would be one that was cleared for marketing to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be sold sterile. There was a problem with the
2 manufacturing process and it's manufactured in a non-
3 sterile manner and introduced into commerce. That
4 would be an adulterated device.

5 Another general control are premarket
6 notification, the 510(k) premarket review requirements
7 are good manufacturing practices, adverse event
8 reporting, and repair, replacement and refund.

9 Class 2 devices, again, are devices which
10 cannot be classified into Class 1 because general
11 controls by themselves are insufficient to provide
12 reasonable assurance of safety and effectiveness, but
13 for which there is sufficient information to establish
14 performance standards or after 1990, special controls
15 to provide such assurance.

16 Special controls include performance
17 standards, national or international consensus
18 standards recognized by rulemaking. Now, prior to
19 1990, we only had performance standards, mandatory
20 performance standards for our Class 2 type devices.
21 After the Safe Medical Devices Amendments in 1990, the
22 act expanded to add additional special controls.

23 These include voluntary standards,
24 guidance documents, post market surveillance, patient
25 registries, and other actions the agencies decide are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessary to provide reasonable assurance of safety
2 and effectiveness for a device type.

3 Lastly, Class 3 devices are devices for
4 which insufficient information exists to determine
5 that general and special controls are sufficient to
6 provide reasonable assurance of the safety and
7 effectiveness of the device type.

8 Such devices are life sustaining or life
9 supporting, are of substantial importance in
10 preventing impairment of human health, and present
11 potential unreasonable risk of illness or injury.
12 Again, some examples of a Class 3 type device are the
13 drug eluting stents for coronary artery disease or TMJ
14 implants to reconstruct the jaw joint.

15 The regulatory class determines the type
16 of premarket submission submitted to the agency.
17 Class 1 devices are exempt from premarket review --
18 that's the 510(k) review process -- unless a 510(k) is
19 required by regulation. All Class 2 type devices
20 require a 510(k) unless they are specifically exempt
21 from 510(k) requirements by regulation.

22 And Class 3 device types require a
23 premarket approval application. The applicant must
24 demonstrate their device is safe and effective without
25 relying on a grandfathered predicate device. It's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a piggyback system. Each device must stand on its own
2 in the premarket approval area.

3 So what is a 510(k) premarket
4 notification? It comes from Section 510(k), from the
5 1976 medical device amendments to the act. It is the
6 most common path to market for medical devices in the
7 United States.

8 It is a review to determine whether a
9 device is substantially equivalent to a device that
10 was legally on the market prior to May 28th, 1976.
11 Again, those grandfathered type devices, and for which
12 premarket approval applications have not been required
13 and the submitter is required to show that a post
14 amendment device, their new device, is substantially
15 equivalent to a legally marketed device for which
16 premarket approval is not required.

17 FDA's determination of substantial
18 equivalence serves as the classification process for
19 the individual new post amendments device.

20 A new device is deemed to be substantially
21 equivalent to the predicate device if it has the same
22 intended use, if it has the same technological
23 characteristics or if it has different technological
24 characteristics, but it does not raise different
25 questions of safety and effectiveness from that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 predicate.

2 And lastly, it is determined to be at
3 least as safe and effective as the predicate device.

4 Under the 1976 law, a substantial
5 equivalence determination is a classification. That
6 means the new device is in the same class and will be
7 regulated the same way as that grandfathered or
8 predicate device type.

9 For example, because amalgam alloy is
10 classified in Class 2, there was a grandfathered
11 device on the market prior to '76. A new
12 manufacturer's amalgam alloy that is determined to be
13 substantially equivalent would also be classified into
14 Class 2 through that 510(k) review process.

15 So how do device types first get
16 classified? As required by the 1976 Medical Device
17 Amendments to the act, FDA met publicly with our
18 advisory panel to receive their recommendations on the
19 classification into Class 1, 2, or 3 of legally
20 marketed pre-amendment device types, those
21 grandfathered products.

22 Recommendations were risk based to address
23 safety and effectiveness of each individual device
24 type.

25 FDA reviewed these recommendations. FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issued proposed rules classifying each device type,
2 which included the panel's recommendation, and FDA's
3 proposed classification for each device type.

4 After reviewing the comments we received
5 publicly, FDA published the final classification
6 regulations, including FDA's responses to every
7 comment we received. There have been over 1,700
8 device types classified through this process.

9 So can the classification of a
10 preamendment device type be changed? Yes, it can.
11 Through notice and comment rulemaking and based on new
12 information that was not presented previously to those
13 panels.

14 Can a device type be banned from the
15 market? Yes, it can. Our banning provision is found
16 in Section 516 of the act. The legal standard for
17 banning is that the device type presents substantial
18 deception or an unreasonable and substantial risk of
19 illness or injury, and labeling or a change in
20 labeling cannot address the deception or risk.

21 Okay. This is a very high standard and
22 difficult to meet. The agency has only banned one
23 device: prosthetic hair fibers intended for
24 implantation into the human scalp to simulate natural
25 hair fiber or conceal baldness.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The risks included, for example, severe
2 scarring and severe infection. The benefit of this
3 device did not outweigh its risk. The deception and
4 risk could not be addressed through labeling, and
5 again, that was banned.

6 The Dental Classification Advisory Panel
7 first met in 1976 through 1978 to go over classifying
8 device types. After the public meetings and notice
9 and comment rulemaking, FDA classified the following:
10 dental mercury, a device composed of amalgam alloy
11 and the restoration of a dental cavity or a broken
12 tooth into Class 1 requiring a 510(k) submission and
13 review.

14 Amalgam alloy, a device that consists of a
15 metallic substance intended to be mixed with mercury
16 to form filling material for treatment of dental
17 caries into Class 2, also requiring a 510(k)
18 submission prior to marketing.

19 Dental amalgam consists of dental mercury
20 and amalgam alloy mixed together in a dentist's office
21 to form dental amalgam. It can be sold separately or
22 together, and when packaged together is called
23 encapsulated amalgam.

24 Dental amalgam and encapsulated amalgam
25 were not separately classified during the 1976 to '78

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 classification process. FDA has subsequently
2 classified dental amalgam and encapsulated amalgam
3 through the 510(k) process. Dental amalgam, including
4 encapsulated amalgam, are both a combination of dental
5 mercury, a Class 1 type device; and amalgam alloy, a
6 Class 2 type device.

7 When a Class 1 and Class 2 device type are
8 combined, the device is regulated at the higher class,
9 in this case Class 2.

10 Because they are a combination of a Class
11 1 and Class 2 device, dental amalgam and encapsulated
12 amalgam are regulated as Class 2 devices.

13 So an example for substantial equivalence
14 review for dental amalgam, we look at in comparison to
15 a grandfathered device. Those are amalgams on the
16 market prior to 1976 or another dental amalgam that
17 has gone through the 510(k) process predicate device.

18 So, for example, dental mercury and
19 amalgam alloy, they have been found through the 510(k)
20 process to have the same intended use, to have the
21 same technological characteristics, or at times
22 different technological characteristics, for example,
23 a change in the alloy particle size, that do not raise
24 different questions of safety and effectiveness in
25 comparison to those grandfathered or predicate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devices, and the performance data or information, for
2 example, bench testing data, show it to be at least as
3 safety and effective as the predicates, dental mercury
4 and amalgam alloy.

5 To date, FDA has cleared 75 510(k)
6 submissions for dental amalgams as Class 2 type
7 devices, most recently in 2005, and three 510(k)
8 submissions for dental mercury as Class 1 devices by
9 themselves, most recently in 1998.

10 FDA proposed reclassification. In 1990,
11 the Safe Medical Devices Act gave FDA additional
12 authorities, as I mentioned earlier, over Class 2 type
13 devices. Instead of simply mandatory performance
14 standards, they gave us special controls.

15 From 1993 to 1994, the Dental Products
16 Advisory Panel met and recommended up classification
17 for dental mercury from Class 1 into Class 2 in order
18 to apply uniform special controls for dental mercury
19 and dental amalgam products.

20 From 1994 through 1998, various
21 international meetings were held and reports on the
22 risks and benefits of dental amalgams published.

23 In 1997, the Public Health Service updated
24 their peer reviewed literature on dental amalgams.

25 In 2002, FDA proposed regulations that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would up classify dental mercury from Class 1 to Class
2 2 and place all of these device types into Class 2.
3 FDA also proposed a special controls guidance
4 document, consensus standards, labeling requirements,
5 and labeling recommendations. This final rule has not
6 issued and is, therefore, not in effect at this time.

7 To give you a status update, FDA received
8 more than 700 comments on this proposed
9 reclassification. The public comments raised
10 potential safety concerns that the agency wanted to
11 evaluate. FDA performed a new literature review. It
12 had been nine years since the last Public Health
13 Service review.

14 A draft of this white paper on the
15 literature review will be presented to the panel at
16 this meeting.

17 I thank you for your time.

18 CO-CHAIRMAN BURTON: Thank you very much
19 for your presentation.

20 Again, I would like to ask the committee
21 members if they have any questions for the speaker on
22 this presentation. Yes.

23 DR. ASCHNER: Michael Aschner of
24 Vanderbilt.

25 Can you give us please the definition of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medical device?

2 MS. ROSECRANS: The definition of medical
3 device. I did not bring that with me. Sorry, but
4 it's an article, component for a condition or disease
5 that does not achieve its primary action through
6 chemical means, through a mechanical means. let's
7 see.

8 Does that more or less cover it? I'm
9 sorry. I can go get it and read it into the record.

10 DR. ASCHNER: Would it be possible to get
11 the definition later on?

12 MS. ROSECRANS: Yes, it would.

13 DR. ASCHNER: Thank you.

14 MS. ROSECRANS: Actually I have it right
15 here. Would you like me to get it?

16 CO-CHAIRMAN BURTON: Does any other member
17 have any other questions? Yes.

18 DR. GOLDMAN: Yes, Lynn Goldman.

19 I'm just wondering. It may just be a
20 shading of difference, but if you could explain a
21 little bit more. If you've got dental mercury in
22 Class 1, but a 510(k) is required, and an amalgam
23 alloy in Class 2, but a 510(k) is required, then what
24 is the actual difference in how these are managed by
25 FDA when they both require a 510(k)?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's what I'm trying to understand.

2 MS. ROSECRANS: Most Class 1 devices are
3 exempt, but we have reserved some Class 1 devices are
4 requiring 510(k), and dental mercury is a type of
5 Class 1 device that 510(k) has been reserved. Class 1
6 devices have general controls. Class 2 devices, we
7 have the ability through rule-making to identify
8 special controls, such as voluntary standards,
9 mandatory standards, guidance documents, et cetera,
10 and when we move something from Class 1 to Class 2, we
11 can make those devices require those special controls
12 in order to make our equivalence determination
13 basically.

14 Okay. I do have the definition of a
15 device now. It will be much better than my quick
16 summation.

17 The term device, except when used in
18 Paragraph N of this section and in Section 301(i),
19 blah, blah, blah, means an instrument, apparatus,
20 implement, machine, contrivance, implant, in vitro
21 reagent, or other similar or related articles,
22 including any component, part or accessory which is,
23 number one, recognized in the official national
24 formulary or the United States pharmacopeia or any
25 supplement to them;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Two, intended for use in the diagnosis of
2 disease or other conditions or in the cure,
3 mitigation, treatment or prevention of disease in man
4 or other animals; or

5 Three, intended to affect the structure or
6 any function of the body of man or other animals and
7 which does not achieve its primary intended purposes
8 through chemical action within or on the body of man
9 or other animals and which is not dependent upon
10 being metabolized for the achievement of its primary
11 intended purposes.

12 And we can get copies for everyone if that
13 would help. Okay?

14 CO-CHAIRMAN BURTON: Thank you very much.

15 Do we have any other questions? Yes.

16 DR. LUSTER: Mike Luster.

17 This might be a difficult question to ask,
18 but maybe you can give me an example of what would
19 constitute a significant change in formulations for,
20 say, an amalgam to constitute re-evaluation. Would a
21 ten percent, 20 percent change in mercury content, for
22 example, would that be a flag that re-evaluation would
23 be necessary?

24 MS. ROSECRANS: Yes. We have regulations
25 on modifying the device, and if they modified the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device in that way, as you've described, that would
2 require a new 510(k) submission, and in that 510(k)
3 submission it sounds to me that what you are saying is
4 that would have that exact intended use.

5 It would have a different technology from
6 those that were legally marketed. We would look at
7 that technology to determine whether or not it raised
8 new type questions that we didn't ask of other devices
9 that were legally marketed in that area, that device
10 type.

11 If it raised new type questions, that
12 would fall out of the review process there. If not,
13 then they have the same type questions. Then we would
14 evaluate the device's safety and effectiveness in
15 comparison to the other legally marketed devices in
16 order to make a determination if it was, indeed, at
17 least as safe and effective as the other devices.

18 That's how we would do it.

19 CO-CHAIRMAN BURTON: Yes.

20 DR. AMAR: Salomon Amar from Boston
21 University.

22 Thank you very much.

23 In the Class 2 devices there's an item on
24 special control that calls for post market
25 surveillance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ROSECRANS: Yes.

2 DR. AMAR: Was there any post market
3 surveillance done by the FDA in regard to dental
4 amalgam?

5 MS. ROSECRANS: Post market surveillance
6 is a section of the act that would require for that
7 specific type product post market surveillance. So
8 dental amalgams at this time do not have a required
9 post market surveillance.

10 However, we do have the medical device
11 reporting process and all sorts of adverse event
12 reporting processes that are subject to all devices.
13 That's a general control, but there's not a specific
14 post market surveillance required for mercury amalgams
15 or mercury or dental amalgams.

16 DR. AMAR: Even after the upgrade from
17 Class 1 to Class 2?

18 MS. ROSECRANS: We propose going from
19 Class 1 to Class 2, but it has not been finalized, and
20 that's one reason we're having the meeting here today,
21 to listen to the science. So we did propose that up
22 classification, but until it would come through
23 rulemaking and be a final rule, it's not in effect at
24 this time.

25 DR. AMAR: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN BURTON: Yes, sir.

2 DR. FLEMING: Dr. Mike Fleming.

3 I wanted to ask you what would be the
4 effect of amalgam being classified as Class 3? In
5 other words, what would that mean in terms of
6 controls?

7 MS. ROSECRANS: Okay.

8 DR. FLEMING: Communications to patients,
9 doctors, and so forth, things like that.

10 MS. ROSECRANS: Okay. Class 3, again, is
11 general controls along with premarket approval because
12 the special controls, the guidance documents and
13 whatnot are for the Class 2 type devices. So if we
14 were to place the device type into Class 3, we would
15 have to issue a proposed rule moving it to Class 3,
16 receive public comments, and then publish a final rule
17 determining whether it should be moved to 3 or not.
18 There would be a final rule and every comment would be
19 addressed through that rulemaking process.

20 If the device type is put into Class 3 the
21 way the law works to kind of get to the point, it has
22 to be in Class 3 for at least 30 months and require
23 510(k) review. It doesn't just go Class 3 premarket
24 approval. There's a 30 month period. It stays in
25 Class 3 requiring 510(k). Then the agency goes out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with another rulemaking calling for PMAs on that type
2 device.

3 Because in 1976 when we put devices that
4 were legally on the market into Class 3, they couldn't
5 have a PMA the next day. So there had to be this time
6 frame. So the law says 30 months.

7 So after being in Class 3 for 30 months,
8 then we could call for premarket approval applications
9 on those device types. If that happened, every firm
10 who marketed the device type would have to come in
11 with a fillable PMA application, premarket approval
12 application, and if not, they would have to come off
13 the market. They would be removed from the market if
14 they could not have a fillable premarket approvable
15 application.

16 When the PMA came in, it would
17 individually be evaluated for safety and
18 effectiveness, each individual device type on its own.

19 DR. FLEMING: So they could continue using
20 the material until such a determination was made or
21 what would be the effect at the practical clinical
22 level for a dentist, do you think?

23 MS. ROSECRANS: What would be the
24 practical --

25 DR. FLEMING: Meaning if it went to Class

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 3. Would he or she be --

2 MS. ROSECRANS: As long as we have
3 premarket approval applications under review that are
4 administratively complete and under review, the firm
5 can stay on the market. And you know, if no one had a
6 premarket approval application that was fillable, they
7 would all have to come off the market theoretically
8 speaking. But as long as they're under review, they
9 would continue on the market and each one would -- if
10 they were denied, they would have to come off the
11 market. During the premarket approval application, if
12 it were denied, then that firm would have to
13 discontinue marketing if that were ever to happen.

14 DR. FLEMING: Thank you.

15 CO-CHAIRMAN BURTON: yes.

16 DR. HONEIN: Margaret Honein.

17 Can you just clarify what the obstacles
18 are to moving dental mercury from Class 1 to Class 2,
19 the time period that has elapsed since it was a
20 proposed rule?

21 MS. ROSECRANS: I don't know if I would
22 categorize this an obstacle, but again, we met with
23 the panel. We received a recommendation to move it to
24 Class 2. I can maybe go back to the slide and maybe
25 that would help.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Again, the dental mercury was placed into
2 Class 1 going way back to the recommendations from the
3 mid-1970s. In 1990 we had additional authorities
4 given to us under the new law. Besides mandatory
5 performance standards, we have these various other
6 controls we could use for Class 2 type device.

7 The panel met and recommended they would
8 like to use these controls and they recommended to FDA
9 that dental mercury move to Class 2. FDA concurred
10 with this recommendation from the panel. We proposed
11 that in the Federal Register, and you can see the
12 various other mediums.

13 In 2002, we proposed that in the Federal
14 Register, and we identified the controls as a guidance
15 document, consensus standards, labeling requirements,
16 and labeling recommendations, and as you saw, we have
17 received over 700 comments, and this public meeting is
18 one way to hear more about the science.

19 The public comments raise potential safety
20 concerns that we wanted to evaluate, and that's one
21 reason we're here today. So right now that regulation
22 is not in effect. It's just a proposal that we had
23 issued at that time.

24 CO-CHAIRMAN BURTON: Any other questions?

25 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN BURTON: Thank you again very
2 much for your presentation.

3 MS. ROSECRANS: Thank you.

4 CO-CHAIRMAN BURTON: We will be moving to
5 our next presenter, which is Dr. Arthur Conn from the
6 Dental Advisor from Health Canada, who will be
7 speaking on the scientific basis for the regulation of
8 dental amalgam in Canada.

9 Dr. Conn.

10 DR. CONN: Thank you very much, Mr.
11 Chairman, and let me say it's a pleasure to be here,
12 to be invited to make a presentation to the FDA and
13 also to participate. I expect this will be a very
14 informative two days, and Health Canada appreciates
15 the opportunity to be here.

16 The subject this morning is the scientific
17 basis for Canada's regulation of dental amalgam, and I
18 thought before specifically referring to dental
19 amalgam I'd set a scene for the regulatory framework,
20 where dental amalgam fits in Canada's regulatory
21 framework, and then we can apply some of that
22 information directly to -- (pause in proceedings.)

23 Before joining the Canadian Public
24 Service, I was a general dentist in downtown Ottawa.
25 I have just recently become a dental regulator ore

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulator of medical devices, but I spent the bulk of
2 my career primarily in restorative dentistry.

3 The authority to regulate medical devices
4 and dental materials in Canada comes to us through the
5 medical devices regulations, and while I work in the
6 Medical Devices Bureau, the scientific basis for our
7 regulation is a department-wide, is a Health Canada-
8 wide initiative. Well, the Medical Devices Bureau is
9 responsible for administering the regulations. The
10 overall approach is a department-wide initiative.

11 Most of the scientific review work on this
12 file was done in the early to mid-1990s. I have seen
13 references in literature recently that referred to
14 published materials from that time, and I can say that
15 since the mid-1990s, the activity on this file in
16 Canada has been relatively quiet. The regulatory
17 activity on this file has been primarily one of
18 monitoring the current literature and monitoring the
19 safety of dental amalgam.

20 Since I joined the Public Service in 2000,
21 Health Canada has received two applications for dental
22 amalgam. One was refused for a complete lack of
23 evidence of safety and effectiveness. The other was
24 approved after a number of requests for additional
25 information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The second one was approved and
2 authorization to sell the material in Canada was
3 provided.

4 I have listed here a number of the key
5 features of our regulatory system. I won't go into
6 too much detail here because it parallels in many
7 respects the presentation from the FDA.

8 We regulate the sale and manufacture of
9 medical devices. We do not regulate the use of
10 medical devices.

11 Back in the 1990s, Health Canada or the
12 Canadian government, rather, decided to take some
13 initiatives around budgetary deficits and at the same
14 time an advisory committee to Health Canada
15 recommended that in situations where resources may not
16 be as abundant as might be desired, it was recommended
17 that Health Canada devote its primary attention to the
18 devices that are of highest risk, and so that gave
19 rise in 1998 to the amendment of the medical devices
20 regulations which resulted in four classes of risk,
21 and the degree of premarket scrutiny for the device
22 depends on the risk class, with Class 4 devices being
23 the highest risk and receiving the highest degree of
24 scrutiny.

25 Dental amalgam, encapsulated amalgam and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dental mercury are Class 3 medical devices. Examples
2 of some Class 4 devices, cardiovascular and central
3 nervous system devices, and Class 3 devices, dental
4 amalgam, as I said, endosseous dental implants and
5 ceramic bone void fillers.

6 I won't spend too much time on these
7 particular issues.

8 Medical device license application, the
9 process involves the submission by a manufacturer of a
10 premarket review document, and this document contains
11 all of the objective information supporting the
12 manufacturer's contention of safety and effectiveness.

13 The information provided in the premarket
14 review document needs to demonstrate how the device
15 meets all of the requirements of the medical devices
16 regulations. The document itself has four basic
17 components. I won't spend too much time on this
18 either, but basically background information and
19 summary of safety and effectiveness labeling and
20 quality systems requirements.

21 Interest in the background information
22 section is the chemical composition and the physical
23 mechanical properties of the device, and this
24 particularly applies to dental restorative materials.

25 Marketing history can be of some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 persuasion if the device has enjoyed a long marketing
2 history in another jurisdiction with a relatively low
3 or a low rate of incidents reports, and that would be
4 taken into account as well.

5 Each device license application with its
6 premarket review document is reviewed on its own
7 merits, and from what I understand of the 510 review
8 process down here in the United States, this may be
9 where we slightly differ. We do not place quite as
10 much emphasis on a direct comparison to currently
11 licensed products.

12 Under safety and effectiveness for a Class
13 3 medical device, such as a dental restorative
14 material, we're looking for a summary of all the
15 preclinical and clinical testing. We're not looking
16 for the detailed testing reports. We're looking for a
17 summary of the clinical and preclinical testing.

18 We're also looking for the conclusions
19 that the manufacturer has drawn from that testing, and
20 then the question is asked: are these conclusions are
21 they reasonable given the objective evidence?

22 When we're looking at preclinical studies,
23 we request the manufacturer provide us with a list of
24 the standards that have been utilized in the design
25 and the manufacture of the device, if applicable a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 declaration of conformity to international standards,
2 international consensus standards, bi-compatibility,
3 sterilization validation.

4 And I put this picture of these
5 individuals doing a bit of a balancing act here
6 because I would say that in our regulatory approach
7 when it comes to striking a balance between risk and
8 benefit, when it comes to dealing with a new
9 technology, when it comes to dealing with conflicting
10 evidence in the submission, conflicting evidence
11 relative to the literature, health care has always
12 tended to come down on the side of caution.

13 These are some of the things that we look
14 for by way of clinical evidence of effectiveness, and
15 again, we ask ourselves: are the manufacturers'
16 conclusions from the testing that has been conducted,
17 are they reasonable? Are they consistent with the
18 evidence? Are there internal discrepancies? Do they
19 B Are they in compliance with Health Canada's
20 understanding of the literature at the present time?

21 I recall a submission in the recent past
22 when the manufacturer provided information in the
23 bibliography that contained a report that was actually
24 negative with respect to the device. In that
25 situation the manufacturer was asked to explain the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contradiction and to offer up evidence as to why that
2 contradiction did not impact negatively on the safety
3 and effectiveness.

4 In labeling, we're looking for the
5 administrative details with respect to the product,
6 and one thing I might note is that in Canada we do not
7 require a complete description of the chemical
8 composition of the material to be placed in the
9 labeling.

10 Our quality systems process is a little
11 bit different from the process down here in the United
12 States. We have a series of auditors that have been
13 accredited by the Standards Council of Canada, and
14 these auditors assure that manufacturers' quality
15 management systems were in compliance with ISO 13485.

16 So just to summarize safety and
17 effectiveness in Health Canada, I would say it really
18 is a blend between the premarket review of objective
19 evidence, post market surveillance, adverse reactions,
20 problems, problem reports, recall reports, and the
21 third element being management of quality systems as
22 audited by independent auditors.

23 So now I'll just shift for a moment
24 specifically to dental amalgam, and as I said at the
25 outset, most of the work on this file was done about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ten years ago and to date there really has been very
2 little regulatory activity, and we are not currently
3 initiating any action similar to what the FDA has put
4 in place two or three years ago.

5 The monitoring of the safety and
6 effectiveness of dental amalgam takes place in the
7 Medical Devices Bureau, of course, but it also takes
8 place with a department-wide task force that's
9 comprised of experts with a wide variety of expertise.

10 Health Canada does not at the moment have
11 a guidance document or policy on dental restorative
12 materials. This means that the manufacturers are
13 required to provide evidence themselves as to the
14 safety and effectiveness of the device. We do not
15 have a guidance document at this moment. We rely
16 instead on conformity with international consensus
17 standards. We want to know exactly what the chemical
18 composition of the material is.

19 We rely on the physical mechanical
20 properties to insure that they are adequate for the
21 intended use. We look for evidence by way of
22 conformity to standards, to the validation of mercury
23 vapor escaped during amalgamation, evidence of
24 biocompatibility.

25 Historically, there are a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events, if you like, that I would just like to draw to
2 your attention that might be considered to be Health
3 Canada's position on the regulation of dental amalgam.

4 In 1996, late 1995 and 1996, a stakeholder committee
5 was convened to advise Health Canada. That resulted
6 in the publishing in 1996 of a position paper on the
7 safety of dental amalgam.

8 In 1998, amalgam, along with all other
9 dental restorative materials, again became subject to
10 premarket review as a Class 3 medical device, and just
11 recently the mercury issues task group at Health
12 Canada has published this document, "Mercury: Your
13 Health in the Environment, a Resource Tool."

14 The Stakeholder Review Committee had wide
15 representation. It had representation from the dental
16 industry, from consumer health advocates, dentists
17 favoring amalgam-free practice, environmental
18 advocates.

19 Consensus on this committee was something
20 of a challenge for the chairperson to come to
21 consensus on this. There were, in the executive
22 summary of this report they talk about there being
23 really two extremes of views between those that felt
24 that amalgam was a material that simply should be
25 banned outright right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 On the other end of the pole was group
2 that felt that there is absolutely no problem with
3 this material. It has been available for 150 years.
4 We need to stop wasting time. Why are you studying
5 this? Let's adjourn right now.

6 And of course, the majority of the members
7 of the committee fell somewhere in between. I would
8 say though that if you're looking at that wide
9 spectrum between those two extreme views, that the
10 committee tended to come down more on the cautious
11 side of the middle as opposed to the less cautious
12 side. That's just a general observation.

13 That committee made eight recommendations
14 to Health Canada, and those recommendations were later
15 reviewed and resulted in the publication of the 1996
16 position paper.

17 I think what I might do at this point is
18 read one of the recommendations from that Stakeholder
19 Review Committee, Recommendation No. 4. It states,
20 "Although there is no evidence that dental amalgam
21 contributes to immunological, neurological or kidney
22 disease in human populations, there is some evidence
23 that mercury exposure from all sources is of more
24 significance to individuals with those problems than
25 to the general population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dentists and physicians should be aware of
2 these concerns in their choice of dental materials for
3 these patients.

4 The position paper that was published in
5 1996 came to six conclusions, and this was a
6 department-wide; it wasn't just the Medical Devices
7 Bureau Publishing this document. It was a department-
8 wide initiative, and I've listed here a number of
9 elements that I think contributed to the conclusions
10 that were drawn.

11 One would be the government's overall
12 strategy towards the reduction of human exposure to
13 mercury; Canadian overall environmental policies; of
14 course, the stakeholder committee report; and as I
15 alluded a moment ago, the precautionary principle
16 where Health Canada tends to have come down on the
17 cautious side of the center as opposed to -- just on
18 the cautious side of the line.

19 The first two conclusions are written
20 here. It was recognized that dental amalgam does
21 contribute detectable amounts of mercury to the body,
22 but there was not at that time -- again, we're going
23 back to 1996 -- there was no evidence that the
24 exposure was causing illness in the general population
25 or causing Alzheimer's, Lou Gehrig's disease, MS, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Parkinson's.

2 It was recognized that mercury crosses the
3 placental barrier, and it was, again, I think, based
4 on the precautionary principle. It was deemed
5 advisable to avoid procedures involving amalgam in
6 pregnant women or individuals with renal impairment
7 and acknowledgment, again, of the environmental
8 practices that favor an overall reduction in the use
9 of mercury in all products.

10 There was an internal report provided to
11 Health Canada that made an attempt to recommend a
12 tolerable daily intake for mercury from dental
13 amalgam. That report was considered and the overall
14 data at that time was not considered adequate or
15 reliable to permit an estimate of a tolerable daily
16 intake, and again, the committee concluded that there
17 was no evidence that the wholesale removal of existing
18 amalgams was justified.

19 So that was the position in 1996, and I've
20 stated here that the Health Canada has more or less
21 retained that recommendation from 1996. It has
22 retained the recommendations, and it has also modified
23 them to some extent by the efforts of the Mercury
24 Issues Task Group, which is a department-wide
25 organization or group in Health Canada, and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 committee has membership from a wide variety of
2 disciplines, biology, toxicology, epidemiology, and
3 dentistry and medical devices.

4 I think I'd like to read, if I may, from
5 two documents that get a sense of what Health Canada's
6 current position is with respect to the safety and
7 effectiveness of dental amalgam. The first is from
8 the resource guide published by the Mercury Task
9 Force.

10 The question is presented in a question
11 and answer format, and the question is should I avoid
12 mercury amalgam fillings, and again, I think this
13 would be considered to be Health Canada's position on
14 safety and dental amalgam.

15 Current evidence does not indicate that
16 dental amalgam is causing illness in the general
17 population. However, it is generally a good idea to
18 reduce mercury if this can be achieved at a reasonable
19 cost and with other adverse effects. Health Canada
20 recommends non-mercury filling materials be considered
21 for restoring the primary teeth in children where the
22 mechanical properties of the material are suitable.
23 Pregnant women and people have allergic
24 hypersensitivity to mercury or who have impaired
25 kidney function should avoid the use of dental amalgam

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fillings.

2 And the second question, should I have my
3 existing mercury amalgam fillings replaced, Health
4 Canada's response is Health Canada does not support
5 removal of sound amalgam fillings in patients who have
6 no indication of adverse health effects. Patients who
7 have developed hypersensitivity to amalgam should
8 replace existing mercury amalgam fillings with another
9 material if their physician recommends this.

10 In another document that is publicly
11 available in the Health Canada Website, the document
12 is called "It's your Health." It's a Health Canada
13 initiative that deals with a number of health issues,
14 and that document states that pregnant women -- excuse
15 me. Let me begin again.

16 It states that elemental mercury from
17 dental fillings doesn't generally pose a health risk.

18 There is, however, a fairly small number of people
19 who are hypersensitive to mercury. While Health
20 Canada does not recommend that you replace existing
21 mercury fillings, it does suggest that when the
22 fillings need to be repaired, you may want to consider
23 using a product that does not contain mercury.

24 Pregnant women, people allergic to mercury
25 and those with impaired kidney function should avoid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury fillings.

2 So that I think might represent the
3 current status of the regulation of dental amalgam in
4 Canada, and I hope gives you a bit of a sense of how
5 our system works, and I thank the FDA for the
6 opportunity to present this morning. It looks like it
7 will be a very interesting two days, and we're glad to
8 be able to participate.

9 Thank you.

10 CO-CHAIRMAN BURTON: Thank you very much,
11 Dr. Conn.

12 Do any of the committee members have any
13 questions for Dr. Conn? Yes, on the left.

14 DR. PORTER: Roger Porter.

15 Just a quick question. Do you have any
16 idea what percent of the fillings in Canada are, in
17 fact, mercury amalgams, even a rough guess?

18 DR. CONN: It would be a very rough guess,
19 and it would be based on clinical experience, not on
20 hard data. I would say at this time probably more
21 than half are mercury amalgam fillings.

22 The regulatory activity, as I mentioned,
23 has been very, very limited. We are experiencing the
24 same decrease in dental amalgam usage in Canada as is
25 happening in the United States. The usage of dental

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amalgam seems to be reducing. I don't have the market
2 statistics, but I do know that the activity is
3 reducing.

4 DR. PORTER: Thank you.

5 DR. CONN: I don't have anything further
6 to add. Dr. Mackert made an excellent presentation on
7 the risk-benefit of dental amalgam versus composite
8 resin, and I would say that fits exactly with how
9 Health Canada views that situation.

10 CO-CHAIRMAN BURTON: Yes, over here. Dr.
11 Goldman.

12 DR. GOLDMAN: Yes, a question about -- hi
13 -- a question about your recommendation on pregnant
14 women. Does that encompass also women of child-
15 bearing age who might become pregnant or is it really
16 for women who are pregnant?

17 DR. CONN: My understanding is that it is
18 related to women who are pregnant, and I think the
19 purpose of it comes back again to the precautionary
20 principle, that the idea was to make a recommendation
21 of minimizing the burden on the fetus or on the case
22 of kidney impairment on the kidney.

23 CO-CHAIRMAN BURTON: Dr. Amar.

24 DR. AMAR: Salomon Amar.

25 I'd like just to come back to the 1996

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 position paper, and you read, if I recall properly,
2 conclusion number four where the committee identified
3 although there was no immunological and neurological
4 impairment or evidence for mercury, they identified,
5 they alerted the community as to potential risk or
6 risk of patient at risk of developing diseases.

7 Was the committee comfortable providing a
8 list of illnesses of patients at risk of developing
9 diseases with mercury or was this just empirical?

10 DR. CONN: I would say there would appear
11 to be some conflict in that position paper, and I
12 would say that it was based on the precautionary
13 principle, that as a general approach it is wise and
14 prudent to reduce overall exposure to mercury.

15 I'm not sure I'm getting the exact
16 question.

17 DR. AMAR: What I'm trying to see is if we
18 can come up with -- that's interesting to identify
19 patients at risk and alert the community, but I wanted
20 to be able to identify this patient at risk population
21 so that we could be more preemptive towards that,
22 although the evidence in my opinion doesn't exist.
23 But at least if we can come down and filter through
24 this population and say this population or the
25 population at risk, including these illnesses, should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 avoid amalgams.

2 DR. CONN: I don't think the stakeholder
3 committee or Health Canada in its further
4 deliberations went to that extent of identifying
5 classes of patients at risk. I think when you look at
6 the regulation of dental amalgam in Canada, the
7 scientific basis of the regulation of dental amalgam
8 does not contain any contraindications, for example.
9 There are no requirements in our labeling that any
10 specific patient group be contraindicated.

11 I come back again to, again, this is
12 historical information I have very recently on this
13 file, and my understanding of that information, it was
14 based primarily on the precautionary principle as
15 opposed to we know that there is a specific risk
16 associated with a specific group of patients.

17 I'm not sure that that evidence was
18 actually put together in that way. Does that answer
19 your question?

20 DR. AMAR: Thank you.

21 DR. CONN: You're welcome.

22 CO-CHAIRMAN BURTON: Yes, Ms. Cowley.

23 MS. COWLEY: In following up on Dr. Amar's
24 concern, I, too, felt that there were statements
25 saying that there are certain people who should not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have amalgams.

2 Also, you alluded to an allergy to
3 mercury, and how do we know we're allergic to mercury?

4 Are there certain tests that are done in advance of
5 getting an amalgam filling?

6 And also, would you tell me what the
7 indications of hypersensitivity to amalgam are and how
8 do they manifest?

9 DR. CONN: I have no clinical experience
10 with hypersensitivity or allergy to amalgam
11 whatsoever. My understanding of that phenomenon is
12 that it results in a local rather than a systemic
13 reaction; that there would be a local gingival
14 reaction to adjacent amalgam.

15 To be perfectly honest, I cannot provide
16 you with information on the sensitivity,
17 hypersensitivity to dental amalgam. Clinically I have
18 no experience with it at all. I can follow that up
19 with you if you like later.

20 CO-CHAIRMAN BURTON: Thank you.

21 Again, on the left.

22 DR. DIAMOND: Mason Diamond.

23 Are you aware of other initiatives that
24 are currently ongoing in Canada to reduce
25 environmental exposure to mercury, in general?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CONN: The Ministers of Health in the
2 provinces have a Canada-wide standard on managing
3 amalgam waste from the dental offices, and there has
4 been some progress. The majority of dental offices in
5 Canada now are equipped with amalgam separators that
6 conform to international standards and that are
7 required by regulation in the provinces.

8 And so that is an initiative in terms of
9 amalgam waste that Health Canada has been very active
10 in.

11 DR. DIAMOND: What about other exposures
12 like fluorescent bulbs or industrial mercury?

13 DR. CONN: I'm in the Medical Devices
14 Bureau, and I would like to be able to respond, but I
15 can't.

16 CO-CHAIRMAN BURTON: Yes, Dr. Rizzo.

17 DR. RIZZO: Matt Rizzo.

18 You mentioned for the purposes of devices
19 that you hadn't established a tolerable level for
20 mercury exposure. Were you able to define an
21 intolerable level?

22 DR. CONN: No.

23 DR. RIZZO: So any level, it doesn't
24 matter? They're all the same?

25 DR. CONN: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 DR. RIZZO: Thank you.

3 CO-CHAIRMAN BURTON: Again on the left.

4 DR. TAYLOR: George Taylor.

5 Thank you, Dr. Conn.

6 Did you mention any post-market
7 surveillance that you were now doing with dental
8 amalgams in Canada?

9 DR. CONN: I'm sorry. What's the
10 question?

11 DR. TAYLOR: Did you mention any post-
12 market surveillance with mercury exposure related to
13 dental amalgam or with dental amalgams?

14 DR. CONN: Our regulatory system requires
15 mandatory problem reporting, and that constitutes
16 post-market surveillance. As I mentioned, the
17 materials that are available for sale in Canada right
18 now have been for sale for a long time. There are no
19 new products with the exception of the one that I
20 mentioned.

21 And so there are no requirements that
22 manufacturers conduct post market surveillance of a
23 Class 3 medical device. There are no requirements.
24 The requirements are mandatory problem reporting, but
25 in terms of formal post market study, there are no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requirements to do that.

2 CO-CHAIRMAN BURTON: Thank you very much
3 for your presentation, Dr. Conn.

4 Yes, I'm sorry. Dr. Zuniga.

5 DR. ZUNIGA: John Zuniga.

6 How does the Health Canada administer the
7 regulation that you stipulated that the information
8 for specific risk populations gets that information?
9 Is that regulated at the federal level? Is it
10 regulated in the office? How is that transferred to
11 that risk factor group?

12 DR. CONN: Regulation of dental practice
13 in Canada is a provincial matter. There are Colleges
14 of Dentistry in each of the provinces and territories,
15 and they would regulate the practice of dentistry.

16 CO-CHAIRMAN BURTON: Thank you.

17 We have been running a little behind
18 schedule. Thank you for your presentation and for
19 those questions from all of the panel members.

20 Our next presentation will be on the
21 scientific basis of regulation of amalgam in Sweden by
22 Dr. Lennart Philipson, Medical Devices Director,
23 Medical Products Agency, Sweden.

24 Dr. Philipson.

25 DR. PHILIPSON: Switching computers all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the time here.

2 I thank the Chairman, and I would like to
3 extend my thanks to the FDA that invited me to come
4 and present the Swedish view of dental amalgams.

5 Good morning to all of you.

6 PARTICIPANTS: Good morning.

7 DR. PHILIPSON: You have to watch my back.
8 I'm sorry for that, but that's the way it's arranged.

9 (Laughter.)

10 DR. PHILIPSON: I am going to speak on the
11 use of dental amalgams in Sweden specifically, and I'm
12 working as the Director for Medical Devices at the
13 Medical Products Agency in Sweden, and I am also an
14 Associate Professor in biomedical engineering at the
15 Linkoping University in Sweden.

16 For this I would like to say I am not a
17 dentist. I am an intraneural physiologist from the
18 beginning, but now I'm here as a regulator.

19 So what I would like to present to you is
20 the short regulatory background because the regulatory
21 system in Europe -- Sweden is part of Europe as we
22 might know -- is different to regulatory system --

23 (Laughter.)

24 DR. PHILIPSON: -- in the States.

25 And then I am going to present some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 figures, presenting the current use of amalgams in my
2 country, which is not necessarily the same as the
3 figures for the rest of Europe.

4 And I try to give you a look into the
5 future, what we are planning to do with amalgams
6 specifically.

7 So the regulatory framework that we sort
8 under is the European framework, first of all, and the
9 medical devices are regulated under what we call the
10 Anew approach directive.@ The new approach is an
11 umbrella directive regulating many different area,
12 including medical devices.

13 So Europe has a post-market surveillance
14 system for medical devices, and we do not have a
15 premarket approval system at all for medical devices,
16 but we do have premarket approval as the States have,
17 for example for pharmaceuticals.

18 So in Europe the manufacturer has the full
19 responsibility for the function and the safety of the
20 product. It's not a federal agency that is
21 responsible for the function of safety. It's the
22 manufacturer.

23 We also have different classes for medical
24 devices, and we seem to have a similar system to the
25 Canadian system. We have four risk classes, but we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 call them 1, 2(a), 2(b) and Class 3, but it's four
2 different classes.

3 And dental amalgams belong to the Class
4 2(b), which would be comparable to the Canadian Class
5 3.

6 For higher risk classes of medical
7 devices, we have the involvement of a third party.
8 It's not the manufacturer himself. They also have to
9 engage the third party, and they are called the
10 notifier body.

11 There are several notifier bodies in
12 Europe competent in different areas, and there are
13 plenty of notifying bodies competent in implants.
14 That includes amalgams, of course.

15 So a device that is put on the market in
16 one member state in Europe automatically has access to
17 all other member states' markets. So if it gets put
18 on the market, for example, in Italy, we would also
19 have the device in Sweden.

20 A device put on the European market should
21 carry the CE mark. It's mandatory on all new medical
22 devices within the European Union, and you shall find
23 the mark on the product itself, on the packaging and
24 also on the instructions for use.

25 And you should remember that the Z mark

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is put on the device by the manufacturer. It's not
2 the design of a governmental or agency approval, and
3 if you find a number after the Z mark, it's the number
4 of the notified body that helps the manufacturer with
5 the Z marking process.

6 So what does the Z mark indicate? By
7 putting the Z mark on the device the manufacturer says
8 that the device is suitable and safe for its intended
9 use, and it also signifies what we call the essential
10 requirements in particular directives are met.

11 And part of this fulfillment of the
12 essential requirements is that you fulfill all of the
13 requirements of the applicable harmonized European
14 standards.

15 And the manufacturer has used one of
16 several specified methods to show that the essential
17 requirements actually are met. So that is what the Z
18 mark indicates.

19 There are three European directives
20 regulating medical devices, and the oldest one
21 regulates accident plans of the medical devices, and
22 the one in '93 regulates the general devices. In part
23 of the general devices you will find dental materials,
24 and then the set that are active for what we call in
25 vitro diagnostic products, and all of these three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 directives are new approach directives.

2 So that was the end of the short
3 regulatory background, and I will present the current
4 situation of the use of amalgams in Sweden is, and the
5 sources I have used for the information I'm going to
6 present is the Swedish Ministry of Health and Social
7 Affairs, the Swedish Ministry of Sustainable
8 Development, the Swedish Chemicals Inspectorate, and
9 the Swedish National Board on Health and Welfare.

10 So what is the quality of the data that I
11 will present to you? We have since 2001 no central
12 register for the use of different dental filling
13 materials. Until then we had a national register.
14 But data presented in December 2005, we had a recent
15 study by the Swedish Chemicals Inspectorate that's
16 based on information from the major companies
17 distributing dental filling materials in Sweden, and
18 the authors of the study, they say that they cover at
19 least 80 percent of all dental filling materials used
20 in Sweden.

21 So in Sweden, dental amalgams have been
22 replaced almost totally by other materials over the
23 past seven years. 980 kilograms, that is about the
24 doubling in pounds of mercury was sold for use in
25 amalgams in 1997, and this figure was reduced to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approximately 100 kilograms in 2003. And after that
2 we have no actual measurements made, but there is no
3 indication that this figure has increased. We believe
4 it is still decreasing.

5 So what are the shares of dental filling
6 materials in Sweden in the year 2005? I'm talking
7 about direct techniques. The shares listed are by
8 weight, and if you note that composites are lighter
9 than amalgams, one kilogram of composite will fix many
10 more teeth than one kilogram of amalgams. So you see
11 it's only six percent amalgams used today, and the
12 composites are seven to eight percent. It's a major
13 component used, and then you see the data for yourself
14 for the other types of materials.

15 Ceramics is still under one percent.

16 So why have we reduced the use of amalgams
17 in Sweden? I think there are at least four mechanisms
18 that can explain the reduced use. I think the major
19 reason is the increased awareness of the negative
20 environmental impact of mercury, and maybe that's not
21 the main reason. Maybe the second point is the main
22 reason, and that is that the Swedish national health
23 insurance program since '99 is not covering direct
24 procedures, including amalgams. That means it's more
25 expensive for patients to have an amalgam filling than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a composite filling.

2 And the possible impact on health has not
3 been ruled out. So with reference to the
4 precautionary principle, the country is trying to
5 reduce the use of amalgams.

6 And there are also health concerns
7 regarding dentists that are exposed to this material
8 all day in an open forum.

9 Then the question is, is this based on
10 science or is it based on anything else? And very,
11 very similar to what we just heard from Canada,
12 according to our view today, I would say there is no
13 scientific clinical data demonstrating a connection
14 between the use of dental amalgams and medical
15 problems.

16 Then I exclude, of course, contact
17 additives. If you're allergic to mercury, you should
18 have not have a mercury-based implant.

19 But there is a possibility that reported
20 medical problems for some patients or we have not
21 excluded the possibility that the medical problems for
22 some patients are related to dental amalgams because
23 we see that some patients seem to react to dental
24 amalgams, but we cannot explain why.

25 So what is happening next here? Sweden is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually introducing a complete prohibition for the
2 use of mercury by January 1st, next year, and with
3 this prohibition of mercury, the use of amalgams is
4 included and will be stopped.

5 And the decision to stop the use of
6 mercury is based entirely on environmental concerns.
7 But you should know that dental amalgams will still be
8 allowed after this date for some procedures performed
9 in hospital-based dental clinics for very exceptional
10 cases.

11 There is still some research or we have
12 initiated some new research in the area, and this is
13 where the government has commissioned the National
14 Board of Health and Welfare to allocate one million
15 Euros until 2009. That's a bit more if you count in
16 dollars, for research and development of methods to
17 handle the health problems that are associated with
18 dental materials. So we are still curious why some
19 patients seem to react to dental amalgams.

20 The Swedish government has also
21 commissioned the National Board of Health and Welfare
22 to establish a national register for health and side
23 effects related to dental materials, and for this
24 purpose they allocated one million Euros until 2010.
25 So we see the need to follow the function of this type

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of devices.

2 That's the end of my presentation. Thank
3 you.

4 CO-CHAIRMAN BURTON: Thank you.

5 (Applause.)

6 CO-CHAIRMAN BURTON: Thank you very much,
7 Dr. Philipson.

8 And again, any of the committee members
9 have any questions? Yes, over here on the left, Dr.
10 Fleming.

11 DR. FLEMING: There we go. I'm on. Dr.
12 Mike Fleming.

13 Sir, I wanted to ask you about a
14 neighboring country, Norway, which I don't believe is
15 part of the same community that you are in Europe, or
16 are they?

17 DR. PHILIPSON: More or less. They're not
18 members of the Union, but they have the same
19 regulatory system.

20 DR. FLEMING: The Norwegians have done
21 something very interesting. They have a dental
22 biomaterials adverse reaction unit which does nothing
23 but study adverse reactions to dental materials, and
24 in the report that I have in front of me, they examine
25 patients who were hypersensitive to the material, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it seems to be, according to their statistics, about
2 nine percent of the patients studied were
3 hypersensitive to an amalgam component, as well as
4 other dental materials.

5 Now, this seems like a wonderful model for
6 other nations to follow. Now, does Sweden have any
7 such unit as this that you know of?

8 DR. PHILIPSON: Not today, but I think the
9 reason for this reestablishment of this register that
10 has been -- that the National Board of Health and
11 Welfare should start, maybe they are looking at the
12 Norwegian model. I don't know how they are thinking,
13 but I know they do cooperate and they talk. So maybe
14 they will get some ideas from Norway for this new
15 register.

16 CO-CHAIRMAN BURTON: Yes. I'm sorry.
17 Right here, first. Dr. Olson.

18 DR. OLSON: Thank you.

19 Sandy Olson from Northwestern in Chicago.

20 I assume that dental amalgams in Sweden
21 are similar to the ones used in the United States.
22 I'll make that as an assumption, and as I understand
23 it, and I believe it's prior to 2001, you had post-
24 marketing surveillance of these products. Do you have
25 any data on what the adverse effects were, other than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypersensitivity to people who had them before then?

2 DR. PHILIPSON: I agree that they are
3 probably constituted about the same way in Europe as
4 they are in the States, but this register belongs to
5 the National Board of Health and Welfare, and I am not
6 sure about the quality of that register, and I do not
7 know enough about that register to answer what they
8 actually found.

9 What I have heard is it was very, very
10 difficult to pin down what kind of dental fillings
11 patients were having that were reported to this
12 register because if you get an amalgam filling 20
13 years ago and you develop problems later on, it's very
14 hard to remember and to find what you actually have in
15 your mouth, and that was one of the main problems with
16 the register, to actually track down the manufacturer
17 of the specific filling.

18 I am not sure how they want to address
19 this with this new register, but that is a problem to
20 actually know what each and every patient has
21 received.

22 CO-CHAIRMAN BURTON: Yes, on the left.
23 Yes, thank you, yes.

24 DR. TAYLOR: George Taylor, University of
25 Michigan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you, Dr. Philipson.

2 You mentioned using weight of restorations
3 as a measure for the distribution of restorations in
4 Sweden. Could you speak at all to how you validated
5 weight with numbers of restorations?

6 DR. PHILIPSON: This report was done by
7 the Chemical Inspectorate, and they had only measured
8 -- the only figure they could find was the weight. So
9 I don't know, but maybe you could calculate that
10 yourself, you know, the specific weight of mercury
11 compared to other materials. It would be at least a
12 figure ten, wouldn't it, I would assume?

13 DR. TAYLOR: Yes, I was actually wondering
14 about waste that might be associated with the use of
15 the materials.

16 DR. PHILIPSON: You mean waste how much
17 you actually --

18 DR. TAYLOR: The data would come from --

19 DR. PHILIPSON: -- during the procedures?

20 DR. TAYLOR: Yes, I was wondering if the
21 data came from manufacturers in terms of amount of
22 material sold or was this from the providers of the
23 amount of material used?

24 DR. PHILIPSON: What I understand, it was
25 from the distributors of dental amalgams.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIRMAN BURTON: Yes, I'm sorry. Over
2 on the left, Dr. Rizzo.

3 DR. RIZZO: Matt Rizzo.

4 In the absence of any clear evidence,
5 what's the threshold, or what are the principles for
6 you to invoke your precautionary principle of not
7 using mercury? Why did you decide not to use mercury?

8 DR. PHILIPSON: You say what is the
9 threshold for?

10 DR. RIZZO: Invoking the precautionary
11 principle, which I guess motivated the government
12 perhaps not to pay for mercury fillings.

13 DR. PHILIPSON: I don't know if there is a
14 threshold for a precautionary principle. I mean, if
15 you suspect that there might be a problem, which I
16 think was the case at the time, they invoked the
17 precautionary principle.

18 DR. RIZZO: And so what were the
19 suspicions? What was the evidence that you used to
20 motivate that decision?

21 DR. PHILIPSON: As I hope I said in the
22 presentation, there was not any evidence that problems
23 were based on the mercury fillings or amalgam
24 fillings, but they seem to have found that there are
25 some patients that seem to react to this type of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 filling materials, but they do not know why.

2 DR. RIZZO: If post market surveillance
3 fails to reveal anything new over a period of time,
4 will you change your precautions?

5 DR. PHILIPSON: I think it's too soon to
6 say, but if this register, whatever comes out of this
7 register, it will, of course, be a foundation for
8 future decisions, this coming register.

9 DR. RIZZO: And over what period of time?

10 DR. PHILIPSON: I have no idea. The
11 register is being built now and is going to be in
12 function in 2010, and then you have to gather
13 information. So it will not be tomorrow.

14 DR. RIZZO: Thank you.

15 CO-CHAIRMAN BURTON: Dr. Diamond.

16 DR. DIAMOND: Yes, Mason Diamond.

17 I find this a very interesting initiative
18 in terms of the reduction in amalgam use. Given the
19 complex etiology of many of the conditions that people
20 are associating with dental fillings and given the
21 various multiple sources of mercury exposure, it seems
22 to me the nature of the public health system in Sweden
23 where you have access to enormous amounts of medical
24 data, it seems to me that there would be an
25 opportunity to do an interesting secular trend type of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 analysis where most similar analyses, you look at the
2 overall effect on public health by the introduction of
3 some of a new product or a new drug into the health
4 system.

5 Here there's an opportunity to sort of
6 look at what happens as a result of the removal or the
7 reduction of amalgam use. Is that an initiative that
8 the Swedish government is looking into?

9 DR. PHILIPSON: The Swedish government has
10 asked the National Board of Health and Welfare to
11 start this register and to gather statistics, and I
12 think I'm sure there are lots of clever people at the
13 National Board of Health and Welfare, and they might
14 have thought of this, but I'm not sure. I will try to
15 convey your idea when I meet them.

16 Thanks.

17 CO-CHAIRMAN BURTON: Ms. Cowley.

18 MS. COWLEY: I'm really interested in this
19 registry and the, I presume, prospective study on
20 amalgams, and I'm a little confused. Apparently in
21 Sweden a lot of the evidence is to come from
22 manufacturers. Are the dentists going to provide the
23 information on the patients? Are the patients going
24 to be the ones who will be followed in a post market
25 surveillance type of method? Just how is this going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to work?

2 Because if we rely on patients to perhaps
3 report that there is a problem, a lot of times they
4 can't connect the dots that what they're going through
5 is the result of this. And most of the time when they
6 do go to a physician, they're told there is no
7 relationship. So how is this going to work?

8 DR. PHILIPSON: I'm not sure. I think
9 that's a very good question. I am not sure that that
10 has been decided yet. They have just received money
11 to start building this register or registry, I should
12 say, and exactly how they plan to get data into the
13 register, I'm not sure that that has been set as yet,
14 but it's important to find ways, as you say, to not
15 rely only on incident reports from patients and
16 dentists because we receive too few of those.

17 CO-CHAIRMAN BURTON: Any other questions?

18 Dr. Amar.

19 DR. AMAR: Thank you very much for this
20 presentation.

21 I notice that in January 2007 your country
22 is going to ban the use of mercury based on
23 environmental concerns. My first question is could
24 you elaborate on the concerns and particularly the
25 environmental concerns that drove this legislation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And second, in light of that, was there
2 any concern voices in your country as to the potential
3 of using alternative materials for amalgam given the
4 life or the potential of having like accumulations
5 with other materials that is well documented today in
6 this era where we're trying to reduce plaque
7 accumulation in the mouth and recurrent carious
8 lesions?

9 DR. PHILIPSON: To your first question, I
10 am not the person to elaborate on why, on what
11 components on the environmental concerns are involved
12 in this decision, but I would rather refer the
13 question back to the Chemicals Inspectorate because
14 they have investigated this in detail.

15 To me it's enough to realize and
16 understand that mercury is going to be stopped for
17 environmental reasons, and I think there=s many
18 components to that.

19 Your question about the alternative
20 filling materials and the quality and function of
21 those, yes, there is a concern, especially among
22 dentists, I think because we know that some of the
23 other alternative materials are not well tested either
24 and some of them are not stable over time, and some of
25 them might cause other types of allergic reactions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So there is a concern, but the decision is
2 still the same that we would like to get rid of the
3 dental amalgams and try to rely on only the other
4 ones, and as you saw from the figures, we almost knew
5 that already, and I think time will show if that was a
6 good decision or not. We might see other problems
7 around the corner with the other alternative
8 materials.

9 I think the best method is not to develop
10 any problems with your teeth.

11 (Laughter.)

12 CO-CHAIRMAN BURTON: Thank you.

13 Dr. Li.

14 DR. LI: Yes. Thank you.

15 Thank you very much for your presentation.

16 I have two questions.

17 The first one is a follow-up on mercury
18 use, which will no longer be permitted after January
19 1st, year 2007, because of environmental concerns, but
20 it will be permitted to use it in hospital settings
21 and dental clinics in exceptional cases.

22 Can you comment on whether you have any
23 special measures for that specially permitted use in
24 these exceptional cases?

25 And the second question I have is during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701