

1 So the sponsor asked: Is the rate of
2 cancer detection for T-Scan positive women in the
3 indicated group greater than the rate of cancer
4 detected otherwise?

5 The table shows the relative probabilities
6 for each of the T-Scan sensitivity values. The first
7 data column, T-Scan specificity equals 94.7 percent --
8 that's a typo in the column heading on the slide.
9 FDA's calculated relative probability for 26.4 percent
10 sensitivity is 4.9, same as the sponsor's.

11 The relative probability declines with
12 declining T-Scan sensitivity, down to 1.9, 1.0 and
13 zero. Note that 1.0 and zero are at or less than one.

14 A relative probability of 1 would occur if women were
15 randomly selected from the intended use population to
16 undergo further screening, and relative probability is
17 less than 1 if the selected patients are less likely
18 to have breast cancer than the overall T-Scanned
19 population.

20 The right hand side of the table shows the
21 relative probabilities for T-Scan specificity equals
22 88 percent, which had been found in the specificity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 arm for African Americans and Hispanics. The relative
2 probabilities are all lower and less than 1 when T-
3 Scan sensitivity is 10.3 percent or less.

4 The logistic regression analysis shown to
5 you in the statistical presentation showed that four
6 variables are important to consider when doing a
7 benefit analysis: Menopausal status; country; family
8 history; and hormone use. Note that using T-Scan
9 sensitivity for subgroups, like I just did, only
10 accounted for country and family history.

11 There was another limitation to the
12 sponsor's method, which has been mentioned earlier
13 this morning. The intended use to screen women and
14 then send the T-Scan positive women for further
15 screening -- say for this discussion film mammography,
16 which is currently the most frequently used technology
17 and is an intermediate step. The intermediate step
18 subjects the ultimate performance of T-Scan to the
19 performance of the intermediate step.

20 The T-Scan sensitivity arm bypassed this
21 intermediate step by testing women who were already
22 scheduled for biopsy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Turning now to the benefit/risk analysis,
2 FDA based its method on the one used by Feig et al. in
3 their study, which is referenced in your Panel pack.
4 They showed this table of benefits and risks from
5 annual screening mammography of 1 million women age
6 40-74.

7 They estimated that almost 19,000 lives
8 would be saved at a cost of almost 22 deaths, for a
9 net benefit of almost 18,900 lives.

10 FDA updated their calculation of deaths
11 caused by using a lower radiation dose per
12 mammographic view, and then adjusted the lifetime risk
13 estimate to account for greater risk for women age 35.

14 FDA calculated that there would be 14 deaths per
15 million mammographic screens of women age 30-39. The
16 number of deaths would depend on the number of women
17 referred to mammography because they were T-Scan
18 positive.

19 I'm sorry. I'm getting ahead of myself
20 here. Rather than lives saved, FDA calculated cancers
21 detected in 1 million T-Scanned women, which would be
22 1 million times the presenting prevalence of breast

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cancer times the T-Scan sensitivity times the
2 sensitivity of mammography.

3 I will now walk you through estimates for
4 each of these factors, beginning with presenting
5 prevalence. What is the prevalence of breast cancer
6 among women who would present for T-Scan, which I am
7 calling here the presenting prevalence?

8 First, FDA calculated the rate for all
9 women age 30-39 from national SEER data. We used SEER
10 data because screening populations tend to be enriched
11 with high risk women and prevalent cancers. Using
12 interpolation, which takes care of the small
13 disturbance of the incidence curve at 35-45 (So in
14 other words, if you expect screening starting at age
15 40 to catch cancers that were missed, you would expect
16 that it would take into account ones missed between 35
17 and 39, and there is a small blip in the curve, goes
18 down a little bit at 35-39, up a little bit at 40-44.)

19 So taking the average of prevalence at age
20 30 and incidence at each following year, resulting in
21 the estimate of 0.058 percent for the presenting
22 prevalence.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then FDA calculated what the rate would be
2 for those women in the age group who are also family
3 history negative and clinical breast exam negative.
4 For family history, FDA used data from a meta-analysis
5 of 52 studies. This was also the source used by the
6 sponsor. The proportion of women age less than 40 who
7 are family history positive was estimated as four
8 percent. The relative risk of cancer for these women
9 was about 3.

10 For clinical breast exam status, FDA found
11 only one study that addressed the rate of positive
12 status and the associated relative risk. That study
13 was by Bobo et al. and is referenced in your Panel
14 pack.

15 The prevalence of clinical breast exam
16 positive status was higher in women age 30-39 than
17 among age 40-49. So because of FDA's concern that the
18 estimates for age 30-39 were biased, FDA used the data
19 for age 40-49. Those estimates were that 0.087
20 percent of women were clinical breast exam positive,
21 with a relative risk of breast cancer equal to 25.

22 Since those estimates seemed extreme and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might also be biased, FDA also tried using 3 percent
2 prevalence of clinical breast exam positive, and rate
3 ratios of 10 or 3. These estimates produced
4 calculations that were more favorable to the sponsor.

5 FDA derived the rates of cancer by family
6 history and clinical breast exam status in 1 million
7 women. FDA assumed that family history is not related
8 to clinical breast exam results, so that there would
9 be 40,000 women who are family history positive and
10 960,000 women who are family history negative.

11 This slide shows that, when the Bobo
12 estimates were used, as shown in these top four data
13 lines, the calculated rate of breast cancer in the
14 876,480 women who would be both family history
15 negative and clinical breast exam negative would be
16 0.000174.

17 If we do the same thing assuming 3 percent
18 prevalence of clinical breast exam positive associated
19 with a relative risk of breast cancer of 3, then for
20 the 931,200 women who would have both family history
21 negative and clinical breast exam negative, their
22 breast cancer prevalence rate would be 0.000507, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is very close to the 0.00058 estimate for all women
2 age 30-39 that we calculated from the SEER data.

3 Turning now to mammography sensitivity for
4 women age 30-39, FDA found that the best estimates are
5 for women in their forties. The sponsor estimated 70
6 percent, which was obtained from the literature, for
7 older women. That is women over 40. FDA selected 50
8 percent from the Pisano estimate for film mammography
9 for women age 40-49. This was based on one-year
10 follow-up. The reference is in the Panel pack.

11 FDA noted that digital mammography found
12 many more cancers than film in that age group, which
13 explains the lower sensitivity estimate for film in
14 that study. However, sensitivity decreases with
15 younger age, as explained in the executive summary,
16 even when post-menopausal women are excluded from the
17 analysis.

18 To be conservative, FDA selected a 5
19 percent reduction from 50 percent to obtain 45 percent
20 sensitivity for women age 30-39.

21 There's a lot on this slide. It shows the
22 calculated net benefit for screening 1 million

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 intended use population women with T-Scan. Because
2 the specificity is 94.7 percent and the number of true
3 positive women who would be sent for mammography is
4 small, each scenario says that about 53,000 women
5 would be sent for mammography. 0.7 deaths in this
6 column would be caused by mammography screening of the
7 53,000 women.

8 The first data line of the table shows the
9 sponsor's scenario with their estimates of presenting
10 prevalence, T-Scan sensitivity, and mammogram
11 sensitivity. The rest of the table shows other
12 combinations of these parameters.

13 The most favorable scenario, the
14 sponsor's, would result in a net benefit of 277.2
15 cancers detected per 0.7 deaths caused. The least
16 favorable scenario at the bottom right would result in
17 4.3 cancers detected per 0.7 deaths caused.

18 The most favorable scenario predicts that
19 for the intended use population, to detect one cancer,
20 about 3600 women would get the T-Scan, and 190 would
21 have to be sent for mammography. Under the least
22 favorable scenario from the slide before this, 232,600

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 women would be T-Scanned, and 12,300 women would be
2 sent for a mammogram.

3 For our comparison, we chose women who
4 were age 30-39 who have a positive family history, and
5 if the mammogram sensitivity is 70 percent, you would
6 have to mammogram 887 women to detect one cancer. If
7 the sensitivity is actually 45 percent in this group,
8 you would have to mammogram 1379 women, and the
9 sponsor's goal was that T-Scan positive women should
10 have similar probability of cancer as family history
11 positive women.

12 The prior two slides didn't show what
13 would happen for T-Scan sensitivity equal to zero
14 percent, which would result in 0.7 deaths and no
15 benefit. Depending on T-Scan specificity, 53,000 to
16 120,000 women per million women would have T-Scan
17 positive and mammogram negative, a possible source of
18 confusion for them.

19 The FDA method shows that the net benefit
20 of T-Scan is highly dependent on several factors in
21 the intended use population. The first is T-Scan
22 specificity, which might be 88 percent to 95 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The second is the sensitivity of T-Scan, which could
2 be between zero and 26.4 percent.

3 The presenting prevalence of breast cancer
4 itself depends on three factors. The first is the
5 proportion of women, and their associated relative
6 breast cancer risk, who are clinical breast exam
7 positive, which is poorly known.

8 The second is the proportion of women, and
9 their associated relative breast cancer risk, who are
10 family history positive, which is well known.

11 The third is the dependence of clinical
12 breast exam status on family history status, which is
13 unknown.

14 Finally, the relative benefit of T-Scan
15 depends on mammography sensitivity in the intended use
16 group, which is quite uncertain. Changes in breast
17 cancer screening and diagnosis practices could have an
18 impact on the ultimate usefulness of T-Scan.

19 So I now return the podium back to Dr. Ron
20 Yustein.

21 DR. YUSTEIN: You have heard a lot of
22 information in the last hour. I just wanted to kind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of summarize here for you.

2 Basically, the sponsor provides two
3 studies, two independent studies, to estimate the
4 sensitivity and specificity of their device. They
5 obtained a sensitivity of 26.4 percent. The 25.5
6 includes the post-menopausal women. Specificity of
7 94.7 percent. Assuming a prevalence of .15 percent,
8 the relative probability calculation is 4.95, with the
9 lower bound of the 95 percent confidence interval
10 above 2, therefore having met their primary pre-
11 specified endpoint.

12 Based on these numbers, the sponsor
13 concludes that for every 136 positive T-Scan results,
14 one will be a cancer case, and that this is clinically
15 meaningful compared to the baseline of one in 667 for
16 those in the intended population.

17 What we will be asking you to focus on
18 this afternoon in your deliberations are some of the
19 issues we have been struggling with.

20 Number 1: The degree of enrichment in the
21 study, with subjects over the age of 39, those with
22 positive CBE and positive family history, and on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 performance and the results you have seen, especially
2 as it relates to the intended use population.

3 Number 2: The differences that have been
4 presented to you so far regarding baseline
5 characteristics, differences in sensitivity and
6 specificity results between the U.S. and Israel, and
7 your interpretation of how those may impact the
8 poolability of the data.

9 Third, the true prevalence rate, what your
10 opinion is on that as it may not affect the relative
11 probability, but it may affect other assessments,
12 including positive predictive value and the number of
13 women with false positive exams.

14 Next, the risk to health of a false
15 positive result, if any? Then finally, we will be
16 asking you to help us assess the overall risk/benefit
17 ratio of the submission.

18 With that, FDA concludes their
19 presentation. Thank you.

20 CHAIRMAN CEDARS: I would like to ask the
21 Panel if they have any questions for the FDA.

22 DR. MORTIMER: Yes. Could I ask a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question about the risk/benefit slide, slide number
2 107, and I just -- Maybe I don't totally understand
3 this, but if we look at the number of women to
4 mammograms, that would mean for the CBE negative and
5 the family history negative, there are 12,300 to one.

6 But as I understand it, if we use the calculation of
7 the number -- there are 14 cancers caused for every
8 million women that undergo mammography, then that mean
9 this would be a wash. Am I correct?

10 DR. BRIGHT: You have to mammogram a
11 million women to get 14 deaths caused by mammography.

12 So when you start looking at the numbers that you
13 have to mammogram to find one cancer, the number of
14 deaths that you cause is really negligible.

15 Does that answer?

16 DR. MORTIMER: Yes. Thank you.

17 CHAIRMAN CEDARS: Any other questions from
18 the Panel?

19 DR. BERRY: So for Dr. Yustein and Dr.
20 Bright: The 2, the relative probability of 2 -- where
21 did that come from, and why did you come up with that
22 particular number?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We have been arguing about is it 0.0005 or
2 0.0015. I note that that argument is already 3, the
3 ratio of the two. And if you look at -- Dr. Bright
4 showed a figure from SEER which showed an increase
5 over the age of 30-39 of about fivefold in terms of
6 the relative probability of the prevalence. So if you
7 take a 2 and apply it to a 30-year-old, you increase
8 her -- and it's a positive T-Scan, you increase her
9 risk to the same as an unscreened 33-year-old.

10 If you take a 35-year-old and apply a
11 factor of 2, you get the risk of an unscreened 39-
12 year-old. So it makes no sense at all to have a
13 constant factor of 2 applied for everyone in the age
14 bracket 30-39. That age bracket itself is a 5.

15 DR. BRIGHT: I believe the logic for
16 selecting that number has to do with the guidelines
17 put out by the different cancer societies, saying that
18 for women under 40 the physician should discuss with
19 the woman her risk factors and, if she seems to be
20 high risk, family history being a very dominant risk
21 factor, then they should talk about screening with
22 mammogram earlier.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The statistics for women of all ages is
2 that family history positive doubles your risk. For
3 women less than 40, it may even triple your risk. So
4 I think the logic is not about getting them to the
5 risk of 40-year-olds. It is about comparing women who
6 are family history positive versus negative. Does
7 that logic follow for you?

8 DR. BERRY: I certainly understand that
9 your risk is increased with a family history, for
10 example, but it is much more than 3. If you are a 30-
11 year-old and you have a CA-1 mutation, your risk is 50
12 times that of a non-mutation. So I certainly
13 understand that. But the question applying a 2 to a
14 30-year-old is very, very different from applying a 2
15 to a 39-year-old.

16 If you accept that 2 is the right thing,
17 then -- If you accept that 2 is the right thing for a
18 39-year-old, then you ought to insist on something
19 like a 10 for a 30-year-old.

20 DR. BRIGHT: Well, I think I hear what you
21 are saying about quite a lot of variation across the
22 decade 30-39. But there is also a problem with very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little good solid epidemiologic information about what
2 is the actual rate for each year, what's the effect of
3 the risk factors on each year, because even that big
4 collaborate study, meta-analysis, didn't break it down
5 year by year. They took pretty big chunks of age to
6 come up with their figure. So that's the counter-
7 view.

8 CHAIRMAN CEDARS: Would the sponsor like
9 to respond to that?

10 DR. GINOR: If you don't mind, we think it
11 might be better for all of you if we just answer all
12 the questions at once after lunch, as long as that is
13 convenient for everybody.

14 CHAIRMAN CEDARS: That's fine.

15 Any other questions from the panel for the
16 FDA? If not, then we will take a break for lunch. I
17 did want to again remind the Panel members not to talk
18 amongst themselves or with outside participants
19 regarding this PMA during the break. We will be back
20 to start at one o'clock.

21 (Whereupon, the foregoing matter went off
22 the record at 12:13 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A F T E R N O O N S E S S I O N

Time: 1:09 p.m.

CHAIRMAN CEDARS: Okay. We would like to go ahead and get the afternoon session started, please. If we can get started, please.

I would like to call the meeting back to order, and I would like to ask the sponsor if they would like to take the podium and answer the questions that were raised before lunch, and let's say that we are going to try to get this covered in 20 minutes, and we will see if we need additional time after that.

DR. GINOR: Welcome back. I believe that probably the most useful thing will be for you to hear from the clinicians and experts as opposed to from me, who I'm sure you are getting kind of sick of hearing from. However, some of the questions that you asked, I think, are questions that I have simple correct and exact answers for, and I think what I would like to do is just give those to you now and let you continue with your debate so that you can have expert opinions on things where you need experts, if that is appropriate for you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We have a list of a few questions. I want
2 to try to go through them as rapidly as I can, but if
3 you feel I am going through them too rapidly, please
4 let me know.

5 There was a question that was asked in
6 regard to how many patients refused to participate. I
7 wanted to make sure that I asked the question right.
8 There is no way for us to know exactly if a physician
9 in a clinical site asked a patient, would you be
10 interested in being part of a clinical study and she
11 said no. There is no way for us to have a log of
12 that, and I apologize, but we just don't have a way of
13 knowing that.

14 There are, I think, two women in the study
15 that started the exam and didn't follow through.
16 There were two women in the study that enrolled and
17 then didn't follow through, but those were time
18 issues, patients that had to go back to work or
19 something like that. That's different than what we
20 were talking about, which is offering a patient to
21 partake before a biopsy and her saying no.
22 Unfortunately, I don't have data on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The second question had to do with the
2 type of tumors we were finding in the partition
3 between 30 and 39 and the partition between 40 and 45.

4 There is not a -- They are hard to do great
5 distributions with 87 cancers, but there was not a
6 difference in the type of lesion that was found
7 between the younger and the older. In terms of grade
8 and stage, we don't have those broken down. We are
9 going to keep trying to see if we can get that broken
10 down by the time we speak to you again, if we speak to
11 you again, but we don't that right now in terms of
12 grade and stage.

13 Cup size in the U.S. and Israel: Was
14 there differences? Yes, there was a difference. The
15 bra cup size in Israel was smaller than in Israel
16 (sic). However, even with the largest bra cup size,
17 the results and the endpoints were still met. They
18 were not met as well, but they were met.

19 So in regard to whether there is a reason
20 the device should not work in large breasted women,
21 there is no reason to believe that, because currently
22 size D and above was a smaller part of the overall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 demographic and, therefore, it is hard for us to make
2 far reaching assumptions on that relationship. But
3 luckily, or appropriately, the device works as it was
4 intended to in that bra cup size as well.

5 There was a question that was related to
6 that, and a good one, in regard to BMI, body mass
7 index and bra cup size. That was not something that
8 we looked at in this study. That is something that we
9 expect to look at in the multi-year study, because we
10 think that it will play role. There was a question of
11 relationship between bra cup size and overall body
12 mass index, and we think that is an interesting
13 covariate to look at, and will be looked at in the
14 future.

15 There was a question on different
16 ethnicities and the various types of malignancies that
17 they had in the study. As you saw from the FDA
18 presentation, we had very few cases from African
19 American, Hispanic, American Indian patients. So that
20 certainly, we couldn't break the types of lesions they
21 had.

22 Racial diversity is one of the things that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 initially drove us to be interested in this potential
2 tool in the first place, and we are trying to do
3 several things to work with populations that are
4 ethnically enriched in order to ensure that we get
5 more data.

6 One of the reasons that we were so
7 supportive of the U.S. Army's interest in the study is
8 that they have a population that is privileged to
9 include 52 percent of their patients ethnically
10 diverse. That's about 45 percent African American and
11 the rest Hispanic, and we are -- One of the reasons
12 that we are still interested in that study is to offer
13 more racial diversity than we would find in the
14 population at large.

15 We did as part of the PMA -- and I believe
16 that is in your data -- impute the data from the study
17 on the U.S. Census data, so that we could figure out
18 what the result would be if the results from the study
19 were extrapolated to meet the percentages of the
20 various ethnicities in the United States. But of
21 course, that is an imputation, which is not as precise
22 as the multi-year data that we are going to collect in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the longer study.

2 There was a question about whether the
3 hormonal milieu or the skin or dermatological
4 condition affects EIS. That was something that was
5 looked at with the prior device, the TS-2000, the high
6 sensitivity device, and where the thresholds were on
7 that device you could actually find changes in regard
8 to various changes of that nature.

9 With the new device, you cannot. Again,
10 the old device operated at a sensitivity of 80-90
11 percent, and so very small changes could be
12 recognized. Here with the algorithm essentially
13 reversed, those changes are not recognized by the
14 algorithm as large enough to make a difference.

15 What percentage of T-Scan positive women
16 went on for mammography was asked by one of the
17 clinicians on the Panel. We agreed with FDA up front
18 that it was not appropriate for us to dictate
19 management or follow management, because we did not
20 want physicians to feel that we were already, before
21 having completed the study, telling them that patients
22 need to go off to additional imaging, and we felt that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 by mandating that we were doing so before we had
2 proven what we have now proven, and that is that the
3 device does indeed have a strong association with
4 risk.

5 So we feel much more comfortable saying
6 that now than we did back when we started the study.

7 There was a question that was asked by Dr.
8 Yustein before, why I said that there was one site
9 that had the technical problems, and in fact it seemed
10 like two, and I should have been a little bit more
11 clear.

12 It is one site that had two locations.
13 RFW and RJG are the same site. They just had two
14 machines, and they were named differently so that we
15 could keep track of them, but it was one site. The
16 devices were shipped there.

17 Okay. There was a question about the nine
18 sectors of the breast, and I think that also dovetails
19 quite nicely with a question in regard to some of the
20 more complicated areas of the breast where one would
21 need to look for lesions, for example like in the
22 axilla.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I remind you, the device doesn't have to,
2 is not supposed to, sit upon a lesion and identify it.

3 The device is supposed to measure the behavior of the
4 breast tissue as sampled across the breast and
5 identify areas that are different than expected.
6 Probably the strongest measure is the one that comes
7 from the nipple, which is recorded first, simply
8 because the nipple is the pathway of least resistance
9 across the breast, because everything flows in that
10 direction, the ducts, the nerve tissue and the blood
11 distribution. But we do not pretend that this is a
12 device that you could, you know, put around the breast
13 and look for a lesion. That is the job of the next
14 step in those women that have a risk that requires
15 that kind of analysis.

16 So in regard to the question with what was
17 done to ensure ethnicity distribution was
18 representative of the population at large, that was
19 not something that we could do in this study, which
20 was designed to show efficacy, and that is what we
21 expect to do in the large study following. It was
22 only imputed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I believe, unless somebody feels -- Oh,
2 there was a question about ER positivity, and we did
3 not collect that information on the biopsy reports.
4 That is great information to collect in the ongoing
5 studies.

6 Again, the discussion with FDA in starting
7 this study was the prior device had been approved and
8 shown a relationship between breast cancer detection
9 and safety -- and EIS, and was regarded as safe. When
10 we approached with this new device, safety was no
11 longer a concern, and we had to show efficacy.

12 We designed this study -- and I should say
13 and correct what may have been said earlier, the FDA
14 did not suggest to us, but rather we suggested with
15 FDA and worked with them on what the primary endpoint
16 should be.

17 We worked on what would be sufficient to
18 show efficacy in an environment like ours where safety
19 was not a concern, such that we could go out in the
20 clinical world and gather the hundreds of thousands of
21 patients that will be required in order to answer some
22 of these more complex questions. That was what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study was designed to do. The next study is designed
2 to answer all those more narrow questions which,
3 granted, are of importance.

4 I believe that that answers all of the
5 questions or at least attempts to answer all of the
6 questions unless someone feels that I neglected their
7 particular question and, if so, I apologize.

8 CHAIRMAN CEDARS: May I ask the committee
9 if they have additional questions, and some of this
10 may come up for discussion with the FDA discussion
11 questions. But are there any additional questions for
12 the sponsor at this time?

13 DR. GLASSMAN: One question. I noticed in
14 your data tables that a local institution, George
15 Washington University Hospital, had just two patients.

16 Was that a problem site or why such a small number?

17 DR. GINOR: It wasn't a problem site. It
18 was just that the device was there quite a bit. If
19 you know the folks there, they are very, very, very
20 busy, and it was hard for us to get them to stop their
21 path to doing biopsies, which they do in a very
22 organized way, and use the device before.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 While the exam only takes six minutes to
2 perform in clinical practice, filling out the CRF and
3 getting all the appropriate approvals and so on from
4 the patient takes almost an hour, and it was hard to
5 do at very high flow centers, academic institutions.
6 That was one of the reasons, actually, that it was so
7 much harder to recruit here.

8 DR. JIANG: There was a question before
9 about why small cancers have higher sensitivity. I
10 didn't hear you address that. I'd like to hear that.

11 DR. GINOR: That is a good question.
12 Again, I'm answering these in the most basic manner
13 that I can. Then if those become topics that are more
14 interesting, then we can elaborate further.

15 There are two predominant theories in
16 regard to why smaller lesions do better. One, very
17 large lesions, which tend to do not very well at all
18 on electrical impedance, often have an area of central
19 necrosis, and when you have an area of central
20 necrosis, in fact, the impedance level rises up to the
21 point where you no longer can see the difference.
22 Then you may be looking at the peripheral area where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the angiogenesis that takes place feeds the outside of
2 the tumor, but the center of the tumor essentially is
3 necrosed. So you don't have very good measures on
4 lesions above 3 or 4 centimeters sometimes.

5 The other issue is that you are trying to
6 concentrate the signal in measuring EIS across an area
7 that rises above a certain threshold, and it appears
8 from measurements done by -- I'll tell you the name in
9 just one second -- that smaller lesions concentrate
10 the flow across a smaller -- the same amount of flow
11 across a smaller area and, therefore, peak across a
12 signal density that is enough to be recognized.
13 Davies -- Dr. Davies is the one that published that
14 article, and I think we might have that information on
15 hand, if you would like to see it.

16 DR. JIANG: Do we know whether this
17 statement is true for very small cancers, down to what
18 level?

19 DR. GINOR: The smallest cancers that have
20 been reported with EIS -- and this is a bit tricky,
21 because those cancers, without having some spiculation
22 or some calcifications, would probably not have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 found following EIS -- were 2 to 3 millimeters. But
2 again, those lesions were picked up because they were
3 also on the follow-up spiculated to the point where
4 the mammography or the exam that followed was able to
5 indeed go in there and identify them.

6 DR. BERRY: The FDA made quite a point in
7 their presentation of the fact that there were only
8 four cancers, and this is across U.S. and Israel --
9 only four cancers that were detected in the intended
10 population, namely the CBE negative and the family
11 history negative, of which one of those was detected
12 by the device.

13 Do you agree with those data?

14 DR. GINOR: I'm not certain what do I
15 agree with those data mean, but --

16 DR. BERRY: Is it, in fact, the case that
17 there were four cancers in your sensitivity population
18 that were in your intention population? That is, a
19 CBE negative, the family history negative.

20 DR. GINOR: I'll tell you why I am
21 perplexed by that question. I'm perplexed by that
22 question, because the initial concept behind this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 entire study was how to collaboratively develop a
2 method for enriching a study in such a way that would
3 mimic the target population in a way that gave
4 clinicians, statisticians and others a sense that this
5 was a fair representation of the ultimate target
6 population.

7 The fact that we had any, for example, CBE
8 negative cancers detected in women age 30-39 was
9 happenstance, and we were glad to have it. I wish we
10 had found it, but those data are not -- It's not
11 really fair to pull those patients out, because the
12 whole basis for the study is that they are
13 representative of one another from a clinical point of
14 view, and from a -- You know, as Dr. Stavros said, as
15 Dr. Stojadinovic said, there is no real reason why you
16 would expect that a 41-year-old breast would be
17 different than a 39-year-old breast. So why would you
18 decide that a study all of a sudden becomes invalid,
19 because the patient was 39 as opposed to 41, even
20 though it had been discussed before that that was a
21 representative way to analyze it.

22 DR. BERRY: I agree with that. I agree

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the 39 and 41, and I don't mind enrichment in
2 that direction. The enrichment in terms of the CBE
3 positives or the family history positives, when that
4 is not the intended use of the device, bothers me.

5 DR. GINOR: That is a better question. I
6 don't mean better in terms of critiquing your
7 questions. It's a better question for me to deal with
8 from a scientific point of view.

9 I like that question quite a bit, because
10 as the data shows, we biased the data against
11 ourselves by allowing those palpable lesions. In
12 fact, we generally do better in non-palpable lesions.

13 so there is no reason to believe why including those
14 patients would mean that we have no longer exceeded
15 our relative probability thresholds, as we did.

16 I agree you that, if the opposite was true
17 and we did terribly in small lesions, missed all small
18 lesions and found only large lesions, one could say,
19 well, you know, you are really just finding the
20 lesions that we are supposed to find on CBE anyway.

21 The reason we went up to age 45 and the
22 reason we analyzed clinical breast exam positive and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 negative patients and lesion size was to show the
2 clinicians, if possible, that this was an additive
3 tool, as was described by Dr. Wapner this morning, a
4 piece in the puzzle to help you where clinical breast
5 exam is weakest, in those areas that are very small
6 and hard to detect by hand.

7 That has been -- That, in essence, was
8 what drove us to try this model in the first place.

9 DR. BERRY: So if you go up to 45 in the
10 intended use population but restrict to the CBE
11 negative, family history negative, how many cancers
12 did you have, and what was the --

13 DR. GINOR: I think this goes back to what
14 you keep saying. Are you asking me if I stand behind
15 the data we presented to FDA? The answer to that is,
16 yes, I do, 100 percent. If there was four cancers
17 reported of which we found one --

18 DR. BERRY: But that might have been just
19 in the 30-39 and not including the 40-45. Were there
20 extra cancers in the 40-45 that were still in the
21 intended use, even though --

22 DR. GINOR: Oh, you mean the intended use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Except for by age would have otherwise been intended
2 use?

3 DR. BERRY: Yes.

4 DR. GINOR: That is a good question that
5 deserves for us to look into the data and answer you,
6 and we will do that.

7 DR. BERRY: All right.

8 DR. GINOR: Thank you.

9 DR. MORTIMER: You know, it would be very
10 helpful if this test was able to identify these very,
11 very small lesions, obviously. Amongst the benign
12 biopsies, the 303 benign biopsies that you had, what
13 were the results for those lesions that we know are
14 precursor for breast lesions? I mean, do the adenoses
15 and are they different than the fat necroses that
16 don't cause breast cancer?

17 DR. GINOR: To me, personally now as a
18 clinician, not as standing behind the pathology,
19 that's a very interesting question, because if the
20 idea is to identify risk, then pre-malignant lesions
21 that put you in a risk category are very, very good
22 things for us to know about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 When we discussed this with FDA, in order
2 to keep the study as clean as possible we did not
3 include things like LCIH, HDA, radial scar, etcetera,
4 as malignant lesions. So we didn't do that analysis.
5 However, that analysis has been done in other papers,
6 and it was actually presented at ACOG this year --
7 last year, pardon me -- that showed a significant rise
8 in T-Scan positivity from perfectly normal breasts,
9 benign masses, pre-malignant masses, and malignant
10 masses.

11 So I do believe that that is true, but
12 it's not something that I can say was part of our PMA
13 data. Therefore, I can't state that as a clinical
14 fact in this forum.

15 CHAIRMAN CEDARS: Do you know if we have
16 that data to review? I didn't see that in your
17 packet, that correlates the positivity with the EIS
18 and progressive increase in extent of lesion.

19 DR. GINOR: I don't believe you do,
20 because all we have is an abstract that was published
21 by physicians outside of us, which is why I said I
22 don't believe that that is -- Pardon me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Oh, just to answer your question -- I'm
2 sorry -- not to divert from your question, there were
3 15 cancers, and kick me or something if I say it
4 wrong. Fifteen cancers which were women 40-45 that
5 were family history negative and five of those were T-
6 Scan positive, 30 percent. Across all ages, excuse
7 me, 15 across all ages that meet the other criteria,
8 and five of those were T-Scan positive. Perhaps that
9 should be looked at.

10 CHAIRMAN CEDARS: Those were exam negative
11 and history negative or just family history negative?

12 DR. LENINGTON: They were both CBE
13 negative and family history negative.

14 CHAIRMAN CEDARS: So 15 total CBE
15 negative, family history negative, and the T-Scan
16 detected five of them?

17 DR. LENINGTON: That's right.

18 DR. GINOR: As if it wasn't hard enough
19 answering one question at a time, I'm going to try to
20 answer three at a time.

21 No, that data was not data that was done
22 by us, monitored by us, analyzed by us and, therefore,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 didn't have a place in the PMA, which is why I don't
2 know if it's relevant to discuss it.

3 CHAIRMAN CEDARS: Any additional questions
4 from the Panel?

5 There may be -- I appreciate your
6 expeditious use of your time. There may be additional
7 questions that come up during the FDA discussion, and
8 we will certainly give you an opportunity to speak at
9 that time.

10 If we can shift to the FDA to begin the
11 Panel discussions. The Panel has these questions
12 before them, and if I could just briefly summarize on
13 question one.

14 This has to do with the estimates that are
15 used by the sponsor to calculate primary effectiveness
16 endpoint, and these estimates include estimates of
17 prevalence, sensitivity and specificity.

18 So the first question is: Please discuss
19 the clinical significance of the primary effectiveness
20 measure and the result obtained in the overall study
21 population.

22 Again, this was that equation, and in it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is involved the prevalence in the population, the
2 sensitivity and the specificity as determined by the
3 two arms of the pivotal trial.

4 DR. BERRY: So this relates to my question
5 for the FDA about how did they come up with the 2. I
6 think the 2 hurdle is much too low -- exactly what it
7 should be is not clear -- and that, of course, the
8 estimate, depending on whether you un-adjust it or
9 adjust it, it was close to 5 versus 2-something.

10 I think it is much too low and that it
11 absolutely must be associated with age. A 30-year-old
12 is incredibly different from a 39-year-old in terms of
13 risk of breast cancer. So to say a 2 applies, as I
14 said earlier -- a 2 applies for a 39-year-old would
15 mean it should be 10 for a 30-year-old.

16 DR. SNYDER: I'll take a 180 degree stance
17 on that issue, because what my patients are interested
18 in is when they should start screening and is there
19 any reason to screen earlier than the agreed upon
20 recommendation of age 40.

21 You know, currently we discuss with them
22 risk factors, and all I can tell them is, if they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a first degree relative with breast cancer, they have
2 a two times higher incidence of breast cancer; and
3 based on that, the current recommendation is to
4 undergo mammography screening earlier, 10 years before
5 the age of diagnosis of the first degree relative with
6 breast cancer.

7 So if I am going to avail or allow my
8 patients to avail themselves of entering the screening
9 process early based on family history, then if I have
10 another tool that gives me an equally increased risk
11 factor of 2, I think it is very reasonable for the FDA
12 to have agreed upon that increase risk ratio of being
13 2, because that is what we are currently using in
14 clinical practice to institute earlier screening. Am
15 I making sense?

16 CHAIRMAN CEDARS: Let me just clarify. So
17 if I am 32 and my mother or first degree relative had
18 breast cancer at 50, when would you start screening?

19 DR. SNYDER: Forty.

20 CHAIRMAN CEDARS: So - But based on this
21 test, you are going to start screening at 32, if she
22 is positive. So it is a different standard, and your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standard is age related, because it's 10 years before
2 the cancer or at age 40. So that is consistent with
3 what is being said here, that it is age specific.

4 So you would not in that 32-year-old start
5 screening?

6 DR. SNYDER: Right. You know, when we are
7 talking about odds ratios for a typical hyperplasia or
8 a positive family history, it is not split out by age,
9 31 versus 35 versus 37. I mean, if we had that data,
10 I think then we could get a reasonable recommendation
11 to give, but it's just broken down as a risk factor.
12 Again, that's the target that they were given to meet.

13 DR. GLASSMAN: I'd like to look at the 2
14 from a different standpoint, and that is a little bit
15 as to what happens next. I think the paradigm that we
16 are looking at with the T-Scan is very different than
17 what we do currently in clinical practice.

18 Someone with a risk of 2 may enter
19 screening earlier, depending on the age of the first
20 degree relative. But if they enter earlier, they tend
21 to enter in general at about age 35. They have one
22 mammogram, and then they come back at age 40, if that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mammogram is clean, and they only have one first
2 degree relative.

3 The paradigm that seems to be suggested
4 here with the T-Scan device is, if they are positive,
5 they are going to get screened. Next year, based on
6 the reproducibility studies, they are going to be
7 positive again. They are going to be screened again.

8 So we are in a situation where someone,
9 instead of entering periodic screening at an earlier
10 age, is being committed to annual screening, and I'm a
11 little uncomfortable with that as an outcome based on
12 the prevalence of cancer in the age group.

13 DR. TAUBE: I agree with that, because the
14 labeling -- The labeling indication is to do this on
15 an annual basis, and I had the same question: Well,
16 what is the expectation of how often? I mean, is this
17 going to consistently be positive, so that even if it
18 is a moment in time and this is an indication of your
19 increased risk at that time, if in fact it is
20 reflecting something that's happening in the breast,
21 then it may become -- it may be positive each time.
22 You are going to have then a mammogram, and on some --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 You know, on one of these mammograms, the mammogram is
2 likely to be positive, and then you are going to have
3 a biopsy, which is not risk free.

4 Based on the data that I am aware of, the
5 biopsy is most likely going to be benign, but you are
6 putting a 30-year-old or even a 35-year-old into this
7 sort of regular non-risk-free environment of going on
8 to a series of tests that can be psychologically
9 disturbing but also physically, and since we don't
10 have data to indicate that it is going to make a
11 difference long term in the outcome and the survival
12 catching it at a very, very early stage versus
13 catching it a little bit later -- It may be worse, but
14 we don't have the data to support that at this point.

15 DR. SNYDER: I didn't necessarily -- You
16 took the approach of assuming that it is going to
17 necessarily enter them into the screening process that
18 is currently recommended for 40-49-year-olds, which --
19 I mean, none of us can agree on whether it's every
20 year. It is for me, but it might be every other year
21 for other providers.

22 I will say that the company is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advocating that the only approach is to get a
2 mammogram, and they don't know any better than we do
3 sitting here what to do after that first mammogram;
4 because if the mammogram is completely without
5 abnormality, I agree, we don't know what that next
6 step should be. But it doesn't necessarily have to be
7 that they are going to enter now into some sort of
8 routine screening process. We don't know that, right?

9 CHAIRMAN CEDARS: This raises two
10 questions I have. One is: If we don't know that and
11 the company doesn't know that, what is the expectation
12 for our patients? And we should know that before this
13 gets widespread and used on a yearly basis for
14 patients, because there is that concern.

15 The second issue gets back to prevalence,
16 which I think is one of the things that has been up
17 for debate between the FDA and the sponsor. Clearly,
18 prevalence impacts on the effectiveness, and it
19 impacts on our interpretation of what to do with that
20 twofold increase, because twofold over what? What's
21 the background risk

22 So can we have some discussion about those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues?

2 DR. MORTIMER: It's my concern about what
3 the standard of care would become, and realizing I'm
4 coming at it from the oncologist's standpoint where we
5 don't do well with false negatives -- would be that
6 these women would be getting MRI scans, and obviously,
7 a lot of negative biopsies as a result that are going
8 to have sequela down the road.

9 CHAIRMAN CEDARS: Ms. Mayer.

10 MS. MAYER: Yes. I would like to just
11 comment on the impact on women of false negatives as
12 well, and just introduce the thought that, if you have
13 a patient coming into your office who is healthy
14 according to your breast exam and family history, the
15 anxiety that she feels about breast cancer may be fed
16 by a lot of factors, most of which have nothing to do
17 with the reality of incidence at that age.

18 I wonder if by encouraging her into an
19 annual test that will sort her into a risk category,
20 either a low risk or a higher risk category, if you
21 are not avoiding -- if it doesn't encourage physicians
22 to avoid their responsibility to realistically explain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to woman who come in highly anxious about risk but
2 with no risk factors what the reality of incidence is,
3 because as far as I know, there are several studies
4 that suggest that women, young women, overestimate
5 their personal risk by a factor of -- I think it's at
6 least 10, if you ask them what do you think your risk
7 in the next five years or 10 years of getting breast
8 cancer.

9 So I have real concern about how this
10 plays into this and further medicalizes a population
11 of women who are not breast cancer patients and
12 probably never will be, the vast majority. In other
13 words, I think we need to broaden our look at this
14 beyond those cases that are actually found.

15 I think everybody around the table agrees
16 that that's a benefit for those women, but we have to
17 look at all women here.

18 CHAIRMAN CEDARS: Dr. Miller.

19 DR. MILLER: I would second that, and I
20 think, actually, in the discussion about what the
21 appropriate follow-up should be in a -- really, we are
22 talking about a false positive, a screen that's been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done that has identified a patient at risk, but the
2 subsequent evaluation disproves that there is any
3 evidence of cancer.

4 I would think that it is really going to
5 be more a case of the patient wanting some rigorous
6 follow-up in the time that follows as opposed to what
7 the physician is going to want. I mean, now that she
8 has been elevated to that level, will she really be
9 comforted by the fact that the -- Whatever testing is
10 done beyond the T-Scan, will she be comforted by the
11 fact that it was sufficiently diagnostic that she
12 doesn't, in fact, have some cancer lingering, and that
13 is not an insignificant percentage of women.

14 DR. BERRY: So embedded in Dr. Bright's
15 voluminous data were risk/benefit issues. If you
16 consider -- Let me take an extreme -- a 30-year-old
17 woman, a 30-year-old woman, 10,000 30-year-old women
18 getting the device, the EIS, 9,998 of them will be
19 not breast cancer cases, but 500 of those will be
20 positive on the T-Scan. The other two will, in fact,
21 be breast cancer cases, and using a sensitivity of 25
22 percent we will identify on average a half of those.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I am concerned about false negatives, as
2 Musa Mayer is, but I am very, very concerned about the
3 500 false positives. We heard testimony that the
4 observation of these women was that they weren't
5 affected. If they are anything like women in my life,
6 they would be very much affected. In fact, men in my
7 life would be very much affected.

8 We hear, okay, so it's only one percent
9 chance, despite the fact that I tested positive; but
10 we hang on that one percent chance and, you know,
11 maybe it's me. I think that -- and if you go to 39-
12 year-old women, change the two to 10, so 10 out of
13 10,000.

14 I think this device would do much, much
15 more harm than good.

16 MS. MAYER: Just to follow up with the
17 other group that we haven't discussed because this is
18 very personal for me, not with this test, of course,
19 but with mammography, my diagnosis with breast cancer
20 was delayed by about 15 months, because my
21 gynecologist interpreted, despite my having a palpable
22 lump, the fact that I had a negative mammogram as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meaning that there was nothing serious going on.

2 Now I'm still here 17 years after this,
3 fortunately, but I've met over the years a number of
4 women who are no longer alive because of delayed
5 diagnosis. So I am also concerned with those women
6 who are T-Scan negative, but those, I think, roughly
7 75 percent -- 74 percent of women who do have breast
8 cancers and are T-Scan negative who may be false
9 reassured by this test.

10 I know the power of that kind of
11 reassurance. You grasp at anything you can find to
12 reassure you that you are okay. So I'm also concerned
13 about an interim group that may be T-Scan positive but
14 mammogram negative, and as I understand the
15 sensitivity of mammography and the claimed sensitivity
16 of this test, there may be tiny little tumors that are
17 too small to show up on mammograms, but that are found
18 on the T-Scan, which means that women won't really
19 have the kind of reassurance.

20 In other words, that period of anxiety
21 will not have an end to it. In other words, it's the
22 time bomb phenomenon. Maybe I'm walking around with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 something, and it's just not big enough yet, or they
2 can't find it or my breasts are too dense. Walking
3 around with this kind of anxiety is a terrible thing
4 for women, and unlike a prenatal test where presumably
5 once the child is born, this can go on for year after
6 year after year.

7 CHAIRMAN CEDARS: Can we discuss the
8 clinical significance and the impact of covariates in
9 subgroups, as the FDA was talking about? Do you think
10 the covariates should be included in the analyses?

11 DR. BERRY: I think they should be
12 included. I do accept the sponsor and, I guess, the
13 FDA's position as well that the enrichment is quite
14 acceptable and including Israeli patients, for example
15 -- or women, is acceptable. But it is an appropriate
16 statistical approach to adjust for covariates.

17 CHAIRMAN CEDARS: And what about the
18 subgroup analyses, the patients in the different --
19 There's some issues we are going to get into in the
20 next question about the applicability to the intended
21 population. Nancy, did you get all of the answers you
22 needed to this first section?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. BROGDON: I believe so. Thank you.

2 CHAIRMAN CEDARS: The second question has
3 to do with the enrichment, and we have talked about
4 this a great deal, the enrichment of the sensitivity
5 arm and whether or not the final results have
6 applicability to the intended population.

7 DR. GLASSMAN: I'm a little concerned
8 about that. I agree that the enrichment was
9 appropriate. I wonder, though, based on the data if
10 we almost have replacement rather than enrichment.
11 That is that the enriched group is such a significant
12 percentage that I think it makes it harder to be
13 comfortable that the device will do in 30-39-year-olds
14 what it does in 40-45-year-olds who have a breast
15 lump.

16 So I'm uncomfortable making that leap of
17 faith. I would have rather had seen maybe a quarter
18 of the patients in the enrichment group rather than,
19 basically, half.

20 DR. BERRY: Can I add. I'll be you would
21 have been happier to see larger sample sizes in both
22 groups and, if they were 50/50 but, you know, 100

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cancers in each group, you would have been much
2 happier.

3 DR. TAUBE: And they aimed for 100, but
4 they didn't have 100 cases. I mean, the study design
5 said 100 cases.

6 DR. JIANG: I understand the argument that
7 cancer is very rare in the 30-39 age group and,
8 therefore, a rationale to look at older patients, but
9 does that mean that we don't know what happens to the
10 30-39 age group or do we know? What I get from this
11 is we don't really know.

12 CHAIRMAN CEDARS: Let me just make sure I
13 understand your question. We don't know what happens
14 to them in terms of their cancer risk or what happens
15 to them in the face of this study, this piece of
16 equipment?

17 DR. JIANG: In this equipment,
18 particularly about sensitivity, because we need to
19 assess the sensitivity of this device in 30-39 age
20 group, and we don't have that.

21 CHAIRMAN CEDARS: Is there a general sense
22 from the Panel that the sensitivity arm with its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enrichment as presented here is, in fact, applicable
2 to the intended use group?

3 DR. BERRY: Can we put a caveat? I mean,
4 I think the answer, from what I hear people saying, is
5 yes, but there is a reservation about the number of
6 cancers in the intended use group, that the enrichment
7 is okay, but the total number of cancers is not very
8 great, and especially the number in the intended use
9 group is not very great.

10 CHAIRMAN CEDARS: Yes, see, I would have
11 gotten from the earlier discussion a different answer.

12 I would have thought the consensus would have been
13 that it was not applicable. So I'm a little confused.

14 So maybe if people can expound a little bit.

15 DR. WEEKS: This is certainly not my area
16 of expertise, but I think the argument has been made
17 that there is no -- There have been offered no
18 plausible physiological reasons why a 42-year-old
19 breast should be different than 37. But when I look
20 at the data, I feel the opposite way, that we need to
21 investigate whether or not there is a reason that they
22 are different as far as this test is concerned and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 impedance is concerned, and I'm not reassured by the
2 apparent low sensitivity in the subgroup analysis.

3 I do accept the reason for doing the
4 enriching, to begin with, but I think it's difficult
5 to ignore the low sensitivity in the subgroup of women
6 who are 30 to 39.

7 DR. MILLER: I guess I'm confused by what
8 we have in front of us and what we've heard. It looks
9 to me like in our question 2 and the paragraph there
10 that what they are saying is, of the 87 cancers in the
11 sensitivity group, only four of them met the criteria
12 of having no CBE and no family history. But that is
13 not what we heard a few minutes ago from the sponsor,
14 and that would influence me in terms of my
15 conclusions.

16 CHAIRMAN CEDARS: The sponsor can correct
17 me, but my understanding of the difference between the
18 four and the 15 is the four was in the intended use
19 group between the ages of 30 and 39 with negative
20 family history and negative mass. The 15 was in an
21 expanded age group, 30-45 but no family history and no
22 breast lump. So going to the argument -- It did, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 No, the 15 included the four, but it included going
2 up to the older age group with the argument that the
3 breast in a still menstruating woman was not that
4 different in a 43-year-old than a 38-year-old, but at
5 least trying to look at the population with a negative
6 family history and with no palpable lesion.

7 So that's the difference between the four
8 and the 15. Is that correct? And the four are
9 included in the 15.

10 DR. ROMERO: In thinking about the
11 question as you have posed it, I think it is a little
12 frustrating to be put in the position to sort of have
13 to give a sense as to how satisfactory these data are
14 when they have been indeed limited by constraints, I
15 think, imposed by the sponsor or by just those who
16 designed the study.

17 We heard earlier that everybody would have
18 probably preferred a larger sample so that we would
19 have had more statistical power and able to look at
20 subgroup differences with greater rigor. But it was
21 mentioned that that would extend the duration of the
22 study and the cost of the study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So it is very frustrating. I'm not going
2 to be able to give a very concrete position because
3 that is just not ideal study design, and while we
4 can't have a perfect study design, I design studies
5 myself and you are always making concessions. It
6 really seems that that is an unfortunate one that was
7 made, the fact that the sample size -- or the duration
8 of the study wasn't long enough to permit a sample
9 size that would have indeed enrolled, eventually
10 enrolled, more women in the intended treatment
11 population that ultimately would have produced a
12 greater number of cancer cases.

13 So I just don't know where to go to. It
14 is very difficult to be in a position of having to
15 come up with a position on imperfect data, and it is
16 really constraints of the study design that I think we
17 are faced with here.

18 DR. BERRY: So I think you should blame
19 the sponsor. They are supposed to come with
20 compelling data.

21 DR. ROMERO: Well, I think one other thing
22 is that, if we can't take into account cost

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considerations from a patient, consumer, provider
2 perspective, then we shouldn't have to take into
3 account cost considerations from the sponsor's
4 perspective, because they don't want to or don't feel
5 the need to conduct a study for an adequate period of
6 time.

7 CHAIRMAN CEDARS: May I ask a question,
8 and some of this comes from my ignorance of
9 statistics, and maybe the people who are better at
10 that can help me. But I think this may get to some of
11 our discomfort with this enrichment population and
12 have some tie-in between question 1 and question 2.

13 One of the things I had about -- was
14 thinking about in terms of the applicability of the
15 enriched population to the intended population gets
16 back to the prevalence issue. My bet would be that
17 the prevalence of cancer in the group that was in the
18 enrichment group in the sensitivity arm is
19 significantly greater than the prevalence in the
20 intended population.

21 So to me, it's a little bit difficult to
22 apply data generated in a very different population to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the intended population, just based on prevalence
2 alone. Now is that too narrow of a question or does
3 that make sense?

4 DR. BERRY: I think, based on prevalence
5 alone is fine. But the question is: Is a tumor that
6 is in a 40-45-year-old different than in a 30-39-year-
7 old? The expectation is that that tumor is older in
8 the sense that it took longer to make itself known or
9 to make itself known to the T-Scan, and is that
10 something that means that it is less aggressive and,
11 therefore, different in terms of the sensitivity
12 specificity and different, as somebody said earlier --
13 I think Sheila said earlier -- in terms of the impact
14 on eventual mortality?

15 In answer to your question, I don't see
16 that prevalence would have any impact. If a tumor is
17 a tumor, then let's use that and enrich in the
18 population.

19 DR. TAUBE: But wouldn't prevalence have
20 an impact on the predictive value of a positive test?

21 DR. BERRY: Oh, that's sure, yes. The FDA
22 made that clear. Yes, it doesn't -- As they said, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doesn't affect the relative probability calculation,
2 but it does affect greatly the positive predictive
3 value. In fact, roughly speaking, the positive
4 predictive value is proportional to this prevalence.

5 So if the prevalence is doubled, the
6 positive predictive value is doubled.

7 CHAIRMAN CEDARS: Yes. I guess that was
8 my concern, that if the prevalence is so different
9 because the enrichment population was so enriched, the
10 population that doesn't meet the intended use is such
11 a large percentage of the total population in the
12 sensitivity arm that the prevalence is so different in
13 that arm that using that arm to interpret data into
14 the intended use population makes me uncomfortable.

15 DR. BERRY: Yes, but in their calculations
16 they went back -- Even though they based the
17 sensitivity and specificity on the 40-45s, when they
18 did the positive predictive value, etcetera, I think
19 both the sponsor and the FDA, they went back to the
20 characteristics of the 30-39-year-old. So that comes
21 out in the positive predictive value.

22 CHAIRMAN CEDARS: Did you have a question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MORTIMER: Yes. I think it would be -
2 - It's unfortunate that the duration of follow-up on
3 this group was 455 days, because it seems to make
4 sense there would be more cancers in the 40-45-year-
5 old group, because if it takes you 10 to 20 years to
6 go from a noninvasive to an invasive cancer, of
7 course, they are going to have a higher likelihood of
8 being found on exam and mammography, and we don't know
9 what the follow-up of all these abnormal T-Scans was.

10 So I think it's unfortunate that we don't
11 have longer follow-up to know what became of those
12 pre-malignant lesions that may just not have been
13 diagnosable because they were abnormal T-Scans and no
14 follow-up.

15 DR. GLASSMAN: Getting back to the
16 prevalence issue, one thing that we haven't really
17 talked about is the way I see prevalence in this study
18 is that after the first round of T-Scans, we really
19 don't have prevalence anymore. We have a modified
20 incidence. That is, the T-Scan device has found the
21 cancers it is going to find, and missed the cancers it
22 is going to miss.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now the ones it missed will grow, and it
2 may be that they will pick them up in another year.
3 But the new cancers that appear are incident cancers,
4 which tend to be at a rate that is much lower than the
5 prevalence rate. So we really need to talk not only
6 about prevalence but after the first year about
7 modified incidence, which is much lower, which makes
8 the positive predictive value of a positive test lower
9 after the first year.

10 DR. BERRY: They are talking about only
11 the first test. So a woman who is between 30 and 39
12 gets her first T-Scan. That's what they are talking
13 about. I completely agree that, if she comes back
14 again and she had a negative the first time, that
15 probably her prevalence is a little bit less, as you
16 say.

17 DR. TAUBE: But the labeling indicates
18 that it should be an annual test, and I think we can't
19 forget that, especially when we get to that part of
20 the discussion.

21 MS. GEORGE: Two quick questions. One:
22 There was a comment about the data. In the protocol

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it actually identified 1500 exams, and in the data I
2 saw 1900 exams. So it sounds like they actually did
3 more exams than what was identified.

4 The second thing was, in the labeling, I
5 believe it doesn't say annual. I thought it said that
6 it was to be done when you do the CBE. So if the
7 doctor decides to do it every three years, then it
8 would be every three years.

9 CHAIRMAN CEDARS: Although I think
10 recommendations -- If we had the list of the
11 recommendations up there, from 30-39 is for annual
12 CBE. So --

13 MS. BROGDON: I realize this is not a
14 portion of the agenda where the firm should be
15 weighing in, but the firm appears to be disagreeing
16 about the number of exams done, and whether they were
17 repeats.

18 DR. GINOR: And here's the firm. I would
19 love to say that all the points that you are making
20 don't make any sense. I think they do make sense.
21 I'm just not sure they make sense entirely in the
22 context of what we are discussing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I feel a responsibility to express some of
2 these things, but I need to make sure that I'm not
3 overstepping my bounds. So throw stuff or tell me.

4 MS. BROGDON: I think you need to focus on
5 the specific thing you had a disagreement with.

6 DR. GINOR: Right. So for example, there
7 is no reason to expect, and we actually have said time
8 and time again, that a woman who is T-Scan positive --
9 that is, a woman who goes to her gynecologist; she has
10 something physiologically going on with her breast,
11 has a mammogram or an ultrasound or an MRI or whatever
12 it is that the radiologists ultimately decided to do,
13 and it is normal, would then have that follow-up again
14 and again and again.

15 That is not what we are supposed to be
16 discussing, but secondly, that is not the indication.

17 Now if her T-Scan is red again next year, there is
18 something going on, and if she has a mammogram or a T-
19 Scan or an ultrasound or a MRI again, that's probably
20 a valuable thing.

21 I would suggest also two other things that
22 re related exactly to this data. One, for prevalence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to significantly turn into incidence, you have to
2 expect (a) a sensitivity of 100 percent and (b) an
3 attrition of the product to 100 percent of all women
4 in the United States within a relatively short period
5 of time; and you have to remember that what is
6 different here as opposed to other situations where
7 prevalence is ultimately replaced by incidence is that
8 we have new women graduating into -- from the zero to
9 30 into the 31 age group where they would get the
10 first T-Scan.

11 Most studies show -- I think all studies
12 show that your first screening exam either at 40 or at
13 30 shows much more of a disease prevalence than
14 ongoing, because you are still benefitting from that
15 sort of 30 years of incidence. I think we should keep
16 that in mind, because that would change, I think, the
17 numbers you are doing.

18 Keep in mind how many baseline mammograms
19 you do at age 35 and, if you calculate the prevalence
20 on that, you will find the prevalence here is a lot
21 higher. I just want to make sure that those numbers
22 stay in focus, because I thought that was kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 drifting away.

2 CHAIRMAN CEDARS: Thank you.

3 DR. JIANG: Can I ask a question related to
4 that?

5 CHAIRMAN CEDARS: Sure.

6 DR. JIANG: So I'm kind of confused. I
7 wonder if you could help me understand. So this is
8 comparing to family history positive. If a woman is
9 family history positive, she is always positive. So
10 she just entered a screening cycle earlier.

11 Now with T-Scan, if she becomes positive,
12 that's five percent of the population that enters into
13 it. So there are two possibilities the second year
14 around. One is that the same five percent of women
15 still being positive. So that is a situation similar
16 to the family history scenario.

17 The second possibility is an overlapping
18 different set of five percent of the patients becomes
19 positive. If that is the case, are there going to be
20 more than five percent of the people enter the
21 screening scenario earlier? So in another word, the
22 people who are positive the first year around, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 second year they were not positive -- would they be
2 screened again? So they would be screened only once?

3 DR. GINOR: Exactly. That's the point
4 that I was trying to make, is we are actually
5 physically measuring something. As opposed to like
6 BRCA which is a risk you carry for life or a genetic
7 risk you carry for life, family history, we are
8 measuring something specific, and we are hoping that
9 that is either something that goes away or, if it
10 remains, we assume that there is something going on
11 that needs to be looked at.

12 So you are correct. It's a one-time
13 screening.

14 DR, TAUBE: But on page 151 of the Panel
15 pack, it specifically says women who have positive T-
16 Scan ED results but whose subsequent mammograms or
17 ultrasound examination do not detect any lesions are
18 considered to be at average risk for breast cancer.
19 These women, like other average risk women their age,
20 should continue to have T-Scan ED examinations after
21 each CBE.

22 DR. GINOR: I'm glad you pointed that out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Maybe I answered the question wrong. Were you asking
2 if they would have a mammogram every year or whether
3 they would have a T-Scan every year?

4 DR. JIANG: I was asking about mammograms.

5 DR. GINOR: I thought so.

6 DR. JIANG: Because a woman may be
7 positive with T-Scan one year -- You know, the woman
8 would have increased anxiety. Maybe she wants to be
9 continuously screened with mammograms.

10 DR. GINOR: Correct. She might want to,
11 but I don't think that clinically that would
12 necessarily be the right decision. this is not a
13 lifetime risk marker. It is not a genetic marker. We
14 are actually, as I say, measuring something, and
15 that's something that has been proven relatively
16 strongly.

17 Again, I think the issue here is comparing
18 it to other methods by which we recommend screening,
19 family history, year after year starting at whatever
20 age it is, if it's two family relatives, for example,
21 or any baseline at 35. All of those have a much,
22 much, much lower yield than we do, and I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where things are getting a little bit cloudy, and I
2 just want to make sure that that is kept in mind, is
3 that we must kind of compare apples to apples here.

4 MS. MAYER: Just as a follow-up question
5 on that: So a woman has a positive T-Scan, a negative
6 mammogram. Is it possible or not possible that the T-
7 Scan may be picking up on something that the mammogram
8 is not?

9 DR. GINOR: It is possible, and what I
10 would suggest -- and perhaps, since it is not my job
11 to be involved in the discussion, as much as I would
12 love to, I think that a good analogy might be for some
13 of the gynecologists on the panel to discuss, for
14 example, the similarity of this to Pap.

15 You know, the numbers that we are
16 discussing are not very dissimilar than what we
17 routinely do. Dr. Wapner talked about it in regard to
18 Down syndrome, but you know, the same is true for
19 Pap, is you might have atypia. You might follow on
20 and have colposcopy. That colposcopy might not find
21 something. You have atypia again. You go for
22 colposcopy again. But the level of risk warrants that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 measured level of things --

2 MS. MAYER: I don't dispute that, but what
3 I am trying to get at is what I was saying before. Is
4 it possible for T-Scan to pick up a smaller lesion
5 than a mammogram can find?

6 DR. GINOR: Yes. In fact, I hope it does,
7 because I hope that patient will next year come in and
8 have a mammogram, and then it will be found as opposed
9 to five or six years later.

10 MS. MAYER: So given that, doesn't it make
11 sense to continue with mammograms, if you have a
12 positive scan and a negative mammogram?

13 DR. GINOR: I would love to say that, but
14 despite some of the things that were said in regard to
15 the sponsor taking blame, I don't feel that I can say
16 that in a way that is clinically significant until I
17 show you five-year data.

18 MS. MAYER: Right.

19 DR. GINOR: And that is something that,
20 you know -- This is a four-year, 30-center, multi-
21 thousand patient study. No one was cutting corners.
22 In fact, this is probably one of the largest studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that was conducted with respect to the risk of breast
2 cancer in younger women.

3 There is a reason why we still rely on
4 CBE. Doing studies of the magnitude we all want are
5 virtually impossible, and to be perfectly honest, it
6 has nothing to do with money. It has to do with time
7 and management.

8 CHAIRMAN CEDARS: Thank you. Dr. Miller.

9 DR. MILLER: I was just going to say that
10 -- You know, I was going to make the same point, that
11 relative to other screening tests, including prenatal
12 diagnosis, serial screening exams do have some value,
13 that there is -- I wouldn't anticipate that this would
14 just be a one-time thing. I would anticipate that it
15 would be serial. Whether it is annually or, you know,
16 every couple of years, there is going to be a desire
17 to follow up on this.

18 DR. WEEKS: I would say that I would agree
19 00 percent. I will say I'm not sure I like the
20 analogy with Down syndrome screening, because once a
21 patient is screened positive, they go on to a test
22 often that is diagnostic, and that's the end of it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is not an annual phenomenon. The ones that choose
2 not to have the diagnostic test actually end up
3 getting many ultrasounds and other tests because of
4 residual anxiety.

5 So -- and I'm a little confused. The T-
6 Scan -- Once they are T-Scan positive, based on
7 everything we have heard, they are T-Scan positive
8 with their first test, their mammogram is negative,
9 there is every expectation that their next T-Scan will
10 be positive is how we understand it, which then
11 necessarily means the patient will have other testing
12 done.

13 For the patient that is anxious once they
14 are T-Scan positive, if the idea is that it is most
15 efficient for small lesions, then I wonder if the
16 patient will actually be satisfied with annual follow-
17 up. Is it going to be six months? It sort of opens
18 up a whole bunch of questions that I don't think we
19 can answer, but it's a significant problem.

20 CHAIRMAN CEDARS: Dr. Snyder.

21 DR. SNYDER: Yes. I mean, I agree with
22 Dr. Weeks. I mean, nothing with the data that we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 shown tells us what to do with the result. I mean,
2 basically, you know, if ongoing follow-up, what do you
3 do the next year? I mean, that data doesn't exist.

4 I keep going back to the statement made
5 earlier, more harm than good. I would actually like
6 to ask Dr. Berry this question, because as I was
7 looking at the data -- I mean, even when we do a
8 mammogram in a 50-year-old, when we do a mammogram in
9 a 40-year-old, we've got a significant false negative
10 rate. But as I looked at their data, the ratio of
11 cancers found per study ordered was still a little bit
12 better than the cancers to mammograms found in the
13 population we are currently recommending mammographic
14 screening to, and that's the 40-49-year-old age group.

15 Am I interpreting the data that they did,
16 comparing the number -- you know, given a positive T-
17 Scan, the chances of having a cancer, it was still a
18 little bit better, at least equal to, the chances of
19 finding a cancer with the mammogram.

20 DR. BERRY: In comparison to mammograms,
21 the T-Scan has greater specificity, but much lower
22 sensitivity. So if there is a cancer there, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 less likely to find it. It will be more false
2 negatives.

3 CHAIRMAN CEDARS: Before we go on to the
4 next question, let me just see if I can summarize --

5 DR. BERRY: Excuse me, Dr. Cedars. Can I
6 just address one thing about this repeated -- In terms
7 of the "harm than good," if we are doing repeats, and
8 Dr. Jiang is correct -- or he gave two possibilities,
9 one possibility that we are finding the positives
10 among the non-cancers will be distinct from one time
11 to the next.

12 The first time we do these 10,000 women,
13 we are going to find 500 approximately that are false
14 positives. The next time, if they are distinct, we
15 are going to find another 500, then another 500.
16 Imagine doing this 10 times.

17 We are going to find half of the
18 population who have some level of anxiety, maybe not a
19 great deal of anxiety, but we are taking this -- You
20 know, I'd love to be in the thirties again. We are
21 taking this wonderful decade and making it somewhat
22 less wonderful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN CEDARS: Okay. I think that
2 there is some level of discomfort with the
3 applicability of the enrichment population, but I get
4 the general sense that the Panel is willing to accept
5 that population in its results.

6 Then again from the first question, that
7 the covariates should be included, but that there was
8 not a sense that we couldn't put the intended groups
9 together to represent the study population.

10 Nancy, were there other questions before
11 we go on to 3?

12 MS. BROGDON: No, that is fine. Thank
13 you.

14 CHAIRMAN CEDARS: The third question had
15 to do with the different sites in the United States
16 and Israel, and you have a copy of the table in your
17 packet looking at the sensitivity in the U.S. and the
18 sensitivity in Israel, both including and excluding
19 the post-menopausal women, which were relatively few.
20 So it didn't change it significantly.

21 So the question was: Did you feel that
22 the difference in patient characteristics was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 represented and whether or not these -- you can
2 actually combine the two groups or did you have
3 discomfort about the differences between the U.S. and
4 the Israeli populations?

5 DR. HILLARD: I may not be understanding
6 the statistics completely, and that is certainly
7 possible. But when I combine the information that
8 tells me that there were differences in the women in
9 the U.S. compared with the women in Israel, compared
10 to breast size, cup size, and in particular with the
11 issue of sensitivity in the 30-39-year-old group, and
12 find that there were, if I am remembering correctly,
13 zero cancers found in that group in the intended
14 population, which is the 30-39-year-old women with
15 negative exam and negative family history in the U.S.
16 -- So that's the intended population.

17 So I am -- When I combine 2 and 3
18 questions, I am more uncomfortable.

19 CHAIRMAN CEDARS: And there were some
20 questions raised about ethnicity. So while there was
21 a difference in cup size, even though we don't have
22 the data, I would argue there is probably also a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference in BMI between the two countries, given the
2 rise in obesity in the U.S., and that gets to some of
3 the ethnicity issues that were raised.

4 So again, for the U.S. population does
5 that raise concerns for people among the Panel?

6 DR. ROMERO: Yes. I mean, I think we
7 didn't have much time to look at the data presented by
8 FDA scientists, since -- due to limited time, I
9 believe. but in looking over the analyses that they
10 presented when they broke out the study by the two
11 countries, it is really disturbing.

12 I mean, if we don't -- and I do understand
13 that sites are pooled, but at the same time
14 comparisons are made regularly between sites in multi-
15 center studies, and when you have not just sites
16 within a country but you have sites in different
17 countries, there are other factors to be taken into
18 account that I assume in looking through the data
19 there weren't -- those data weren't collected.

20 The one area where that was made clear was
21 just in ethnicity as self-reported by individuals in
22 Israel. So when I look at the data that the FDA have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 presented in comparing these groups where we have risk
2 ratios or, as described here, relative probabilities
3 with confidence intervals that are below 1, it is
4 really concerning to me that that is not something
5 that the company would be concerned about as well.

6 DR. BERRY: So this is probably among the
7 most difficult of all scientific statistical issues.
8 Can you look at subsets? A standard approach which
9 the company has followed is that, by the protocol,
10 this is a multi-center trial. They are combining the
11 results from the various centers, and they are putting
12 them together to have an overall estimate.

13 I, for one, accept that. It is not
14 necessarily the right answer. There may be
15 differences in Israel that have to do with cup size
16 and ethnicity, etcetera, but -- and if you look at
17 this, it's kind of interesting. The sponsor gets
18 $P=.06$, and the FDA gets $P=.04$.

19 If you believe -- If you are naive enough
20 to believe that $.05$ is dictated by God, you know, you
21 get these two -- I mean, it's not. It's completely
22 arbitrary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I take it that the per protocol analysis
2 of combining is the appropriate one. What does,
3 however, bother me is the intended use and the CBE and
4 the family history.

5 CHAIRMAN CEDARS: Any other comments about
6 the U.S.-Israeli data? So again, I think a general
7 sense that, again, some dis-ease but that the
8 intention to treat and combining the data, because the
9 protocols were the same across the data is acceptable.

10 Is that correct?

11 MS. BROGDON: Would it be possible to poll
12 the Panel, because we would like to hear a little bit
13 more discussion on this really important question?

14 CHAIRMAN CEDARS: Okay. Can we do that,
15 starting with Dr. Mortimer.

16 DR. MORTIMER: I'm comfortable with
17 combining the population.

18 CHAIRMAN CEDARS: I'm sorry. Dr.
19 Goldberg.

20 DR. GOLDBERG: I would be, too. I think
21 if you are pooling the data from the two different
22 countries and you are coming up with an average

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 number, I would agree with that.

2 DR. WEEKS: I am not a statistician. So I
3 can't speak to that. I think that the methodology is
4 fine, but I have a great deal of concern about the --
5 when you look at the subgroup analysis, I think you
6 can -- It's perfectly fine for discovering new
7 hypotheses. It really looks like we have to ask the
8 question: Is the U.S. population-Israeli population
9 different?

10 When I look at this data, I am not at all
11 convinced that combining it and using the performance,
12 generalizing the performance to the U.S. population
13 will actually hold true in the future.

14 So, yes, I think, as the study was set
15 out, the a priori assumptions -- I accept that, but
16 this causes me a great deal of concern.

17 DR. BERRY: I agree with Dr. Weeks.

18 CHAIRMAN CEDARS: Dr. Glassman.

19 DR. GLASSMAN: I also agree with Dr.
20 Weeks. I think that it is appropriate to pool the
21 data. I think, however, that the two subpopulations
22 are probably different with all of those very thin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 people in Israel, and it may be that with this
2 technology that that difference may be significant,
3 but we can't prove it.

4 DR. JIANG: I will follow that. I think
5 it is appropriate to pool the data, but what this says
6 to me is that we really don't have a very good handle
7 on the exact sensitivity. There is a lot -- great
8 uncertainty in estimating that.

9 DR. MILLER: I am going to go along with
10 what the other panel members have said. I find myself
11 not as concerned about the fact that of the four
12 cancers that were detected, none of them were in the
13 U.S. and none of them were in the age appropriate
14 group.

15 I find myself more concerned about the
16 fact that there are -- What the Israeli population
17 tells us is there are some distinct characteristics
18 about that population that are different than the U.S.
19 population, and in a fairly dramatic way.

20 I am a little bit disappointed that more
21 attention wasn't paid to ferreting out what those
22 characteristics are so that we can better understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether or not that might mean that the use of this
2 technology in this country should be different,
3 specifically getting back to the issue of BMI and
4 larger breasted women and how that applies to the
5 sensitivity and specificity of this technology.

6 DR. SNYDER: I agree. I think, you know,
7 my naivete makes me compelled to believe the pooled
8 data much better than any of the analyses at these
9 very small numbers.

10 DR. TAUBE: I agree with what Don Berry
11 said before about the intent to treat or the intent to
12 analyze in this case, that you have to look at all of
13 the patients you took in. But I think the
14 interpretation and the application to the population
15 has to take into consideration the differences.

16 DR. HILLARD: I agree with Dr. Weeks'
17 statements.

18 CHAIRMAN CEDARS: Okay, and I -- Oh, I'm
19 sorry.

20 MS. MAYER: I, too, agree with the
21 statistical point that is being made about analysis,
22 but I have to say that I have continuing concern about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations for blanket use of anything in
2 medicine. I think we over-test, and we over-treat,
3 and I think what this data suggests -- doesn't prove
4 anything -- is that there are subgroups that may be at
5 much higher risk, and we don't know that yet.

6 We don't know from that data. But there
7 is enough here to make me say I want more data. I
8 want more study. Hopefully, the study that is
9 currently underway will provide some of that.

10 CHAIRMAN CEDARS: Dr. Romero.

11 DR. ROMERO: I think the pooling the data
12 and the interpretation of the findings from subgroup
13 analyses are two different things. I think multi-
14 center -- multi-site studies are done always with the
15 intention to pool the data, but with a caveat that
16 differences will be explored between sites.

17 So, of course, it is acceptable to conduct
18 a study where the plan is to pool the data, but once
19 the analysis is conducted and there are site
20 differences, and we have a site effect here, I think a
21 decision has to be made as to whether -- you know, how
22 to best move forward.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So one can say that it is appropriate to
2 pool the data and at the same time feel that findings
3 from having analyzed those pooled data are of concern,
4 and that's where I stand.

5 CHAIRMAN CEDARS: And I am sorry, Ms.
6 George.

7 MS. GEORGE: Well, I think the sponsor
8 really went through in their protocol and defined that
9 they were going to have the combined, that they were
10 going to have 1000 from the U.S. and then the 500 from
11 elsewhere. So I think it was clear back in March of
12 2003 when they defined the protocol exactly what they
13 were going to do, and that's what they followed
14 through with. So I think it is appropriate.

15 DR. BERRY: So I just want to comment on
16 something that Dr. Miller said. I'm not sure I got
17 it, but he said there were four cancers, none in the
18 U.S. Just my impression of what the data show, the
19 four cancers that we are talking about in the 30-39-
20 year group, there were two of those in the U.S., and
21 there were two in Israel.

22 One of the two in Israel was detected by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the T-Scan. Neither of the two in the U.S. was
2 detected. That's the one in four. There is an
3 additional 11 in the 40-45 group, of which four of
4 those -- and we don't know whether they are U.S. or
5 Israel -- and four of those were detected. So hence,
6 the five out of 15.

7 CHAIRMAN CEDARS: Did you get the
8 information that you wanted?

9 MS. BROGDON: Yes. Thank you.

10 CHAIRMAN CEDARS: Okay. If we can go to
11 the next question, which had to do with the technical
12 difficulties, and there were several questions about
13 this this morning, but the question was specifically
14 raised, because there were 37 cancers that were
15 excluded from the sensitivity arm, which was 51
16 percent of the cancers were excluded because of
17 technical difficulties, if I am reading that
18 correctly.

19 So the question was -- or 19 cancer cases
20 were excluded from the U.S. site and 0.7 excluded from
21 -- versus the specificity arm. So the question was, I
22 guess, whether or not that was a concern.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We heard earlier this morning that that
2 was due to one site that had two locations, each of
3 which had a machine that was not properly functioning,
4 and there wasn't recognition that it wasn't properly
5 functioning because of the absence of visual response.

6 So are there any additional questions
7 related to that?

8 DR. TAUBE: The malfunctioning machines, I
9 think, were only in the sensitivity arm and not in the
10 --

11 CHAIRMAN CEDARS: Correct, and that's
12 where the high rate of exclusion for technical issues
13 was, in the sensitivity arm. Dr. Glassman?

14 DR. GLASSMAN: I don't see that as
15 introducing a bias so much as an unfortunate decrease
16 in the sample size that may have been -- I don't know
17 that critical is the word, but it certainly would have
18 given more power to the statistics.

19 CHAIRMAN CEDARS: Nancy, do you have any
20 other questions on that?

21 MS. BROGDON: What is the Panel's
22 consensus on the question of whether this created

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bias?

2 CHAIRMAN CEDARS: Can we poll the Panel on
3 that? Ms. George, do you believe removing those 19
4 cancers due to technical issues is an issue?

5 MS. GEORGE: I don't think so, because I
6 think they did all their calculations based off of
7 removing those, and that, at was stated by Dr.
8 Glassman, was unfortunate and that, you know, maybe in
9 future with tests for all of our sponsors is to look
10 at ways to be able to catch that sooner, but --

11 CHAIRMAN CEDARS: Dr. Goldberg?

12 DR. GOLDBERG: I don't believe that
13 created any undue bias.

14 DR. MORTIMER: I agree.

15 DR. WEEKS: Because I think there is a
16 trend toward decreased sensitivity in the U.S.
17 population, and just about all of them on the U.S.
18 side, I think it does potentially introduce some bias,
19 but I don't feel qualified to say whether or not it
20 would be statistically significant.

21 DR. BERRY: I'm okay with excluding.

22 CHAIRMAN CEDARS: Dr. Glassman?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GLASSMAN: I don't see a problem
2 there.

3 DR. JIANG: Nor do I.

4 DR. MILLER: No problem.

5 DR. SNYDER: No problem.

6 DR. TAUBE: Not a problem.

7 DR. HILLARD: No problem.

8 MS. MAYER: No problem.

9 DR. ROMERO: Same.

10 CHAIRMAN CEDARS: Okay. Question 5 has to
11 do with adverse events, and it had to do with T-Scan
12 positive patients, additional mammograms that would be
13 conducted.

14 We did discuss this a bit earlier with the
15 first question, I believe, but would there be any
16 additional risks of the additional mammograms in the
17 women age 30-39, taking into account for any woman,
18 and assuming again, as we read, that T-Scan is
19 intended for use on a yearly basis?

20 I think the concern here is just that, if
21 someone is once T-Scan positive, always T-Scan
22 positive, then the sort of scenario of then following

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 up with more additional and potentially invasive, more
2 diagnostic tests becomes an issue.

3 So, Dr. Glassman, and then --

4 DR. GLASSMAN: As a breast imager, I
5 finally have a question I'm actually qualified to talk
6 about.

7 I think there is -- In terms of life risk
8 from mammography, it is negligible. I think doses are
9 lower. I think, if you go back to the Atomic Bomb
10 Casualty Commission reports from Hiroshima and
11 Nagasaki, all of the excess breast cancer that
12 occurred, occurred in women who received a single dose
13 of radiation under age 25. So it is a different
14 population than we are talking about here.

15 The real risk of the mammograms and the
16 ultrasounds and ultimately probably a number of breast
17 MR exams with contrast is the risk of benign biopsy,
18 and I don't know that we've got a handle on what that
19 risk will be.

20 I can tell you that, when we look at
21 breast MRIs, which I look at on a daily basis, we
22 don't have really good criteria for what constitutes a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 biopsy. But one of the things that plays into the
2 decision is pre-test probability. That is, why are
3 you here for the test?

4 If you have a known cancer and this is a
5 staging exam, almost everything gets biopsied. If you
6 are a screening because you are a lady from the
7 suburbs with more money than sense and insists on the
8 test, almost nothing gets biopsied for the same
9 appearance.

10 Here, we've got a situation where we
11 believe, if this goes forward, that this is a high
12 risk patient. So I think there will be a not
13 insignificant number of biopsies, most of which will
14 be benign.

15 CHAIRMAN CEDARS: I'm sorry. Ms. Mayer.

16 MS. MAYER: So just to follow on that to
17 talk about the impact of the sort of chain of
18 screening, as I see it, it is really like one -- a
19 positive T-Scan leading to a mammogram that, let's
20 say, may be equivocal but may be accompanied by a
21 sonogram that may ultimately end up with MRI that may
22 or may not ultimately end up in biopsy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That's a very traumatic sequence for any
2 woman to go through, and I'm really concerned about
3 subjecting large numbers of healthy women to sort of
4 entering that chain; because I know how hard it is to
5 walk away from that or to say no to that, once you are
6 engaged. I've been there.

7 It concerns me, as it concerns me with all
8 early screening tests, is that we run the risk of
9 traumatizing a large number of healthy women, and for
10 the possible benefit of detecting a few cancers.
11 That's where the issue of prevalence is really
12 significant here, because, obviously, when considering
13 the risk versus benefit, a disease which has a
14 significant prevalence -- you can make a good argument
15 for that.

16 The issue of whose version of prevalence
17 we are going to accept, since they are so very
18 different, is to me still up in the air. I'd like to
19 hear more -- I'd like to hear what the sense of the
20 Panel is about that. I realize that is not a question
21 that is being addressed here, but anyway I'm rambling.

22 CHAIRMAN CEDARS: Well, it is to some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 extent, because prevalence goes into their calculation
2 in the performance of the algorithms.

3 DR. SNYDER: I'm going to re-ask my
4 question that I asked earlier. Dr. Berry and everyone
5 with a lot of statistical expertise, if you go to
6 Panel pack page 81 and -- I just can't refer to which
7 slide. We saw this earlier today in the
8 presentations, but it is a table dealing with relative
9 risk and absolute risk.

10 Again, if we take that the T-Scan positive
11 patients, if they had that relative risk of 4.95,
12 their absolute risk for breast cancer was one in 136.

13 If that relative risk, even in worse case scenario,
14 had gone down to 2, then it would still have been in
15 the range of an absolute risk of about one in 400,
16 which is what we currently are making our 40-49-year-
17 olds go through.

18 Now again, I'm in the trenches, and I'm
19 discussing with patients, and I agree, the amount of
20 angst that all of this causes. But again, if I can
21 tell somebody that their relative risk is
22 significantly increased over the background, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doesn't necessarily mean I need to start doing other
2 imaging procedures, because we don't know the answer
3 to that question. You know, what is it that we as
4 clinicians are supposed to be doing in response to
5 this test?

6 From that standpoint, I agree that this is
7 premature, but I think my patients would like to know
8 if their relative risk is significantly increased
9 beyond their background risk. Am I interpreting this
10 data correct? The 4.95 relative risk, even if you
11 take it down to 2.0 relative risk, they are still in
12 that same range of the patients that we are routinely
13 asking to undergo imaging.

14 DR. BERRY: So I think you are
15 interpreting it correctly. Back to Musa Mayer -- it
16 is related to Musa Mayer's comment, and the question
17 of the .0015 versus the .0005.

18 I did an independent thing, quite separate
19 from this Panel, in a paper that I wrote published in
20 JNCI looking at the SEER data. This was in relation
21 to building a model to do an assessment of genetic
22 risk, probability of carrying mutations, especially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important for breast cancers that are detected in the
2 thirties and at young ages.

3 In my assessment of the SEER data, I agree
4 almost completely with the FDA that the average over
5 this period, the period of the thirties, per year is
6 the .0005. If you look at a woman who is 30, it is
7 .0002. A woman who is 39 is .0010.

8 So to your point, when you get to the one
9 in 333, which was above the cut for you, that is based
10 on the .0015, which I don't get for any of the women
11 in this age group. In fact, I have to get up to about
12 like age 46 before I see something like that.

13 So doubling that gets to the .003 for the
14 prevalence. But you are right that -- The .003 comes
15 from doubling the .0015. If you double the .0005, you
16 get to .001, which is one in 1000, which doesn't get
17 to your criterion.

18 On the other hand, if it were a 5, a ratio
19 of 5, the unadjusted, then you would have to get up --
20 You know, you would cross the boundary for women that
21 are older than 35 or so, but not for women younger
22 than 35.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CEDARS: I mean, I also think Dr.
2 Snyder gets back to the issue of the absolute risk,
3 and that is why the prevalence is so important. The
4 other thing which you mentioned there is the adjusted
5 risk, because if all the other covariates are things
6 you can get by history, then the test is only of value
7 above and beyond what you can get by history.

8
9 So I think it was the FDA who prepared the
10 table where they looked at each thing added
11 independently and, if you took all of the covariates
12 and then added the T-Scan, you are right at 2, which
13 then gets -- with a confidence interval that was under
14 1, as I recall.

15 So that then becomes very relevant (a) as
16 it is a statistically significant increase, if the
17 confidence interval included 1; and (b) if the
18 prevalence is, in fact, lower, is the absolute risk
19 rise to a level that concerns you enough.

20 DR. GINOR: A number of the clinicians
21 have wondered if it would be appropriate for them to
22 weigh in on some of these questions, and I believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that was something that was discussed. I don't know
2 what the procedure for that is.

3 CHAIRMAN CEDARS: Not at this time.

4 Were there any other comments that the
5 Panel had?

6 DR. GOLDBERG: I don't know that it is
7 creating any undue potential risks. I mean, if the
8 risk is a biopsy, I don't know that it creates anymore
9 biopsy than other screening modalities. I mean, if
10 we have an abnormal mammogram and we go to ultrasound
11 or MR next that's negative, you are really not going
12 to go to biopsy. You will go to short term follow-up.
13 If it's a bi-rad 3 classification, you go to short
14 interval follow-up so you assure stability.

15 If you have a positive T-Scan and you go
16 to the next modality, whether it is mammography or MR,
17 and it is still negative, you are still at the same
18 level of care as with the abnormal mammogram. So I'm
19 not sure that this device is really going to create
20 any undue or any increased potential risk.

21 CHAIRMAN CEDARS: Dr. Mortimer.

22 DR. MORTIMER: I just am going to go to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what Musa Mayer said earlier. These women are so
2 angst ridden by knowing they have an abnormality, and
3 yes, you know, if you look at the data objectively,
4 you have a negative MR. You have a negative
5 mammogram. So what else could you do. But you can
6 just, of course, see the ductoscopies, the four
7 quadrant fine needle aspirations, the ductal lavage.

8 These are accepted techniques and, if you
9 figure one in three women in this country think they
10 are going to die of breast cancer, erroneously, I
11 can't imagine that people would sit on an abnormal --

12 DR. TAUBE: Yes, and I think the issue of
13 whether you are going to be doing more procedures is -
14 - You are going to be doing more procedures, because
15 this population is not normally being screened. So
16 now you are saying you are bringing in all the women
17 age 30-39 and so you are going to be doing lots and
18 lots of procedures on these women for an intangible
19 benefit, if any. I shouldn't say intangible -- for an
20 unknowable benefit.

21 CHAIRMAN CEDARS: Dr. Snyder.

22 DR. SNYDER: Well, that's the problem. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean, what we really want is to see something that is
2 going to give us a decreased mortality odds ratio.
3 You know, we're close to having that information.

4 So I don't know. I mean, we can't even
5 guess whether there's going to be a tangible benefit
6 or not.

7 CHAIRMAN CEDARS: Ms. George.

8 MS. GEORGE: A question for those of you
9 that are the doctors in this. One of the things I was
10 thinking of is that the product seems to have a
11 formality about asking a lot of the questions that, at
12 least when I've gone in to see my OB/GYN, I don't
13 remember the formality of being asked about the family
14 history to the extent that the T-Scan seems to, and
15 the formality of capturing the Gail Model, which I am
16 not real knowledgeable on, and all that. I'm
17 wondering if that is an aid in the process.

18 The second thing I was thinking about is:
19 Again, I know everybody keeps saying annual, but it
20 does say in the data that at 20-39 you only go for
21 your CBE every three years. So you are assuming --
22 and if we are talking the 30-39, I believe I heard it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stated that the baseline is at 35. So you are really
2 only talking a five-year time frame of potentially
3 adding additional screens, if I understand everything
4 that you guys are all explaining.

5 Sorry, I'm more on the technical side than
6 I am on the clinical side.

7 CHAIRMAN CEDARS: Russ, do you want to
8 answer that?

9 DR. SNYDER: Well, yes. I mean, pretty
10 much everyone -- There is no such thing as a baseline
11 at 35. I mean, we start recommending annual -- or we
12 start recommending that they begin screening at age
13 40.

14 CHAIRMAN CEDARS: Make sure -- because you
15 are talking at cross purposes in terms of mammograms
16 and exam, clinical breast exam.

17 DR. SNYDER: Oh, right. I think pretty
18 much standard care is a clinical breast exam every
19 time the patient comes in and, if that's yearly, it's
20 yearly.

21 The other comment was -- I mean, I don't
22 think we should think of this as a benefit for doing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we should be doing already.

2 CHAIRMAN CEDARS: Ms. Mayer.

3 MS. MAYER: In the years I have worked as
4 an advocate, I have seen a real change in the
5 awareness in the medical community of breast cancer in
6 young women in this age group. That's happened in
7 part because of advocacy, and there has been some
8 interesting research in breast cancer in young women.

9 It is really gratifying to see, and it is gratifying
10 to see also that the mortality has gone down actually
11 more in the younger age groups than it has in older
12 women, and the incidence has remained stable and not -
13 - as far as the SEER data goes, and not as --
14 Parenthetically, the Mirabel website claims that it is
15 increasing, but that is not my understanding at all.

16 CHAIRMAN CEDARS: Any other comments from
17 the Panel before I ask the sponsor for their response?

18 Okay. Did you have a response to this discussion?

19 DR. GINOR: I think Vivian Dickerson
20 wanted to make a comment, and I think she will be
21 followed by Dr. Stojadinovic.

22 CHAIRMAN CEDARS: And if I could please

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ask you to keep your comments brief.

2 DR. DICKERSON: You may, and I will
3 comply.

4 I have a couple of things I would like to
5 say. First of all, I am not a statistician. However,
6 I am just appalled by the continued confounding of
7 incidence and prevalence. Those are not the same
8 things. Those are the entire reason why you see
9 different data from the FDA and different data from
10 Mirabel. We are using prevalence data. The SEER data
11 are incidence data.

12 Having said that, let me say something
13 else. That is, I have to echo what Dr. Snyder said
14 earlier. What we are recommending, if we do not
15 accept this technology such as it is, recognizing
16 there are many, many excellent comments that have been
17 made and suggestions in terms of improvement, which I
18 am sure will happen as time goes on, we are going back
19 to what we have now, which is nothing.

20 I would suggest that, if young women do
21 not go home from their clinical breast exam with
22 anxiety, they simply do not understand what is going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on, because that exam can offer them nothing in terms
2 of reassurance. And if they feel -- If they know that
3 there are risks of having a breast cancer in this age
4 group, the mere fact that this is a technology, that
5 it is an instrument, that it is a piece of something
6 that is not my hands, yes, that may raise their
7 anxiety, but it doesn't change the picture one iota.

8 There is anxiety in this population, and I
9 simply have nothing to offer them. So I really
10 personally do not wish to wait five years for more
11 data. I wish to have this device now.

12 DR. STOJADINOVIC: My comments, too, will
13 be brief. I appreciate the opportunity to make a
14 second round at this. Thank you. Thank you again for
15 the opportunity to state a brief aspect of my
16 thoughts.

17 We are challenged by, especially my
18 organization, a predominantly young, ethnically diverse
19 population, and we struggle with the challenge of
20 identifying women who we can screen and manage in an
21 optimal way.

22 Our default clinical standard that has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been alluded to is clinical breast exam. So if I'm
2 hearing you correctly, we are satisfied with a current
3 standard where we have 70 percent of cancer self-
4 detected in a group of population, and we are willing
5 to trade that for anxiety, or not willing to trade
6 that for anxiety. I submit that perhaps we should
7 give that some reflection.

8 The other thing is looking at this in a
9 rigorous way, this was a group of experts that got
10 together with a direction from the agency and
11 agreement among those that discussed it to come up
12 with a primary endpoint and to develop a model and a
13 design with which to either achieve it or fail to
14 achieve it.

15 So the question is not about follow-up
16 data or follow-up years, because this is a single
17 point in time study. That is the way it was designed
18 to assess if the device is safe and effective to
19 identify risk at one point in time.

20 We are now conducting the multi-year study
21 to assess what the interval cancer rate and what we do
22 over time, but if I could just have you put your heads

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701