

1 I've ever been on because there are simply inadequate
2 data upon which to base a decision. For myself, in
3 the absence of data suggesting or, rather, documenting
4 risk, I cannot vote yes based on assumptions,
5 perceptions, possibilities, uncertainties, theoretical
6 risks, and potential risks.

7 On the other hand, there are tangible
8 measurable data that deferral of any percentage of
9 donors, whether it's half, one and a half, two
10 percent, will lead to replacement by donors by a small
11 proportion of donors that are at increased risk for
12 measurable diseases such as hepatitis B and C. So I
13 vote no.

14 CHAIRMAN BROWN: Dr. Leitman votes no.
15 Dr. Prusiner?

16 DR. PRUSINER: I would like to vote yes,
17 and I would like to say I have 23 points that I want
18 to go through.

19 . (Laughter.)

20 DR. PRUSINER: I only want to say very
21 quickly that I don't think that economics and the
22 availability of donors is a reason to vote yes or no
23 in this. I think that the economy has a way of
24 solving these problems, and I think that will happen.
25 I think the real problem here lies that we have a very

1 imperfect data set, and we're dealing with a disease
2 which is universally fatal. This is really the
3 problem that we face.

4 CHAIRMAN BROWN: Dr. Prusiner votes yes.
5 Dr. Roos?

6 DR. ROOS: I think we're dealing with a
7 situation in which we have no evidence of any
8 transfusion that has transmitted either classical or
9 new variant Creutzfeldt. And we have a situation
10 where there are risks involved with blood transfusions
11 that the donors accept at this point.

12 That is, we were informed about -- I guess
13 about 14 percent of individuals do donate blood that
14 have I guess the recipients. About 14 percent of
15 individuals that donate blood have some risky
16 behavior. And maybe I might include living in UK part
17 of that risky behavior.

18 And so I kind of accept this as, at the
19 moment, acceptable risk for donated blood and I am
20 awaiting evidence to prove that there is more danger
21 involved. So I'm voting no here.

22 CHAIRMAN BROWN: Dr. Roos votes no. Dr.
23 Belay?

24 DR. BELAY: I'm concerned about two
25 issues. The first one is the studies that showed the

1 presence of the new variant CJD agent in
2 lymphoreticular tissues. And the second concern I
3 have is the absence of evidence against blood-borne
4 transmission of new variant CJD. The kind of data
5 that's available for classic CJD is not available for
6 new variant CJD, so I vote yes.

7 CHAIRMAN BROWN: Dr. Belay votes yes. Dr.
8 Lurie?

9 DR. LURIE: Really, what we're doing is
10 balancing one risk against two others. The two risks
11 are the problem of the replacement donor, which is not
12 zero but it is probably very small, given that we're
13 only talking about one, two perhaps, percent
14 replacement of donors here, depending on what happens
15 in B if we get that far.

16 The second has to do with the diminution
17 in the blood supply itself. And, again, there are
18 scenarios available to us under B that allow us to
19 minimize that. So we really have, on the one hand,
20 two small risks that can more or less be quantified,
21 and on the other hand we have another risk, which may
22 itself be small, but if we are wrong could be very,
23 very large. And that's really the benefit -- the risk
24 benefit calculation that we're making.

25 For me, there remain too many

1 uncertainties, and so I vote yes.

2 CHAIRMAN BROWN: Dr. Lurie votes yes. Dr.
3 Hoel?

4 DR. HOEL: Yes. I'm changing my vote from
5 last time, and I'm going to vote yes, mainly because
6 of what I see in the epidemiology data of the cases in
7 England and the modeling work. I think this needs to
8 be monitored further to see how it comes in because
9 the risks could be quite large, and so I would vote
10 yes.

11 CHAIRMAN BROWN: Dr. Hoel votes yes. Dr.
12 Bolton?

13 DR. BOLTON: I believe that there is
14 insufficient documentation of the risk at this time.
15 And in light of that, I can't -- I don't think that
16 the information warrants changing the current policy.
17 I vote no.

18 CHAIRMAN BROWN: Dr. Bolton votes no. Dr.
19 Nelson?

20 DR. NELSON: Well, this is a pretty
21 difficult vote. Last time I voted no, and I'm going
22 to vote no again, although I am -- really, it's
23 disturbing that there is no really good data at this
24 point.

25 And I am impressed with a comment that was

1 made earlier, and that is that there is an experiment
2 in the UK of many people who have been exposed to UK
3 donors over a period of many years. And I am somewhat
4 reassured that there have been no cases, and I'm also
5 reassured with the quality of the epidemiologic
6 surveillance and data from the UK.

7 I think that that has been well done,
8 carefully done, and presumably it will continue to be
9 closely monitored. You know, if a single case had
10 occurred, we would really need to change our policy
11 immediately. That's number one.

12 But the other problem I have is if I voted
13 yes, then I would have to make a decision on 1B. And
14 the only --

15 (Laughter.)

16 DR. NELSON: -- the only reasonable
17 decision on 1B would be to remove -- to exclude all
18 donors who had lived in the UK. I see no basis for
19 any arbitrary decision. Once you go down that route,
20 then you have to exclude anybody from the UK or who
21 visited the UK or Ireland during this period. I don't
22 see any alternative.

23 CHAIRMAN BROWN: Dr. Nelson votes no. Dr.
24 McCullough?

25 DR. McCULLOUGH: I agree with Susan. This

1 is one of the most difficult groups I have had to deal
2 with. I'm impressed by the epidemiologic data. I'm
3 also impressed by having sat through in 1983 and 1984
4 discussions of there ain't been a case reported yet,
5 and also that we are concerned about the impact on the
6 blood supply.

7 And possibly also, I'm influenced by
8 having been the fodder for congressional hearings and
9 60-minute expose on things that might have been done
10 differently at some of those times. So I'm going to
11 vote yes. I have tremendous confidence in the blood
12 systems of this country that they will be able to --
13 not easily -- respond if changes are made.

14 CHAIRMAN BROWN: Dr. McCullough votes yes.
15 Dr. Brown votes yes. Dr. Ewenstein?

16 DR. EWENSTEIN: Yes. I'm impressed by the
17 modeling data. I believe that we have biologic data
18 as well as at least the potential epidemiology coming
19 out of England to suggest that this is a new disease
20 and on that basis should be handled with a lot more
21 caution, because we don't have the comfort that we
22 have with the long-standing classical CJD. And so I'm
23 going to vote yes.

24 CHAIRMAN BROWN: Dr. Ewenstein votes yes.
25 Dr. Detwiler?

1 DR. DETWILER: I'm going to vote yes,
2 because with these diseases, a long incubation and the
3 lack of a pre-clinical screening test, that the day
4 you find out there is transmission you're already
5 years too late, and you can't easily clean up the
6 problem. And I think they found out that even with
7 the human transmission because that was based on there
8 is no theoretical -- or it's only a theoretical risk
9 until 1996.

10 CHAIRMAN BROWN: Dr. Detwiler votes yes.
11 Dr. Piccardo?

12 DR. PICCARDO: I would vote yes because
13 all of the data from classical CJD cannot be
14 extrapolated into the new variant.

15 CHAIRMAN BROWN: Dr. Piccardo votes yes.
16 Dr. Williams?

17 DR. WILLIAMS: I'm going to vote no. I
18 think that this is truly a balancing act, and it's a
19 tradeoff between a known problem, I believe related to
20 the blood supply, and the problems that may follow
21 from a reduced supply and the perception of a risk of
22 new variant CJD.

23 And I completely agree that an experiment
24 is going on right now. Those data are going to come
25 in, and, obviously, there is going to be close

1 attention paid to those data, and that surely this
2 committee and FDA will respond should information
3 indicate that we need to take another look at the
4 ~~issue~~.

5 CHAIRMAN BROWN: Dr. Williams votes no.
6 Dr. Hollinger?

7 DR. HOLLINGER: I'm voting no also, for
8 the same reasons that have been addressed. I think
9 there is -- by doing something now doesn't mean that
10 everything is going to be turned around and you don't
11 have to worry about it, if you do have a long
12 incubation situation and one can wait to see if there
13 is some risk down the line, and I think we do have
14 those things going on -- natural and experimental --
15 in England. So I'm voting no.

16 CHAIRMAN BROWN: Dr. Hollinger votes no.
17 Ms. Harrell?

18 MS. HARRELL: Okay. Sitting next to my
19 ex-learned colleague --

20 (Laughter.)

21 MS. HARRELL: Okay. I'm voting to be
22 prudent, and I think that this will buy us time to get
23 the data in and have it analyzed from the UK. But
24 right now, we don't have time, and so I vote yes.

25 CHAIRMAN BROWN: Ms. Harrell votes yes.

1 Dr. Cliver?

2 DR. CLIVER: No.

3 CHAIRMAN BROWN: Dr. Cliver votes no. Dr.
4 Burke?

5 DR. BURKE: This is a balancing act, and
6 I can -- there are measurable negatives here. In the
7 face of a theoretical, I vote no.

8 CHAIRMAN BROWN: Dr. Burke votes no. Dr.
9 Tramont?

10 DR. TRAMONT: I vote yes.

11 CHAIRMAN BROWN: Dr. Tramont votes yes.
12 Twelve yes. Nine no. Well, at the least, Dr. Epstein
13 can come away from the day with the understanding that
14 he has not been given a mandate.

15 (Laughter.)

16 DR. FREAS: Can I just make a comment? I
17 did verify the count. There are 21 voting people at
18 the table. Dr. Roos is a non-voting participant. And
19 the total does add up to 21.

20 Excuse me. I apologize. Dr. Rohwer is --

21 CHAIRMAN BROWN: I don't have to ask Bob
22 what he would have voted, had he been allowed to vote.

23 (Laughter.)

24 CHAIRMAN BROWN: But I will if you'd like
25 to put it on the record.

1 This is simply a question to Bob, since
2 he's at the table. Were his vote to be counted, what
3 would it have been?

4 DR. ROHWER: I'll use this soapbox
5 opportunity.

6 CHAIRMAN BROWN: Uh-oh.

7 (Laughter.)

8 DR. ROHWER: I am very concerned that we
9 may be facing the grave possibility of an epidemic of
10 new variant CJD, an epidemic that, if it occurs, could
11 be made much worse through the mechanism of
12 interspecies transmission, such as would occur through
13 blood products. But I recognize the real risks of
14 insufficient supply.

15 However, I am impressed by Dr. Donnelly's
16 warning that if the feed ban in the case of BSE had
17 been delayed just one year, the epidemic would have
18 been vastly worse than it was. And, therefore, I feel
19 we should take whatever opportunities for implementing
20 mitigating measures that we can that do not
21 simultaneously jeopardize the supply unduly.

22 So I recognize that what we have -- the
23 opportunity we have here is very, very imperfect, but
24 I feel like it is possible to do something, and we
25 should do it.

1 CHAIRMAN BROWN: Jay, you wanted a
2 recount, or just a reexpression?

3 DR. EPSTEIN: Just a reexpression.

4 CHAIRMAN BROWN: Okay. The vote on
5 question 1A is 12 votes yes, nine votes no.
6 Therefore, the committee is obliged now to consider
7 what deferral criteria might be recommended. And
8 presumably, based on the evidence, the only deferral
9 criteria that are offered us that make any sense are
10 duration of residence in the UK.

11 DR. LURIE: It's also duration and when.

12 CHAIRMAN BROWN: Yes. But it's -- the
13 "when" will be 1980 to 1999.

14 DR. LURIE: As long as that's established,
15 I would agree with that. But --

16 CHAIRMAN BROWN: Yes, that's the only
17 information we have. In other words, the question is:
18 have you lived in the UK during the period 1980 to
19 1996? And, if so, how long? And the answers and the
20 distribution of those answers has already been
21 presented to the committee.

22 Do I hear an opening bid on time? Larry?

23 DR. SCHONBERGER: I'd like to point out
24 that all cases to date in the UK have lived there for
25 at least four or more years, and been potentially

1 exposed. And most of them, as I understand it, have
2 been there for 14 years or more during the 17-year
3 period.

4 The one that I'm more concerned about for
5 the shorter exposure -- and I tried to get more
6 details about it; maybe Bill has some more information
7 on it -- was supposedly a person who was a -- who
8 claimed to be a vegetarian since late 1985, at least
9 that's how it was reported in the newspapers.

10 And Will has not contradicted that,
11 although he indicated to me that there is vegetarians
12 and there is vegetarians, and he was not totally
13 convinced that this particular individual might not
14 have been exposed later. But that person would have
15 certainly been there through the 19 -- I'm getting a
16 note here. The point would be that she would have
17 been exposed, then, during the '80 to '85 period.

18 I just bring that out. Meanwhile, I'm
19 sure there have been many travelers to the UK. There
20 have been military people from the U.S. that have
21 visited shorter periods of time. We haven't seen any
22 cases in that group yet, but at least it offers me
23 some sort of rationale, again not to totally eliminate
24 risk, but to have some basis for modifying the risk.
25 And, of course, I'm also concerned of the impact on

1 blood supply.

2 So I was thinking in terms of a three- to
3 five-year category; that is, as I understand it, that
4 would include about .7 percent of the donors in the
5 United States, and that probably would be tolerable to
6 the blood system in the United States and get well
7 over half the person days of risk and give us some
8 modification of the risk in the United States.

9 Obviously, if we start getting cases among
10 travelers in shorter times, we would need to tighten
11 that even further.

12 CHAIRMAN BROWN: Just for the committee's
13 information, there has also been one case in France
14 that never visited the UK.

15 DR. SCHONBERGER: That's right. There is
16 one case in France that never visited it, so that
17 illustrates the point that our whole -- this whole
18 policy is not 100 percent protection. I think that
19 point was raised by Rohwer, and so on.

20 CHAIRMAN BROWN: Well, to the extent that
21 we have not imported British beef products for the
22 past 10 years, it is.

23 DR. HUESTON: More than that. We haven't
24 imported it for more than that.

25 CHAIRMAN BROWN: Right. Maybe ever since

1 -- you know, 15 years. So, whereas, 20 percent of
2 beef that the French eat, or ate, was imported. In
3 other words, the French case -- clearly, the
4 implication is the French case got their disease
5 because of exposure to British beef. That doesn't
6 happen here.

7 Stan?

8 DR. SCHONBERGER: Yes. I was referring
9 to, obviously,, the protection that one gets from the
10 screening criteria.

11 CHAIRMAN BROWN: Yes.

12 DR. SCHONBERGER: Those screening criteria
13 that we can come up with is -- that's practical --

14 CHAIRMAN BROWN: Going to be total.

15 DR. SCHONBERGER: -- can give you 100
16 percent protection. We're just trying to make a
17 judgment where to draw the line.

18 CHAIRMAN BROWN: Exactly.

19 DR. SCHONBERGER: I just -- you said to
20 throw out an idea. That was my proposal.

21 CHAIRMAN BROWN: Okay. Well, that's fine.

22 Stan?

23 DR. PRUSINER: I have a slightly different
24 analysis of this, but not much. If one looks at Alan
25 Williams' handout, the second -- third-to-the-last

1 page of slides, and put up this graph which I thought
2 was very informative on residual variant CJD risk --

3 CHAIRMAN BROWN: Is that the zoom-in
4 ~~s~~lide?

5 DR. PRUSINER: Right.

6 CHAIRMAN BROWN: The one that --

7 DR. PRUSINER: Exactly.

8 CHAIRMAN BROWN: -- goes from one year to
9 one week?

10 DR. PRUSINER: Exactly.

11 CHAIRMAN BROWN: Okay.

12 DR. PRUSINER: That's the one. So I think
13 if people look at that slide -- I mean, we can start
14 thinking about everything from one week to one and a
15 half years with this slide. And I think everybody --
16 most people, I would argue, at this table would argue
17 that one week is too severe, and this creates
18 something which is intolerable for the blood supply.

19 And it may well be that even one month or
20 three months do that. I'm not sure. I'm not totally
21 convinced of that.

22 But clearly, by six months, if one looks
23 at that, and then one looks at this handout that Alan
24 Williams provided us that was not stapled, if one
25 picks the number six months, then of all of the -- if

1 you look at the cumulative person days, then almost 95
2 percent of the cumulative person days are eliminated
3 by picking a figure of six months.

4 So I would think that for purposes of
5 discussion --

6 CHAIRMAN BROWN: Where is six months on
7 the handout?

8 DR. PRUSINER: So it's five to eight
9 months.

10 CHAIRMAN BROWN: That's the one?

11 DR. PRUSINER: Yes.

12 CHAIRMAN BROWN: Okay.

13 DR. PRUSINER: Right? So that's 84
14 percent.

15 CHAIRMAN BROWN: So you're suggesting a
16 split between the one to four above and the five to
17 eight below.

18 DR. PRUSINER: Yep, something on that
19 order. I'm zeroing in on between six months and three
20 months. This seems to me to be a very reasonable way
21 to achieve a 90 percent reduction in risk without
22 making a huge dent on the blood supply.

23 CHAIRMAN BROWN: Okay. Further comments?

24 DR. ROHWER: I would second that.

25 DR. EWENSTEIN: I would also second that.

1 I was just going to ask for clarification whether we
2 were talking about cumulative time in the UK, and I
3 know that was an issue, or whether we're talking about
4 longest stay.

5 CHAIRMAN BROWN: I think we were talking
6 -- you were talking cumulative, huh?

7 DR. EWENSTEIN: If we're going to use the
8 person years, and it's cumulative --

9 CHAIRMAN BROWN: I think we shouldn't also
10 forget the table before. It's on the flip side of
11 that. In fact, it's exactly backing the figure you
12 just talked about -- blood resources lost by deferral
13 of donors. And even at a year there, the loss is one
14 and a half percent.

15 DR. PRUSINER: That's right.

16 CHAIRMAN BROWN: Yes.

17 DR. PRUSINER: And it just rises very
18 modestly if we pick six months, or even three months.
19 It's when we start getting down to a month that things
20 start to get very -- the curve starts to change
21 dramatically.

22 CHAIRMAN BROWN: Other comments? Bob?

23 DR. ROHWER: The only comment I'd have was
24 -- is the 1980 to 1996. I am not comfortable myself
25 with limiting this deferral to 1996. I mean, I would

1 run it right up to the present. I don't feel like
2 we've come close to really proving that the way that
3 new variant -- the new variant cases get this disease
4 is from eating contaminated meat.

5 And, in fact, my understanding of the CJD
6 surveillance unit attempt to do so is that they
7 couldn't make that correlation. And there are some
8 very peculiar things about this disease; namely, that
9 it seems to affect young people preferentially,
10 suggesting that there may be some risk factor that
11 babies or infants are exposed to that we just haven't
12 identified yet that puts them at special risk for this
13 disease.

14 And because we haven't nailed it down, I
15 don't think we should consider necessarily that the
16 exposure is over. We don't know where it's coming
17 from. And I would extend it right up to the present
18 until we know better.

19 . CHAIRMAN BROWN: It occurs to me that a
20 vote on question 1B could be a very heterogeneous
21 vote. We could have people saying one to three days
22 versus five to 17 years. It seems to me that
23 procedurally the best way may be to work up from the
24 least restrictive to the most restrictive, and get a
25 consensus on each separate category.

1 So that if we had, for example, every --
2 since we're obliged to work with some sort of a cut,
3 if we can get everybody who is voting to agree on at
4 least eliminating five to 17 years, then we can move
5 on and see where the threshold is when the committee
6 decides enough is enough. Susan?

7 DR. LEITMAN: Those of us who voted no on
8 question 1A are now faced with an illogical option of
9 telling --

10 CHAIRMAN BROWN: No, you can abstain.

11 DR. LEITMAN: Oh.

12 CHAIRMAN BROWN: No, I'm serious. I
13 understand that that puts you folks in a very
14 difficult position because you would prefer that this
15 not be done at all. And I think you have the right to
16 abstain.

17 Or if you want to be very logical, you
18 have the right to stick with the least restrictive, if
19 you want to kind of still have an influence. I mean,
20 wouldn't you agree, these are the sort of two options
21 that you have?

22 DR. LEITMAN: Yes, I agree.

23 CHAIRMAN BROWN: Stan?

24 DR. PRUSINER: Could I make a suggestion,
25 and then maybe we could accelerate all of this? If I

1 make a motion of four months, which really splits this
2 point that I've been talking about, and if there's a
3 second, and then there's a vote, we don't have to do
4 this systematically. If we can't come -- if you're
5 unable to call the question because there is too much
6 discussion, then we have to do it your way.

7 CHAIRMAN BROWN: Peter?

8 DR. LURIE: Maybe a simpler one. If we
9 apply to this the same method of analysis that Alan
10 applied to the blood donors, we could just have a
11 descriptive account of where each of us individually
12 thinks the cutoff should be, and then FDA will know
13 that X percent of the 17 voting of us -- you know,
14 what the cutoff would be.

15 CHAIRMAN BROWN: That's not a bad idea.
16 Jay, would that be satisfactory, do you think, as kind
17 of an accelerating compromise to this question? You
18 would then have at least -- well, you'd have raw data
19 rather than pooled than pooled data.

20 (Laughter.)

21 DR. EPSTEIN: Well, we can deal with being
22 advised either way. It's easier for us if there is a
23 consensus of the committee. If there isn't, then I
24 think what we default to is a set of opinions.

25 CHAIRMAN BROWN: Okay. Let's do it this

1 way, then, Peter. Why don't we go around the table.
2 Those who wish to commit themselves to a suggested
3 cutoff, we'll take the cutoff down. And it's
4 conceivable that the first round will get a consensus.
5 And if it doesn't, we can then decide whether we want
6 to continue to try and reach a consensus.

7 Yes? Is it very relevant? Okay.

8 MR. COMER: Thank you, Chairman. I just
9 thought that it was relevant just to make a comment
10 from the sort of risk perspective of what you all are
11 going to -- just about to be deciding on or voting on.
12 We're talking about a very uncertain risk.

13 If we're going to make any risk reduction
14 strategy, then it has got to be a significant risk
15 reduction to make any sense at all. And, in my mind,
16 the minimum that you could be talking about that would
17 be a significant risk reduction will be at least a
18 factor of 100, because if it -- talking in factors of
19 50 percent, even 90 percent is actually not a very
20 significant risk reduction when we talk about all of
21 the uncertainties that we have.

22 And I suspect that when you start talking
23 about really significant risk reductions, we're
24 getting into the area -- and I agree completely, I
25 think, with what Kenrad Nelson said -- where we have

1 impracticality.

2 That possibly does not help your decision
3 making, but I think it is just relevant that what we
4 need to have, if we're doing this, is a significant
5 level of risk reduction, if it's worth doing anything
6 at all.

7 CHAIRMAN BROWN: Paul?

8 DR. HOEL: What we're talking about is
9 risk benefit here, not risk reduction.

10 CHAIRMAN BROWN: Let's change the order.
11 Dr. Tramont?

12 DR. TRAMONT: Four months.

13 CHAIRMAN BROWN: Four months? Dr. Burke?

14 DR. BURKE: Is it either/or four months or
15 can we give another option?

16 CHAIRMAN BROWN: Any time cut that you
17 would like to vote on or --

18 DR. BURKE: Six months.

19 CHAIRMAN BROWN: Six. Dr. Cliver? And,
20 again, you needn't vote if you would prefer not to on
21 this question.

22 DR. CLIVER: Abstain.

23 CHAIRMAN BROWN: Mrs. Harrell?

24 MS. HARRELL: Six months.

25 CHAIRMAN BROWN: Dr. Hollinger?

1 DR. HOLLINGER: I guess eight -- greater
2 than five years.

3 CHAIRMAN BROWN: Dr. Williams?

4 DR. WILLIAMS: This seems rather
5 arbitrary, but I'd say a year.

6 CHAIRMAN BROWN: Dr. Piccardo?

7 DR. PICCARDO: Four months.

8 CHAIRMAN BROWN: Dr. Detwiler?

9 DR. DETWILER: Four months.

10 CHAIRMAN BROWN: Dr. Ewenstein?

11 DR. EWENSTEIN: Six months.

12 CHAIRMAN BROWN: Dr. Brown? One year.

13 Dr. McCullough?

14 DR. McCULLOUGH: Six months.

15 CHAIRMAN BROWN: Dr. Nelson?

16 DR. NELSON: Six months.

17 CHAIRMAN BROWN: Dr. Bolton?

18 DR. BOLTON: Five years.

19 CHAIRMAN BROWN: Dr. Hoel?

20 DR. HOEL: Six months.

21 CHAIRMAN BROWN: Dr. Lurie?

22 DR. LURIE: Six to 12 months.

23 (Laughter.)

24 CHAIRMAN BROWN: So six would be the
25 cutoff, right?

1 DR. LURIE: That's fine.

2 CHAIRMAN BROWN: Dr. Belay?

3 DR. BELAY: One year.

4 CHAIRMAN BROWN: Dr. Roos?

5 DR. ROOS: One year.

6 CHAIRMAN BROWN: Dr. Prusiner?

7 DR. PRUSINER: Four months.

8 CHAIRMAN BROWN: Dr. Leitman?

9 DR. LEITMAN: Greater than or equal to
10 five years.

11 CHAIRMAN BROWN: Dr. Hueston?

12 DR. HUESTON: One year, between '85 and
13 '95.

14 CHAIRMAN BROWN: Dr. Schonberger?

15 DR. SCHONBERGER: Three years.

16 CHAIRMAN BROWN: Was that one of the cuts,
17 three?

18 DR. SCHONBERGER: Yes, three years or
19 greater.

20 CHAIRMAN BROWN: Okay.

21 DR. SCHONBERGER: Or greater than two
22 years.

23 CHAIRMAN BROWN: Greater than two?

24 DR. SCHONBERGER: That looks like what
25 the --

1 CHAIRMAN BROWN: It depends actually on
2 what you're working from. But yes, so that would be
3 three to five, that would be --

4 DR. SCHONBERGER: Yes, three or more. If
5 you've got three --

6 CHAIRMAN BROWN: Okay.

7 DR. SCHONBERGER: -- years, you're out.

8 CHAIRMAN BROWN: Well, the most hits were
9 on six months -- seven. But that is not a quorum, or
10 it's a quorum but it's not a majority. So there were
11 eight votes favoring a cutoff of one year or greater.
12 There were seven votes for six months or greater.
13 There were four votes for four months or greater. And
14 I think that's 19 -- that's -- I'm sorry, there was
15 one abstention, that gets us up to 20.

16 DR. LEITMAN: You're counting those who
17 voted greater than five years as voting greater than
18 one year, but --

19 CHAIRMAN BROWN: Just for the moment. I'm
20 just tallying this out. I'm not trying to cheat you,
21 Susan.

22 (Laughter.)

23 CHAIRMAN BROWN: Specifically, there were
24 -- if you want the exact tallies, there were three
25 votes for greater than five years. There was one vote

1 for greater than three years. There were five votes
2 for greater than one year. There were seven votes for
3 greater than six months. And there were four votes
4 for greater than four months. I still may be missing
5 one. And there was one abstention. So that's 21.

6 Have we any suggestions from the committee
7 as to where to -- how to proceed now?

8 DR. LURIE: Yes, the median is six months.
9 The median is six months.

10 CHAIRMAN BROWN: The median is six months.
11 Is that a good consensus, Jay? No? Yes?

12 DR. EWENSTEIN: You could just ask for one
13 year versus six months at this point.

14 CHAIRMAN BROWN: Well, Jay has the raw
15 data, and we've already got a statistician that has
16 calculated the median.

17 (Laughter.)

18 DR. EPSTEIN: Which also adds up to a
19 majority.

20 CHAIRMAN BROWN: And it also -- so I think
21 we've done enough, frankly, on this question. And I
22 would like to go directly to question 2A. Can we
23 immediately, without further discussion, proceed to a
24 vote on question 2A?

25 All right. Larry?

1 DR. SCHONBERGER: Yes.

2 CHAIRMAN BROWN: Oh, I thought you were
3 answering me.

4 DR. SCHONBERGER: No.

5 CHAIRMAN BROWN: That's a vote, is it?
6 Okay. Question 2A, Schonberger votes yes. Dr.
7 Hueston?

8 DR. HUESTON: No.

9 CHAIRMAN BROWN: Hueston is no. Dr.
10 Leitman?

11 DR. LEITMAN: No.

12 CHAIRMAN BROWN: Leitman is no. Dr.
13 Prusiner?

14 DR. PRUSINER: Yes.

15 CHAIRMAN BROWN: Prusiner is yes. Dr.
16 Roos?

17 DR. BELAY: He just walked out.

18 CHAIRMAN BROWN: A pitstop. Dr. Belay?

19 DR. BELAY: Yes.

20 CHAIRMAN BROWN: Dr. Belay votes yes. Dr.
21 Lurie?

22 DR. LURIE: Yes.

23 CHAIRMAN BROWN: Dr. Lurie votes yes. Dr.

24 Hoel?

25 DR. HOEL: Yes.

1 CHAIRMAN BROWN: Dr. Hoel votes yes. Dr.
2 Bolton?
3 DR. BOLTON: No.
4 CHAIRMAN BROWN: Dr. Bolton votes no. Dr.
5 Nelson?
6 DR. NELSON: No.
7 CHAIRMAN BROWN: Nelson votes no. Dr.
8 McCullough?
9 DR. McCULLOUGH: Yes.
10 CHAIRMAN BROWN: McCullough votes yes.
11 Dr. Brown? Yes. Dr. Ewenstein?
12 DR. EWENSTEIN: Yes.
13 CHAIRMAN BROWN: Dr. Detwiler?
14 DR. DETWILER: Yes.
15 CHAIRMAN BROWN: Dr. Piccardo?
16 DR. PICCARDO: Yes.
17 CHAIRMAN BROWN: Dr. Williams?
18 DR. WILLIAMS: No.
19 CHAIRMAN BROWN: Dr. Hollinger?
20 MS. HARRELL: Pitstop.
21 (Laughter.)
22 CHAIRMAN BROWN: Did he leave a vote on
23 this at all? Probably not. 2A? Dr. Hollinger would
24 -- Dr. Hollinger votes no. Ms. Harrell?
25 MS. HARRELL: Yes.

1 CHAIRMAN BROWN: Dr. Cliver?

2 DR. CLIVER: No.

3 CHAIRMAN BROWN: Dr. Burke?

4 DR. BURKE: No.

5 CHAIRMAN BROWN: Dr. Tramont?

6 DR. TRAMONT: Yes.

7 CHAIRMAN BROWN: Exactly the same tally,
8 12 to nine. Boy, consistency. Oh, well, good for the
9 Chairman. Dr. Roos is -- all right, 12 to eight. So
10 whatever Dr. Roos' vote will be, we're obliged to
11 consider question 2B.

12 Should we proceed directly to find out if
13 the committee feels that precisely the same criteria
14 should be applied to question 2A as were applied to
15 question 1B -- 2B and 1B, identical? Therefore, I can
16 simply ask the question. The question is: shall we
17 apply the same criterion for question 2B as we applied
18 for question 1B? Larry?

19 DR. SCHONBERGER: Yes.

20 CHAIRMAN BROWN: Will?

21 DR. HUESTON: No.

22 CHAIRMAN BROWN: Susan?

23 DR. LEITMAN: What are we voting on?

24 (Laughter.)

25 CHAIRMAN BROWN: The vote on the first

1 question, question 1A, which was decided to proceed
2 and suggest a cutoff, those cutoff numbers were a
3 variety. And the vote now is to determine whether the
4 committee agrees to use the same cutoff on this
5 question with respect to pool products.

6 DR. LEITMAN: So is each timed vote -- or
7 each interval voted on by each committee member?
8 We're voting on whether we --

9 CHAIRMAN BROWN: That's right.

10 DR. LEITMAN: -- use the same interval --

11 CHAIRMAN BROWN: That's right.

12 DR. LEITMAN: -- right now?

13 CHAIRMAN BROWN: That's right. That's
14 right.

15 DR. LEITMAN: So if I say yes, then I'm
16 saying it's whatever my interval was --

17 CHAIRMAN BROWN: Exactly. Each individual
18 is --

19 DR. LEITMAN: Could you please frame the
20 question?

21 DR. PRUSINER: No, that doesn't make any
22 sense, Paul.

23 CHAIRMAN BROWN: What?

24 DR. PRUSINER: That doesn't make any
25 sense. Let's just find out if everybody wants six

1 months or not, right around the table. Six months is
2 the number we agreed upon in 1B, right?

3 CHAIRMAN BROWN: That was not -- that was
4 not my understanding at all.

5 DR. LEITMAN: No. We gave the raw --

6 CHAIRMAN BROWN: We gave the raw data.

7 DR. PRUSINER: I thought we had a
8 consensus.

9 CHAIRMAN BROWN: Well, no, there was no
10 single number that had a majority.

11 DR. EWENSTEIN: Can we rephrase it another
12 way, then? Can we just -- because I think it will be
13 very difficult to have two different criteria, even
14 though Dr. Epstein had come up with a solution to
15 that. So can we at least recommend that whatever the
16 FDA adopts in 1B they be consistent in 2B?

17 CHAIRMAN BROWN: That's the sense of what
18 I had, that the criteria that we are -- that each
19 person suggested for question 1A, individually that
20 they would use the same criteria for question 2B.

21 DR. EWENSTEIN: And it can be rephrased to
22 just say that the same criteria should be used in both
23 situations.

24 CHAIRMAN BROWN: Yes.

25 DR. BURKE: I'm not sure that -- it will

1 be impossible to achieve a consensus. I think we
2 might achieve a consensus on 1B if you were to revote
3 on six months, yes or no.

4 CHAIRMAN BROWN: Well, I think we can. We
5 could have done the same thing on -- actually, on
6 question 1A, but I chose not to. I just think that,
7 you know, for example, Susan would certainly not agree
8 to a yes vote on six months for question 2B.

9 DR. BURKE: But several of the people who
10 voted one year or four months might switch, and that
11 way we can present with a consensus and then we can
12 actually have internal consistency of a vote for the
13 second -- for 2B.

14 CHAIRMAN BROWN: Without having it for 1B.

15 DR. BURKE: Well, I'm saying I think we
16 can at least try to see if we can get 1B, take one
17 more vote to see if we can get a consensus for 1B. If
18 we cannot, then fine.

19 CHAIRMAN BROWN: Well, let me ask a
20 question to every member of the committee. Would you,
21 given the opportunity, change your cutoff criteria for
22 question 2B? Change it from what you suggested for
23 question 1B? Is there anybody who would say, for
24 example, five years for 1B and three days for 2B? I
25 don't think so.

1 In other words, is the committee actually
2 -- would the committee be voting the same cutoffs
3 individually for question 2B as they voted for
4 question 1B? If there is any dissent to that, let's
5 hear it.

6 DR. BOLTON: Paul?

7 CHAIRMAN BROWN: Yes.

8 DR. BOLTON: I think that there are really
9 two different issues here. One is whether we are
10 going to try to give a recommendation or this
11 collection of votes for each 1B and 2B, or whether we
12 give them the numbers and allow the FDA to make that
13 decision and then just ask that they make it
14 consistent for both 1B and 2B.

15 CHAIRMAN BROWN: Yes.

16 DR. BOLTON: Do you see the difference?

17 CHAIRMAN BROWN: I don't quite see the
18 difference. I think we're both asking for the same
19 thing in a slightly different way. Is there anybody
20 else on the committee that would like to give the
21 Chair guidance on this question? How would you like
22 to phrase the vote on 2B? Stan would like to phrase
23 it, "Let's take a vote on six months."

24 DR. EWENSTEIN: I would like to phrase it
25 that we -- that the same criteria be used for 2B as

1 for 1B.

2 CHAIRMAN BROWN: Okay. I think that makes
3 sense, and that's what we'll vote on. Should the FDA
4 use the same criteria for question 2B as was or will
5 be used for question 1B? Larry?

6 DR. SCHONBERGER: Yes.

7 DR. HUESTON: Yes.

8 DR. LEITMAN: Yes.

9 DR. PRUSINER: Yes.

10 CHAIRMAN BROWN: Dr. Roos, long pitstop.
11 Okay. Dr. Belay?

12 (Laughter.)

13 DR. BELAY: Yes.

14 CHAIRMAN BROWN: Dr. Lurie?

15 DR. LURIE: Yes.

16 CHAIRMAN BROWN: Dr. Hoel?

17 DR. HOEL: Yes.

18 CHAIRMAN BROWN: Dr. Bolton?

19 DR. BOLTON: Yes.

20 CHAIRMAN BROWN: Dr. Nelson?

21 DR. NELSON: Yes.

22 CHAIRMAN BROWN: Dr. McCullough?

23 DR. McCULLOUGH: Yes.

24 CHAIRMAN BROWN: Dr. Brown? Yes. Dr.
25 Ewenstein?

1 DR. EWENSTEIN: Yes.

2 CHAIRMAN BROWN: Dr. Detwiler?

3 DR. DETWILER: Yes.

4 CHAIRMAN BROWN: Dr. Piccardo?

5 DR. PICCARDO: Yes.

6 CHAIRMAN BROWN: Dr. Williams?

7 DR. WILLIAMS: Yes.

8 CHAIRMAN BROWN: Dr. Hollinger?

9 MS. HARRELL: Pitstop.

10 (Laughter.)

11 CHAIRMAN BROWN: Someone better get after
12 these two people. He had a no on 2A. Okay.

13 (Laughter.)

14 CHAIRMAN BROWN: Okay. Oh, that's right.
15 Dr. Hollinger left. Dr. Harrell?

16 MS. HARRELL: Yes.

17 CHAIRMAN BROWN: Mrs. Harrell, excuse me.
18 Dr. Cliver?

19 DR. CLIVER: Yes.

20 CHAIRMAN BROWN: Dr. Burke?

21 DR. BURKE: Yes.

22 CHAIRMAN BROWN: Dr. Tramont?

23 DR. TRAMONT: Yes.

24 CHAIRMAN BROWN: Unbelievable. Unanimity.
25 I thank very much the committee for -- excuse me?

1 DR. ROOS: Yes.

2 (Laughter.)

3 CHAIRMAN BROWN: Okay. I am obliged,
4 unfortunately, to depart now, and I'm going to turn
5 the chairmanship over to Dr. Roos for consideration of
6 criteria used for the diagnosis of new variant CJD.
7 And he is eminently qualified to do this as a long-
8 standing clinician with research interest. Dr. Roos?

9 DR. ROOS: Thanks, Paul. I hope this
10 section goes more smoothly and quickly. I guess --
11 Bill, are we going to have a presentation? So we're
12 going to have a presentation from Dr. Dorothy Scott on
13 the operational definition of possible new variant
14 case for quarantine of blood and blood products.

15 Dr. Scott?

16 DR. SCOTT: Well, I think the committee is
17 relieved to hear that this is not for a vote but only
18 for your discussion and thoughts. So what I want to
19 introduce is just a proposed FDA operational
20 definition of a possible new variant CJD case for the
21 purpose of deciding whether there should be a
22 quarantine or withdrawal of blood or blood products
23 from such a possible case when information is missing
24 that would lead to a firm diagnosis of new variant CJD
25 in a blood donor.

1 This is just to summarize what has
2 happened previously. I think most people here are
3 familiar with it. That is, in August 1995, and then
4 revised slightly in December 1996, the FDA issued a
5 memorandum recommending deferral of all donors with
6 CJD risk factors from donating that included family
7 history in one or more family members, or if they were
8 pituitary growth hormone recipients or had received
9 dura mater.

10 And it was also recommended to withdraw
11 all products, including plasma derivatives, if a donor
12 developed CJD, had a positive -- strong positive
13 family history with two or more family members with
14 CJD, was a pituitary growth hormone recipient, or a
15 dura mater recipient.

16 This was all revised and the revision was
17 announced in late August 1998 by Dr. Satcher. And
18 this revision was based on epidemiologic evidence. It
19 was extensively reviewed, which you've already heard
20 about, or at least has been very much alluded to,
21 would show that there was no evidence so far of any
22 transmission of CJD by blood products.

23 And this was supported by lab-based
24 scientific evidence which showed at least a diminution
25 of titer of the CJD or TSE agents in processing of

1 plasma.

2 So you've already been through this today.
3 Obviously, our concerns about new variant CJD is that
4 there is a lack of experimental data showing whether
5 or not blood can transmit this particular infection,
6 and also we don't know much about partitioning during
7 manufacturing of the new variant agent. In fact, we
8 don't really know anything yet.

9 In addition, we do know, as Dr. Prusiner
10 has pointed out several times, that the new variant
11 agent is biologically different from the classical CJD
12 agent, so we can't necessarily extrapolate all of the
13 information that we have on classical CJD to new
14 variant.

15 For example, he talked about the
16 differences in the protein and its behavior, and we
17 also know that there is enhanced expression of the new
18 variant agent in lymphoid tissues compared with CJD.
19 And we don't know much about its virulence or
20 infectivity compared with the classical CJD.

21 And, of course, we haven't had time to get
22 or enough patients or subjects or transfused people to
23 get the kind of epidemiologic data that we have which
24 tells us that transmission of classical CJD by blood
25 or blood products at worst is rare and may not occur.

1 So, currently, the diagnosis of new
2 variant CJD is based upon neuropathology, and these
3 are the three most characteristic features -- numerous
4 widespread kuru type amyloid plaques, which obviously
5 can occur in a few other kinds of CJD but are quite
6 common in new variant CJD; spongiform change, which is
7 predominant in certain areas of the brain; and a high
8 density prion protein accumulation, especially the
9 cerebrum and the cerebellum by immunohistochemistry,
10 and tonsillar biopsy may ultimately play a role in
11 this diagnosis as well as analysis of prion
12 glycoforms.

13 You can't see the top of this, but
14 actually it's in your handout. And what I have there
15 is CDC suspected new variant CJD case definition for
16 use when pathology is not available. In other words,
17 there isn't always going to be a neuropathological
18 specimen to examine, or it might not be big enough, I
19 guess.

20 And so we do need clinical criteria to try
21 to tell if we have a possible new variant CJD case,
22 and the CDC has developed such criteria and this is
23 mostly based on the findings that are described by the
24 CJD surveillance unit in the United Kingdom.

25 And I want to point out that this kind of

1 list is going to be subject to change as clinical and
2 diagnostic methods and experience evolve. However,
3 the current CDC definition -- the suspected new
4 variant CJD case would include all nine of the
5 following -- current age, and, of course, we're
6 talking about in donors for our purposes, but the CDC
7 is also using this kind of definition for their own
8 surveillance.

9 Current age, if alive, or age at death,
10 less than 55. Since the typical age of a new variant
11 patient is about late 20s, and the typical age of a
12 classical CJD patient is about 65, this is one
13 criteria that is useful. And new variant patients
14 tend to have persistent painful sensory symptoms early
15 in presentation and/or psychiatric symptoms.

16 I can go into this further if people want
17 to know about it. But there were a couple of articles
18 published in the Lancet from the CJD surveillance unit
19 in September 1997, which goes into this in great
20 detail.

21 In addition, the patient must have
22 dementia and a delayed development of neurologic
23 symptoms, particularly movement disorders, about a
24 four-month delay. And, again, this is somewhat
25 different from classical CJD in its course. They may

1 have a normal or abnormal EEG, but not the diagnostic
2 EEG, which is a pseudo periodic sharp wave that's
3 often seen in classical CJD.

4 The duration of illness should be greater
5 than six months. Again, this is in marked distinction
6 to most cases of classical CJD which average four to
7 four and a half months of duration. Whereas, the new
8 variant case typically is around 14 months duration,
9 although there is a spread.

10 In addition, routine investigations will
11 not suggest an alternate diagnosis. And this is a
12 criteria, really, for the U.S. There should be
13 history of possible exposure to BSE; that is,
14 consumption of local beef products as resident or
15 traveler to a BSE-affected country.

16 And there is only two more. No history of
17 iatrogenic exposures that are related to development
18 of classical CJD, and, finally, of course, such a
19 patient, if they had a prion protein gene mutation, it
20 was associated with familiar CJD. That would not fall
21 under -- that would not be a patient that we would
22 worry about new variant CJD in.

23 Certainly, other criteria may be added, as
24 I mentioned, in particular the CJD surveillance unit
25 is expected to publish something about MRI studies,

1 looking in great detail at certain areas of the brain
2 which might be very useful in making the clinical
3 diagnosis without neuropathology of new variant CJD.

4 Well, if we used all of those nine
5 criteria to consider whether or not we should
6 quarantine or withdraw a blood product in a case of --
7 a suspected case of new variant CJD, we might run into
8 a problem.

9 And one of the possible problems is that
10 two of these criteria are time-based, so one is the
11 time course of disease greater than six months and the
12 other is that a period of four months should have
13 elapsed before development of neurologic symptoms but
14 after the initial symptoms.

15 And it's conceivable that a true new
16 variant case could come to our attention where this
17 time has not elapsed. And, secondly, travel history
18 and symptom history might not be available or they
19 might not be very accurate.

20 So from the FDA point of view, what we
21 have been considering is whether or not to lower our
22 threshold for considering withdraw and quarantine of
23 a product, where we don't even have all of the
24 information needed for the CDC criteria for suspected
25 new variant CJD.

1 So we have proposed the following that --
2 and, again, I'm sorry, the heading is missing. But
3 that for such a case to be considered even as a
4 possible, or I should say potential, new variant case,
5 it will be a donor who had a physician's clinical or
6 pathological diagnosis of either CJD or new variant
7 CJD.

8 And the donor would be young, less than 55
9 years of age. And, of course, such a donor would not
10 have risk factors for classical CJD. And that's what
11 we would call a possible new variant CJD case. And I
12 should point out that although we would include all
13 three of these criteria, from the point of view of
14 reporting to the CDC, we would want to ask plasma
15 establishments and blood banks to also report donors
16 who were young but had risk factors for classical CJD
17 that came down with disease.

18 And the proposed actions for possible new
19 variant cases with this low threshold of consideration
20 by FDA for disposition of blood and plasma products --
21 the actions that we would propose would be an
22 immediate investigation and review by CDC and FDA of
23 all of the available case information, and followed by
24 an expeditious decision by the FDA on a case-by-case
25 basis as to whether blood products from such a patient

1 should be withdrawn as a precaution.

2 So just in summary, obviously, this is
3 already built in, that any definite new variant CJD
4 case would result in quarantine and withdrawal of all
5 products. In addition, we're proposing that suspected
6 cases meeting all nine of the CDC criteria would also
7 be quarantined and withdrawn.

8 And that criteria for possible CJD, the
9 young age, the diagnosis of any kind of CJD, would
10 trigger a rapid investigation followed by an
11 expeditious decision about a precautionary withdrawal
12 and quarantine of material.

13 So that's what I have, and I open it,
14 then, to discussion or comments.

15 DR. ROOS: Thanks, Dr. Scott. So we're
16 not asked to take a vote, but just to discuss these
17 issues. Yes?

18 DR. NELSON: I'm concerned a little bit
19 about the explanation for the age criteria, and I can
20 see that this is very useful because the one thing you
21 do know, when somebody gets sick, you can estimate
22 what their age is. And so that's an easy -- you know,
23 an easy early marker for a possible case that's not
24 classical.

25 And I assume that probably the reason for

1 the classical CJD patients being much older is that
2 the incubation period is so long that they probably
3 had an exposure much longer. But as this epidemic --
4 or as the -- if it's exposure to the BSE agent from
5 the epidemic, it seems like over time this age
6 criteria will probably change, and that the under 55
7 may no longer be a useful criteria 10 years from now
8 or 40 years from now.

9 And I just wonder if Larry or anybody
10 could comment on that.

11 DR. SCHONBERGER: We definitely agree, and
12 it underscores the evolving nature of these diagnoses.
13 All I can say is the age is an excellent and easy
14 criteria for us to use now. All cases, as you know,
15 in the world of new variant CJD have been under age
16 55. In fact, I think the oldest was -- I think the
17 median age is like 29 or so, 28 at onset and 29 at
18 death. So that's why that particular criteria came
19 into existence.

20 However, obviously, if the epidemic should
21 change and we should start seeing older cases, then,
22 obviously, we would have to change.

23 There is some semantic problems. We
24 actually investigate every case under 55. So, in a
25 sense, all cases under 55 in the United States could

1 be regarded as under investigation or possible. We
2 have not used the word "probable," in part because
3 that's the word they use in the United Kingdom, and
4 they count those cases as amongst the cases of new
5 variant CJD that we count.

6 The 40 cases in the UK, I think, includes
7 one, is it? One probable? That was a case in a
8 teenager whose brain tissue was unavailable for study.
9 And they indicate that it's too early in the epidemic.
10 Their experience is too small for them to be
11 absolutely sure about that, but they're willing to --
12 at this point to call it a case.

13 And I've been told that with these new MRI
14 criteria, and so on, that maybe we'll be able to call
15 cases without necessarily having the tissue, depending
16 on what they find the specificity and sensitivity of
17 those to be. So all cases essentially under 55 right
18 now are under investigation.

19 Plus, we have established amongst
20 pathologists the concept that any case that has the
21 pathology of new variant CJD, regardless of age, or
22 even regardless of whether they've diagnosed it as
23 CJD, should be reported. And those two would count as
24 new variant even though they are not under 55.

25 DR. ROOS: Just a quick question, Larry.

1 What is your timeframe of reporting, or what is the
2 goal here? Obviously, with respect to these new
3 guidelines, you want to identify these cases fairly
4 quickly and make some disposition as far as blood
5 products.

6 DR. SCHONBERGER: Precisely because we are
7 looking at all cases under 55, I was encouraging FDA
8 to encourage the blood establishments -- or the first
9 to identify these cases at least, and that has been
10 the history -- to report to us any case of CJD under
11 55.

12 Once we get that report, it may be very
13 easy for us and very quickly making it -- to very
14 quickly make a determination that we're dealing with,
15 say, a dura mater case or a human growth hormone case.
16 But then, another part of FDA will probably become
17 interested in that.

18 So we think it's worth the blood
19 establishments reporting all of their cases in donors.
20 There just are not that many CJD cases that are going
21 to occur among donors that the blood establishment is
22 going to be able to identify that quickly. But if
23 they do, we want it reported right away.

24 DR. ROOS: Just a quick question. So, I
25 mean, how about if this patient donates to some large

1 blood pool or has donated whole blood? It doesn't go
2 back to the blood establishment. It goes to a
3 neurologist, gets diagnosed, etcetera. What's the
4 timeframe then?

5 DR. SCHONBERGER: Well, frequently, our
6 experience with the withdrawals -- and I'll use the
7 Utah case as an example as that came out -- we handled
8 that very, very rapidly. But even handling it very,
9 very rapidly, you'll find that huge, huge numbers of
10 recipients were exposed to this donor's blood
11 products.

12 So the withdrawal program is relatively
13 inefficient, compared to what we just did, which was
14 to get deferral criteria. And I think that's why it
15 was important to try to be preemptive in a sense and
16 have the deferral criteria up front.

17 The withdrawal procedure, even when you do
18 it very quickly as in the Utah case, I would not
19 encourage people to depend on that for considerable
20 safety. What we will do is we will modify and
21 ameliorate the situation. But it certainly won't
22 eliminate even the majority of the risk.

23 DR. ROOS: I just think it might be good
24 to publicize these new policies widely to the
25 neurological community, so that they alert you, Larry,

1 or the FDA quickly. The Utah case, in fact, was kind
2 of a very aberrant case. It could be that there are
3 other cases that get less sophisticated care. And if
4 you really want to identify things in a timely manner,
5 you obviously have to publicize the program and new
6 policies to the neurological community.

7 DR. SCHONBERGER: Well, let me clarify
8 that the primary group doing the surveillance on this
9 are blood establishments. And if this group wants to
10 recommend that blood establishments, you know, provide
11 blood donors with cards or something that would, you
12 know, speed up any type of reporting, that's possible.

13 The surveillance that CDC is conducting is
14 not designed for that type of rapid turnaround or
15 rapid identification in reporting. That's another
16 weakness of the system and relying on this withdrawal
17 system for tremendous protection of the population.

18 DR. ROOS: Peter?

19 DR. LURIE: My question/concern is whether
20 or not requiring all nine of these criteria is too
21 restrictive a set of criterion. I guess the data
22 question that I have is: of the 30-odd new variant
23 CJD cases in Britain, how many of them have met all
24 nine of these criteria?

25 DR. SCOTT: Well, could I also respond to

1 that question?

2 DR. LURIE: Yes, please do.

3 DR. SCOTT; I don't know the answer to how
4 many have had all nine of those criteria, but most.
5 However, the CJD surveillance unit has somewhat
6 altered their criteria with time such that the current
7 organization is similar to this but not the same. And
8 most critically, they have gotten rid of the age
9 criteria and added an MRI criteria. But this is not
10 yet published material, and it's very recent. We just
11 got that information on May 31st.

12 And I think the other thing to mention is
13 that we weren't considering only using all nine
14 criteria. But, really, that's the purpose of the
15 third way, if I can say it, which is to have a very
16 low threshold for identifying even potential cases and
17 then to make a rapid decision on a case-by-case basis.

18 But what we're anticipating is probably
19 what you're thinking, that not all of those criteria
20 are going to be met, just due to a lack of
21 information, time hasn't passed, we don't have
22 material to analyze. And so I think what we're
23 anticipating is that we would be -- we would err on
24 the side of caution unless investigation showed us
25 that it was most unlikely that this was a new variant

1 case.

2 DR. LURIE: I'm still left -- I'm afraid
3 after that answer, it -- which may be the best you can
4 give. I'm still left with uncertainty. I mean, it
5 seems to me that that is a basic question. And if
6 independent of data that are unavailable for the
7 reasons that you point out there are people who do not
8 have myoclonus, or whatever, and they don't have the
9 right time course of disease, etcetera, we might --
10 and they may be too restrictive.

11 I think, at a minimum, it would be
12 interesting to find out the answer to that question,
13 and that might inform us better.

14 DR. SCOTT: Right. I can also tell you
15 that in terms of the course of the neurologic
16 progression, they reported I think it was 14 or 17
17 patients, and three of them would not have met, for
18 example, that criteria because they got their movement
19 disorders before four months had elapsed.

20 So you're absolutely right. Likewise, it
21 was the psychiatric. So we would not be using the
22 nine criteria per se in a potential case, as including
23 or excluding the possibility of withdrawal.

24 DR. ROOS: Yes. I guess I kind of agree
25 with Peter that I might have felt more comfortable if

1 all of the cases satisfied the criteria of suspected
2 cases, plus others that then turned out not to have
3 new variant.

4 In other words, you want to throw somewhat
5 of a larger net to take care of a lot of the comers,
6 especially when you only have 40 cases that have
7 presently been identified.

8 DR. SCOTT: That's right.

9 DR. ROOS: Yes?

10 DR. BELAY: I just wanted to say that all
11 of the new variant CJD patients in the United Kingdom
12 meet all of this criteria. In fact, in addition, a
13 certain proportion of classic CJD patients could also
14 meet this criteria, all nine criteria. So by no means
15 this criteria is just specific to new variant CJD.

16 The only criteria that we added was item
17 number 7, which is a history of possible exposure.
18 Again, even in new variants we get patients that would
19 -- that would still be present, because most of them
20 resided in the UK.

21 DR. ROOS: Yes, Will?

22 DR. HUESTON: Three thoughts. One -- if,
23 in fact, a case meets the three -- the three criteria
24 for definite CJD diagnosis, you don't need to go
25 through the rest.

1 DR. SCOTT: That's correct, yes.

2 DR. HUESTON: Right. So some of the cases
3 were identified because they met these criteria. They
4 were defined without going through all of the rest of
5 the history.

6 Point number 2, in terms of the nine --
7 and I just mentioned to Larry -- for all practical
8 purposes, I think number 7 ought to be simply revised
9 to say, "Resident or traveler to a BSE-affected
10 country." The bottom line -- you do not know what
11 you've eaten.

12 (Laughter.)

13 DR. HUESTON: You don't know to what
14 you've been exposed. So it's -- the second thing is
15 it draws -- I think it gives a false sense of security
16 and directs, potentially, attention to the wrong
17 products, because the average person thinks of beef as
18 primal cuts of beef. And that's, at this point, the
19 least likely of the sources of exposure, given meat
20 products.

21 The third comment is that I personally am
22 very concerned about the proposed -- this criteria of
23 possible new variant CJD by FDA. And I have two major
24 reasons for that. The first is that I see the
25 potential for conflict arising between FDA and CDC,

1 where FDA is stepping forward or making a
2 pronouncement of possible new variant CJD, and at the
3 same time CDC says, "We're still investigating; you
4 know, it's premature."

5 And I think that puts the FDA in a very
6 awkward position, and I think an inappropriate --
7 Larry is telling me that they are investigating 25 --

8 DR. SCHONBERGER: There's about 25 cases
9 under 55 a year.

10 DR. HUESTON: So my fear -- here is my
11 fear based on my experience. Item number 2 says,
12 "Donor has physician's clinical or pathologic
13 diagnosis of CJD."

14 DR. SCHONBERGER: They're not all donors,
15 by the way. Very few of them are donors. Okay?

16 DR. HUESTON: Okay. Fair enough. But
17 once you get a terminology like this established, my
18 concern is that it's going to spread further, that
19 people are going to say, "Well, the FDA would have
20 called this a possible case."

21 Number 2 says, "Has a physician's clinical
22 or pathologic diagnosis," it doesn't say anything
23 about the physician. And no offense to my
24 distinguished colleagues, but there are a number of
25 physicians that are simply not in the position to make

1 a clinical diagnosis or a pathologic diagnosis of
2 Creutzfeldt Jakob. That has not precluded some of
3 these same physicians from making a proclamation.

4 . - Third, I think that the public health and
5 the risk communication implications of this are
6 potentially massive. And having been on the firing --
7 you know, on the other end of trying to deal with
8 these, you know, the press grabbing hold of a case and
9 blowing it totally out of proportion and creating a
10 great deal of concern, I don't see why you need
11 another term.

12 I think you coordinate with the CDC, you
13 coordinate your investigation when it comes back from
14 a blood collection center that you have a donor less
15 than 55 years of age, where you have some suspicion of
16 Creutzfeldt Jakob Disease. You go through the same
17 CDC workup, and you base -- on a case-by-case basis,
18 you base your decision on that coordination with CDC.

19 . DR. SCOTT: Right. So we would leave
20 those products on the market if the patient hadn't had
21 six months of disease, for example. You see, there
22 has --

23 DR. HUESTON: I'm suggesting that you do
24 it on a case-by-case basis --

25 DR. SCOTT: Right.

1 DR. HUESTON: -- in association with CDC.
2 And you may decide to take action prior to meeting all
3 of those criteria.

4 DR. SCOTT: Right.

5 DR. HUESTON: I'm concerned about putting
6 forth yet one more term that I believe will be
7 misinterpreted. It will create more misinformation
8 than it will help clarify the situation.

9 DR. ROOS: Just so I understand, Will, the
10 term is this possible new variant. So maybe it could
11 just be stated that cases were under investigation at
12 that point, rather than label it potential or
13 possible. And I must say, I kind of thought FDA and
14 CDC were working together on these cases. That was
15 kind of my assumption. Okay. So -- Dr. McCullough?

16 DR. McCULLOUGH: I have the same concerns
17 from the standpoint of the blood banking system. It
18 isn't clear to me exactly when the process of the
19 market withdrawal begins. But if it starts earlier
20 than the resolution of the case by -- based on the
21 nine criteria, what we have under the proposed
22 criteria is someone that some physician says has CJD
23 and is under 55 years of age.

24 And if something close to that triggers
25 the market withdrawal, potentially involving very

1 large amounts of plasma derivatives, and all of that
2 sort of thing, I have a lot of concerns about that.
3 I think those actions need to be much -- to be
4 initiated much farther along in the investigation of
5 the case. So I have the same concerns about these
6 very minimal criteria.

7 DR. SCOTT: Well, if I could interject --
8 I think what I intended to convey was that those
9 small, three criteria would trigger an investigation
10 that the FDA would be involved in, but not necessarily
11 a withdrawal.

12 DR. McCULLOUGH: I'm reassured if you can
13 assure me the FDA wouldn't, from time to time, decide
14 to start things sooner, which could happen, I think.

15 DR. ROOS: Yes?

16 DR. EWENSTEIN: I think we should also
17 remember that these patients, whatever their
18 subsequent diagnosis, may be the recipients of
19 products that the FDA regulates, and not just the
20 source of products. And so I think it's important to
21 have a low sensitivity for the -- I mean, we talk
22 about hemophiliacs never having been diagnosed with
23 CJD.

24 Well, you need a low sensitivity to make
25 sure that you're not missing that sort of thing.

1 There are, obviously, other groups that are certainly
2 in a high risk in terms of receiving biologic
3 products.

4 DR. ROOS: I had a question. I didn't see
5 any real criteria used related to the abnormal
6 glycoform of new variant. And it was my understanding
7 that all new variant cases had a specific
8 electrophoretic mobility after the proteinase
9 treatment. And why isn't that one of the definite
10 criteria here?

11 In other words, if you did a brain biopsy
12 that was normal, let's say, or looked pretty normal,
13 or had, you know, just minimal changes, and you saw
14 this distinctive glycoform, would that be adequate by
15 British standards, or should it be adequate by our
16 standards?

17 Larry, do you want to --

18 DR. SCHONBERGER: I don't know of any of
19 the cases that don't have the definite diagnosis
20 criteria -- that don't have that and have the
21 glycoform alone. I've had it the other way around,
22 for example, even with the Utah case. We did it based
23 on a biopsy, and there was insufficient material, as
24 I recall, to get the glycoform --

25 DR. ROOS: No. I had heard that it was --

1 it was -- it did not look like a BSE new variant.

2 DR. SCHONBERGER: No, I'm --

3 DR. ROOS: On the basis of --

4 DR. SCHONBERGER: No, I understand that.

5 What I'm saying is we had an inadequate specimen for
6 the glycoform. We were able to get the Type I protein
7 fragment at 21 KV, which sort of ruled out the new
8 variant. But we were not able to get the glycoform
9 pattern, certainly right away. I don't know if he
10 ultimately got it. I don't think he even ultimately
11 got that.

12 Do you remember that, Ermias?

13 DR. BELAY: I'm a little concerned about
14 adding this glycoform ratio as a case definition for
15 two reasons. The first one is there is no
16 standardized kind of methods that are being used by
17 different groups. That the group in the United
18 Kingdom -- namely, Collinge group -- would use a
19 different criteria compared with other groups within
20 the United States.

21 So that part of the, you know, method --
22 the immunoblotting or the Western Blot method -- has
23 not been characterized or has been -- has not been
24 standardized. And the second concern I have is there
25 are other diseases potentially that could have the

1 same kind of glycoform ratio. And Dr. Pedro probably
2 can correct me on this. FFI, I think, has been
3 reported to have a similar kind of glycoform ratio
4 also.

5 DR. PICCARDO: Yes. Let me back up for a
6 second. First, I agree with what you've said. If the
7 standardization of prp res, Western Blotting, is -- it
8 is still under discussion.

9 So the UK -- Collinge group -- has one
10 classification, up to seven different forms of normal
11 prp while in the UK. In the U.S., basically, there is
12 a Type I and Type II that have been recognized. So
13 that is under intense discussion as we speak right
14 now. So I would not base the diagnosis on that.
15 That's for sure. And even at the pathologic level --
16 let me see, I had to walk out for a second because I
17 had to get a taxi, but -- so I have to ask you a
18 question. You were talking about that Utah case, and
19 you were talking about the biopsy, right?

20 So I think at this point in time for the
21 pathologist to make the diagnosis we'll need the full
22 autopsy. I mean, with a small piece of tissue, with
23 a lot of spongiform changes, with plaques, even in
24 that biopsy, even with florid plaques, I would not
25 feel comfortable in making the diagnosis, because you

1 can have rare forms of sporadic CJD in which you have
2 a lot of spongiform changes.

3 And if you have a minimal amount of
4 amyloid of plaque there, it will be florid, because it
5 will be surrounded by vacuoles. So I think in order
6 to make the diagnosis of new variant from a pathologic
7 point of view, you need the full autopsy.

8 DR. SCHONBERGER: Generally, I agree with
9 you. We were able in this instance, however, to show
10 that it was not a Type II protein, but, rather, a
11 Type I, which was -- which gave us hard data that was
12 inconsistent with the new variant as reported in the
13 UK. But generally, obviously, most pathologists are
14 going to want the entire brain to deal with.

15 DR. PICCARDO: I'm not arguing against.
16 All I'm saying is I think we have to be extremely
17 careful. And the only way to be sure about all of
18 this would be the full autopsy. And then work the --
19 the ratios, glycoforms, etcetera, etcetera -- I mean,
20 we need more time for that.

21 DR. ROOS: Larry, the definition of
22 suspected and definite -- this corresponds to the CDC
23 classification at the moment or --

24 DR. SCHONBERGER: Yes. In fact, they had
25 asked us to come up with this definition, and that's

1 where that comes from.

2 DR. HUESTON: It's compatible with the
3 Brits, too.

4 DR. SCHONBERGER: And it is definitely
5 compatible with the UK, although I'm in fairly regular
6 touch with Rob Will, and he tells me that they are
7 changing their criteria and that's why I was
8 emphasizing that people have to regard these criteria
9 as something in progress. It's a model being made.

10 DR. ROOS: Good point. Any other
11 questions? Peter?

12 DR. LURIE: Just to be clear, if any one
13 of these nine criteria is not present for reasons of
14 the examination not being done, like an EEG, or not
15 enough time having elapsed, it will count as if it is,
16 in fact, present, right?

17 DR. SCHONBERGER: Yes, that's right. We
18 would not count the absence of information as being
19 negative. So that's why if a person is alive at five
20 months, that doesn't -- he hasn't really lived greater
21 than six months, that doesn't rule that case out.

22 DR. ROOS: But it sounds like the action
23 that might be taken by the FDA in a particular case is
24 done on a case-by-case basis. In other words, we are
25 leaving a certain amount of discretion up to them in

1 their investigations, which I think at this point is
2 probably appropriate, rather than putting every little
3 detail --

4 DR. SCHONBERGER: I'm sure if Jay saw that
5 we had five months, and that was the only difference,
6 we'd be withdrawing that blood.

7 DR. ROOS: Yes?

8 DR. PICCARDO: I think we have to be very
9 careful and very flexible with all of this. Setting
10 the criteria now I think is good, as a working thing.
11 But I think we have to be extremely careful, because
12 in the unfortunate event in which heterozygotes nv
13 will start developing the disease, they might have a
14 completely different phenotype.

15 So this is just a work -- in my opinion,
16 this is a working hypothesis, and we've set this
17 criteria and we will have to modify that accordingly.
18 I think that's the way to go.

19 DR. ROOS: It sounds like we are all in
20 agreement about this being a good template to follow,
21 and that maybe we shouldn't introduce a new term
22 probable or possible Creutzfeldt Jakob, and that the
23 FDA should look carefully and on a timely basis at
24 these cases.

25 I would suggest that you do publicize

1 these actions to the neurological community because I
2 think they're the ones that probably are going to have
3 these cases come to them, rather than blood banks
4 specifically.

5 Yes?

6 DR. ROHWER: Ray, I just wanted to draw
7 attention again to number 7. It seems to me like
8 while that's very helpful in implicating a case, it
9 shouldn't be an absolute criteria for putting it in
10 this category because it eliminates the possibility of
11 discovering cases which may arise de novo from other
12 causes in our midst -- for example, this Utah case.

13 DR. ROOS: I agree. If there are no
14 further cases, I guess I'm going to call this session
15 to an end and thank the committee members and other
16 discussants.

17 Tomorrow morning is?

18 DR. FREAS: Tomorrow morning we will
19 reconvene at 8:30 in the morning. I ask the committee
20 members not to leave anything on their desks. The
21 hotel may clear off the table tonight, and we do not
22 want you to lose any of your papers. Thank you. See
23 you tomorrow morning at 8:30.

24 (Whereupon, at 5:43 p.m., the proceedings
25 in the foregoing matter went off the record.)

C E R T I F I C A T E

This is to certify that the foregoing transcript in

the matter of: MEETING

Before: TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
 ADVISORY COMMITTEE

Date: JUNE 2, 1999

Place: GAITHERSBURG, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


