

ajh

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

9512 99 JUL 13 P3:53

BLOOD PRODUCTS ADVISORY COMMITTEE

63RD MEETING

VOLUME I

Thursday, June 17, 1999

8:00 a.m.

Double Tree Hotel  
1750 Rockville Pike  
Rockville, Maryland

MILLER REPORTING COMPANY, INC.  
507 C Street, N.E.  
Washington, D.C. 20002  
(202) 546-6666

## PARTICIPANTS

Blaine F. Hollinger, M.D., Chairperson  
Linda A. Smallwood, Ph.D., Executive Secretary

## MEMBERS

John M. Boyle, Ph.D.  
Richard J. Kagan, M.D.  
Rima F. Khabbaz, M.D.  
Marion A. Koerper, M.D.  
Jeanne V. Linden, M.D.  
Gail B. Macik, M.D.  
Mark A. Mitchell, M.D.  
Kenrad E. Nelson, M.D.  
Kwaku Ohene-Frempong, M.D.  
David F. Stroncek, M.D.  
Joel I. Verter, Ph.D.

## NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

## NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

## TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

## CONSULTANTS

Mary E. Chamberland, M.D.  
Michael G. Fitzpatrick, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Statement of Conflict of Interest: Linda A. Smallwood, Ph.D.	5
Welcome and Opening Remarks: Blaine F. Hollinger, M.D.	7
<b>Committee Updates</b>	
Nucleic Acid Testing Implementation: Indira Hewlett, Ph.D.	8
Human Parvovirus B19 Transmission from SD Plasma: Thomas Lynch, Ph.D.	26
Blood Safety and Availability Advisory Committee Meeting Summary: Stephen Nightingale, M.D.	36
Transmissible Spongiform Encephalopathies Advisory Committee Meeting Summary: Mary Elizabeth Jacobs, Ph.D.	46
Revised Guidance: CJD and nvCJD: Dorothy Scott, M.D.	55
Schedule of OBRR Workshops: Linda A. Smallwood, Ph.D.	71
<b>I. Post-Donation information Affecting Plasma Pools for Fractionation (Inadvertent Contamination): Risk Issues</b>	
Overview: Edward Tabor, M.D.	74
Post-Donation Information: Sharon O'Callaghan	85
cGMP Investigations: Thomas Lynch, Ph.D.	95
<b>Open Public Hearing</b>	
Jason Bablak, IPPIA	109
Committee Discussion	

## C O N T E N T S (Continued)

	<u>PAGE</u>
<b>II. Strategies for Insuring Compliance in the Plasma Fractionation Industry</b>	
Steven A. Masiello	180
<b>Open Public Hearing</b>	
Jan Hamilton, Hemophilia Federation of America	196
David Cavanaugh, Committee of Ten Thousand	199
Committee Discussion	
<b>III. Supply and Demand of Plasma Derivatives</b>	
Introduction and Background:	
Mark Weinstein, Ph.D.	205
Perspective on Supply and Demand of Plasma Products:	
Dennis Jackman	213
Ron Demarines	233
Background Considerations for the Estimation of IGIV Demand:	
Patrick Robert	240
The Impact of Distribution on Supply and Availability:	
Patrick Schmidt	248
Allen R. Dunehew, R.Ph., M.P.A.	266
Is Demand Being Met?:	
Patrick Collins	277
Thomas Moran	285
<b>Open Public Hearing</b>	
Nancy Buelow, Alpha I National Association	292
Committee Discussion	

P R O C E E D I N G S

**Statement of Conflict of Interest**

DR. SMALLWOOD: Good morning and welcome to the 63rd Meeting of the Blood Products Advisory Committee. I am Linda Smallwood, the Executive Secretary. At this time, I will read to you the statement of conflict of interest that will apply to all proceedings of this meetings.

This announcement is made a part of the record at this meeting of the Blood Products Advisory Meeting on June 17th and 18th, 1999. Pursuant to the authority granted under the Committee Charter, the Director of the FDA Center for Biologics Evaluation and Research has appointed Dr. Paul McCurdy as a temporary voting member for all committee discussions.

Based on the agenda made available and on relevant data reported by participating members and guests, it has been determined that all financial interest in firms regulated by the Center for Biologics Evaluation and Research that may be affected by the committee discussions have been considered.

In regard to FDA's invited guests, the agency has determined that the services of these guests are essential. There are reported interests which are being made public to allow meeting participants to objectively evaluate any presentation and/or comments made by the participants.

1           The interests are as follows. Dr. Michael Busch  
2 is employed by Blood Center and is a member of Abbott's  
3 Advisory Committee. Dr. Susan Stramer is employed by the  
4 American Red Cross, serves as a scientific adviser to Abbott  
5 Laboratories, and has financial interests in a firm that  
6 could be affected by the discussions.

7           In the event that the discuss involves specific  
8 products for firms not on the agenda, for which FDA's  
9 participants have a financial interest, the participants are  
10 aware of the need to exclude themselves from such  
11 involvement and their exclusion will be noted for the public  
12 record.

13           Screenings were conducted to prevent any  
14 appearance, real or apparent, of conflict of interest in the  
15 committee discussions. With respect to all other meeting  
16 participants, we ask in the interest of fairness that they  
17 address any current or previous financial involvement with  
18 any firm whose products they wish to comment upon.

19           Are there any declarations to be made at this  
20 time?

21           [No response.]

22           DR. SMALLWOOD: Hearing none, I would like to  
23 introduce to you the members of the Blood Products Advisory  
24 Committee. As I call your name, would you please raise your  
25 hand.

1 Dr. Blaine Hollinger, Chairperson. Dr. Richard  
2 Kagan. Dr. Marion Koerper. Dr. John Boyle. Dr. Mary  
3 Chamberland, who is a consultant to our committee. Dr.  
4 Fitzpatrick, who is also a consultant. Ms. Katherine  
5 Knowles. Dr. Buchholz. Dr. Paul McCurdy. Dr. Joel Verter.  
6 Dr. Jeanne Linden. Dr. David Stroncek. Dr. Rima Khabbaz.  
7 Dr. Gail Macik.

8 There are other members that I anticipate will be  
9 here shortly. They would be Dr. Mark Mitchell, Dr. Kenrad  
10 Nelson, Dr. Ohene-Frempong, Mr. Corey Dubin.

11 May I ask, so that there will not be undue  
12 disruption of the deliberations, that all cell phones be cut  
13 off and if you must have them, have them very low, please.

14 Thank you.

15 At this time, I will turn over the proceedings of  
16 this meeting to our chairman, Dr. Hollinger.

17 **Welcome and Opening Remarks**

18 DR. HOLLINGER: Thank you, Dr. Smallwood.

19 Welcome to the summer session of BPAC. We have  
20 again as usual some most interesting topics today and  
21 tomorrow dealing with a variety of topics. There will be  
22 some committee updates.

23 I do want to ask that anyone who is going to speak  
24 from the public, that when you come up to the microphone  
25 that you state your name and the organization, so we have it

1 for the record.

2 With that in mind, I think we will try to keep on  
3 time today as usual, and we start with the committee updates  
4 on several topics.

5 The first one, Dr. Hewlett is going to tell us  
6 about where we are with nucleic acid testing implementation.

7 Dr. Hewlett.

8 **Committee Updates**

9 **Nucleic Acid Testing Implementation**

10 **Indira Hewlett, Ph.D.**

11 DR. HEWLETT: Thank you, Dr. Hollinger and good  
12 morning, everyone.

13 [Slide.]

14 I am going to be presenting an update on the  
15 implementation of NAT, or nucleic acid testing, for blood  
16 and plasma.

17 It is now well recognized that NAT is currently  
18 the most sensitive method for virus detection in the window  
19 period and that implementation of NAT could further reduce  
20 the window period for HCV, HIV, and HBV, resulting in  
21 enhanced viral safety of blood and blood products and in  
22 enhanced public health safety by providing early diagnosis  
23 and referral for medical treatment.

24 [Slide.]

25 Due to the complex and labor-intensive nature of



1 NAT, the approach of screening minipools or small pools of  
2 plasma rather than single donations has been considered to  
3 be more practical and feasible.

4 By 1997, some countries in Europe had initiated  
5 voluntary screening of donations by testing pooled donations  
6 of plasma using a nucleic acid-based test method, and also a  
7 directive was issued by the European Union that HCV-RNA  
8 testing would be required in Europe for all plasma for  
9 fractionation by July 1st, 1999, and that HIV-1 testing of  
10 such plasma would be require at some unspecified later date.

11 This move created an impetus in the U.S. to  
12 implement such testing for blood and plasma, and this was  
13 made feasible by support from the NHLBI through contracts  
14 for developing such tests here in the U.S.

15 [Slide.]

16 A number of measures were taken in the U.S. to  
17 implement nucleic acid testing. FDA viewed minipool testing  
18 as a form of donor screening, and this position was endorsed  
19 by the Blood Products Advisory Committee at the March 1997  
20 meeting.

21 FDA developed guidance outlining regulatory  
22 approaches for implementing pool testing and discussed them  
23 briefly at the September 1997 BPAC meeting.

24 [Slide.]

25 FDA also developed and published draft guidance to

1 industry for validation of nucleic acid tests. In September  
2 1998, we held a workshop to discuss NAT for HCV and other  
3 viruses. At the last BPAC meeting, we discussed the issue  
4 of NAT implementation for whole blood and transfusable  
5 components under the IND mechanism.

6 FDA plans to hold a workshop in December 1999 to  
7 assess the status of NAT implementation under IND.

8 [Slide.]

9 Screening of source plasma for HCV and HIV-1-RNA  
10 was initiated in early 1998. Pool sizes ranged from 96 to  
11 1,200 donations. At this time, virtually all source plasma  
12 in the U.S. is being screened for HCV and HIV-1-RNA by a  
13 nucleic acid-based test.

14 A significant portion of the testing is performed  
15 by a central testing laboratory or testing service, and some  
16 manufacturers are also testing for HBV, although this is  
17 much more limited than HCV and HIV.

18 [Slide.]

19 Nationwide screening of whole blood donations was  
20 initiated under IND in early 1999. Pool sizes ranged from  
21 24 to 128 units, although sizes as small as 16 units have  
22 been proposed for future testing.

23 The ARC data, which was kindly provided to us by  
24 Susan Stramer, indicate actually that 2 out of 825,984--and  
25 that is an update that I got from Sue yesterday, so this

1 number is actually outdated at this point--2 out of 825,984  
2 donations tested were confirmed positive for HCV-RNA in the  
3 absence of detectable antibody.

4           The ABC centers have reported that 3 out of  
5 275,000 donations have been confirmed to be HCV-RNA positive  
6 in the absence of antibody. These are very recent numbers  
7 and apparently they have been reported or will be reported  
8 at the upcoming AABB meeting in November.

9           No confirmed HIV cases have been reported so far.  
10 The false positive rate, interestingly, has been found to be  
11 similar to serologic tests. This is during the Phase I  
12 testing of NAT. At this time, more than 80 percent of  
13 donations are being tested by a NAT method, and it is  
14 anticipated that 100 percent testing will be achieved by the  
15 fall of this year.

16           [Slide.]

17           This slide just lists the IND PLA-BLA requirements  
18 for test validation to give the committee a sense for the  
19 types of studies that are ongoing under the IND. The test  
20 should be demonstrated to be manufactured consistently under  
21 GMP with appropriate quality assurance for components and  
22 kit performance.

23           The purity, identify, and functional activity of  
24 primers, probes, enzymes, and other components should be  
25 determined and specifications should be established.

1 Methods for collection of specimens, pooling, testing, et  
2 cetera, should be validated and conditions of specimen  
3 stability should be established.

4           There should be in place a validated mechanism for  
5 identification and retrieval of positive specimens in a  
6 pool, as well as the implicated donor.

7           [Slide.]

8           Instruments used in generating pools of to perform  
9 the tests and software used to calculate results should be  
10 appropriately validated, and the tests should meet the  
11 analytic sensitivity requirement of 100 copies per ml for  
12 the pool and 5,000 copies per ml for the original donation.

13           The clinical sensitivity, specificity, and  
14 reproducibility of the assay should be established through  
15 clinical and laboratory studies, and finally, the test would  
16 be subject to lot release requirements for licensing.  
17 Compliance with analytical sensitivity requirements would be  
18 monitored using reference materials and lot release panels  
19 developed by the FDA.

20           [Slide.]

21           FDA has developed panels for HCV and HIV-1 RNA.

22           At the present time, a WHO standard for HCV-RNA is  
23 available. It is a lyophilized antibody-positive specimen  
24 from a single donor. The CBER panel is an antibody-negative  
25 specimen, genotype 1B. One of the panel members has been

1 calibrated against the WHO standard, so that one  
2 international unit equals 4 genome equivalence per ml.

3 [Slide.]

4 The WHO standard for HIV-1 RNA, subtype B, is  
5 currently being established and the international unit is  
6 yet to be defined. There are two FDA panels that are  
7 available. One is an antibody-negative plasma specimen, and  
8 two, is a cultured virus specimen spiked into HIV-negative  
9 human plasma. FDA will adopt the international unit for HCV  
10 at this time and for HIV when it is defined.

11 [Slide.]

12 The general study design for NAT validation  
13 involves screening of 300,000 to more than one million  
14 donations from at least 10,000 donors. Screening for HCV is  
15 universal at this time, and a significant percent are also  
16 testing for HIV-1.

17 Informed consent is obtained from donors who are  
18 recruited into follow-up studies to confirm results and  
19 resolve status. A validated supplemental NAT, that is, the  
20 same or another technology, is being used to confirm  
21 results. This allows donors to be enrolled in early  
22 treatment studies and, of course, recipients, as well.

23 [Slide.]

24 Clinical sensitivity, analytical specificity, and  
25 reproducibility are also being evaluated under the IND.

1 Blood and plasma centers need IRB approval for NAT screening  
2 for donors.

3           Pre-transfusion recipient concern is being managed  
4 by local IRBs of hospitals and transfusion centers. Cost  
5 recovery has been permitted by the FDA under the IND due to  
6 the high cost of NAT testing and the national scale of  
7 studies.

8           [Slide.]

9           A number of issues have been identified regarding  
10 implementation under IND. For example, NAT requires several  
11 days more than conventional tests due to logistics, that is,  
12 testing by centralized laboratories, and technology  
13 limitations.

14           Consequently, certain blood products, for example,  
15 platelets and some red cells, are expected to be released on  
16 the basis of serology during the initial phase of study,  
17 that is, Phase I.

18           This is necessary to prevent product shortages and  
19 harm caused due to lack of blood products. This phase,  
20 however, which is expected to be of short duration, will be  
21 followed by a phase where all components are released on the  
22 basis of both NAT and serology, and this would be in Phase  
23 II.

24           [Slide.]

25           Other issues are that no product labeling or

1 enhanced safety claims are permitted during the study phase,  
2 therefore, NAT screened and unscreened units would coexist  
3 during Phase I. Other issues are that donors are counseled  
4 on the basis of confirmed investigational test results and  
5 deferred until status is resolved. They are also  
6 indefinitely deferred from donating after they have  
7 undergone seroconversion.

8 In addition, lookback notification is required for  
9 recipients who receive NAT-positive units prior to test  
10 results being obtained.

11 [Slide.]

12 Again, informed consent and IRB approvals are  
13 required for these studies. Recipients are notified and  
14 counseled on the basis of serology during Phase I, and  
15 finally, there has been increasing concern about costs  
16 imposed on hospitals in particular by cost recovery.

17 [Slide.]

18 So, in summary, NAT is being implemented under the  
19 IND and at this time, more than 80 percent of whole blood  
20 and nearly all source plasma are being screened by a NAT  
21 method.

22 There are several implementation issues relating  
23 to donor, recipient, and product management that need to be  
24 monitored in the coming months and could be addressed in the  
25 workshop planned for December.

1 [Slide.]

2 At this time, there is universal product release  
3 based on NAT and serology, and is actually expected to occur  
4 in the very near future, so there is universal release based  
5 on serology, but release based on both NAT and serology is  
6 expected to occur in the very near future, and we have heard  
7 dates as immediate as July 1st, 1999, however, of course, we  
8 do have to watch the situation and see when this actually  
9 happens.

10 Other issues that are anticipated in the future  
11 are NAT for other viruses, for example, HBV, and the  
12 potential replacement of existing tests, such as HIV-1 p24  
13 antigen. This last issue was actually discussed at the  
14 Blood Products Advisory Committee meeting last month, at the  
15 last meeting, which was in March of this year.

16 So, with that, I will conclude and thank you for  
17 your attention.

18 DR. HOLLINGER: Thank you, Dr. Hewlett. Any  
19 questions of Dr. Hewlett? Yes, Dr. Stroncek.

20 DR. STRONCEK: This NAT testing is progressing  
21 very quickly. This is an unconventional way to introduce a  
22 new test for testing for blood. In the past, they have all  
23 been licensed tests which have been readily available to all  
24 blood manufacturers and at low cost.

25 On the contrary, it is my understanding that this



1 testing is expensive and available only at a handful of  
2 sites around the country. Some of these centers have been  
3 very collaborative in that they have collaborated with other  
4 blood centers throughout the country. Yet, because of the  
5 IND mechanism, each of these sites has to be very inflexible  
6 in how they collaborate with the other centers.

7 This has created a number of practical problems  
8 for both blood manufacturers all over the country and for  
9 transfusion services. I guess I have a question as to the  
10 availability of the testing.

11 If this was available at a low cost and to all  
12 centers, then, I think it is reasonable to pursue the  
13 direction this is going, but the information I have is that  
14 this equipment and these reagents are just not widely  
15 available to all blood centers in the country.

16 Is there anyone from the manufacturers here that  
17 can address that issue?

18 DR. HOLLINGER: Dr. Tabor.

19 DR. TABOR: Ed Tabor from FDA. Your question  
20 makes me think that perhaps you are really Rip Van Winkle  
21 because we have discussed all the issues you have raised at  
22 multiple meetings of BPAC, as well as at a workshop held in  
23 September of '98.

24 It is certainly an unusual regulatory process. It  
25 has been driven by industry pressures that originate from

1 regulatory pressures in Europe, but the FDA has been very  
2 closely observing and controlling the types of studies that  
3 are being done, and that is why the testing is being done  
4 under the IND process.

5           Furthermore, the reason it is being done  
6 universally under IND instead of being held up until a test  
7 is approved is the scientific community and the blood bank  
8 community and the regulatory community are in universal  
9 agreement that this kind of testing with what we know now  
10 about the tests that are being used, despite the fact that  
11 they are not fully validated, can only make the blood safer  
12 in the interim.

13           DR. STRONCEK: I have no argument with any of  
14 that. I agree with the IND process. My question is, is do  
15 you all blood centers have access to the equipment and the  
16 reagents, so every blood center can apply for their own IND.

17           My information I have is that is not available and  
18 that is creating a number of competitive and practical  
19 problems for the collection and supply of blood in this  
20 nation.

21           I don't think it is appropriate for you to answer  
22 this question. I think this is appropriate for the  
23 manufacturers, and because if it is not available, then,  
24 what you are doing has many important practical implications  
25 providing care to patients and to donors.

1 DR. TABOR: As I said, the reason I am answering  
2 this question is because we have answered it and covered it  
3 many times before.

4 DR. STRONCEK: I specifically asked for  
5 manufacturers to come forward today and to talk about this  
6 issue, and it's not on the agenda, so I don't think you have  
7 addressed this issue in a forthright manner.

8 DR. TABOR: The last part of your question I think  
9 would be appropriate for the manufacturers to answer, and  
10 perhaps one of them would be glad to do that.

11 DR. HEWLETT: Yes, I think we are aware of the  
12 ramifications of testing under IND, but the issue of supply  
13 and availability of reagents, and so on, although we are in  
14 dialogue with industry, I think it would be--if there are  
15 members of the industry here, manufacturers of kits, perhaps  
16 we could hear from them, and if there are any comments about  
17 manufacturing and supply, this would be a good time for us t  
18 hear about. I think they were invited to speak.

19 Is there anyone from GenProbe or from Roche?

20 DR. STRONCEK: Frankly, I am appalled. We have on  
21 the agenda later on many issues about the availability of  
22 plasma, and while I agree that safety is paramount in the  
23 blood, and I commend the FDA for the job they are doing on  
24 moving this testing quickly into the field, you can't ignore  
25 the issues of availability and supply or availability that

1 is testing, and if you are not careful, it is going to  
2 impact, have important implications on the entire blood  
3 manufacturing.

4 I guess I have one more question for Dr. Alving.  
5 Dr. Alving is here from the NHLBI. You know, this testing  
6 would be helpful if it was available on an individual unit  
7 basis. You mentioned the NHLBI has funded some contracting  
8 for this testing. Are you funding more contracting to  
9 advance this testing, so we get beyond the fact where it is  
10 a very expensive assay and it's only available at a few  
11 places?

12 DR. HOLLINGER: Does anyone want to respond to  
13 that? Paul, do you have a comment about that in regards to  
14 the NHLBI? Perhaps you could, and then we will come back to  
15 Jay.

16 DR. McCURDY: I can't really speak officially at  
17 the present time since I am a consultant to the Institute  
18 and otherwise semi-retired, but I believe that the  
19 contractor has made available to a number of laboratories  
20 the technology and the equipment and supplies to do mini-  
21 pool testing and will ultimately be moving toward individual  
22 donation testing.

23 I think if an individual blood bank wishes to  
24 insist on doing it themselves, there could be problems. If  
25 blood banks are willing an interested in collaborating,

1 then, I think there is plenty of availability of equipment  
2 and supplies, so that it can get done.

3           There are some logistic problems if you are in a  
4 small, rural area with poor transportation. On the other  
5 hand, I learned of a small hospital blood bank that is  
6 arranging to get NAT testing done and is releasing products  
7 at the present time on the basis of NAT testing.

8           DR. HOLLINGER: Thank you, Paul.

9           Dr. Epstein.

10           DR. EPSTEIN: Well, I think, unfortunately, we are  
11 not prepared to answer Dr. Stroncek this morning, and that  
12 is the problem. I would say this, just a couple of things.

13           First of all, in the IND phase, although we  
14 approved large-scale studies, there was not the presumption  
15 that that led directly to 100 percent screening. Certainly,  
16 that is a goal, and I think that Dr. Stroncek has done us a  
17 service by pointing out that there may be some barriers to  
18 access to NAT testing for I presume small institutions,  
19 mainly hospital based, and I think that we simply need to  
20 look into that and discuss with the manufacturers how they  
21 will ensure 100 percent availability as soon as is feasible.

22           So, I think it is sort of fruitless for us to try  
23 to dispute the facts here this morning because we don't have  
24 the information, but we certainly will take on the  
25 challenge.

1 DR. HOLLINGER: Thank you. I have just a couple  
2 other questions. Dr. Chamberland.

3 DR. CHAMBERLAND: Yes. Has either the Red Cross  
4 or the ABC blood centers provided FDA with information about  
5 the results of no doubt early, but nonetheless, in-progress,  
6 lookback investigations for recipients who may have received  
7 products from these NAT-positive antibody-negative  
8 donations?

9 DR. HEWLETT: Not until the present, but  
10 obviously, that is something we would be asking them to  
11 provide us with. Those investigations are going on. It is  
12 my understanding that some of them are almost complete, but  
13 some of the others are still in progress. There are a total  
14 of five cases at this point.

15 DR. HOLLINGER: Dr. Fitzpatrick.

16 DR. FITZPATRICK: I just have two questions. The  
17 first one, you mentioned universal release as early as 1  
18 July. What percentage of the blood supply and whom do you  
19 think will be doing that?

20 The other one is on the statement that there is no  
21 product labeling or enhanced safety claims, is there any  
22 effort by the FDA to enforce that issue?

23 DR. HEWLETT: In regard to the first question, it  
24 is an informal response, and I think I would like for Sue,  
25 who is in the audience, to comment about it.

1           We have heard statements being made that there is  
2 going to be a good-faith effort to try to bring this on-line  
3 even as soon as the 1st of July, and I will ask Sue to  
4 comment about it.

5           In regard to product labeling, there has been  
6 effort made at the FDA to enforce that. We have, in fact,  
7 sent letters to manufacturers addressing that very specific  
8 issue, and it has been brought to our notice by several  
9 people in the field, and, yes, we are making an effort to  
10 pursue that in the form of correspondence back to the  
11 manufacturers who are engaged, if, at this point they are,  
12 in fact, engaged in promotion labeling, that has been  
13 addressed in terms of correspondence being sent back to  
14 them.

15           DR. HOLLINGER: Dr. Stramer.

16           DR. STRAMER: Sue Stramer from American Red Cross.

17           Firstly, I would like to comment on Mary  
18 Chamberland's question regarding efforts regarding lookback.

19           Two of the five cases of NAT, only serological  
20 negative units that were reported by Dr. Hewlett, we were  
21 able to control all products from those two units, so there  
22 were no products issued from those.

23           Regarding lookback, both were repeat donors whose  
24 previous donation was greater than 12 months prior to the  
25 NAT-positive donation that we retrieved. So, per our IND,

1 we are only doing a 12-month lookback for HCV according to  
2 current guidelines for HCV antibody.

3           Regarding the implementation phases, I can speak  
4 to the ARC issues and how we are moving forward. We  
5 implemented NAT on 3-3-99, and in order to bring up 45  
6 percent of the blood in the United States collected at 37  
7 regions, it took us some time, because we wanted to do this  
8 carefully and not compromise availability, as Dr. Hewlett  
9 referenced, and compromise cGMP efforts to do this properly  
10 and within the context of the IND, we brought up our regions  
11 in phases, in five groups.

12           So, the final group of regions just began testing  
13 on June 7th, so now we are at 100 percent of Red Cross  
14 collections and any collections that Red Cross tests for are  
15 tested by NAT, but we do release under Phase I based on  
16 serology.

17           We are aggressively moving beginning in the July  
18 time frame, not necessarily corresponding with July 1st,  
19 although we would like that date to be true, but we are  
20 moving as aggressively as possible to now test very small  
21 pools, pools of 16, simultaneously with serology, such that  
22 all products may be released on the basis of NAT.

23           We are not there yet, but in the July, hopefully,  
24 not too much later into the August time frame, we will be at  
25 that point.



1 DR. FITZPATRICK: So, that statement refers only  
2 to the Red Cross?

3 DR. HEWLETT: Yes.

4 DR. FITZPATRICK: Thank you.

5 DR. HEWLETT: But I think the general anticipation  
6 is to move towards full implementation in July or by the  
7 fall of this year.

8 DR. STRAMER: Today, at this meeting, I also  
9 represent the AABB, and the AABB has put together a NAT  
10 advisory task force to discuss the issues relevant to the  
11 implementation of NAT nationwide, and all blood centers  
12 involved in the nationwide INDs, who are part of the AABB  
13 task force, including Dr. Stroncek, have discussed actively  
14 rapid movement to Phase II, such that all products are  
15 released on the basis of NAT.

16 Many of the ABC centers are already doing that, so  
17 much of the blood and probably greater than 50 percent of  
18 the blood released by the ABC centers is already released on  
19 the basis of NAT, and those that are not are moving  
20 coincident with the ARC timeline, so by the end of the  
21 summer, latest early fall, we anticipate all ABC centers and  
22 all ARC centers to be releasing all products on the basis of  
23 serology and NAT, unless emergency conditions force release  
24 just based on serology, for example, for emergency platelets  
25 or fresh red cells, or whatever the need be, but it would

1 only be based on emergency release.

2 DR. HOLLINGER: Thank you, Dr. Stramer. Thank  
3 you, Dr. Hewlett.

4 The next topic, Dr. Lynch is going to tell us  
5 about the human parvovirus B19 transmission in solvent  
6 detergent treated plasma.

7 **Human Parvovirus B19 Transmission**

8 **from SD Plasma**

9 **Thomas Lynch, Ph.D.**

10 DR. LYNCH: Thank you, Dr. Hollinger. Good  
11 morning.

12 [Slide.]

13 B19, as you recall, is a common non-enveloped  
14 human virus about which you have some background information  
15 I think you should have received in the packets. In the  
16 interests of time, I only want to make two points about  
17 human parvovirus.

18 First, in the majority of infections, the patient  
19 is asymptomatic or very mildly symptomatic, however, there  
20 are certain populations of patients who are at risk for  
21 significant clinical consequences of B19.

22 These are principally pregnant women, individuals  
23 suffering from hemolytic anemia, and immune-compromised  
24 individuals. In those cases, there can be serious  
25 consequences of the infection and therefore B19 is not to be

1 taken overly lightly.

2           The second point I want to make is that in the  
3 ordinary course, an individual, once he or she is infected  
4 with B19, mounts a rapid and effective immune response, the  
5 antibodies neutralize the virus, and the individual is then  
6 immune from future infections with B19.

7           Most individuals, most adult individuals in this  
8 country and elsewhere in the world have been exposed to B19  
9 at one time or another, and are resistant to it, and most  
10 individuals would test seropositive for anti-B19 antibodies.

11           [Slide.]

12           Pooled plasma, solvent detergent treated, is a  
13 product that was licensed here in the U.S. a little over a  
14 year ago, and it has been in use in several countries in  
15 Europe for some time longer than that.

16           It is manufactured by taking units of recovered  
17 plasma from whole blood donations, pooling together as many  
18 as 2,500 donations, treating the pool with a mixture of a  
19 solvent and detergent to inactivate lipid envelope viruses.  
20 This technique has proved highly effective at inactivating  
21 hepatitis B, hepatitis C, and HIV. The solvent detergent is  
22 then removed from the plasma and the plasma is redistributed  
23 into individual units.

24           The purpose for this procedure is to reduce the  
25 residual risk associated with hepatitis B, C, and HIV that

1 remains associated with single donations of FFP. However,  
2 one of the considerations during the licensing review phase  
3 for this product was the question of whether the pooling  
4 process would increase the risk of exposing patients to non-  
5 envelope viruses which would not be inactivated by the  
6 solvent detergent treatment. Principally, hepatitis A and  
7 B19 were the viruses of concern.

8           Because the majority of people are, in fact,  
9 seropositive for anti-B19 and hepatitis A, it was felt that  
10 the presence of neutralizing antibodies in these pools would  
11 be (a) consistent, and (b) effective at preventing  
12 transmission of these viruses to the recipients of this  
13 product.

14           This issue, as you recall, was taken up by this  
15 committee on at least two occasions in the past. In the  
16 end, the decision was made to move forward with licensure,  
17 however, the sponsor of the product was asked to perform a  
18 Phase IV safety study, examining specifically the risk, if  
19 any, associated with the transmission of non-envelope  
20 viruses, hepatitis A and B19.

21           [Slide.]

22           The study did not have to be terribly extensive in  
23 scope. We calculate that the risk of viremia donation for  
24 B19 is anywhere from 1 in 3,000 to 1 in just under 40,000.  
25 Therefore, given the size of the pools by which solvent

1 detergent plasma is manufactured, the risk of an individual  
2 lot containing B19 would range anywhere from just under 10  
3 percent to approximately 75 percent.

4           Therefore, if this virus was transmitted, it  
5 should be readily detected in a modest clinical trial. Such  
6 a trial was designed and was initiated last year, and  
7 earlier this year, FDA received an interim report on the  
8 results of this trial.

9           [Slide.]

10           Jumping to the end, to date we have had 50 healthy  
11 normal volunteers who were initially seronegative for both  
12 hepatitis A and B19 enrolled in this trial. There has been  
13 to date no evidence for hepatitis A transmission by the  
14 product, just to get that issue off the table.

15           However, initially, the sponsor reported that 9  
16 out of, at the time, 41 individuals had shown evidence of  
17 seroconversion either via IgG or IgM assays, seroconversion  
18 to B19. This phenomena was investigated by the use of PCR  
19 testing of the individual patient's plasma, which confirmed  
20 the presence in the seroconverting individuals of high  
21 titers of B19, suggesting that an active infection had in  
22 fact taken place.

23           [Slide.]

24           PCR testing was also applied to the lots of SD  
25 plasma that had been used in the trial. All of the

1 seroconversions had been associated with 2, the use of 2 of  
2 a total of 9 lots that had been used in the trial, and both  
3 of those lots had high titers of B19 DNA, approximately  $10^7$   
4 genome equivalence per ml.

5           The 7 lots that did not exhibit any evidence of  
6 seroconversion for transmission of the virus had titers  
7 below  $10^4$  equivalence per ml. In all, the lots that were  
8 tested, a very uniform level of anti-B19 antibody was found,  
9 approximately 20 international units per ml.

10           At this point, the sponsor was asked to withdraw  
11 all lots that contained greater than or equal to  $10^4$  genome  
12 equivalence per ml of B19, and to test all lots manufactured  
13 to date by PCR for B19 DNA.

14           The upshot of this was recall in 3 separate cycles  
15 of 37 lots of SD plasma in April and May of this year, which  
16 is equivalent to approximately 25 percent of the production  
17 of this product.

18           [Slide.]

19           To address the observations made during this  
20 trial, the manufacturer has developed a release test for B19  
21 DNA that will be applied to future releases of all lots of  
22 SD plasma, therefore, no future lots will be released if  
23 they have high titers of B19.

24           The sponsor is also developing a screening test  
25 for the incoming plasma in order to eliminate viremic units,

1 high-titered units before they are manufactured into SD  
2 plasma, and with suitable modifications, the clinical trial  
3 will resume using only low-titered lots of SD plasma to  
4 confirm that the threshold that has been set for B19 DNA is  
5 in fact appropriate and will assure against future  
6 transmissions of the virus to recipients of this product.

7 Thank you.

8 DR. HOLLINGER: Any questions of Dr. Lynch? Yes,  
9 Dr. Stroncek.

10 DR. STRONCEK: First of all, I heard about the  
11 recall via the FDA web page, and that is a nice service. I  
12 have yet to appear about it through the manufacturer or our  
13 distributor of the product. Maybe you could look into that  
14 to see if that has been recall appropriately.

15 Second, from what you have said, it is my  
16 understanding that this was a concern, parvovirus infection,  
17 about this blood product. You know, we were told the  
18 neutralizing antibodies would take care of any parvovirus in  
19 the product and it shouldn't be an issue.

20 The product was released. We have now found that  
21 that was wrong, that there was a problem with the product  
22 and some lots are released. I am not an infectious disease  
23 expert, but from my point of view, it looks like there is  
24 still some concern whether or not the steps taken will  
25 ensure complete safety of this product.

1           You have listed three groups of people where this  
2 might be a concern. Parvovirus infection, it doesn't sound  
3 like a lot, but quite honestly, immune-compromised patients  
4 do represent a significant portion of people that currently  
5 get transfused.

6           I guess my question is why is this product still  
7 on the market, and shouldn't this be withdrawn from the  
8 market until it has been proved to be completely safe, and  
9 then be put back on the market.

10           DR. LYNCH: Well, complete safety is an admirable  
11 ideal, and I think we all strive for it. It is, in fact,  
12 difficult to achieve. The concern over the individuals that  
13 you mentioned, the at-risk populations, is considerable,  
14 significant, and is recognized, and the labeling for this  
15 product points out the risks to these patients, the  
16 potential risk resulting from a B19 infection.

17           Because the product offers alternative benefits  
18 with regard to transmitting envelope viruses, it was felt  
19 reasonable to leave the decision to treat a specific  
20 individual up to the discretion of the physician and to make  
21 the product available to those who wished to minimize the  
22 alternative risks that the solvent detergent procedure  
23 addresses.

24           We feel that the precautionary measures that have  
25 been implemented since this information came to light will,



1 in fact, address the safety of this product adequately. Are  
2 we absolutely sure of that? No, that is why the Phase IV  
3 study will continue, and it will be scrutinized very  
4 carefully both by the participating investigators and by  
5 FDA.

6 In terms of the effectiveness of the recall, your  
7 point is well taken. We did go through the exercise of  
8 recalling the product, but, in fact, although this procedure  
9 is not visible to the general public, our Office of  
10 Compliance has worked very closely with the manufacturer and  
11 the consignees of the product to assure the effectiveness of  
12 this withdrawal.

13 DR. STRONCEK: I spoke with a medical director of  
14 one of the blood distribution centers handling this product,  
15 and this information that you have presented today was not  
16 being made available to them. They informed me that their  
17 calls to the manufacturer are not being returned to them.

18 So, while I think there needs to be some--if this  
19 product is going to stay on the market, the manufacturing  
20 distributor had better do a better job of communicating the  
21 benefits and risks of this product.

22 DR. LYNCH: Thank you.

23 DR. HOLLINGER: Dr. Boyle.

24 DR. BOYLE: I note in your slides you point out  
25 that there is well-documented transmission by clotting

1 factor concentrates, but no confirmed reports of  
2 transmission by IG, albumin, and so on.

3 Is there a part in the manufacturing process that  
4 you would identify as likely to be removing these non-  
5 enveloped viruses like parvovirus?

6 DR. LYNCH: Yes, the situation for the  
7 manufactured products, the plasma derivatives, is complex.  
8 Parvovirus is physically very small, so it is difficult to  
9 filter out, and it is resistant to physical and chemical  
10 methods of inactivation that are commonly used.

11 However, it can be separated or partitioned away  
12 from one or another product, and it is somewhat sensitive to  
13 heat treatment. So, for example, I would speculate that  
14 albumin, the absence of transmission by albumin might result  
15 from a combination of the partitioning during the  
16 fractionation of that product, and perhaps inactivation of  
17 some small residual virus during pasteurization of the  
18 product.

19 For immune globulin, the IGIV products all have  
20 different viral inactivation methods. If it is solvent  
21 detergent, it wouldn't address the risk, nonetheless, these  
22 products don't transmit either, and I think my guess would  
23 be that this is an example of immune neutralization of the  
24 virus by the IgG itself.

25 IGIV, if you will recall, is the principal

1 treatment for an acute or chronic B19 infection, and has  
2 proved highly effective in that regard.

3 DR. HOLLINGER: Dr. Chamberland.

4 DR. CHAMBERLAND: Although reporting of adverse  
5 events is really a passive system and hence subject to a lot  
6 of limitations, is FDA aware of any adverse events that  
7 could potentially be representative of infection with B19,  
8 acute infection among actual recipients of the product  
9 outside of these Phase IV trials?

10 DR. LYNCH: Actually, yes. I was informed this  
11 morning that the manufacturer had received one report. It  
12 is the first report to my knowledge that an individual  
13 outside of the clinical trial had apparently seroconverted  
14 after using SD plasma.

15 The individual infected was a recipient of several  
16 of the lots of SD plasma that had been recalled, but I have  
17 no further particulars on that incident, and it hasn't been  
18 formally filed with our Medwatch system.

19 As you know, Mary, that is under a fairly tight  
20 timeline, so we should be getting a full report on that  
21 shortly.

22 DR. HOLLINGER: But other than seroconversion, any  
23 clinical problem?

24 DR. LYNCH: Not to my knowledge, no. There is  
25 certainly nothing in Medwatch that is suggestive, and during

1 the clinical trial, there was no evidence of seroconversions  
2 or certainly symptomatic infections.

3 DR. HOLLINGER: Thank you, Dr. Lynch.

4 The next topic, Dr. Nightingale is going to give  
5 us a summary from the Blood Safety and Availability Advisory  
6 Committee meeting.

7 **Blood Safety and Availability Advisory**

8 **Committee Meeting Summary**

9 **Stephen Nightingale, M.D.**

10 DR. NIGHTINGALE: In the interests of time, let me  
11 get started. I can do this without the overheads if I have  
12 to.

13 Dr. Hollinger and Committee members: the Advisory  
14 Committee on Blood Safety and Availability met on April 29th  
15 and 30th of this year to examine the reserve capacity of the  
16 United States blood supply and to recommend how it might be  
17 strengthened.

18 [Slide.]

19 By way of very brief introduction, as I believe  
20 the BPAC members know, the Advisory Committee was chartered  
21 on October 6th, 1995, to advise the Secretary and the  
22 Assistant Secretary for Health on a range of issues to  
23 include one of three: the implications of blood safety and  
24 availability of various economic factors affecting blood  
25 product cost and supply; number two, definition of public

1 health parameters around safety and availability of the  
2 blood supply; number three, broad public health ethical and  
3 legal issues related to blood safety, and our meeting was  
4 conducted under this rather broad mandate.

5 [Slide.]

6 I am sorry, I had shown this merely to show that  
7 Dr. Busch, Dr. Chamberland, Dr. Epstein, and Dr. McCurdy are  
8 members of the committee here in the room, and Major  
9 Fitzpatrick will be joining the committee on the 1st of  
10 July.

11 The second, which is up there, the charge to the  
12 Advisory Committee is listed up here, and I will read it  
13 because it is brief for the record, that it may be necessary  
14 at sometime in the future to defer at least temporarily some  
15 portion of the donor pool in order to maintain the integrity  
16 of the blood supply; that this action should be done in a  
17 way that would minimize the impact on those who depend on  
18 blood transfusions for their health and even for their  
19 lives, and finally, plans to utilize the reserve capacity of  
20 the blood supply should be established before and not after  
21 circumstances require use of this reserve.

22 Dr. Satcher further charged the Advisory Committee  
23 to do so before and not after circumstances might require  
24 use of this reserve capacity. He concluded his charge by  
25 reminding the Advisory Committee that we should never be in

1 the position, as some have suggested we may have been in the  
2 past, where we would feel obligated to release a unit of  
3 blood if we had any doubt whatever about its safety.

4 [Slide.]

5 Regarding the availability of blood supply, Ms.  
6 Marian Sullivan of the National Blood Data Resource Center,  
7 which is an affiliate of the American Association of Blood  
8 Banks, then described for us the current availability of our  
9 blood supply.

10 She stated that in 1997, about 12.6 million units  
11 of blood were collected and about 11.5 million units of red  
12 cells were transfused, 93 percent of the allogeneic units  
13 were transfused, 2 percent were discarded because of  
14 screening test results, 4 percent became outdated, and 1  
15 percent were unaccounted for.

16 However, as shown on this slide, total blood  
17 collections decreased by 5.5 percent between 1994 and 1997,  
18 while the total number of whole blood and red cell  
19 transfusions increased by 3.7 percent during the same  
20 period.

21 Extrapolating from these current trends, Ms.  
22 Sullivan estimated an available blood supply in the year  
23 2000 of 11.7 million units, and a total demand of 11.9  
24 million units.

25 Three substantive comments were made in the

1 discussion that followed this presentation. First, most  
2 outdated units are group AB blood donations, which as  
3 everybody I believe in the room knows can only be transfused  
4 into group AB recipients.

5           Second, the fact that overall supply exceeded  
6 overall demand during 1997 does not mean that there were no  
7 local shortages during that year, as in fact there were.

8           Third, one factor contributing to the trend Ms.  
9 Sullivan described is the aging of the population since  
10 about half of all transfusion recipients are over 65. As a  
11 result, as the population ages, there will be  
12 proportionately fewer donors and proportionately more  
13 recipients.

14           Dr. George Schreiber of Westat, Inc., and the  
15 National Heart, Lung, and Blood Institute sponsored a  
16 retroviral epidemiology donor study, then discussed how  
17 donor retention might influence the reserve capacity of the  
18 blood supply.

19           He began by noting that while almost half the  
20 adult population in the United States donated blood at  
21 sometime, only about 5 percent donate in a given year. In  
22 1995, about 32 percent of the roughly 8 million blood donors  
23 were first time donors. Half of these new donors never  
24 returned, and two-thirds of those that did, returned during  
25 the first year after the donation.

1 Dr. Schreiber estimated that if the rate at which  
2 first-time donors returned for a second donation within one  
3 year could be increased by 15 percent, the blood supply  
4 could be increased by 10 percent.

5 The discussion that followed focused on the  
6 suitability of these donors that might be induced to return.  
7 Dr. Schreiber had found that individuals who had donated  
8 only twice had no greater incident of HIV or hepatitis C  
9 than individuals who had donated more than twice.

10 A similar observation has been made about paid  
11 plasma donors. Those who return only once regardless of the  
12 interval after their original donation appeared to be just  
13 as suitable as those who returned more often and more  
14 frequently.

15 Dr. Michael Busch of the Blood Centers of the  
16 Pacific then discuss differences in risk factors among blood  
17 donor groups. Dr. Busch and others have found that the  
18 prevalence of deferrable risk is 1.5 to 2 times higher for  
19 just about any given risk in first-time donors than in  
20 repeat donors.

21 As a result, he concluded that a donor referral  
22 strategy that would increase the fraction of new donors in a  
23 donor pool would increase the risk of that pool, however,  
24 Dr. Busch did notice there was less difference between the  
25 incidence--that means the new onset of deferrable risks--in



1 new and repeat donor population.

2           The consensus of the Advisory Committee emerged  
3 that the retention of more first-time donors, as Dr.  
4 Schreiber suggested, was the strategy most likely to  
5 increase the capacity of the United States blood supply and  
6 least likely to increase its risk.

7           There was also consensus that it would cost a  
8 substantial amount of money and incentives, direct or  
9 indirect, to retain these first-time donors, and that blood  
10 banks could not fund these additional costs from current  
11 revenues, however, no conclusions could be reached on what,  
12 if any, incentives up to and including paid donation would  
13 be effective, how much they would cost, or who would pay for  
14 them.

15           With this in mind, the Advisory Committee then  
16 addressed the issues of what, if anything, individuals with  
17 hemochromatosis or the blood substitute industry could  
18 contribute to the reserve capacity of the blood supply.

19           [Slide.]

20           After considering this issue, the Advisory  
21 Committee concluded the statement that I have, the third  
22 here, which is a complementary strategy in addition to  
23 increasing the retention of first-time donors, would be to  
24 eliminate undue financial incentives for blood donation by  
25 individuals with hemochromatosis, and that such undue

1 incentives are removed to create policies that eliminate  
2 barriers to the use of this resource.

3           The potential contribution of this resource is  
4 substantial, but uncertain, but again, there is no guarantee  
5 that this potential would be realized.

6           I would be glad to answer any questions. I hope I  
7 have kept within my allotted time.

8           DR. HOLLINGER: Any questions? Ohene.

9           DR. OHENE-FREMPONG: Would you care to elaborate a  
10 little bit on the issue with those with hemochromatosis?

11           DR. NIGHTINGALE: Yes. What I think I would like  
12 to do by way of elaboration would be to read the exact  
13 statement that was passed unanimously by the Advisory  
14 Committee. I think that that would probably be sufficient  
15 elaboration for purposes of opening the discussion.

16           That recommendation reads incompletely as follows.  
17 The Advisory Committee recognizes that blood products  
18 obtained from persons with hemochromatosis carry no known  
19 increased risk to recipients attributable to hemochromatosis  
20 per se and therefore may be a valuable resource to augment  
21 the diminishing blood supply.

22           The Advisory Committee also recognizes that the  
23 obligate need for phlebotomy can constitute an undue  
24 incentive for blood donation due primarily to financial  
25 considerations.

1           For this reason, the Department of Health and  
2 Human Services should create policies that eliminate  
3 incentives to seek donation for purposes of phlebotomy. As  
4 such undue incentives are removed, the Department should  
5 create policies that eliminate barriers to using this  
6 resource.

7           Would you like to ask a follow-up question or was  
8 that sufficient?

9           DR. OHENE-FREMPONG: What is the rough estimate of  
10 how much phlebotomy from patients with hemochromatosis would  
11 add to the blood supply?

12           DR. NIGHTINGALE: The doctor asked the question  
13 why I glossed over it in my initial presentation. Let me  
14 give you the slightly less short answer.

15           The estimates given to the Advisory Committee  
16 ranged from 300,000 units to 3 million units of whole blood  
17 and red cells that could be added to the blood supply.  
18 There is some concern at least at the staff level, which I  
19 guess would be me, that the lower estimate might, in fact,  
20 be high.

21           Clearly, the frequency of the gene is known.  
22 There would be roughly a million people in the United States  
23 with the gene. At the same time, a quarter of those million  
24 people are under 21. Half of those million people are  
25 female who, while they do express the gene, I think it is 88

1 percent, if I have got the right number, of the diagnosed  
2 cases of hemochromatosis are male.

3           We have the issue of expression of the gene even  
4 though it is a C282, the nucleotide is the same. People are  
5 more complicated than that. So, exactly how many people  
6 would come in is the first question.

7           The second question is how many of those people  
8 would meet the current AABB standards, for example, for  
9 hematocrit. Clearly, because the phlebotomies are being  
10 done for therapeutic purposes, during the induction phase,  
11 the individual would be bled down below a hematocrit of 38.  
12 I think they are bled down to I believe 31 is the number  
13 that is used at least in some centers.

14           So, how many of these units would be useful is a  
15 second question. I think what folks came to would be a  
16 certain number we would like to think 180,000 seems like a  
17 reasonable estimate of how many units it would be. That  
18 would be the number we would expect to see for new people  
19 entering the system.

20           The second even less well characterized part of  
21 the answer to your question is how many people with  
22 hemochromatosis are currently donating blood, and I don't  
23 think anybody has a good answer on that one. I certainly  
24 don't.

25           DR. HOLLINGER: But they are a continuous source

1 since they are asked to donate at least three or four times  
2 a year after the iron had been removed from their system.

3 DR. NIGHTINGALE: Yes.

4 DR. HOLLINGER: Any other questions? Yes, Dr.  
5 Stroncek.

6 DR. STRONCEK: I would comment that I would be  
7 careful about assumptions about blood shortages because I  
8 think if a shortage occurs, many people will step forward  
9 and donate, so I am not sure that we will have a shortage  
10 and that we do need to change the policy on people with  
11 hemochromatosis.

12 The other comment is that it seems kind of  
13 schizophrenic to be recommending that one group here we have  
14 people with hemochromatosis donate, which I agree are  
15 probably no risk, on the other hand, we are going to talk, I  
16 think the next agenda item, on people traveling to England  
17 can't donate. They don't seem consistent.

18 DR. NIGHTINGALE: We would probably want to  
19 discuss privately the use of the term schizophrenic.

20 DR. HOLLINGER: The next topic is going to be a  
21 summary of the Transmissible Spongiform Encephalopathies  
22 Advisory Committee, which was held June 2nd, in regard to  
23 new variant CJD.

24 Dr. Jacobs.

25 **Transmissible Spongiform Encephalopathies Advisory**

1                                   **Committee Meeting Summary**

2                                   **Mary Elizabeth Jacobs, Ph.D.**

3                   DR. JACOBS: Thank you, Dr. Hollinger. Good  
4 morning, members of the Committee, ladies and gentlemen.

5                   [Slide.]

6                   At your March meeting you received an update on  
7 the December 1998 meeting of FDA's Transmissible Spongiform  
8 Encephalopathies Advisory Committee meeting, and that is an  
9 advisory committee which advises all parts of the FDA on  
10 TSE-related questions.

11                   We had brought to them the question of considering  
12 deferral of blood donors based on their foodborne exposure  
13 to the BSE agent through travel to BSE countries or  
14 residents there in order to reduce the theoretical risk of  
15 transmission of new variant CJD through blood.

16                   At that December meeting, the Committee voted to  
17 recommend deferral, but asked for a survey of travel times  
18 in order better to estimate the impact on the blood supply.

19                   In the June meeting, they took up that question  
20 again with the survey results, and again in order to have  
21 continuity with this committee, Dr. Hollinger served as a  
22 temporary voting member, as did Dr. Nelson, and we had other  
23 members who were there including Dr. Sayers and Dr. Leitman  
24 representing the blood banking community.

25                   That transcript will soon be on our web site.

1 [Slide.]

2 In the agenda, we had first a consideration of the  
3 survey results by Dr. Williams, which I will give you in a  
4 second. We then had two speakers on scientific aspects  
5 related to the time of the BSE epidemic and modeling of new  
6 variant epidemic--excuse me, I shouldn't use new variant  
7 epidemic--it's the BSE epidemic.

8 Dr. Donnelly is the head of the Statistics Unit at  
9 the University of Oxford, which has done all the modeling of  
10 the BSE epidemic, and they also have done modeling for new  
11 variant.

12 You can see her discussion on the web site, but in  
13 brief, the conclusions were that at the current time we  
14 cannot say how many cases of new variant CJD will occur.  
15 The estimates go from under 500 to as high as 500,000, and  
16 the primary unknown is the time of incubation of new variant  
17 CJD.

18 The highest number, 500,000 cases, comes from  
19 using 40 years for the time of incubation. It is possible  
20 that if the cases go down over the next two years, that  
21 better estimates will be available for that, but right now  
22 there are no estimates beyond this frame of under 500 to as  
23 high as 500,000.

24 Next, we had Mr. Philip Comer speak. He was the  
25 primary person responsible for a risk assessment which was

1 done under contract to the Department of Health in the UK,  
2 and they asked Det Norsk Veritas, which is a consulting  
3 firm, to look at estimates for infectivity and to potential  
4 transmission through blood.

5           That risk assessment has been peer reviewed and is  
6 now publicly available. Again, although no definite  
7 scientific or good scientific data are available, in brief,  
8 we can state that there are more concerns with new variant,  
9 and these concerns include higher amounts of abnormal prions  
10 in the brain and also the potential role of B lymphocytes.

11           Next, we turned to questions of shortages because  
12 we asked the Committee to consider this in the light of  
13 potential shortages, and Dr. Nightingale, who just spoke,  
14 gave us a somewhat more detailed discussion of the BSE  
15 meeting.

16           Finally, we had a brief summary of Canadian  
17 discussions. There was a meeting of their National Blood  
18 Safety Council, and they have had parallel discussions,  
19 because they also have fair numbers of their blood donors  
20 who travel to BSE countries, yet, they have had no  
21 indigenous cases of BSE. They had one case in an imported  
22 animal.

23           [Slide.]

24           We at FDA feel very grateful to Dr. Williams, who  
25 is the principal investigator, and all those involved in



1 this survey which was so quickly done between the meeting in  
2 December and having results available in June.

3 It was done through the American Red Cross ARCNET  
4 program with support from National Health, Lung, and Blood  
5 Institute REDS program, and in cooperation with the American  
6 Association of Blood Banks and America's Blood Centers.

7 It was a random sample, anonymous mail survey.  
8 They had approximately 49 percent response at the time of  
9 the June 2nd meeting, and Dr. Williams presented data on  
10 8,666 responses from a total of 19,067 that had been sent  
11 out.

12 Let me give you two of their results before we go  
13 to the main ones on which the decisions were made. They  
14 found that there were a total of 22.6 percent of all blood  
15 donors who responded had been in either the United Kingdom  
16 or the Republic of Ireland between 1980 and 1996.

17 Just for those of us who have forgotten our sixth  
18 grade geography, the United Kingdom includes Great Britain,  
19 which is England, Scotland, and Wales, and then going into  
20 the United Kingdom, Northern Ireland, the Channel Islands,  
21 which are Guernsey and Jersey, and the Isle of Mann. In  
22 addition, the Republic of Ireland was included.

23 So, in total, 22.6 percent had gone there, and as  
24 expected, more donors in older age groups had traveled  
25 there.

1 [Slide.]

2 Dr. Williams has given his okay to show his data  
3 today, and these are I think the heart of the matter. They  
4 show, in the first column, the deferral criterion, in other  
5 words, greater than or equal to 5 years cumulative in the UK  
6 or Republic of Ireland between 1980 and 1996 and then  
7 following down greater than or equal to 1 year, 6 months, et  
8 cetera.

9 The next column shows you the percent of the U.S.  
10 blood supply which this travel represent, and the last one  
11 shows you the cumulative person-days.

12 Now, one of the difficulties here is modeling the  
13 exposure which took place between 1980 and 1996, and the  
14 exposure method that was used here was linear exposure, in  
15 other words, to say 1 person who was there for 1 day is one  
16 person-day, 1 person who was there for 100 days is 100.

17 So, if we look at this, you can see what  
18 percentage in the righthand column, what percentage of the  
19 risk, if it is modeled in a linear way, would be removed if  
20 we used the deferral criteria on the left side and what  
21 percentage of the U.S. blood supply would then be removed.

22 Let's go to the decision that was made by the PHS  
23 Committee. We will then next go to the Committee vote, but  
24 then to focus on 6 months or greater residence would give a  
25 loss of 2.2 percent of the U.S. blood supply given our

1 current donor profiles as represented in the survey, and  
2 would remove about 80 percent of the risk if it is  
3 calculated linearly.

4 [Slide.]

5 Following the deliberations of the Committee and  
6 the presentations, they voted, and for the record I will  
7 read in what the questions were and what their vote was.

8 Should FDA recommend new deferral criteria for  
9 donors of transfusable components to reduce the theoretical  
10 risk of transmitting nvCJD from transfusions based on donor  
11 exposure to BSE in the UK?

12 Their vote was 12 yes, 9 no, 0 abstained.

13 [Slide.]

14 Next, we asked the question, if so, what deferral  
15 criteria should FDA recommend, that is, time period, nature  
16 and length of exposure.

17 Rather than take a vote on one time period, the  
18 Committee took a poll, and the poll showed that 5 years or  
19 more was recommended by 3 members, 3 years or more by 1  
20 member, 1 year or more by 5 members, 6 months or more by 7  
21 members, and 4 months or more by 4 members.

22 [Slide.]

23 We did only one thing differently in asking the  
24 questions this time compared to December, and that was  
25 separating out the question of plasma donors compared to

1 blood donors.

2           The second question asked the question for plasma  
3 donors. Should FDA recommend new deferral criteria for  
4 donors of source plasma and recovered plasma for further  
5 manufacture into injectable products to reduce the  
6 theoretical risk of transmitting new variant CJD from plasma  
7 derivatives based on foodborne exposure to BSE in the UK?

8           Here, the vote was 12 yes, 8 no, 0 abstention.

9           [Slide.]

10           The next one. Again, we asked, if so, what  
11 deferral criteria should FDA recommend, that is, time  
12 period, nature and length of exposure? The Committee did  
13 not vote on this question as written, but voted on keeping  
14 the criteria for question for 1b the same as the criteria  
15 for 2b. 19 yes, 0 no, 0 abstained.

16           [Slide.]

17           Now, what has been our follow-up? The Public  
18 Health Service has a committee called the Blood Safety  
19 Committee. This is chaired by Dr. Satcher, who is both  
20 Surgeon General and Assistant Secretary for Health.

21           That committee, which includes FDA, CDC, NIH,  
22 HCFA, and the Department, met one week later. There was a  
23 unanimous vote by the committee to Dr. Satcher to endorse  
24 the recommendations for deferral, and they recommended that  
25 the criteria be six months or more cumulative months of

1 residence or travel.

2           They also recommended that FDA review the  
3 scientific data every six months, which underpin this  
4 decision, and here I will add that FDA has a standing  
5 committee across all of its centers and also one within  
6 CBER, which follows the scientific information on a routine  
7 basis.

8           This committee assigned to FDA for implementation  
9 through revised guidance, and Dr. Scott will talk about the  
10 guidance, and also the committee and Dr. Satcher pledged to  
11 work on donor recruitment and retention, and to monitor the  
12 impact on supply.

13           [Slide.]

14           This shows our proposed implementation plan. FDA  
15 will call for blood establishments to implement this  
16 deferral as soon as feasible, but within 6 months of  
17 issuance of the guidance.

18           The FDA guidance will call for indefinite deferral  
19 from donations of blood or plasma of persons who lived in or  
20 traveled to the United Kingdom, England, Scotland, Wales, et  
21 cetera, for a cumulative time of 6 months or more between  
22 January 1980 and December 1996.

23           Thank you.

24           DR. HOLLINGER: Any questions of Dr. Jacobs? Yes,  
25 Dr. Buchholz.

1 DR. BUCHHOLZ: I am sorry, I may have missed your  
2 very last statement. What was the ending date?

3 DR. JACOBS: 1996.

4 DR. BUCHHOLZ: And why was that taken as opposed  
5 to continuing for the foreseeable future?

6 DR. JACOBS: I think the primary reason for that,  
7 although the committee did not explicitly vote on this, was  
8 the effect of the food bans and the displays of these which  
9 we seen in Dr. Donnelly's talk.

10 The remainder seems to be maternal-to-calf  
11 transmission within the UK, and therefore it is thought that  
12 these food bans are effective.

13 DR. HOLLINGER: Dr. Mitchell.

14 DR. MITCHELL: I guess I have more of a comment  
15 than a question. I think that people have been hearing that  
16 travel to England is a deferrable criteria, and I am afraid  
17 that people will not go for blood donation because of that.

18 I was wondering if they considered saying that  
19 living in England instead of travel to may be from a  
20 perception point of view more accurate to define what the  
21 committee has planned to do.

22 Have they talked about saying living in the United  
23 Kingdom during that period of time?

24 DR. JACOBS: I think that that is a very good  
25 question, and that has been discussed partially because

1 sometimes people interpret those terms differently, did they  
2 actually live there or did they only travel there.

3 We are using the term "cumulative 6 months." It  
4 is possible that people who travel there regularly for 2 or  
5 3 weeks could possibly accumulate that amount of time there.  
6 So, I think Dr. Scott will be discussing the questions that  
7 are being considered, and we are trying to incorporate that  
8 aspect of it.

9 DR. MITCHELL: I think the issue is one of  
10 semantics and what is getting out to the public. If you say  
11 to the public, they lived, then, you can explain what lived  
12 actually means 6 months.

13 DR. JACOBS: Yes. Thank you.

14 DR. MITCHELL: Thank you.

15 DR. HOLLINGER: I think we will have Dr. Scott  
16 then tell us about the revised guidance for CJD and nvCJD.

17 **Revised Guidance: CJD and nvCJD**

18 **Dorothy Scott, M.D.**

19 DR. SCOTT: Good morning. I am going to summarize  
20 the proposed revised guidance to reduce the possible risk of  
21 transmission of CJD and new variant CJD in blood and blood  
22 products.

23 [Slide.]

24 I believe you got a copy of this, this morning,  
25 but I am sure you haven't had time to read it in great

1 detail. What I would like to do is just summarize some of  
2 the main points in that new guidance for your consideration.

3 [Slide.]

4 Since December of 1996, we had a memorandum which  
5 did address deferral of donors and withdrawal of products  
6 for CJD. Since that time, there have been a lot of ongoing  
7 advisory committee discussions, as well as new data that  
8 have come out, so as a result of all of these discussions  
9 and new data, which were taken into consideration, a  
10 recommendation was announced by Dr. Satcher late in the  
11 summer of 1998, and this was published on the internet by  
12 FDA.

13 That changed the previous recommendations, and I  
14 have put in bold the changes. The changes were no longer to  
15 retrieve, quarantine or destroy plasma derivatives if the  
16 donor had CJD risk factors, and I will list those for you,  
17 or CJD, and this was based on evaluation of a large body of  
18 epidemiologic and laboratory evidence, which is summarized  
19 in the new document.

20 It was still recommended to defer donors that had  
21 CJD risk factors or CJD. In addition, another new  
22 recommendation was made to retrieve, quarantine, and destroy  
23 materials if the donor had new variant CJD, and I am going  
24 to go into some of the details of that.

25 The TSE Advisory Committee on June 2, 1999, made



1 the recommendations that Dr. Jacobs has summarized for you,  
2 and that was to defer donors based on exposure to bovine  
3 spongiform encephalopathy in the United Kingdom.

4 This recommendation was actually made in December  
5 of 1998 and reaffirmed in 1999, but with the time period  
6 criteria that you have seen, the majority did vote to defer  
7 donors who traveled to or lived in the United Kingdom for  
8 greater than or equal to six months, but as you saw, there  
9 was a spread of opinions for exactly what this time period  
10 should be.

11 This was endorsed by the PHS Blood Safety  
12 Committee on June 9th of 1999.

13 [Slide.]

14 So, the three points of the new proposed guidance  
15 that I am going to expand upon a little bit are the  
16 incorporation of new donor deferral criteria for the United  
17 Kingdom. It also includes recommendations for products from  
18 donors with new variant CJD and suspicion of new variant  
19 CJD. It also incorporates labeling recommendations for non-  
20 implicated products which mention CJD as a theoretical risk.

21 First, I will just talk about the donor deferral  
22 recommendations which are still to defer all donors, of  
23 course, with CJD or new variant CJD, and also to defer  
24 donors with risk factors including family history of CJD in  
25 greater than or equal to one family member, and this has not

1 changed.

2           In addition, we are asking for deferral of  
3 pituitary hormone recipients. That is a slight change  
4 because before, we had specified human pituitary-derived  
5 growth hormone. Now, we are just saying pituitary growth  
6 hormone and gonadotropins since there are a few reports,  
7 mostly from Australia, that old gonadotropin preparations  
8 also transmitted CJD.

9           In addition, donors who have received dura mater  
10 grafts will be deferred as before.

11           The other new deferral is to defer donors with  
12 risk of exposure to new variant CJD, which you have just  
13 heard of. I have written the wording of that which you have  
14 also essentially just heard, but this would be precisely the  
15 kinds of donors that we would recommend deferral for, donors  
16 who have spent greater than or equal to six months in the  
17 United Kingdom, Great Britain, Scotland, Northern Ireland,  
18 Isle of Mann, Channel Islands, cumulatively between January  
19 1st, 1980, and December 31st, 1996, for the reasons that Dr.  
20 Jacobs has explained.

21           This, of course, encompasses the years when the  
22 BSE epidemic had peaked.

23           [Slide.]

24           I am going to move on to how new variant CJD is  
25 diagnosed. Some of you have probably seen this before. It

1 became important to us because we realized that there could  
2 be cases of new variant CJD in the U.S. eventually if we are  
3 unlucky, and we need to certainly have a criteria for  
4 knowing that a patient has new variant CJD since this is  
5 going to result perhaps in large withdrawals if that person  
6 was a donor.

7           So, neuropathology is still currently required to  
8 make a definite diagnosis of new variant CJD, and I have  
9 listed for you the three neuropathological correlates that  
10 have been described by the United Kingdom groups. These are  
11 the things they believe can give you a diagnosis of new  
12 variant CJD.

13           One is the presence of florid plaques, which is  
14 unusual in regular or classical CJD. Spongiform change,  
15 particularly in certain places, the basal ganglia and the  
16 thalamus, but not the cerebral cortex, as well as  
17 immunohistochemistry, which shows a high-density prion  
18 protein accumulation again which is unusual in regular CJD.

19           Potential new diagnostic indicators are being  
20 developed, but none of these have been validated, and those  
21 include tonsillar biopsy with immunohistochemical staining  
22 for prion proteins. These are prion protein glycoforms  
23 which appear to be different for new variant CJD than for  
24 classical CJD, and also there may be an MRI criteria, which  
25 will be published soon by the United Kingdom group, which

1 suggest that bilateral posterior thalamic signal of high  
2 intensity may be diagnostic for new variant cases.

3           However, it also seems possible that one could  
4 have a new variant case that doesn't have adequate  
5 neuropathology. In fact, you can tell from those criteria  
6 for definite that you may actually want to look at a whole  
7 brain to determine the distribution of lesions.

8           So, it may be necessary to make the diagnosis of  
9 new variant CJD by clinical criteria.

10           [Slide.]

11           The CDC has a case definition for clinical  
12 criteria for new variant CJD in the United States, and we  
13 considered using this actually as a criteria for withdrawal  
14 in addition to neuropathological correlates of new variant  
15 CJD, but this CDC definition has to include all of the nine  
16 following qualities, and these again are mostly things that  
17 would distinguish a new variant CJD case from a classical  
18 CJD case.

19           First of all, the patients are typically young,  
20 age less than 55. They present with painful sensory  
21 symptoms or psychiatric symptoms rather than movement  
22 disorders or even cognitive dysfunction.

23           They have a delayed development of neurologic  
24 symptoms after their initial presentation. In most cases,  
25 this is for more than four months, but there have been

1 exceptions. They may have a normal or abnormal EEG, but not  
2 the EEG changes which are pseudoperiodic sharp waves that  
3 are seen in classical CJD, and they typically have a long  
4 duration of illness that is very drawn out compared to most,  
5 but not all, CJD cases.

6 It would be important for routine investigations  
7 to not suggest alternative non-CJD diagnoses. In the CDC  
8 criteria, they specify travel to a BSE country, which is the  
9 United Kingdom, but there are also other countries with low  
10 rates of BSE.

11 [Slide.]

12 We realized when we went over these criteria that  
13 it was quite possible that there would be cases which  
14 actually had new variant CJD which wouldn't meet all nine of  
15 these criteria, at least not right away.

16 For example, two of these criteria are based on  
17 the time course of the disease, either how prolonged it is  
18 or the development of symptoms in a certain order, and that  
19 time may not have elapsed to meet those criteria.

20 Furthermore, travel history and symptom history  
21 might not be available, and you can think of a few other  
22 cases in which all of those nine criteria have not been met,  
23 and that would actually be true of some of the UK patients  
24 who didn't have precise development of delayed neurologic  
25 symptoms by four months, and so forth. So, we needed a

1 lower threshold actually to be looking at cases which might  
2 have new variant CJD in the U.S.

3 [Slide.]

4 So, we proposed a threshold for investigation and  
5 consideration of quarantine and withdrawal of blood product  
6 for new variant CJD concerns, and that would be if the donor  
7 was less than 55 years old and if the donor had a  
8 physician's either clinical or pathological diagnosis of  
9 CJD, and because it's a young person, we would be concerned  
10 that this was actually a new variant case.

11 In the guidance, we are asking for immediate  
12 notification of FDA and CDC, and telephone numbers are  
13 provided. It would then be planned to perform a rapid  
14 investigation of the case with CDC and FDA both involved and  
15 to make decisions about blood products on a case-by-case  
16 basis, and in fact, if it was ambiguous as to whether the  
17 donor had new variant CJD, if it couldn't be ruled out,  
18 then, it is likely that precautionary withdrawals would be  
19 recommended.

20 [Slide.]

21 I want to go on then to the proposed disposition  
22 of materials for all of these kinds of cases that I have  
23 discussed both for deferral and for new variant CJD case.  
24 For CJD, CJD risk factors or new variant CJD exposure risk--  
25 by that I mean travel to the United Kingdom for greater than

1 or equal to six months, we would recommend withdrawal of  
2 components and unpooled plasma, but not withdrawal of  
3 derivatives.

4           If some of these components were to be used in  
5 non-injectable products, we would suggest some labeling for  
6 those. In the case of new variant CJD or precautionary  
7 withdrawals for cases that were suspicious might have new  
8 variant CJD, we would ask for withdrawal of components,  
9 derivatives, and that this material not be used for non-  
10 injectable products, but we would permit it not to be  
11 destroyed but rather to be used in research on new variant  
12 CJD with appropriate labeling, which is also suggested in  
13 the document.

14           [Slide.]

15           Finally, on the last topic, I want to go to  
16 proposed labeling of non-implicated products, which has been  
17 discussed extensively within the FDA, and this is the  
18 statement that we have come up with in collaboration with  
19 the Office of Vaccines.

20           First of all, I just want to read out the  
21 statement that is also contained in the guidance, that no  
22 transmission of CJD or new variant CJD by human blood or  
23 plasma derivatives has ever been documented from human to  
24 human, however, as a precaution, FDA proposes that all blood  
25 component and plasma derived products include labeling to

1 address the theoretical risk.

2           Now, this statement obviously covers more than  
3 CJD, but the statement is as follows. Because this product  
4 is made from human blood, it may carry a risk of  
5 transmitting infectious agents, e.g., viruses, and  
6 theoretically, the Cretzfeldt-Jakob disease agent.

7           Thank you very much.

8           DR. HOLLINGER: Dr. Boyle.

9           DR. BOYLE: I have two questions. One of the  
10 things, if I understood you correctly, is that some of the  
11 characteristics on biopsy or autopsy for new CJD are  
12 unusual, but sometimes present in classic CJD in the sense  
13 that you can't absolutely definitively tell between the two,  
14 is that correct?

15           DR. SCOTT: Well, that is correct if you look at  
16 any one of those autopsy criteria alone, but taken together,  
17 the United Kingdom group feels that they are quite  
18 characteristic. The other thing is, for example, in the  
19 case of some of these, the exceptions would be genetic cases  
20 which may have some of these feature, but, of course, those  
21 are easily ruled out with gene sequencing.

22           DR. BOYLE: But in cases, for instance, of very  
23 young CJD cases identified in the United States recently  
24 where there has been a statement that on biopsy,  
25 definitively, they are not new variant CJD, that we can



1 treat that as a definitive statement?

2 DR. SCOTT: Well, there are a couple of things  
3 that happened with that biopsy or a couple of qualities that  
4 it had which really did appear to rule out new variant CJD,  
5 which as you know, was a considerable concern, and it still  
6 led to precautionary withdrawals.

7 The first is that that biopsy did not have florid  
8 plaques, and the second is that the prion protein glycoform  
9 was looked at, and it was either a Type I or a Type II, I  
10 can't remember, but it was a classical CJD form, not the  
11 Type IV that has been seen in every new variant case.

12 So, those two characteristics led us all to feel  
13 much better about this case. It is my understanding now  
14 that the patient has died, but I don't really know where the  
15 brain got sent to.

16 DR. BOYLE: The second question is your nine  
17 criteria that you require basically for a case to be new CJD  
18 if you don't have definitive lab results. One of those  
19 criteria is under 55. Yet, we also heard from the travel  
20 survey that your likelihood of spending a long time in  
21 England is much higher for older persons.

22 I understand it in terms of looking at new cases,  
23 but are you setting up something where older travelers to  
24 England are people who, because of their age, are going to  
25 be non-CJD unless you have a lab diagnosis?

1 DR. SCOTT: Well, I think there are a couple of  
2 answers to that question. I think you are absolutely right.  
3 Although no case has been seen greater than 55 years of age  
4 yet, including in the United Kingdom, it is entirely  
5 conceivable that such cases are going to occur.

6 I think that is why we decided ultimately that we,  
7 FDA, would not require the meeting of all nine of these  
8 criteria in order to effect a precautionary withdrawal. So,  
9 we will use these qualities to help us evaluate that case,  
10 but we are not going to go ahead and do that. I guess that  
11 is the answer I would like to give.

12 DR. HOLLINGER: Dr. Koerper.

13 DR. KOERPER: Identifying someone who has already  
14 donated blood as subsequently someone with CJD or new  
15 variant CJD depends on, as I understand it, a voluntary  
16 reporting by the physician, and I wondered. It is my  
17 understanding that not every state right now lists CJD as a  
18 reportable disease.

19 DR. SCOTT: That is correct.

20 DR. KOERPER: What is the FDA working on in terms  
21 of trying to improve or increase the number of states that  
22 report CJD?

23 DR. SCOTT: It is my understanding that the CDC is  
24 actually working on that very subject, however, there are  
25 several different routes by which we might find this

1 information out. One is, of course, through the blood banks  
2 or plasma collection establishments which receive post-  
3 donation reports from relatives.

4           The second is, as you say, through the states to  
5 the CDC, as well. The third is through neurologists who are  
6 part of working groups that are involved in more or less  
7 surveillance for new variant CJD. But is the ascertainment  
8 perfect? I agree with you that it is not, and I think we  
9 all wish that it were better and there is work ongoing to  
10 try to make this reportable.

11           Even all reportable cases aren't reported, and so  
12 it still wouldn't be perfect once that is achieved.

13           DR. HOLLINGER: Dr. Epstein.

14           DR. EPSTEIN: Yes, I just wanted to make two  
15 comments. First, the draft guidance document that was  
16 provided to committee members is given to you confidentially  
17 and we are requesting your comments within the next two  
18 weeks. That is to assist us in finalizing it before it can  
19 be made public.

20           Second, one point I wanted to make about the FDA  
21 plan regarding implementing the deferral for residence or  
22 travel to the UK, it is our current thinking that when we  
23 issue the guidance, we would call for implementation of that  
24 deferral as soon as feasible by blood collection  
25 establishments and plasma collection establishments, but

1 within six months of issuance.

2           The reason that we are approaching it in that way  
3 or plan to is that we are highly mindful of the fact that  
4 the deferral may cause significant loss of blood or plasma  
5 in the supply, and we have a concurrent initiative to try to  
6 promote the retention and recruitment of donors to offset  
7 the losses that might be expected to occur.

8           We will try to monitor that situation very  
9 closely. So, again, our expectation would be that when we  
10 issue the guidance, we would call for implementation, but no  
11 later than six months.

12           DR. HOLLINGER: One of the issues I think, Jay, as  
13 you know, on the one chart that was spoken about earlier by  
14 Dr. Jacobs on the cumulative-person days, and the real issue  
15 is whether that is a good marker because it may be that one  
16 person spending 100 days in the United Kingdom may have far  
17 greater risk than hundreds of people spending one day, which  
18 still gives you 100 person-days, and that is an issue, and  
19 we don't know the confidence intervals around these numbers  
20 for choosing some sort of an exclusion.

21           Could you comment at all about that, Jay?

22           DR. EPSTEIN: Of course, you are right. That is  
23 one of the gaps in our knowledge, and there are some plans  
24 for animal experimentation to see what the cumulative effect  
25 is of subinfectious doses received multiply over time, one

1 of the questions being how fast you clear exogenous exposure  
2 to abnormal prions, and it may be that they accumulate and  
3 you can reach an infectious dose from multiple exposure.

4           But this was discussed at the TSE Advisory  
5 Committee and it was felt that the best that one could do  
6 was apply the assumption of linearity, that risk is simply  
7 proportional to time spent on the theory that that  
8 correlates to the risk to a single or discrete infectious  
9 exposure. No one knows if that is really true.

10           The other methodologic issue, which you didn't  
11 mention, is that there is some arbitrariness in assigning  
12 the exposure time as the midpoint of the interval that was  
13 queried in the history.

14           For example, if you were asked about exposure,  
15 say, between three and five years, exposure days were  
16 reckoned by calling that a four-year exposure if the answer  
17 is yes, and it becomes very difficult when you consider the  
18 prolonged exposures because if you then treat, say, all  
19 exposures over five years, you know, in theory, that runs  
20 out into lifetime exposure.

21           In practice, we reckoned it back to the earliest  
22 epidemic of 1980 and called it a 17-year interval, and then  
23 we chose the midpoint, but clearly, the contribution to  
24 capturing exposure time, if you apply those very long  
25 intervals, is disproportionate for the people who gave the

1 histories of prolonged exposure.

2           In other words, on the linear assumption, you are  
3 assuming that those people contribute the greatest risk, and  
4 that may not, in fact, be true. So, there are a lot of  
5 methodological limitations, and the bottom line is that we  
6 only had certain data available, and we had to make certain  
7 assumptions in order to deal with it, and that was the task  
8 that fell to the TSE Advisory Committee, and that was what  
9 they recommended that we do.

10           So, you know, you are right and I wish we had the  
11 data to answer that point, but we do not.

12           DR. HOLLINGER: Dr. Khabbaz.

13           DR. KHABBAZ: I have a couple of comments to make,  
14 to clarify for clarity, regarding the criteria for suspected  
15 new variant CJD, the CDC criteria. These criteria are for,  
16 and the definition of suspected new variant CJD, is for  
17 surveillance purposes, and including of the young age, less  
18 than 55, I mean they are carefully crafted based on what we  
19 know of the new variant CJD cases reported in UK.

20           These criteria are likely to change with time as  
21 more information accumulates. The age is not cast in stone.  
22 There is some criteria may drop, may be added, and they are  
23 carefully reviewed, and so it is an ongoing process. In no  
24 way did we think or suggest that these criteria ought to be  
25 the threshold for looking at it.

1 In fact, we encourage reporting of young cases. I  
2 mean we use the same threshold of just young CJD to initiate  
3 investigation, and the investigation is for the purpose of  
4 if you don't have a pathologic diagnosis, then, we use those  
5 criteria to classify cases suspect new variant CJD for  
6 counting.

7 With regard to the reporting, we work with the  
8 Council of State and Territorial Epidemiologists for  
9 decision on what disease to include or not, but just to  
10 clarify that with regard to surveillance--and there are some  
11 complicated issues--making a disease nationally notifiable  
12 does not by the large assure reporting and results in better  
13 surveillance, and we have ample examples of conditions where  
14 we have better surveillance through other systems.

15 DR. HOLLINGER: Thank you.

16 Thank you very much for this nice summary of that  
17 conference.

18 Dr. Smallwood wants to discuss the schedule of the  
19 OBRR Workshops coming up. There is a number of very good  
20 workshops.

21 **Schedule of OBRR Workshops**

22 **Linda A. Smallwood, Ph.D.**

23 DR. SMALLWOOD: In the interests of time, I am  
24 going to try to be very brief, however, on the table outside  
25 we have listed the proposed Office of Blood Research and

1 Review workshops for 1999. There are eight of them, and I  
2 will just read the titles briefly and give you the dates of  
3 those that have been scheduled.

4 The most imminent will be the Blood Donor  
5 Suitability Workshop - History of Hepatitis. That is  
6 scheduled for July 21st, 1999, and it will be held at the  
7 Natcher Auditorium located on the NIH campus.

8 The second is Bacterial Contamination of  
9 Platelets. That will be held on September 24, 1999, at the  
10 Jack Masur Auditorium on the NIH campus.

11 The Blood Substitute Workshop is scheduled for one  
12 and a half days, September 27th and 28th, 1999, at the  
13 Natcher Conference Center located on the NIH campus.

14 There will be a Workshop on Plasticizers: Safety  
15 Issues in Blood Collection and Storage scheduled for October  
16 18th, 1999, at the Jack Masur Auditorium on the NIH campus.

17 A Workshop on Inactivation of Plasma Derivatives  
18 (Human Injectables) from Non-Human Sources, scheduled for  
19 October 25th, 1999, Jack Masur Auditorium, NIH campus.

20 The Nucleic Acid Testing Implementation Workshop  
21 has been mentioned earlier. It is scheduled for December  
22 the 7th, 1999, Jack Masur Auditorium.

23 A Donor Suitability Workshop is tentatively  
24 planned for October, the date subsequently, hopefully, to be  
25 determined.



1                   Finally, a Workshop on Leukoreduction scheduled  
2 for December the 10th, 1999, at the Natcher Auditorium.

3                   Information regarding these workshops may be found  
4 on the CBER web site page under What's New. The web site  
5 address is as follows: [www.fda.gov/cber/whatsnew.htm](http://www.fda.gov/cber/whatsnew.htm).

6                   DR. EPSTEIN: It has been brought to our attention  
7 that the December 7th workshop date for the NAT workshop is  
8 in conflict with the American Society of Hematology meeting,  
9 and we have a request that we try to find an alternate date.  
10 So, I think people shouldn't get too wedded to that date  
11 today. We may change it.

12                   DR. SMALLWOOD: I would just like to follow up.  
13 If you would keep abreast with respect to our web site, you  
14 will be notified of alternate dates and times.

15                   Thank you.

16                   DR. HOLLINGER: We are going to move into the next  
17 session, actually, the first session for discussion. This  
18 is going to be on the post-donation information affecting  
19 plasma pools for fractionation (inadvertent contamination).  
20 We discussed this at some length last time with an  
21 algorithm. I made some suggestions which Dr. Tabor and  
22 their group have put together again for discussion. I hope  
23 you all have had a chance to look at that, so we can move  
24 through on that.

25                   Dr. Tabor, could you give us an overview, then, of

1 the risk issues.

2 I. Post-Donation Information Affecting Plasma Pools  
3 for Fractionation (Inadvertent Contamination): Risk Issues

4 Overview

5 Edward Tabor, M.D.

6 DR. TABOR: Good morning.

7 [Slide.]

8 Inadvertent contamination is a subject that is a  
9 term that has been in use for more than 20 years, and since  
10 our discussions are new about this subject beginning in  
11 1997, there has been a lot of interest, and I think some of  
12 it came from members of the Blood Products Advisory  
13 Committee although I don't know whether they are current  
14 members who brought this up, that the term "inadvertent  
15 contamination" is really not a very good name for this.

16 Nevertheless, after wracking our brains for a  
17 substitute term and coming up with nothing suitable, and  
18 also realizing that everybody knew what inadvertent  
19 contamination was even if no one liked the term, we  
20 continued to use it for a while, but now we are going to be  
21 calling post-donation information.

22 As long as you recognize that these two terms are  
23 interchangeable, you should have no trouble following the  
24 discussion.

25 In June of 1997, we presented to BPAC information

1 on inactivation procedures that are applied to plasma  
2 derivatives, inactivation and removal procedures, and the  
3 amounts of the viruses hepatitis B, hepatitis C, and HIV  
4 that were removed by these procedures in comparison with the  
5 amount that could be present in any pool.

6           So, we discussed that type of inadvertent  
7 contamination and really presented raw data in June of 1997.  
8 In September of 1997, we discussed a different type of  
9 inadvertent contamination, which we were calling risk factor  
10 inadvertent contamination or now risk factor post-donation  
11 information.

12           Basically, what we were talking about then was  
13 those donors who answered negatively or appropriately to all  
14 donor questions, whose serum or plasma was tested negative  
15 for all of the licensed tests for hepatitis B, hepatitis C,  
16 and HIV, but who nonetheless, after donation at some point  
17 provided post-donation information that they, in fact, were  
18 a member of a risk group for one of those three viruses.

19           So, that was September of 1997. Then, in December  
20 of 1998, we presented you with a draft algorithm for test-  
21 positive cases of post-donation information, that is, where  
22 you discover after collection and perhaps after pooling,  
23 perhaps after manufacture, that one of the units that had  
24 been reported as testing negative, in fact, tested positive.  
25 That was in December of 1998.

1           At the last meeting, in March of 1999, we  
2 presented a revised algorithm which you voted to approve  
3 with some minor modifications, and a copy of that is in your  
4 information today, and it is not a subject for discussion  
5 unless you have something urgent to ask about it.

6           What we are going to talk about today is the  
7 algorithm for risk factor post-donation information. At the  
8 March 1999 BPAC, you were given a draft algorithm, and the  
9 committee had a number of concerns about the draft algorithm  
10 and asked us to go back and revise it.

11           I might add also that there were concerns raised  
12 from members of the audience regarding the large number of  
13 plasma pools and plasma derivatives that would be affected  
14 by post-donation information related to risk factors, and so  
15 today we are going to discuss the revised algorithm for risk  
16 factor post-donation information.

17           We are limiting our discussions in the BPAC  
18 meetings that I have listed for you and in this one to these  
19 three viruses: hepatitis B, hepatitis C, and HIV. We  
20 recognize that there are post-donation information issues  
21 related to other viruses. We recognize that there are post-  
22 donation information issues related to viruses that have not  
23 yet been discovered, and perhaps we will muster the courage  
24 to bring those to you at a future BPAC, but right now we are  
25 talking about HBV, HCV, and HIV.

1           These are viruses for which we have tests to  
2 detect the viruses, and there are viruses for which  
3 inactivation methods or inactivation removal methods are  
4 available. As I mentioned, there are test issues which we  
5 are not discussing today, and donor issues.

6           Donor issues or risk factor issues are really  
7 window period issues. Today, with the tests that we have to  
8 detect infected individuals, when you ask someone if they  
9 are a member of a risk group for one of these viruses, you  
10 are really asking could you be in the window phase when you  
11 are infectious, but not detectable.

12                   [Slide.]

13           I would like to just briefly go over  
14 recommendations that were made by BPAC in September 1997  
15 when we discussed risk factor issues in post-donation  
16 information. You do not have to stick by your previous  
17 recommendations, but I think they will give you an idea of  
18 what the committee at that time felt, and I think probably  
19 at least half of you were members of the committee at that  
20 time.

21           The committee recommended that in cases of  
22 inadvertent contamination or post-donation information of a  
23 pool consisting of units negative for HIV, HBV, and HCV  
24 markers containing a unit from a donor with a subsequently  
25 discovered risk factor, FDA should determine regulatory

1 action based on an assessment of product risk.

2 I think what the committee was saying was we  
3 should evaluate how much virus could be in the pool if a  
4 window period unit happened to be included under these  
5 circumstances and what the effect of the inactivation rule  
6 procedures would be.

7 [Slide.]

8 The committee further recommended an assessment of  
9 product risk should consider the maximum level of  
10 contamination that could occur and the capability for virus  
11 removal and inactivation.

12 [Slide.]

13 Finally, the committee recommended again with  
14 regard to risk factor issues quarantine of distributed  
15 product cannot be dispensed with even if there has been a  
16 record of GMP compliance by the company.

17 What the committee meant was just because the  
18 company has had good GMP inspections at every regular  
19 inspection over the past so many years, doesn't mean you can  
20 ignore the fact that a unit with post-donation information  
21 is in the pool, that is, that you have to look at GMPs  
22 again, and they recommended--and this is important for our  
23 discussion today--that a negative nucleic acid test on the  
24 donor or pool, or subsequent test-negative donations by the  
25 donor, can obviate the need to destroy the product.

1 [Slide.]

2 Now, let's talk about the algorithm. Let me just  
3 mention the algorithm has some footnotes. For some of the  
4 footnotes, I will flash this to the footnote slides, for  
5 others I will just read you the footnote. There are some  
6 footnotes in the footnote list that applied to the previous  
7 algorithm and may or may not appear here.

8 In the case of whole blood, which of course  
9 involves ultimately recovered plasma which can enter a pool,  
10 let's just say that a risk factor is discovered. If the  
11 unit has not yet been transfused, you would destroy the unit  
12 of blood and plasma, and notify the consignee to destroy it  
13 if it had been shipped.

14 You would defer the donor, and there is footnote  
15 g, which I will read to you, which is the donor must be  
16 deferred. In addition, if the donor can be located, all  
17 licensed tests for markers of HCV and HIV should be done on  
18 a newly obtained sample.

19 If any tests for HCV or HIV are positive or  
20 indeterminate, lookback should be conducted, and lookback  
21 here refers to both product retrieval and recipient  
22 notification, and prior collection should be quarantined.  
23 Consignees of recovered plasma should be notified.

24 If the blood unit has been transfused, the blood  
25 unit recipient should be notified, the donor should be

1 deferred with the provisions for possible lookback for HCV  
2 and HIV, as I just described.

3 Prior collections should be quarantined, and again  
4 the consignee of the recovered plasma should be notified.

5 [Slide.]

6 I recognize that this may be hard to read from a  
7 distance. One of the objections that the committee had at  
8 the last meeting was that we talked about recognizing risk  
9 factors as having been discovered after the fact, and the  
10 committee was concerned that some risk factors were not as  
11 important as others.

12 So, we have changed the algorithm to say listed  
13 risk factors discovered with a footnote i, and I will show  
14 you that footnote in a minute. The donor should be deferred  
15 with provisions for lookback if it involves HCV or HIV-  
16 positive donor, and quarantine of prior collections because  
17 many of these donors will have given many times before.

18 [Slide.]

19 This footnote i lists the risk factors for HBV,  
20 HCV, and HIV to be used for post-donation information  
21 algorithms. This list was compiled based on reports to FDA  
22 concerning post-donation information, and a little later you  
23 will hear a talk by Sharon O'Callaghan about those reports  
24 and the numbers we have received.

25 It was also modified based in part on scientific



1 information about the relative importance of these risks,  
2 but I will just go through it now, and we can discuss it  
3 later.

4           The risks that would trigger this algorithm are  
5 needlestick or a transfusion within the past 12 months,  
6 having been tattooed within the past 12 months unless it was  
7 conducted in a presumed sterile situation, body piercing  
8 other than ear piercing within the past 12 months, ear  
9 piercing being considered to be performed in most cases in  
10 sterile situations nowadays, I.V. drug use ever, male to  
11 male sex within the past 12 months, sex with an I.V. drug  
12 user within the past 12 months, a sex partner who tests  
13 positive for current HBV infection or HIV infection, having  
14 exchanged sex for drugs or money within the past 12 months,  
15 a history of incarceration greater than for a period of 72  
16 hours within the past 12 months, AIDS-related signs or  
17 symptoms at the present time.

18           Some of these may seem a little unusual to be  
19 listing as risk factors, but you will hear from Sharon  
20 O'Callaghan that these are reports that we get in some  
21 numbers. A female who had sex within the past 12 months  
22 with a male who had had sex with a male, any sexually  
23 transmitted disease within the past 12 months, and travel to  
24 or immigration from HIV Group O areas, which mainly involve  
25 the countries of the Cameroon and those countries bordering

1 on the Cameroon.

2 Now, I will go back to the algorithm.

3 [Slide.]

4 One of the donors is discovered sometime after  
5 donation, despite having answered all the questions  
6 appropriately at the time of donation, to have one of these  
7 risk factors. Either they forgot and called up and said,  
8 gee, I forgot I had had a tattoo or something like that, or  
9 they may have come in to donate again and answered yes to  
10 one of the questions that they had answered no to before.

11 I want to clarify footnote k, which I will just do  
12 verbally. Footnote k is a post-donation information  
13 typically is found to apply to multiple collections from a  
14 single donor. So, we are talking here about any units from  
15 this donor that have not yet been pooled would be destroyed.

16 Any units that have been pooled, but not yet  
17 processed would be subject to quarantine of the pool, and we  
18 will discuss that further. Units that have been pooled and  
19 processed, but not yet shipped, the products would be  
20 quarantined. Any final products that had been shipped, you  
21 would notify the consignees to quarantine the products, and  
22 that is footnote j.

23 [Slide.]

24 I am going to turn to the footnote, so you can  
25 read it. Footnote j says that quarantine is not necessary

1 if additional testing is negative under a later part of the  
2 algorithm labeled point A--and we will come to that--or if  
3 comprehensive GMP evaluation is adequate under a later part  
4 of the algorithm labeled point B, and either of these is  
5 completed within 72 hours of the discovery that a unit in  
6 the pool came from a donor with a listed risk factor post-  
7 donation information.

8           The choice of 72 hours we can discuss later. It  
9 is somewhat arbitrary. It is hoped that this whole process  
10 listed under footnote j will encourage manufacturers to keep  
11 better records and better computerized records, so that a  
12 GMP evaluation can be done rapidly and effectively.

13           Dr. Lynch later will discuss some of the problems  
14 involved in that.

15           [Slide.]

16           Just to go quickly through the remainder of the  
17 algorithm, in this situation, a company has 72 hours in  
18 which to do one of two things. They can either take process  
19 A or process B. Process A involves NAT testing, and process  
20 B involves a comprehensive GMP evaluation.

21           Under process A, a validated NAT test--and, of  
22 course, right now we have no licensed NAT tests, but we are  
23 talking about a test that has been adequately validated  
24 under the INDs to the satisfaction of FDA, and we can  
25 discuss this further--a validated NAT test for HBV, HCV, and

1 HIV on the pool and the original sample would be done.

2           If the original sample is unavailable, all  
3 licensed tests, as well as a validated NAT for HBV, HCV, and  
4 HIV could be done on a subsequent sample from the donor.  
5 What we are trying to do here is make sure that the donor  
6 was not in the window period at the time of the original  
7 donation.

8           If all of these tests are negative, the pool or  
9 the product could be released. If any of these tests are  
10 positive, the pool obviously could be destroyed or the  
11 company could move to the GMP part of the algorithm, which  
12 they could have done in the first place if they wanted to  
13 avoid the testing, and a comprehensive GMP evaluation would  
14 be done.

15           [Slide.]

16           Under footnote d, a comprehensive GMP evaluation  
17 would be done by the fractionator to verify virus removal  
18 and inactivation. GMP inspection, an actual inspection by  
19 FDA would be done as needed. The fractionators would send  
20 reports to FDA listing all GMP evaluations conducted because  
21 of post-donation information.

22           [Slide.]

23           So, if this GMP evaluation by the fractionator is  
24 conducted within 72 hours, and is found to be adequate with  
25 regard to those parts of the GMPs related to virus removal

1 and inactivation. We are not talking necessarily about a  
2 complete GMP evaluation, but just relevant portions  
3 regarding virus inactivation and removal.

4           If these are adequate, the pool or product could  
5 be released. If they are not adequate, the fractionator  
6 would have to destroy the pool or product and issue a  
7 recall. There is one exception to that situation is under  
8 footnote f, which states that in some cases, pools or  
9 products can be reprocessed if done so under an approved  
10 protocol.

11           I think at this point I would be glad to answer  
12 any brief questions, but some of your questions may be  
13 clarified by subsequent speakers.

14           Dr. Hollinger, do you want to move on to the next  
15 speaker?

16           DR. HOLLINGER: Yes.

17                           **Post-Donation Information**

18                           **Sharon O'Callaghan**

19           MS. O'CALLAGHAN: Good morning. I am Sharon  
20 O'Callaghan from the Office of Compliance.

21                           [Slide.]

22           What we have done is looked at the error and  
23 accident reports that we have received in FY '98 and  
24 compiled information related to the post-donation  
25 information report, so I am going to provide the background

1 of how we got to the list of the risk factors.

2 [Slide.]

3 We will start off with the definition that we have  
4 come up with for post-donation information, and that is,  
5 information that is provided by a donor or other source, and  
6 the other source could be either a donor spouse, friend, ex-  
7 girlfriend, could be physician, state health department. It  
8 could be even the police department given the information.

9 It is information that is provided at a subsequent  
10 donation or shortly after a donation. About 70 percent of  
11 the post-donation information is provided at a subsequent  
12 donation. This information would defer the donor if that  
13 information had been known at the time of the previous  
14 donation, and the information could affect the safety,  
15 purity, or potency of the product.

16 [Slide.]

17 So the types of post-donation information that we  
18 have seen reported to us have included "do not use my blood"  
19 where the donor calls back. This is usually within a day or  
20 two and just says, "don't use my blood," and gives no other  
21 information.

22 It includes post-donation illnesses that are not  
23 related to hepatitis or AIDS, such as measles, mumps, Lyme's  
24 disease is a big one lately. It also includes post-donation  
25 illnesses that are related to hepatitis and AIDS. History

1 of hepatitis or jaundice. Sexually transmitted diseases,  
2 syphilis, gonorrhea, chlamydia. Sex partner testing  
3 positive for hepatitis, AIDS, or sexually transmitted  
4 disease.

5 Male donor having sex with another male. Female  
6 donor having sex with a male who has had sex with another  
7 male within the last 12 months. I.V. drug users. People  
8 having sex with I.V. drug users. Travel or immigration to  
9 high-risk areas, specifically, the Group O HIV risk areas.

10 [Slide.]

11 Exchanging sex for drugs or money within the last  
12 12 months. Receiving tattoo, body piercing, transfusion or  
13 needlestick within 12 months of the donation. Non-sexual  
14 exposure to hepatitis to AIDS. This mostly includes  
15 household type exposure.

16 Travel to malarial endemic areas. History of  
17 disease or cancer. History of CJD or associated risk  
18 factors. Either the donor had a family member that was  
19 diagnosed as CJD or received growth hormone.

20 Received vaccine or medication, such as Proscar or  
21 Tegison. Donor was incarcerated for more than 72 hours  
22 within the last 12 months. History of hepatitis A or  
23 exposure to hepatitis A.

24 High risk behavior that is not specified.  
25 Frequently, a donor will call up or come back the next time

1 and say, yeah, I am in one of these groups, one of these  
2 high risk groups, but not specify which group they are in or  
3 what type of behavior they have engaged in. Also,  
4 information not specifically related to hepatitis or AIDS,  
5 such as non-I.V. drug use.

6 Donors will frequently call up and ask for their  
7 test results. The blood centers will defer them because  
8 they are thinking that they are donating to be tested, so  
9 without giving them any additional information.

10 We have had a couple of reports related to donors  
11 being mentally retarded or giving some indication that their  
12 history may be unreliable. Also, we had one that the donor  
13 had a sex change operation, so the blood center decided to  
14 defer them for that.

15 [Slide.]

16 This table just gives you an idea of the number of  
17 reports that we have received. The column on the right is  
18 the total number of error and accident reports received, and  
19 this is separated by the type of establishment reporting,  
20 the blood establishments versus the source plasma  
21 establishments.

22 Sixty percent of the total error and accident  
23 reports that we receive are related to post-donation  
24 information, and that has been a consistent number for the  
25 last five to seven years.



1           The blood establishments, 59 percent of their  
2 reports are related to post-donation, and 80 percent of the  
3 source plasma centers reporting involve post-donation  
4 information.

5           [Slide.]

6           So, we took these types of post-donation  
7 information that we receive and we identified the actual  
8 risk factors that are associated with specifically HBV, HCV,  
9 and HIV.

10           This is the actual number of reports for each type  
11 of risk factor. I want to make a statement here that one  
12 report could represent multiple donations, just like Dr.  
13 Tabor had mentioned. We have donors who will come in,  
14 plasma donors who have donated for several years, and a  
15 frequent donor, every three to five days or something like  
16 that, for several years, all of a sudden now says, oh, yeah,  
17 I had sex with another man. Now, all of those donations  
18 could be affected.

19           So, the number of reports versus blood and plasma  
20 are depicted here, with the needlestick, transfusion,  
21 tattoos and body piercing all grouped as one category, 978  
22 reports from the blood industry, and 183 from the plasma  
23 industry.

24           For I.V. drug use, the blood industry reported 274  
25 and plasma was 114. Male to male sex, 216 reports from

1 blood, and 47 from plasma.

2 Sex with an I.V. drug user, 169 from blood, 25  
3 from plasma. Sex partner testing positive for hepatitis B  
4 or HIV infection, 414 from the blood industry, and 52 from  
5 the plasma industry. Actually, when I looked at that this  
6 morning, I had to go back and double-check that number  
7 because that seemed awfully high, but that's what we have.

8 Exchange sex for drugs or money is 40 from blood,  
9 and 18 from plasma.

10 [Slide.]

11 History of incarceration, only 26 from blood.  
12 There is a lot more we get from the plasma industry, 161.

13 AIDS related signs or symptoms, blood reported 21,  
14 and plasma is 6.

15 Female having sex with a male who had sex with  
16 another male, 42 for blood, and 4 for plasma.

17 Sexually transmitted disease, 22 from blood, 2  
18 from plasma.

19 Travel to or immigration from the HIV Group O risk  
20 area is 190 from blood, and 6 from plasma.

21 So, when you look at just these specific risk  
22 factors, that brings down the post-donation totals to about  
23 34 percent of the post-donation reports fall into this group  
24 for blood establishments, and 70 percent, which is still a  
25 high number, for the source plasma industry fall into these

1 risk factors.

2 Are there any questions about any of these  
3 specific risk factors or post-donation? Yes.

4 DR. BOYLE: Of your first category, which merged  
5 transfusions and needlesticks and body piercing, what  
6 proportion does body piercing represent?

7 MS. O'CALLAGHAN: We haven't separated those out,  
8 but as a good guess, probably about 20 to 25 percent. It is  
9 a fair number. It is also difficult sometimes with the way  
10 that the reports are presented, the donor is deferred for  
11 body/ear piercing. So, it is difficult to tell whether it  
12 was one or the other.

13 DR. FITZPATRICK: Did you exclude high risk  
14 behavior - not specified, or is that included in some of  
15 those others?

16 MS. O'CALLAGHAN: We didn't include the high risk  
17 behavior - not specified only because we were trying to look  
18 at the things that we knew really did affect, were related  
19 to hepatitis B, C, or HIV.

20 It is very nonspecific information. I mean you  
21 could use the same argument with the "do not use my blood,"  
22 you know, and the donors calling back, you know, for their  
23 test results. It is the same kind of unspecified risk, but  
24 it is not directly related to HBV, HCV, or HIV.

25 DR. HOLLINGER: Dr. Mitchell.

1 DR. MITCHELL: Can you talk about the risk of  
2 being in prison? Specifically, I am concerned, I want to  
3 know why 72 hours.

4 MS. O'CALLAGHAN: Well, that was one of our  
5 guidance documents, and I don't recall the actual date of  
6 that one. We had recommended deferral of donors that had  
7 been incarcerated for 72 hours because of potential high  
8 risk behaviors that could occur during that stay or any  
9 other kind of exposures that they may not know about.

10 DR. HOLLINGER: Mark, I think it is primarily  
11 because a lot of people may be placed into prison overnight  
12 or a few hours, and things like this, and they wanted to  
13 remove that large number from the situation, so they had to  
14 choose a point, and they chose the fact that if somebody was  
15 in there three days, then, they would probably be in there  
16 longer.

17 DR. RUTA: I am Martin Ruta. That was exactly the  
18 concern that we had, where for the overnight, the 72 hours  
19 to capture people who might have been incarcerated over the  
20 weekend, but most of the data on incarceration relates to  
21 prisons where people are held for a longer period of time.  
22 But you are right, Dr. Hollinger, that is the reason.

23 DR. MITCHELL: I guess I am concerned about  
24 shorter periods of time. It seems like a rather long--we  
25 know that people who are in prison, that a large percentage

1 of the rapes, for example, occur within the first 72 hours.

2 DR. TABOR: Let me clarify something before we  
3 discuss that further. First of all, I want to thank Sharon  
4 O'Callaghan for the really marvelous presentation of data  
5 that helps explain how we came up with this list in response  
6 to the committee's question at the last meeting.

7 What we tried to do, a group of us sat down and  
8 also I worked on it myself, as well, but a group of us sat  
9 down and tried to take the list that Compliance had of  
10 deferrable information and decide which of those factors  
11 would impact, as Sharon O'Callaghan said, on risk for HBV,  
12 HCV, and HIV. So, obviously, the receipt of a vaccine  
13 recently has no impact on that.

14 We had to make some choices. What we are dealing  
15 with here is a very low risk situation anyway. You are  
16 dealing with people who are test-negative, most of whom are  
17 not in the window period for any disease.

18 So, what you are trying to do is find the window  
19 period. Now, the clarification I wanted to make with regard  
20 to your question about incarceration is we have two lists  
21 here. The lists that Sharon O'Callaghan showed is a list  
22 based on guidance documents, such as the one Dr. Ruta was  
23 describing.

24 We are not in a position to change that list or  
25 the guidance documents, and they are really not a subject

1 for discussion. What is a subject for discussion is  
2 footnote i on the algorithm, and which should or should not  
3 be included there.

4           So, with regard to your question about  
5 incarceration, we said on footnote i, incarceration for  
6 greater than 72 hours. You raised the question whether 72  
7 hours is appropriate. For footnote i, we can discuss should  
8 it be a shorter period of time.

9           Now, one of the problems we face is can we have  
10 criteria on footnote i for the use of this algorithm that is  
11 inconsistent with currently approved guidance documents.  
12 You may hear arguments on both sides of that, and I think  
13 there are probably arguments on both sides.

14           So, your question is a very good one, but it  
15 should only apply to footnote i.

16           DR. HOLLINGER: I think what I would like to do,  
17 if we could, I would like to maybe finish up the  
18 presentations, and then we will come back with me--unless it  
19 is a question that really needs to be--go ahead.

20           DR. OHENE-FREMPONG: It was just a quick question  
21 about what you define as "needlestick."

22           MS. O'CALLAGHAN: Most of those involve like  
23 hospital workers that have drawn blood from a patient, and  
24 then stuck themselves with a needle without knowing whether  
25 or not the patient was actually hepatitis or HIV positive.

1 DR. OHENE-FREMPONG: So, you mean accidental.

2 MS. O'CALLAGHAN: Accidental needlesticks, yes.

3 DR. OHENE-FREMPONG: I think it should be  
4 clarified.

5 MS. O'CALLAGHAN: Sorry, didn't have quite enough  
6 room on the slide.

7 DR. HOLLINGER: Thank you very much.

8 If we could then go to the final presentation in  
9 this section. Dr. Lynch is going to talk about GMP  
10 Investigations.

11 **cGMP Investigations**

12 **Thomas Lynch, Ph.D.**

13 DR. LYNCH: I should start out by saying that an  
14 investigation itself into adverse information regarding the  
15 manufacture of a product is part of GMPs itself.  
16 Information can be received from a variety of sources.

17 [Slide.]

18 We are here concentrating today on information  
19 regarding the status of a donor with respect to a risk  
20 factor, but what I am about to say also applies to the case  
21 where a positive donation may be identified or if the  
22 information relates to the use of a product and an adverse  
23 event or suspected transmission associated with that use.

24 Finally, you should bear in mind that information  
25 can also come from within the manufacturing operation

1 itself, such as where an audit reveals a heretofore  
2 undiscovered deviation in intended procedures or let's say a  
3 stability sample goes south at some point.

4 [Slide.]

5 In all cases, the information should flow into the  
6 part of the organization called Quality Assurance, and this  
7 component has several responsibilities in this process. It  
8 certainly does collect the information, and it initiates and  
9 coordinates the investigation intended to assess that  
10 information.

11 In the first instance, it has to determine what  
12 the appropriate scope of the investigation is, that is, what  
13 products might be affected by whatever information has been  
14 received, and then once the investigation is completed, some  
15 sort of risk assessment has to be performed or health hazard  
16 evaluation, if you will, that will determine what, if any,  
17 risk there is to the recipients of the products that have  
18 been affected, and then based on that risk, appropriate  
19 action is identified and taken, and, if possible, corrective  
20 actions to prevent a recurrence are implemented.

21 Throughout the course of this, where appropriate,  
22 the appropriate regulatory authority, such as FDA, are  
23 informed of the situation.

24 [Slide.]

25 In the course of fulfilling these



1 responsibilities, QA has to ask itself several threshold  
2 questions. The first, whether or not the information does  
3 impact the transfusion-associated risk is a given here.  
4 Risk factors associated with the donor or donation, and  
5 relating to transfusion transmittable viruses are certainly  
6 relevant to plasma derivatives.

7           The second question, of course, is whether the  
8 manufacturing process addresses that risk, and that depends  
9 on the nature of the virus in question and the types of  
10 viral clearance procedures that are incorporated into the  
11 manufacturing process.

12           Here, we are talking about hepatitis B, C, and  
13 HIV, all lipid envelope viruses, and as we reviewed in 1997,  
14 are viruses for which clearance procedures have been  
15 incorporated into the vast majority of all plasma derivative  
16 manufacturing processes.

17           Then, finally, given the nature of the virus and  
18 the type of clearance procedures that have been adopted,  
19 does an adequate safety margin remain with respect to that  
20 product.

21           Again, we reviewed the risk factors associated  
22 with, for example, a window donation, and the effectiveness  
23 of the clearance procedures that are incorporated into  
24 manufacturing, which suggests that an adequate safety margin  
25 in most cases should exist.

1 [Slide.]

2 The adequacy of viral clearance is, if you recall,  
3 determined by taking whatever the production process step is  
4 and scaling it down into a laboratory model, and assessing  
5 its ability to remove or inactivate high titers of virus,  
6 which gives you a measure of its viral clearance capacity.

7 [Slide.]

8 So, this is the theoretical capability of a  
9 clearance process, however, for that process to be reliable,  
10 it has to be performed on a daily basis according to good  
11 manufacturing practices, which are a set of standards that  
12 encompass all aspects of the manufacture of plasma  
13 derivatives and particular in biologics and drugs in  
14 general, and they are designed to assure that the quality of  
15 these products remains consistent and to prevent  
16 manufacturing errors and contamination of these products.

17 [Slide.]

18 Rather than try to enumerate all aspects of good  
19 manufacturing practices, it is useful I think instead to  
20 consider what events would constitute breaches of good  
21 manufacturing practices that would impact the viral safety  
22 of any of these products.

23 I have listed a few of those here, for example,  
24 deviations from your established written procedures would  
25 constitute one such deviation, the nonconformance of a

1 product with some predetermined specification that relates  
2 to viral clearance or the failure to properly maintain key  
3 equipment or to calibrate critical instruments that are used  
4 to control the process, or finally, anomalous laboratory  
5 results relevant to the clearance procedure that have not  
6 been adequately resolved.

7 All of these things could create uncertainty about  
8 the effectiveness of one or more viral clearance procedures  
9 in a manufacturing process.

10 [Slide.]

11 And because the risk that a recipient of a  
12 manufactured product confronts with respect to a donation  
13 that has a risk factor associated with it, that was used to  
14 manufacture that product, depends entirely, in my view, on  
15 the safety measures that are built into the manufacturing  
16 process, risk evaluation becomes largely a question of how  
17 closely good manufacturing practices have been adhered to  
18 during the production of that product.

19 [Slide.]

20 The effectiveness of a good manufacturing practice  
21 investigation in verifying that the products are safe from a  
22 viral perspective depends on four premises.

23 First of all, the manufacturing process is assumed  
24 to include effective clearance steps, and provided that  
25 those steps are properly performed, an adequate safety

1 margin with respect to the virus is effected.

2 [Slide.]

3 While the overall quality of the product is  
4 determined by a global adherence to good manufacturing  
5 practices, we can identify particular steps in that process  
6 that are particularly germane to viral safety. Those are  
7 the viral clearance steps themselves.

8 Therefore, the scope of a GMP investigation should  
9 focus on those particular steps. However, the complexity of  
10 a GMP investigation is influenced by more than just this one  
11 factor.

12 [Slide.]

13 For example, a single donor with a particular  
14 donation history reports post-donation information that  
15 feeds back into the collection center, for example, and  
16 thence to the manufacturer.

17 That donor may have contributed a number of  
18 donations over a span of relevant time, which have been  
19 incorporated into more than one manufacturing pool, each of  
20 which may have given rise to more than one product.

21 As you all know, a single plasma pool is the  
22 source material for multiple plasma derivatives.  
23 Furthermore, in the course of manufacturing those products,  
24 intermediates in the process may become pooled together to  
25 form a single lot of a product, which therefore can be