

1 the sensitivities of the assays, the nature of the kinds of
2 assays that are being performed make any reasonable,
3 meaningful comparison of differences difficult if sometimes
4 not impossible. This is relevant to the extent that it
5 provides meaningful clinical information to doctors and to
6 patients but also that sponsors quite often use the
7 immunogenicity data to promote their particular product.
8 So, one of the questions to the committee is what is
9 appropriate in this regard with regard to the label.

10 So, with that, I'll go back to the first slide
11 that I put forward and simply say that we very much look
12 forward to the input from the committee on these issues,
13 particularly on the ones that we've outlined but I've
14 certainly enjoyed the discussion and look forward to more
15 input. Thank you.

16 DR. SALOMON: Great. Thank you, Bill.

17 That's actually a great introduction to the
18 discussions now that we hope to flow from this. I think
19 from the comments that we've already had -- I'm sorry.

20 DR. SAUSVILLE: I had a question for Dr.
21 Schwieterman. In terms of the clinical issues, if you look
22 over the large number of products that Dr. Rosenberg
23 presented for which there is some information about
24 immunogenicity, would the nature and type of the clinical
25 phenomena associated with these be considered serious,

1 life-threatening? In other words, at what level do we
2 consider -- when we say clinical issues, there's obviously
3 different levels of gravity that one could conceive of. If
4 you could comment on that, that might be helpful.

5 DR. SCHWIETERMAN: That's an excellent
6 question. I think the easy answer is that the range of
7 adverse effects that you see from immunogenicity range from
8 the inconsequential to the perhaps -- I do not want to be
9 too alarmist, but I could conceive of life-threatening
10 adverse events from anaphylactoid and other types of
11 hypersensitivity responses and so forth. I think that in
12 fact therein lies some of the dilemma about how to pursue
13 some of these concerns given that we have experience both
14 with products that are immunogenic yet seem to continue to
15 "work" in the clinic for long periods of time that don't
16 pose these problems. Yet, there are other kinds of data
17 that come forward that compromise the safety and efficacy
18 long term or pose risks to the patient's long term, for
19 example, with the chronic therapies whether they were to go
20 off that therapy and be retreated and so forth.

21 I think that's why we're here today, frankly,
22 is to get a handle on what the experts here around the
23 table believe about these risks and how the agency ought to
24 reasonably pursue recommendations to the committees with
25 regard to that. But I guess the literal answer to your

1 question is it spans the gamut from nothing to really quite
2 serious events.

3 DR. SIEGEL: Let me attempt to do what is
4 undoubtedly not impossible to do well, but to kind of give
5 an overview I think of what we've seen as a whole over the
6 last 15 or 20 years.

7 I think it would be correct to say that the
8 issue of loss of efficacy is an issue that arises
9 frequently. Dr. Zoon pointed out that it has been observed
10 in some settings with the interferons. Interestingly, she
11 didn't point out when you lose efficacy, at least you also
12 lose the adverse reaction profile and that's one of the --

13 DR. SAUSVILLE: A surrogate marker.

14 DR. SIEGEL: There you go.

15 (Laughter.)

16 DR. SIEGEL: Well, it is. It can often be one
17 of the first signs of an antibody response, is a loss of
18 the flu-like reaction.

19 I think that there are a number of products
20 where there are suggestions of that, a number of settings
21 we've talked about where it's hard to tell, but enough
22 settings where the half-life of the product changes
23 radically enough -- its clearance increases radically
24 enough -- that that has to be a concern.

25 Now, when you get into the safety concerns, of

1 course, many or most of these products have been developed
2 in the setting of serious diseases and radical concomitant
3 therapies. It's not always clear to make attributions, but
4 it's probably been limited. The issues of immune complex
5 disease, I think it would be fair to say, generally don't
6 arise outside the setting of monoclonal antibodies because
7 the actual volume of material given is usually pretty small
8 if you're talking about an enzyme or a cytokine.
9 Streptokinase may be an exception to that rule. And even
10 amongst monoclonal antibodies, there haven't been that many
11 examples of what are clearly -- we've heard about the
12 issues with Remicade and streptokinase, but beyond that not
13 many examples.

14 Then the other major safety concern is the
15 impact of neutralization not on efficacy but the safety
16 implications vis-a-vis neutralization of the endogenous
17 analogue. That has been in our minds for the last -- I've
18 been at the agency for 17 or 18 years. We've always talked
19 about that and not seen clear evidence of it. Frankly, I'm
20 not sure that we would know it if we were seeing some
21 modest amount of neutralization of endogenous interleukin-
22 2, interleukin-11, interferon, because of all of these
23 issues we've talked about of multiple redundant pathways
24 for many activities. I think that the observations with
25 TPO and some related molecules, though, have brought to the

1 | forefront and in part led or one of the impetuses that led
2 | to calling this meeting, the realization that we are
3 | looking at the potential -- and it's no longer just
4 | theoretical -- for some significant adverse reactions in
5 | that regard.

6 | DR. BROUDY: Just to answer very specifically,
7 | the neutralizing antibodies against MGDF dropped the
8 | endogenous platelet count to 10 percent of the normal
9 | levels, and that's the same level that you get to in a TPO
10 | or an MPL knock-out mouse, so completely neutralized
11 | endogenous TPO levels, and had a very significant impact on
12 | the platelet count.

13 | DR. CHAMPLIN: In that regard, very few
14 | molecules are in fact so important, and the lives of many
15 | post-docs have come to naught because the knock-out model
16 | of whatever gene they're studying has no phenotypic
17 | difference. With only rare inclusions can you identify
18 | really critical molecules for certain functions. So, G-CSF
19 | and TPO and EPO are critical, but GM-CSF, the knock-out
20 | mouse has got very few manifestations, alveolar
21 | proteinosis, and the blood looks pretty good.

22 | I think my own sort of major reflections on the
23 | conversation so far is that for the most part these immune
24 | reactions don't have monumental consequences. It's the
25 | rare patient where the normal homologue is going to be

1 affected in a way that is meaningful, but clearly there are
2 symptomatic adverse events, or if the long-term efficacy of
3 a product is affected, that is honestly a major concern.
4 The rather relatively innocuous antibodies don't seem --
5 nonbinding or other binding, but non-neutralizing
6 antibodies often don't inhibit activity much.

7 So, I think one needs to look at this much as
8 you look at any toxicity. Is it a grade 0 or grade 1, just
9 sort of a minimal problem, or is it a major problem that
10 needs to be considered in the grand balance of the efficacy
11 versus adverse effects of the given product.

12 DR. SALOMON: The follow-up on that is I would
13 just for intellectual discussion take the position that I
14 don't agree with what you said at all. This is an
15 intellectual point. I think you've made a lot of good
16 points.

17 But here you're saying that, well, we've got to
18 see this really dramatic thing where you basically knock-
19 out TPO, and that's what we have to worry about.

20 I'm looking at a future where biologics will be
21 employed repeatedly and many different kinds of biologics
22 in patients. And then these patients -- some of them might
23 be children -- would have 20, 40, 50, 60 years left. If
24 you find out that knocking out IL-2 may not make the
25 patient fall down and turn purple and that's not dramatic

1 | enough for us, but what if they have a 10 times increased
2 | incidence of breast cancer 10 years later? In other words,
3 | we should be very careful that we don't arrogantly
4 | interfere with these complex biological processes and then
5 | reassure ourselves that we haven't seen an effect acutely.

6 | DR. CHAMPLIN: My point was that if IL-2 has a
7 | dramatic clinical benefit in renal cell cancer or whatever,
8 | that we shouldn't say that the theoretical concern should
9 | prevent it from going forward. So, you should consider it
10 | in the context of all of the attributes and negatives
11 | related to a given product, but not overreact to an
12 | asymptomatic presence of antibodies.

13 | DR. SIEGEL: I think we'd all agree on that.
14 | But there are a lot of issues on a day-to-day basis that we
15 | face in the development of these products that we're
16 | seeking guidance, and they're outlined in these questions.
17 | When is the concern high enough that we should ask for a
18 | primate study --

19 | DR. SALOMON: I thought as soon as this sort of
20 | died down, I would get us focused on the exact questions,
21 | though. It seemed like people had some concerns they
22 | wanted to start off with of more general content, so I
23 | didn't want to stop yet.

24 | DR. SAUSVILLE: So, with those two polar, shall
25 | we say, points of view --

1 DR. SALOMON: It was done intentionally. I
2 hope --

3 DR. SAUSVILLE: I would ask representatives of
4 the agency then what seems to be emerging is a sense that
5 this area, because of the longevity of immune responses,
6 the capacity for recall, all these things, in a sense you
7 may be in the position of developing a relationship with a
8 marketing entity that may need to exist over several years.
9 Do you have the authority? Or is it possible for you to
10 say we've got to measure whatever for the next 10 or 15 or
11 X number of years?

12 DR. SCHWIETERMAN: Well, we certainly have
13 authority to monitor the safety and efficacy of the
14 product. If we think the concerns are such that they'll
15 significantly affect those two parameters, then they need
16 to be asked. I guess it sort of begs the question, though,
17 and it comes back to this committee, what should we be
18 asking these sponsors to do in this regard for the reasons
19 that you pointed out, that the chronic therapies are here
20 now, that we're starting to get a lot more biologics in
21 phase III, and that there are real concerns about
22 adequately characterizing these aspects before they're put
23 out to a broad population.

24 DR. SAUSVILLE: The key issue is the "before"
25 because I don't see the relatively limited testing that you

1 do with any entity, drug or biologic, that before is going
2 to be realistic in terms of the total universe that these
3 products eventually run into.

4 DR. SCHWIETERMAN: Well, many of them, you're
5 right, will not be realistic beforehand. I think, though,
6 that before you would simply say that most of them come
7 afterwards, you would simply want to know the likelihood of
8 the thing you're worried about and the effect that thing
9 has on the overall safety and efficacy. For example, if
10 you had a highly immunogenic protein in phase I that
11 potentially caused anaphylactoid reactions and so forth,
12 you might want to very carefully analyze in phase III many
13 of the -- I mean, we do this for most of our products
14 anyway, but I'm just giving you an obvious example where
15 you might want to really know all about the safety and
16 efficacy of that profile over the long term before putting
17 it on the market.

18 But you're absolutely right. If there are less
19 real concerns and there's no real reason to be concerned
20 about an overall risk/benefit, then you could reserve those
21 questions for phase IV, which we often do.

22 DR. SALOMON: I think just another thing that's
23 coming out here is we need to talk about things in several
24 different time frames, and as we go further along, we're
25 basically expanding the time frame. Right? I think what

1 | Dr. Sausville is saying, very properly, is there's a series
2 | of things that you are going to request sponsors to do
3 | before you license the drug. Then there's going to be this
4 | phase IV which is going to enrich our understanding of the
5 | drug, but shouldn't hold up its use. And then there's
6 | going to be an argument whether or not a year -- and
7 | looking at sort of follow-up versus perhaps what I'm
8 | suggesting that some of these effects may be 10 years
9 | later. And I know at this point the sponsors and the FDA
10 | are both very unhappy with me, so I'll just sort of leave
11 | it at that.

12 | DR. SIEGEL: The simple mechanistic answer to
13 | your question, though, as I think came through, is that we
14 | do have the mechanisms, most commonly at time of approval,
15 | to request and receive commitments for long-term studies as
16 | are appropriate or even after approval. To parallel what
17 | Dr. Zoon said about once you're on an advisory committee,
18 | we've got our hooks into you for life, once you get a drug
19 | approved, you're pretty much dealing with the FDA for a
20 | long time.

21 | (Laughter.)

22 | DR. SALOMON: Dr. Miller?

23 | DR. MILLER: Well, I want to comment not just
24 | on the safety but the efficacy endpoint. These drugs are,
25 | in fact, often very, very expensive. So, I think it's very

1 | important that you make sure that you're giving a protein
2 | that is still active because the diseases many times that
3 | we are treating with these biologics are the more chronic
4 | diseases, and I think you're subjecting your patients to a
5 | long-term, number one, expense unless you make them say,
6 | what happens when you give it six months down the road. Is
7 | there biologic activity still left? I think that's a major
8 | question that we don't know about some drugs that are out
9 | there that are very expensive. So, I think that's
10 | important as well as safety.

11 | DR. SALOMON: Abbey?

12 | MS. MEYERS: In terms of the long-term
13 | monitoring, maybe you ought to think about utilizing
14 | something like the Clozaril registry which has turned out
15 | to be so valuable. The patients are registered and you
16 | just keep track of where they are. I was reading the other
17 | day that there's some concern that maybe Clozaril is
18 | connected with the onset of diabetes. No problem. They
19 | know where every patient is and they can just follow up
20 | with thousands of patients. So, you might think in those
21 | terms.

22 | But the other thing, which is a naive
23 | scientific question is, is there a way to develop
24 | appropriate tests so that physicians can test people before
25 | they put them on it or maybe even before a rechallenge with

1 the drug to find out whether they have these neutralizing
2 antibodies?

3 DR. SCHWIETERMAN: Yes. It actually is one of
4 our questions here about assays --

5 DR. SALOMON: We'll get to that.

6 DR. SCHWIETERMAN: -- so I think we'll get to
7 that.

8 DR. SALOMON: Dr. Vose?

9 DR. VOSE: I just want to make a small comment.
10 I think that it is very important for us to put any of this
11 in the context of the patient population we're treating
12 because what's appropriate for a very end-stage CTCL
13 patient, for example, with DAB IL -- you know -- DAB may
14 not be appropriate in another clinical situation where the
15 patient is going to live 60 years. So, unfortunately,
16 you're going to have to be very specific in each situation
17 about that sort of thing.

18 DR. SALOMON: Dr. Champlin.

19 DR. CHAMPLIN: I just wanted to return. On one
20 of your slides, you indicated that you shouldn't be
21 studying these drugs with premedication, and I think you
22 listed Benadryl. For many of the antibodies, particularly
23 that target either tumors or T-cells, it's a biologic
24 effect of the antibody to produce fever, and Pertexamab,
25 for example, in the first dose produces lots of symptomatic

1 effects that tend not to occur as frequently or as severely
2 thereafter. So, I think once one has defined that that
3 phenomenon occurs, then it's the standard care for many
4 drugs we use to give premedication, and it shouldn't be
5 something that should be --

6 DR. SCHWIETERMAN: The question is one of
7 definition, though. Many sponsors want to, at the very
8 first time of introduction of the product into humans, use
9 a cocktail of immunosuppressive regimens to suppress
10 whatever effect they're anticipating. If it's a dangerous
11 enough one and they're in an indication, then that's
12 appropriate. But I was just pointing out that very often
13 we have very little data with which to expect those things
14 to actually occur and would rather have some data about
15 that. Of course, it is a fine line. The minute you have a
16 clue that something is actually causing adverse effects and
17 you have a reason to understand it, then it's entirely
18 appropriate to begin suppressing those AEs.

19 DR. SALOMON: What I'd like to do now is turn
20 to the three or four specific questions that the FDA would
21 like us to address. I think we've set a good context for
22 that.

23 I just wanted to add there's a brief statement
24 regarding CBER's intent on the committee discussion, and I
25 just wanted to read that quickly, if nothing else, just to

1 focus us a little bit further.

2 So, the sentence is: "In particular, the
3 committee will be asked to discuss the amount and type of
4 data that sponsors should collect during the product
5 development, what information should be included in the
6 package insert, and what phase IV studies might be
7 appropriate for sponsors to conduct." So, I guess in a way
8 it's a reminder to us that we may be very interested in
9 some of these broad-ranging scientific issues, and I think
10 that's what we're supposed to be interested in.

11 There are also some practical matters here of
12 great importance and that is just what should a sponsor be
13 responsible for. And we have to think again, I think as
14 Dr. Vose reminded us, of the patients that are going to be
15 getting this and try and give some guidelines that are
16 practical as well.

17 So, the first issue that we should discuss is
18 what has been entitled preclinical issues. Let me read
19 this, kind of paraphrasing it. Species differences limit
20 the value of assessment of immunogenicity in animal models.
21 While the potential importance of these species differences
22 must always be considered, studies of relatively well
23 conserved molecules in non-human primate species and
24 studies of analogues of human molecules in the homologous
25 species can still yield important information. So, here's

1 | the typical problem. How far can we go with animal models
2 | in terms of establishing things in the run-up toward a
3 | phase I/phase II clinical trial?

4 | So, the question is: Please discuss the role
5 | of animal studies in the development of protein
6 | therapeutics.

7 | Dr. Champlin.

8 | DR. CHAMPLIN: The rare examples that we talked
9 | about of the critical protein being neutralized actually
10 | was seen with both TPO and G-CSF in animals. So, for these
11 | type of single gene critically important pathways, those
12 | can be defined sometimes in animal models, whereas clearly
13 | the general concern that the proteins are different, the
14 | immune responses are different certainly comes into play.
15 | So, certainly not seeing something in an animal doesn't
16 | mean it won't happen in man, but you can identify pathways
17 | perhaps as opposed to specific immune toxicities.

18 | DR. AUCHINCLOSS: Good work. You answered my
19 | question, which was, is there any example in which a mouse
20 | model would actually give you enough information that you'd
21 | learn something, or an animal model in general would give
22 | you information that would be useful? In general, I would
23 | think these events were so species-specific that you'd have
24 | to be looking in your human population.

25 | DR. SIEGEL: Well, the specific question here

1 | would be relevant to studying murine homologues in mice or
2 | non-human primates. Most of these molecules have a high
3 | degree of commonality with most primates, sometimes 100
4 | percent for certain factors often in the high 90s.

5 | DR. AUCHINCLOSS: I understand, but you
6 | wouldn't want to use any mouse data or even non-human
7 | primate data to predict whether or not you were going to
8 | lose --

9 | DR. SIEGEL: No. The way we utilize animal
10 | data would generally not be -- this question, of course, is
11 | focused on immunogenicity, although the "please discuss"
12 | doesn't say that. But the way we'd use it for any animal
13 | toxicology would rarely be to say we shouldn't do the human
14 | study or draw a conclusion, but rather to focus the
15 | concern, in some cases, to cause a more deliberate approach
16 | in terms of dosing or regimen exploration or numbers of
17 | patients exposed. You might want to expose a small number
18 | of patients and get several months of data when, if you had
19 | a higher level of concern based on animal profiles and so
20 | forth. So, that's basically what we're talking about, not
21 | using it to answer the question, but to focus on how to --
22 | whether they should be used to focus on how we address the
23 | question --

24 | DR. AUCHINCLOSS: Let me back up. It seems to
25 | me that in the big picture the data that you presented us,

1 | which I think is fantastic, gives you the sense that
2 | there's an extraordinary lack of toxicity from new
3 | treatment in antigenicity of these biological products.
4 | The one that jumped out was neutralization of the
5 | endogenous molecule, and you gave us the example that that
6 | could in fact have been predicted in an animal model. So,
7 | bingo. That's something you clearly wanted to look for.

8 | But the other big generalization is that the
9 | critical feature is the efficacy, the bioavailability of
10 | your products when you retreat because of an antibody
11 | response that may or may not be clearing the product. And
12 | I don't see how you can ever address that anywhere except
13 | in human patients.

14 | DR. SAUSVILLE: I had a question for Dr.
15 | Champlin in this regard. So, the animal model that picked
16 | up, for example, the occurrence of the single gene adverse
17 | effect, was that one animal model? Was it more than one?
18 | The question that comes up invariably is, do we do one or
19 | do we do two? Do we do some number?

20 | Let me preface this by saying that I actually
21 | agree with the thought that in a sense minimizing the
22 | number of animal models one uses before collecting the
23 | essential information is obviously of great importance to
24 | both sponsors and also not making a bad decision in terms
25 | of not bringing forward something that should be. But I

1 | would be curious as to what species that was and could we,
2 | for example, only get away with preclinical studies in a
3 | most relevant species, which with these products would
4 | likely be non-human primates.

5 | DR. CHAMPLIN: Well, first of all, knowing what
6 | the knock-out mouse phenotype does can show you what is a
7 | critical factor. But for many growth factors, the knock-
8 | out mouse has no clear phenotype but a phenotypic
9 | difference. So, neutralizing the endogenous factor
10 | wouldn't be expected to do any harm. On the other hand,
11 | those where the knock-out mouse has a major clinical
12 | adverse phenotype would raise your concerns that that would
13 | be one that would need some special examination.

14 | Now, in terms of the examples, the Amgen group
15 | had given human G-CSF to dogs and then seen neutropenia in
16 | the dogs. I believe TPO produced it in monkeys.

17 | DR. SIEGEL: But again, that confirms the
18 | criticality, but in the case of G-CSF, we haven't seen that
19 | in humans and so it may be that the immunogenicity due to
20 | the species differences --

21 | DR. BROUDY: That's the exact point I would
22 | make, is that the studies did show human G-CSF given to
23 | dogs made the dogs drop their endogenous neutrophil counts
24 | three weeks into treatment, but that hasn't occurred in
25 | humans. So, in a sense it proved that it was an important

1 single gene, but it did not predict any adverse effect. If
2 you think of all the people who have been treated with
3 G-CSF for stem cell mobilization, normal volunteers with no
4 abnormal immune system, they haven't gotten any problems
5 with loss of G-CSF function.

6 DR. SALOMON: I think I'd also like to voice a
7 little bit of caution. I don't think Dr. Champlin was
8 specifically meaning to say that you can take a knock-out
9 mouse and if it doesn't have an obvious phenotype, then we
10 don't really have to worry about that particular molecule.
11 I'm sure you weren't trying to imply that, but I wouldn't
12 want anyone to think that.

13 DR. CHAMPLIN: Yes. My implication was that if
14 there is a phenotype, then you should be more concerned
15 about it.

16 DR. SALOMON: Right. But, I mean, we have
17 recombinase deficient animals that have no T-cells and
18 they're perfectly fine in our animal colony. But I don't
19 think any human being would do very well without any
20 T-cells. We can all come up with multiple examples of
21 clotting factor deficient animals and growth factor
22 deficient animals that don't have such gross phenotypes,
23 but it has to do with the reality these animals are living
24 in.

25 DR. BROUDY: I think the other problem is if

1 | you give a -- the glycosylation differences may be very,
2 | very important. So, depending on what cell line you
3 | produce your species in, then it may be immunogenic in one
4 | mammalian species and not immunogenic in another mammalian
5 | species. So, I really agree. If we see a big problem in
6 | the animal studies, that would lead to more caution in
7 | humans, but these studies I think do have to be done in
8 | humans and all the data collected. The animal studies are
9 | not perfectly predictive.

10 | DR. SALOMON: You know what? I'm sitting here
11 | listening. A couple of weeks ago we were in the same room.
12 | I was sitting there. Dr. Auchincloss was chairing, and we
13 | were at each other's throats over the idea of how could we
14 | imagine experimenting on human patients with
15 | xenotransplantation because these complicated baboon and
16 | monkey transplants models weren't giving us one-year
17 | survival. And here, we're sitting in the same room,
18 | slightly different cast, and we're going, no, you can't use
19 | non-human primate models really. Do a couple, but don't
20 | take it too seriously. You got to get it into humans.

21 | (Laughter.)

22 | DR. SALOMON: Abbey, I believe you specifically
23 | accused me of experimenting on humans.

24 | (Laughter.)

25 | DR. BROUDY: I believe I still see the scars.

1 (Laughter.)

2 DR. MILLER: Well, putting in a new heart is a
3 little bit different than giving a drug. I think there are
4 gradations.

5 (Laughter.)

6 DR. SIEGEL: It's more cutting out the original
7 heart that is the problem.

8 DR. SALOMON: Well, if you're dying of end-
9 stage heart disease, you might not consider it all that
10 different, but anyway.

11 DR. VOSE: It clearly depends if you're a
12 surgeon or if you're an internist.

13 (Laughter.)

14 DR. MILLER: I was impressed with Dr.
15 Rosenberg's review, the fact that the antibody in animals
16 really was so non-specific except for the one case with TPO
17 where it predicted but there was also -- in most other
18 species, it predicted the knock-out effect or the one-gene
19 effect. But the antibody studies, if you looked through
20 the list, almost every animal species study was positive
21 for antibodies. So, I'm just wondering how important those
22 studies are, if they're not going to be specific, unless
23 you ask are they going to give you neutralizing antibodies.
24 And the answer appears to be yes in the animal, but it
25 doesn't predict the human.

1 Now, the question you're asking is an important
2 one, but that really wasn't the object I think of these
3 studies that they did which was looking for neutralizing
4 antibodies.

5 DR. CHAMPLIN: I think you need to see what
6 clinical effects of the antibodies would be in humans. I
7 agree entirely that just producing antibodies in animals
8 doesn't provide much information at all.

9 MS. MEYERS: Does anything besides testing in
10 humans provide the information? Is there any other way to
11 get that information? So, you have to give it to a human
12 to see.

13 DR. CHAMPLIN: Yes.

14 DR. VOSE: Unfortunately, I think that a lot of
15 the information that's coming down to, both for efficacy
16 and for a lot of the toxicity, that animal models are not
17 very predictive both ways, either positive or negative, and
18 I think that's the only way that we can do it is to
19 actually get it into patients and test it.

20 DR. SALOMON: So, if I try and summarize what
21 I've heard so far from the committee -- and I do this only
22 to make sure that I have some sort of general consensus
23 reached to communicate to the FDA -- what we're saying here
24 is that probably sponsors shouldn't waste significant
25 amounts of resources in preparing animal data on this topic

1 | prior to coming to the FDA to talk about an IND for a phase
2 | I trial.

3 | DR. CHAMPLIN: I think particularly if you're
4 | looking at human factors being given to a non-human
5 | species, there you expect to get some immune responses.
6 | So, that has very little informative value with the
7 | exception of animals, their neutrophils completely because
8 | of neutralization of a critical factor. But antibodies in
9 | that situation is expected and shouldn't be viewed as a
10 | negative feature.

11 | DR. SCHWIETERMAN: Yes, thank you. That's
12 | helpful.

13 | DR. SIEGEL: I don't want to read in things
14 | that are not there, but the converse at least to what
15 | you're saying, though, is that there might be limited
16 | circumstances where there's high degree of conservation and
17 | where there's suggestion that loss of endogenous function
18 | might be important where you would gain information as to
19 | at least what loss of endogenous function might potentially
20 | look like and relevant information regarding that concern.
21 | Maybe that's an over-read.

22 | DR. SALOMON: Well, let's get at that. Dr.
23 | Sausville?

24 | DR. SAUSVILLE: Yes. Well, to follow on on
25 | that point, one could imagine molecules or treatment

1 | programs that by their nature might entail this sort of
2 | thing such as, for example, a molecule that, as one
3 | expression of its action might induce a continuing immune
4 | response, raising the specter that you would be able to
5 | actually induce an autoimmune disease of one sort or
6 | another. As examples -- and again, it might not be in this
7 | committee's purview, but certainly there are vaccination
8 | strategies that seek to combine antigens with co-
9 | stimulatory molecules. There I think there might be merit
10 | to actually try and more precisely define an animal model
11 | to mirror in some respect the long-term effects of
12 | continued stimulation. And maybe that's what you're trying
13 | to get at, that you'd have to look and conceivably define
14 | worst case scenarios, as it were, for each molecule.

15 | The contrast for that, a growth factor or
16 | something directed against a tumor antigen that is expected
17 | to act in an antitumor sense, if we're talking about
18 | cancer, there that to me is less on table for that type of
19 | molecule. There I think very limited studies would be very
20 | appropriate.

21 | DR. SALOMON: So, I guess what concerns me --
22 | I'm willing to drift to go on to the next topic except for
23 | the nagging concern that if the message to the FDA is that
24 | we don't have to do a lot of these preclinical animal
25 | studies, and that then gets propagated to the sponsors, and

1 then they come back to an expert advisory committee, and
2 all of a sudden the expert advisory committee goes, why
3 didn't you do this in a non-human primate.

4 I mean, there's nothing hard for animal
5 modelers if Dr. Champlin's point is well taken. Okay,
6 fine. You make the primate equivalent of thrombopoietin or
7 GM-CSF or any of these others. The genes are easy to
8 clone. You can synthesize these things relatively
9 straightforwardly. If we thought that that was an
10 important transition before going into human studies --
11 okay. I just want to get this on the table because if
12 someone comes back with a study and you guys then nail them
13 for why didn't you do this, then we've not done our job.

14 DR. SAUSVILLE: Yes, but there are converse
15 examples like the mouse TNF story where basically it's
16 different biology than what we've seen in humans. So, I
17 think to tie inexorably a human experience to what goes on
18 in a species would also not be, I think, a right message to
19 send.

20 DR. VOSE: Yes. It also doesn't take 50
21 monkeys to find this out.

22 DR. SAUSVILLE: That's right.

23 DR. VOSE: You can do it in three monkeys and
24 find out the answer.

25 DR. SALOMON: Well, I wasn't discussing the

1 number. I just want to focus us here. Are we talking
2 about no studies in this area are necessary because you
3 won't be able to interpret the data anyway? Because monkey
4 studies, A, have ethical issues. I do monkey studies, and
5 I don't want to do them if they don't have any bearing on
6 the process that follows. Right? I mean, I do them
7 because I think that they're contributing to safety in
8 human patients when we move forward to a clinical trial,
9 but if in this area our advice is it won't do it, then
10 let's spare the monkeys. The monkeys have to be protected
11 too.

12 DR. VOSE: I think actually that we should
13 require a non-human primate model just for a small number
14 because I think that if something is going to be
15 predictive, that would be the model that would be
16 predictive.

17 DR. BROUDY: But we're just talking about
18 immunogenicity studies here, but they're still going to do
19 tox studies in animals for sure.

20 DR. SALOMON: See what I'm listening to -- I
21 think Dr. Champlin made a really critical point and Dr.
22 Sausville picked up on it, and that is if you're trying to
23 do a human study, so you're going to use human GM-CSF, and
24 you give that to the monkeys, which is usually the way they
25 do these tox studies, that's really not the model, is it?

1 The model is monkey GM-CSF made in the same yeast you're
2 going to make the human GM-CSF. And that's an important
3 message to the FDA and that's relevant to what the sponsors
4 do, if that's the message the group wants to give.

5 DR. BROUDY: Well, I guess I'd just like to say
6 that some hematopoietic growth factors are very highly
7 conserved. For example, human erythropoietin works
8 marvelously well in the mouse and the monkey and every
9 species in between. So, you really wouldn't need to clone
10 a monkey -- I'm sorry. A monkey EPO is what I'm talking
11 about. You wouldn't have to clone a monkey erythropoietin
12 to do these studies. So, it varies a lot from growth
13 factor to growth factor.

14 DR. CHAMPLIN: Or you may get immune responses
15 to the human EPO for the non-conserved amino acid sequences
16 and that might be neutralizing, but be totally irrelevant
17 to the human-human experience.

18 DR. SALOMON: And that's the funny thing here.
19 Just specifically this immunogenicity issue, close may not
20 be enough. You may have to have it exact.

21 DR. SAUSVILLE: But I do think implying that
22 sponsors would have to clone the animal X equivalent of
23 whatever X is and have a whole set of studies before even
24 thinking about doing the clinical, that to me would be the
25 wrong message. It's not supported by the available data.

1 | Although one could conceive of various scenarios, such as
2 | the autoimmune thing that I went through, that might impel
3 | you in that direction, I think in the main that would be
4 | the wrong direction to go.

5 | DR. SALOMON: So, let's just put a scenario
6 | out. First of all, we all recognize that each of these
7 | biologics is being targeted toward critical pathways.
8 | We're not screwing around here. Right? They are major
9 | things because if they weren't major things, they wouldn't
10 | be worth targeting. Right? I mean, you want to cure this
11 | or save that.

12 | So, here we are going to do a study where
13 | there's not a lot of animal data here, no preclinical. We
14 | go right into a human study, and the first 10 patients all
15 | get cancer or they all die. They never get their platelet
16 | count back or whatever horrible thing I can imagine
17 | happens.

18 | DR. SAUSVILLE: It would suggest we have a
19 | problem.

20 | DR. SALOMON: And Abbey comes back to us and
21 | says, you guys didn't even do animal studies on this. You
22 | went right to patients.

23 | DR. SAUSVILLE: No, no. What you'd say is we
24 | did the same animal studies that we've done for, you know,
25 | whatever dozen or other types of products, and in this

1 | unfortunate circumstance, there was an adverse outcome.

2 | Adverse outcome doesn't mean bad decisions are made.

3 | Right? You try and avoid --

4 | DR. CHAMPLIN: Virginia made the point that
5 | you're going to do tox studies, just sort of general tox
6 | studies in animals, and this is one of the toxicities you'd
7 | be screening for there.

8 | I think vaccines are very different than what
9 | we're talking about here. With vaccines, the whole idea is
10 | to stimulate an immune response, and they need to be
11 | thought of separately.

12 | But here you're talking about giving
13 | biologicals and now you have an unwanted and often
14 | unexpected immune response. So, I agree that I wouldn't
15 | force companies to do this in a monkey before doing it in
16 | humans. I know again, with the rare exceptions that we had
17 | talked about, that animal models are not predictive and the
18 | only thing that counts really is humans.

19 | DR. MILLER: Not predictive of the
20 | immunogenicity. I think you have to be very clear that
21 | we're not saying don't do animal studies --

22 | DR. SALOMON: No, no, no.

23 | DR. MILLER: Okay.

24 | DR. SALOMON: I hope I keep repeating the
25 | immunogenicity. That's what we're talking about.

1 DR. SIEGEL: Primate studies are frequently
2 done as part of development and for a variety of
3 toxicological reasons. When they're done, usually
4 immunogenicity data is collected and looked at as
5 appropriate. But this question really was focused on --
6 but they're not always done in biologics, in particular
7 because of species barriers, but in any drug development
8 program, because of the costs involved, the times, the
9 other issues discussed.

10 So, really we are focused on to what extent
11 should immunogenicity concerns lead to an additional push
12 toward a requirement for primate studies, and I think we
13 received a lot of useful feedback on that issue.

14 DR. SALOMON: Well, I hope everyone forgives me
15 for being the devil's advocate on that one.

16 The next question is on assays. Here CBER
17 proposes the following approach regarding immunogenicity
18 assays.

19 Sponsors should test all patients in clinical
20 studies with -- key word -- sensitive assays for total
21 antibody and, where relevant, neutralizing antibody prior
22 to applying for marketing authorization.

23 Two, immunogenicity assay data should be
24 carefully examined for suggestion of correlation of the
25 presence, type and amount of antibody.

1 When such studies suggest important effects or
2 are inadequate to exclude important effects of concern,
3 then additional studies should be required.

4 And if data indicate that antibody status of an
5 individual patient may be clinically important regarding
6 the use of the product, then the sponsor generally should
7 ensure that an assay is available in the post-marketing
8 period.

9 So, assay issues. It raises a lot of
10 interesting points.

11 Dr. Auchincloss?

12 DR. AUCHINCLOSS: I thought it was a good
13 outline of reasonable steps to take, but I thought it was
14 overly focused on antibody responses. As I think you've
15 demonstrated to us very nicely, there isn't a good
16 correlation between antibody responses and either
17 bioavailability or bioefficacy of many of these products,
18 so that the antibody assays you would do, but they
19 shouldn't become the absolute focus.

20 In particular, point 4 in your outline there,
21 which is before you got out there, you need to have the
22 antibody assays sort of in place and available to
23 everybody, let me just use the example of OKT3 where we
24 know an antibody response to the product very significantly
25 affects the bioavailability, but clinically I use OKT3 all

1 | the time without an antibody assay against OKT3, the assay
2 | to determine an antibody response to OKT3. I measure the
3 | effectiveness of T-cell clearing as my measure of whether
4 | I'm giving enough OKT3. So, I don't need an antibody
5 | assay. I need a way of determining bioavailability or
6 | bioefficacy.

7 | DR. SALOMON: Yes, that's a good point.

8 | Dr. Sausville.

9 | DR. SAUSVILLE: I would say everybody would
10 | agree that where one has the relatively luxurious position
11 | of being able to just do a CD3 positive count, that's the
12 | ideal position to be in. Unfortunately, many of the agents
13 | that we develop don't have that type of thing.

14 | Here I think it's unclear to me actually
15 | whether mere existence of antibodies will or will not
16 | correlate with clinical phenomena.

17 | One thing that struck me from this morning's
18 | presentation is that many times the data is reported as
19 | titers, and I don't know what titers mean actually. I
20 | would strongly try and encourage sponsors to develop
21 | ultimately mass based assays so that you can calculate back
22 | to an actual antibody concentration that mediating
23 | something. Then I think there would be a firmer footing
24 | actually than to put with correlations in the clinical
25 | phenomenon. Because again as we discussed briefly, it

1 really does relate to the dose and how frequently you get
2 above potentially a threshold of antibody that combined a
3 certain amount of product. I don't think you have any way
4 of telling that unless you really devise an assay that can
5 go after those quantitative endpoints.

6 DR. SALOMON: Well, the problem with
7 quantitative assays, of course, is that these are
8 polyclonal immune responses, so quantifying the antibody in
9 serum rather than titering it out is a big leap
10 technically.

11 If we talk about assays for antibody, do we
12 generally agree that it's not just antibody but it's
13 actually neutralizing antibody that is important? Do we
14 want to make a distinction about that, or if you're going
15 to measure antibodies, measure both? Or does anybody care?

16 DR. MILLER: Don't you measure the binding as a
17 screen? If the binding is negative, the neutralizing is
18 never positive.

19 DR. SALOMON: Okay.

20 DR. MILLER: So, it's one step. I think it
21 should be a gradation. We think these are potentially low
22 risk. We screen a lot of patients' serum, and so you want
23 to have the first test to be as sort of easy as possible
24 and then focus, at least talk about number 2 here
25 specifically. You have a screen and you see binding. 98

1 | percent of the patients don't have any binding. So, you
2 | can just ignore the rest of those patients. But in the
3 | patients that have binding, then you have to look for
4 | patients that have neutralizing.

5 | Then I think you should go then and repeat
6 | pharmacokinetics potentially because I think those are the
7 | patients that you really need to predict whether or not
8 | they are having the biologic effect, et cetera, et cetera.
9 | So, you have a three-step approach doing the studies and
10 | you're not doing excess tests on any one --

11 | DR. CHAMPLIN: Although examples were pointed
12 | out where binding led to altered pharmacokinetics without
13 | neutralizing the biologic function. So, if you've got
14 | binding, then at least you need to be concerned about
15 | alterations of pharmacokinetics.

16 | DR. SIEGEL: Yes. I think we'd agree in most
17 | cases, the ELISA or whatever total binding assay is more
18 | sensitive than neutralization assays. So, I think it's
19 | correct, we don't see neutralization where we don't see
20 | binding, and often if the company only tests for
21 | neutralization if binding is positive, that's generally
22 | considered an acceptable approach.

23 | But I would also reconfirm that, that non-
24 | neutralizing antibodies can be clinically significant.
25 | Certainly they can give rise to immune complex disease, and

1 | certainly they can alter pharmacokinetics and
2 | biodistribution of the product.

3 | DR. SALOMON: I'm cognizant of the fact that
4 | Dr. Goldsby is also with us, again the miracle of the --
5 | this gray thing is Dr. Goldsby here.

6 | (Laughter.)

7 | DR. SALOMON: It's actually quite attractive.

8 | (Laughter.)

9 | DR. SALOMON: A triangular, Star Trek looking
10 | thing.

11 | Do you have any comments about this? I realize
12 | it must be difficult for you to contemplate jumping into
13 | this discussion.

14 | DR. GOLDSBY: No, nothing specific. Just a
15 | general comment that this is not exactly a new area we're
16 | entering now. A great deal of experience has been built up
17 | over several years, and I think that probably ought to
18 | inform as well as temper our concerns in this area.

19 | DR. SALOMON: Thank you.

20 | DR. SIEGEL: Let me explain some of the
21 | phrasing of this question. I think it has become
22 | increasingly apparent to us in reviewing the database that
23 | there hasn't been consistency in the types of data that
24 | have been asked for or collected over the years, and I
25 | think the committee, in looking at this, would also know

1 | that there are certain holes. There are certain important
2 | questions that haven't been answered.

3 | So, this has given rise to a little bit of
4 | thought as to what is a rational process for collecting
5 | data and how much should be collected. Basically the
6 | process that's proposed here, which is what we do I think
7 | some of the time, maybe even most of the time, would be in
8 | the pre-marketing phase collect everybody's serum, test it
9 | for binding, and where appropriate for neutralization, and
10 | then use those data to look for correlations with whatever
11 | clinical data you have but not necessarily to do specific
12 | studies designed at exploring those correlations except
13 | where either there's a signal from -- you know, and to look
14 | at all of that and to be required to look at all of that --
15 | I should go back a point -- at the time of marketing. And
16 | then where either there's a signal there that it looks like
17 | there may be something there, or there's a signal from some
18 | other reason of concerns that animal data, pharmacological
19 | data that raise a higher level of concern, only in those
20 | specific cases we might then think about ought there be
21 | additional studies, either pre- or post-marketing depending
22 | on how high the concern is, what disease is being treated
23 | and so forth. That's the general paradigm outlined here.

24 | DR. SALOMON: Dr. Champlin?

25 | DR. CHAMPLIN: Just reflecting that what often

1 happens in real life is it works the other way around where
2 instead of prospectively looking for antibody responses,
3 people recognize syndromes, loss of interferon effect, your
4 blood counts aren't coming at them anymore, the T-cells
5 aren't being suppressed. So, in looking at the clinical
6 events with equal scrutiny from the outset may again tip
7 off where you're losing biological efficacy for whatever
8 reason, and so that in situations where that can be
9 actually measured with each course of treatment, that would
10 probably be the best screening test of all for antibody
11 responses.

12 DR. SALOMON: Abbey?

13 MS. MEYERS: The binding test or whatever
14 laboratory test. We're talking here about clinical trials
15 and then the sponsor would do these tests. Let's say that
16 throughout the test, he finds some patients have the
17 antibodies and some don't or some have it worse than
18 others. If the result of that is that everybody who is on
19 the drug, who gets on the drug after it's approved, should
20 be tested every time they're rechallenged anyway, are these
21 the kinds of tests that can be done on Main Street General
22 Hospital or they have to be sent away to special labs?
23 What would the cost be to --

24 DR. SALOMON: Well, I think part of the answer
25 is that any general lab can do a well-developed and

1 | validated ELISA assay, but when you're doing a clinical
2 | trial, they're not going to have a well-developed,
3 | validated ELISA assay. So, I think you will end up with
4 | central laboratories where samples will have to be sent.
5 | You're not going to suddenly distribute hundreds of kits
6 | with recombinant antigen on them.

7 | DR. SIEGEL: There are two or three paradigms
8 | that can be followed. This is sort of what we were
9 | addressing in the fourth bullet. I think Dr. Auchincloss
10 | pointed out some important cautions, but there have been
11 | cases where it is clinically important enough that we think
12 | a test needs to be available. Then we might ask or even
13 | require the sponsor to make that test available.

14 | It can be done in a number of different ways.
15 | There are sometimes where you can make a commercial kit
16 | that measures, say, human anti-murine antibody. You could
17 | have it as a service offered by laboratories, or it could
18 | be a service offered by the manufacturer of the drug
19 | product themselves. So, there are different ways that can
20 | be achieved.

21 | I can't speak to what the costs involved might
22 | be.

23 | MS. MEYERS: Well, if this happens down the
24 | road -- and I'm sure maybe five years from now you might be
25 | retired. Somewhere there should be a registry that under

1 managed care you can send your blood off to the laboratory
2 that you want to or your doctor wants. You have to send it
3 to the one that contracts with your managed care company,
4 and that could cause a problem. So, I ask you to remain
5 sensitive to that so that if people need a repeated blood
6 test, it does not run into the reimbursement problems that
7 we're experiencing now.

8 DR. SALOMON: So, again trying to get the drift
9 of where this is going, I think Dr. Miller put it well in
10 that perhaps we're all sort of thinking it should be
11 staged. You first screen for antibodies at all. If you
12 can't find an antibody with a reasonably sensitive test,
13 you're probably done right there. We made the point that
14 antibodies isn't everything, but at least from the point of
15 view of this assay aspect.

16 Then if the antibody is present, do we all
17 agree that we should look for neutralizing antibodies? And
18 then if the neutralizing antibodies are present or if
19 antibodies are present, we ought to look at the
20 pharmacokinetics of the molecule in question and compare
21 that with some quantitative measure of the antibodies,
22 whether it's titer or a molecular definition of it. So, in
23 other words, asking questions that high titer patients or
24 high responders might have a different pharmacokinetic than
25 intermediate or negative responders.

1 DR. SAUSVILLE: But there are kinetics as well
2 as dynamics because kinetics, in the absence of some
3 dynamic quality, would be important.

4 DR. SALOMON: Right. So, I guess I was going
5 to say that the fourth level would be to have some sort of
6 biological parameter that would then, of course, be unique
7 to the product and the patient population. So, that we
8 can't really define. We'll do that I guess when someone
9 puts something specific in front of us.

10 I think that gets to something. Dr.
11 Auchincloss made the point. I share his experience using
12 OKT3 and more recently the anti-IL-2 receptor antibodies in
13 transplant patients. Again, we don't typically measure the
14 antibodies. We look at bioassays.

15 DR. CHAMPLIN: One has to distinguish the
16 clinical trial phase pre-approval and then long-term
17 practice where in practice you're not going to do all these
18 things. During the clinical trial phase, you need to
19 define the biology and what's going on. Once those
20 principles are established, then you can find some cost
21 effective strategy to treating the patients and still
22 gathering the same information.

23 DR. SALOMON: Dr. Stein.

24 DR. STEIN: I just wanted to comment that in
25 many instances with monoclonals, because they are product-

1 specific assays, that even in instances where there has
2 been relatively few immune responses, we've asked companies
3 at post-marketing that they make that assay available if a
4 physician feels a patient has a reaction that they would
5 like to know might be associated with an antibody response,
6 that they have a place where they can send the serum to be
7 tested. This wouldn't be an assay for which they would
8 charge necessarily. It would just be a service. This
9 would be for products where it wasn't necessarily critical
10 that this be measured in all patients, but just a service
11 to be available if a physician would like to know whether
12 an antibody response occurred.

13 DR. SALOMON: Dr. Vose.

14 DR. VOSE: Just a quick comment. I think it's
15 important again for us to keep in mind that these antibody
16 responses need to be clinically relevant. I've seen some
17 examples of companies who use this information I think
18 maybe improperly where they say, well, our antibody only
19 has a 2.7 percent HAMA. Yours has 2.8 or something like
20 that. I think that that's clearly not appropriate and it
21 needs to be something that is clinically relevant for us to
22 be concerned about it. We shouldn't let the companies use
23 that kind of information improperly.

24 DR. SALOMON: Yes. I think Dr. Auchincloss had
25 made that point, and I agreed with that. That came up in

1 | our pre-discussions about sort of things that we should get
2 | on.

3 | So, let's go back to that specifically. If we
4 | agree that there should be an assay, to what extent should
5 | we grapple with the idea of standardizing the assay?
6 | Should there be standards for these assays? I know Dr.
7 | O'Fallon had made several times now the comment of
8 | specificity and sensitivity. So, to finish up this assay
9 | question, can we get some comments from the group on that?

10 | How many different ways are there to do an
11 | antibody assay?

12 | DR. SIEGEL: There are not only an unlimited
13 | number of different ways to do it, but for every one of
14 | them, you can choose your cut-point of positivity along
15 | what is usually a continuum so as to modify the sensitivity
16 | and specificity to your liking.

17 | Unfortunately, these claims -- and this really
18 | is at the heart of question number 4 -- have induced some
19 | sponsors, we believe, to intentionally choose insensitive
20 | assays so they can then promote low rates.

21 | DR. SALOMON: Right, but the question we're
22 | dealing with now, Jay -- we don't want to go on to question
23 | 4 yet -- is, is there anything that the committee can say
24 | constructively to the FDA regarding what we think should be
25 | done in terms of dealing with this sensitivity/specificity

1 | issue up front?

2 | So, I see a bipolar response here. One would
3 | be no. We can't tell you anything you could screw around
4 | with the assays in any way of a million ways and it's just
5 | impossible to standardize anything. So, we'll just have to
6 | take it on a one-on-one basis and insist on some sort of
7 | validation of sensitivity on that particular study, go on
8 | to the next one. Or that there's some way of standardizing
9 | this, some sort of molecular standardization that we could
10 | use or insist on.

11 | DR. SAUSVILLE: Most of the time there is some
12 | sense of what concentration of biologically active
13 | substance is actually acting at a receptor or whatnot. I
14 | think as a minimum -- and I'd toss this out and get
15 | people's reaction -- putting it on the sponsor's shoulders
16 | to show why they can't develop an assay, that it would at
17 | least detect the drug acting as it's intended to. If they
18 | can't, well, then that's interesting, and that becomes then
19 | a set of biological realities. But most of the time you
20 | probably can. Then that then becomes by definition a bar
21 | that sensitivity is going to be addressed for each agent
22 | and becomes one way of comparing across agents. I toss
23 | that out.

24 | DR. O'FALLON: If we were looking at the
25 | results of a randomized controlled clinical trial, we'd

1 demand that they'd quote us at least a p value and very
2 possibly a statement of the power of the test that was
3 designed. Yet, throughout the entire day, I've not heard a
4 single number quoted as to the sensitivity of any of the
5 assays that we've discussed or the specificity. I think at
6 the very least we need them to document what those specific
7 sensitivities and specificities are for those different
8 assays.

9 DR. SIEGEL: Yes. The reality, of course, in
10 most of these cases is there's no gold standard. There's
11 no one who can say this specimen truly does have antibody
12 to the product and that one doesn't. So, it's sometimes
13 difficult to say which are the true positives and which are
14 the false positives and what is the true sensitivity and
15 specificity.

16 DR. O'FALLON: Precisely my point and some of
17 the points I've made. You're talking an awful lot like
18 everything that come out of these tests is the gospel, and
19 yet it could be dramatically different from that.

20 DR. SAUSVILLE: I would simply respond by
21 saying, ah, but one can say that you have an assay that
22 would detect an antibody that would interfere with this
23 substance acting in its biologically active concentration.
24 So, that frames it more in terms of interfering with what
25 you think the intended function of the biological is.

1 DR. SIEGEL: There's probably a lot more role
2 -- and I think Dr. Zoon alluded to this -- to
3 standardization and even quantitatization of neutralizing
4 assays because for neutralizing assays, you're looking
5 usually at an active molecule and a cell line that's
6 responding to it, and then you're looking for the ability
7 of serum to inhibit that. You can standardize what the
8 molecule is, what the cell line is, a lot of the
9 parameters, and that could be very useful.

10 For these ELISAs to detect binding antibodies
11 or IRMAs, or whatever they are, the questions of
12 standardization might be tougher. But that's a point well
13 taken.

14 DR. BROUDY: But you can also know the standard
15 curve. Every assay has to have a standard curve, and so
16 you know the lower limit of detection on the standard
17 curve. So, maybe that should be included, the standard
18 curve and what the lower limit of detection is.

19 DR. SIEGEL: The lower limit of detection.
20 You're suggesting again in terms of like mass units of
21 antibodies.

22 DR. BROUDY: Right. Nanograms per ml or
23 micrograms per ml or whatever because every assay has got a
24 standard curve.

25 DR. MILLER: I just want to go back. I think

1 | Dr. Schaible this morning showed us that these things
2 | actually can be done. You can quantitate an antibody, and
3 | then you can do a study to show that it is not clinically
4 | significant, which I think is what we really show. I think
5 | that should be done actually, as much as you can, before
6 | the drug goes to market, if there are neutralizing
7 | antibodies shown, that you need to know what the clinical
8 | consequences of those are before you put it to market
9 | because I think that's the best chance of finding out
10 | really the data. In a pre-marketing versus a phase IV
11 | study, you have a much better control over what you do.
12 | So, I would say that that should be considered maybe a
13 | minimum if you find something.

14 | DR. CHAMPLIN: Yes. Well, again, it has to
15 | occur in some sizeable fraction of the patients to be
16 | relevant. If it happens less than 1 percent of the time --

17 | DR. MILLER: Right, but if you see something
18 | more than 5 percent -- I mean, there's something, some
19 | cutoff.

20 | DR. SALOMON: Dr. Stein?

21 | DR. STEIN: I'd just like to ask Dr. Broudy.
22 | Frequently the standard curve is developed with an animal
23 | antibody. We have a human product or humanized antibody or
24 | some other product that goes into a rabbit and you develop
25 | a standard with a rabbit antibody. Does that bother you if

1 | you see that the lower limit of detection is 10 nanograms
2 | per ml of rabbit antibody? That is frequently the way
3 | these are developed.

4 | Unless you have a patient that had an adverse
5 | reaction, you went back and looked, and found they had a
6 | high titer serum, and you could use that antibody for the
7 | standard. But frequently that's a limited amount and not
8 | one that would be available again. So, it couldn't be used
9 | for a standardized assay over a long period of time.

10 | There may also be monoclonal antibodies as
11 | well, and they have a limitation that they would only
12 | detect a single epitope unless you made a large cocktail to
13 | try to approximate a polyclonal.

14 | So, I guess the question I have is would you be
15 | concerned if it were an animal antibody that were given as
16 | a specification.

17 | DR. BROUDY: Well, I think those are concerns
18 | and I think we can't really get into that right here. But
19 | you'd have to look at the specific ELISA tests that were
20 | designed for each reagent and how it was set up and think
21 | about what curve they're using to call something a positive
22 | or a negative. And I think those are concerns.

23 | DR. SALOMON: Kathy?

24 | DR. ZOON: Just from some experience over the
25 | past 25 years with interferon, to kind of frame where we

1 evolved in the interferon field, because we have probably
2 the most knowledge, and whether or not that's relevant to
3 the broader cross section of therapeutics may be applicable
4 in some cases and may not be applicable in others.

5 Over the years, it was recognized that having a
6 standard assay for neutralization was very important for
7 interferon. In fact, a great deal of effort was put into
8 it by a Dr. Kuwadi, who's currently in Japan, to develop a
9 standardized assay that everybody would adopt as an assay
10 for determination of neutralization by interferon alpha and
11 can be used for some of the other interferons. In general,
12 that was picked up by most investigators and most clinical
13 investigators.

14 It also became aware of the need to have a
15 standard human antibody preparation. In fact, one was made
16 and was actually viled and is used as a reference reagent
17 by WHO.

18 Maybe one of the questions, as these products
19 evolved, is it important that either WHO or FDA or somebody
20 encourage the use of more standardized assays where
21 appropriate and encourage the development of some of these
22 reagents as a way to promote some standardization,
23 recognizing that it may not be relevant in a lot of areas.
24 The question maybe might be worthwhile discussing if the
25 committee feels that would be relevant in some cases and

1 | should that be supported and promoted to the level that is
2 | feasible.

3 | DR. SALOMON: My comment would be from the way
4 | I see the committee going, I think some of this has already
5 | been discussed. I think what the committee is saying,
6 | again looking for consensus -- correct me if I'm not
7 | stating this properly -- is that just finding antibodies,
8 | even just finding neutralizing antibodies are not
9 | necessarily relevant. However, they could be. Where the
10 | committee's attention would become focused is when there's
11 | demonstration of a pharmacodynamic or a biological effect
12 | that then becomes relevant. Then the big question would
13 | turn back on is the antibody predictive of that. I think
14 | that that has been said a couple different times. If that
15 | were true, then I think the energy to develop standardized
16 | tests would be immediately appropriate. However, to use
17 | resources to develop those kinds of standardized tests in
18 | the absence of any correlative I think would be an
19 | unnecessary burden.

20 | DR. CHAMPLIN: Yes. Interferons are so widely
21 | used now for such a broad range of diseases, but there's a
22 | need for that type of thing. But the assays for antibodies
23 | to the anti-CD40 ligand, who knows? It's a smaller
24 | spectrum of studies going on. So, when you really need the
25 | information is the very early point in development where

1 | you don't have the standards. So, it's a catch 22.

2 | DR. SALOMON: I guess the other thing I wanted
3 | to make sure the committee agreed -- I think that I'm
4 | hearing from everyone -- is that in the process of a
5 | sponsor coming forward to initiate the first clinical
6 | trials, probably a tremendous amount of results pre-
7 | clinically is not going to be relevant, and that a lot of
8 | this then should be the focus of the clinical trial period
9 | to establish these different elements. So, a lot of the
10 | assay design issues will be fluid during the run-up from
11 | phase I to the pivotal phase III trials.

12 | DR. CHAMPLIN: And my guess is that in only a
13 | small fraction of the products coming forward is this going
14 | to be highly relevant. Most of the things that you showed
15 | have a low level of antibodies being produced and they're
16 | not often of biologic importance. So, to put a lot of
17 | emphasis on it before you know it's even a problem seems
18 | misguided.

19 | DR. SIEGEL: That may be the case, but more and
20 | more of the products are moving from relatively acute
21 | settings into more chronic use.

22 | DR. CHAMPLIN: Not to say that it shouldn't be
23 | looked at at all, but I wouldn't sort of make it a deal
24 | breaker for the pilot study.

25 | DR. SAUSVILLE: And here is where collecting

1 the samples, having planned ahead of time to be able to go
2 back and make some sense out of all this once you've got
3 the clinical data put together, I think is going to be very
4 important.

5 DR. SIEGEL: What I think I'm hearing is -- but
6 let me clarify to make sure it's what I'm hearing -- in
7 terms of the concerns about the clinical significance, as
8 several of the speakers have noted, I think is something
9 not far from or perhaps quite similar to what we're
10 proposing. So, let me just go over that and bounce that
11 off you again and see if, in fact, I'm sensing the
12 committee right.

13 DR. AUCHINCLOSS: I was just going to jump in
14 there because I was just going to make that point. I think
15 what we've said is what you've written down here.

16 DR. SIEGEL: That's what I was thinking. What
17 we've written in the second bullet is that if you're
18 collecting the specimens from the start, that by the time
19 of marketing, if you have a sensitive assay so you can pick
20 up low titers, high titers, whatever, and neutralizing and
21 non-neutralizing, that those data should be explored for
22 suggestion of correlation between those findings and PK,
23 PD, efficacy, or safety.

24 Now, of course, if half of the patients have
25 antibodies and half don't, you're going to have some amount

1 of power such as another assay we always do, which is
2 looking at effects in men and women. It's not likely to be
3 sensitive to every significant effect, but it will be
4 sensitive to large effects. If you have only two patients
5 who developed antibodies, you're going to be guessing what
6 to make of it. But on the other hand, what it means may be
7 less important if only two people have identified it.

8 So, the approach would be to routinely do that,
9 collect the data, routinely analyze the data for
10 correlations, and then make a determination if those
11 studies suggest either that an important effect may exist
12 or inadequate to exclude an important effect where there's
13 a particular concern for any of a number of reasons.
14 That's when additional studies should be considered.

15 You're saying that is, in fact, your sense too
16 of what the committee is saying.

17 DR. AUCHINCLOSS: I thought what you wrote down
18 here was excellent. The only point was a minor one. In
19 number 4, you got hung up on an antibody assay --

20 DR. SIEGEL: We don't always need an antibody
21 assay if there's a clear clinical sign that can be used.
22 Yes.

23 DR. AUCHINCLOSS: (Inaudible.)

24 DR. SIEGEL: Yes. Point well taken.

25 DR. AUCHINCLOSS: But I really do think that

1 | what you wrote down is sensible and reflects what the
2 | committee has been saying.

3 | DR. SIEGEL: It sounds like that reflects what
4 | I've been hearing.

5 | DR. SALOMON: What I'd like to do -- there are
6 | a couple of people that are going to have to leave at 4:00,
7 | and I'd like to then take a small chairman's prerogative to
8 | jump over question 3 to question 4, the product labeling
9 | and promotion issues just because -- I may be wrong, but
10 | I'm guessing that might be a little more contentious than
11 | question 3.

12 | I guess I'm being informed Dr. Goldsby is
13 | leaving already. Thank you.

14 | So, question 4 is on product labeling and
15 | promotion issues, and again, everyone has it in front of
16 | them, so I don't see a point in reading it.

17 | But it raises a whole number of different
18 | questions about what we feel is appropriate and not
19 | appropriate for sponsors to claim based on these data,
20 | albeit we've identified a lot of unknowns in the product,
21 | and if so, what kind of guidelines can we give the FDA on
22 | this?

23 | Dr. Auchincloss?

24 | DR. AUCHINCLOSS: You have completely changed
25 | my mind. I came down here, after reading this, saying, oh,

1 | come on, just let the sponsors say whatever is true.

2 | (Laughter.)

3 | DR. AUCHINCLOSS: I must say, along with Julie,
4 | I've really changed my mind. The statement that my product
5 | has less of a HAMA response than your product is truly a
6 | meaningless response is what I think I've learned today and
7 | therefore should not be included in the promotional --

8 | DR. VOSE: That's not exactly what I said. I
9 | said that they need to prove that it is meaningful for them
10 | to say that.

11 | DR. AUCHINCLOSS: Fair enough.

12 | DR. VOSE: And it might be, but most of the
13 | time it probably isn't.

14 | DR. SALOMON: So, Jay gave us the guidance that
15 | the question for the committee is not to put immunogenicity
16 | data in the product labeling. It is going to be in the
17 | product labeling.

18 | DR. SIEGEL: Well, wait a second.

19 | DR. SALOMON: I thought you said that.

20 | DR. SIEGEL: Somebody, I think Bill, may have
21 | said that.

22 | DR. SALOMON: I thought you said it's required.
23 | I wrote it down.

24 | DR. SIEGEL: Bill may have said that.

25 | I think what will be on the product labeling is

1 anything we know about clinical correlates of
2 immunogenicity. If we know that there's loss of efficacy
3 or safety concerns, that will surely be in the labeling.
4 But what we're left with is a long history of putting a lot
5 of numbers, percentage numbers, in labeling whose ability
6 to inform is uncertain and whose ability to misinform has
7 in cases been demonstrated.

8 DR. SALOMON: Right. Yes. No, I agree with
9 that.

10 So, the question is if we're going to discuss
11 immunogenicity, what kind of guidelines are we going to
12 make for discussions of immunogenicity. And I don't think
13 we're really disagreeing.

14 So, again, we get back to should there be a
15 disclaimer in the product insert in bold, much like we have
16 on a pack of cigarettes --

17 (Laughter.)

18 DR. SALOMON: -- that says based on the current
19 technology of these kind of assays, we cannot make any
20 prediction on the relative immunogenicity of our product
21 versus any other product in its class.

22 DR. MILLER: I don't think you should go that
23 far. If you have a 90 percent immunogenicity, people need
24 to know that. I think you can say mild, moderate, severe.
25 You can put criteria on that so you can't compare. But I

1 think that if everybody is going to get an immune response
2 to it, I think people need to know that.

3 DR. VOSE: But I think they also need to know
4 if it does or does not make any difference to the patient.

5 DR. SAUSVILLE: Right. I mean, that's the
6 issue.

7 DR. MILLER: Right. But I think saying that
8 you're going to require that they have it, I mean, yes, if
9 it has a difference, a clinical -- I think that we need to
10 know that before you go to marketing whether or not it does
11 have clinical correlation.

12 DR. VOSE: Right.

13 DR. SIEGEL: If it doesn't, are you still
14 saying, though, the numbers belong in the labeling?

15 DR. MILLER: That's true. If it doesn't, it
16 shouldn't be. I changed my mind.

17 DR. VOSE: I don't think unless it has a
18 clinical correlate that people need to be putting those
19 numbers on the label because what happens is it gets out in
20 the community where physicians don't understand what those
21 numbers mean and then drug representative X comes and says,
22 oh --

23 DR. SALOMON: Look right here.

24 DR. VOSE: Yes. It's right here on the label.

25 DR. SAUSVILLE: It's immune. It's scary,

1 anaphylactoid, et cetera, et cetera.

2 Besides that, it then gets into this whole
3 quagmire of how hard do you look basically. If you have an
4 assay that tests one level of sensitivity and another assay
5 that -- if we're going to start allowing claims to be made
6 A versus B, it gets into this issue of how comparable these
7 things were.

8 So, I actually like the idea --

9 DR. CHAMPLIN: I think this may be a unanimous
10 thought here because I don't see anyone objecting.

11 DR. SALOMON: So, we're going to do the
12 cigarette labeling approach? We make no claims.

13 DR. SAUSVILLE: Maybe in slightly less bold
14 letters.

15 (Laughter.)

16 DR. SIEGEL: I think what I'm hearing is not to
17 put a disclaimer but even to suggest that we may not need
18 to put rates in at all if there has been some reasonable
19 looking for clinical correlates and not finding any.

20 DR. SIEGEL: Well, I think seriously what I was
21 trying to articulate is I think that what we're saying is
22 if there is a clear immunogenicity, then it has to be
23 specifically spelled out in the product. However, if at
24 the time at which the drug is approved, the data is such
25 that at the final data cut that experts do not agree that

1 | this is significant, then we should not allow this kind of
2 | data to be there that then can be abused in marketing.

3 | DR. MILLER: I'll go back to why I'm harping on
4 | this. I'm very concerned from a clinical standpoint about
5 | the precedent, a drug being approved and out there on the
6 | market with a known 90 percent neutralizing antibody at 3
7 | months and the clinicians being left to decide whether or
8 | not that means anything, which is what state we are in now
9 | with a drug. So, in that case I think that that
10 | information needs to be there.

11 | Now, if we know that based on this we're going
12 | to say that you have to know the answer, then I think you
13 | cannot have the information in there. In that setting, I
14 | think you need have it.

15 | DR. CHAMPLIN: I think we all agree if there is
16 | clinical immunogenicity, where the immune response alters
17 | the response to the drug, that has to be in the label, and
18 | it has to be in the label if it occurs in most people or
19 | rarely.

20 | So, I like the sort of the semi-quantitative
21 | terms which then prevent comparison of 23 and 24 percent by
22 | drug reps and still imparts meaningful information.

23 | DR. SALOMON: Of course, we'd have to set
24 | numerical limits to define mild, moderate, and severe,
25 | which then of course could be repeated by drug reps. These

1 are like the 1 plus 2 plus 3 plus things we have all done
2 in certain times in the dark areas of our careers.

3 (Laughter.)

4 DR. SAUSVILLE: Then maybe the fall-back
5 position is to say something to the effect, here's the
6 immunogenicity data. This has not been compared head to
7 head with any other particular item, and that, therefore,
8 to claim that item X is better than item Y based on this
9 type of test hasn't been scientifically established.

10 DR. SALOMON: That's the disclaimer approach.
11 Put the data there and then put the disclaimer.

12 I actually don't mind the mild, moderate,
13 severe. I think the point is well taken.

14 DR. CHAMPLIN: I don't think anybody the
15 disclaimers.

16 DR. VOSE: Yes. Nobody is going to read the
17 disclaimer. They're just going to say, oh, 24 percent.

18 DR. SIEGEL: Well, actually if we put a
19 disclaimer in that about -- well, there are two types that
20 we might be thinking of here, one that the clinical
21 implications are unknown, but a disclaimer about that these
22 data should not be used for comparisons actually sends a
23 message other than to physicians because it sends a message
24 to sponsors that is up front in case they haven't heard it
25 elsewhere or could claim not to have heard it, that any

1 | claims they might make have been determined by the agency
2 | not to be appropriate claims. So, there is a role.

3 | In fact, as you all know as practicing
4 | clinicians, label reading is not perhaps one of your major
5 | endeavors. But we're trying to fix that actually by making
6 | much more informative and better labels, and I hope we'll
7 | succeed. But labels are also used by lawyers, by
8 | promotional people, by patients, but there's a lot of
9 | consumers --

10 | DR. SALOMON: But I think I would say many of
11 | us actually, the first time we use a drug that's as serious
12 | as some of these, would read the label. I don't think I
13 | would want to be tested on it later, but --

14 | DR. SIEGEL: And importantly, what's in the
15 | label forms the basis -- that was the point I was making --
16 | of what is or isn't considered acceptable promotional
17 | information.

18 | DR. SALOMON: An issue that came up in our
19 | discussions was how does the process chosen to produce
20 | these proteins actually influence the immunogenicity. That
21 | has come up a couple times in our discussions.

22 | DR. SIEGEL: Before we leave this topic, let me
23 | just make sure I understand. It sounds like there's
24 | support in this committee that the notion of just giving
25 | numerical rates that do not have clinical implications and

1 | could be misused, the committee recognizes problems with
2 | that and supports it sounds like either of two approaches
3 | which might be either not to include those rates or to use
4 | a semi-quantitative approach with rates with perhaps some,
5 | if not disclaimer, information about what implications of
6 | those rates are or are not appropriate.

7 | Is that a fair recapture, that you might see --
8 | Dr. Miller, for example, in the case you might feel that it
9 | would be useful to say most patients developed antibodies.
10 | However, with the limited data available, no impact could
11 | be discerned on safety or efficacy or PK.

12 | DR. MILLER: Right.

13 | DR. SALOMON: I think that's important in that
14 | we can't exclude the possibility that at the time a drug
15 | gets approved, there might be 60 percent of the patients
16 | developing an antibody response even with a significant
17 | neutralizing antibody response. But still there's no
18 | evidence of a biological effect, and we therefore downplay
19 | it in the original product labeling, but we at least put it
20 | there. Then maybe three or four years later, talking about
21 | the doomsday scenarios that we were kicking around earlier
22 | on, all of a sudden there's a 20 percent incidence of some
23 | horrible event that took four or five years to emerge. At
24 | least it's in the package insert. Right? We can't always
25 | know all the downstream implications of what we're talking

1 about.

2 I wanted to get at the process thing a minute
3 because it does relate in some way to the product labeling.
4 It also goes beyond the questions here. So, before people
5 left, I wanted to bring it up.

6 One of the things that concerns me is that we
7 talked about identifying that there's an antibody response
8 and how we would identify it and then how we'd even label
9 it and advertise it. But what we haven't really talked a
10 lot about is what energy should be put on determining what
11 that antibody response is against.

12 So, if I make a product that I get an antibody
13 response and we follow it down, if it's against an
14 aggregate, shouldn't we identify it against an aggregate
15 and then put pressure on the sponsor to develop an
16 aggregate-free product? In other words, where do we stop
17 being passive about insisting on assays and get more active
18 in terms of saying what is the element of this? Maybe it
19 shouldn't be given subQ. It should only be given IV.
20 Maybe it should be given with corticosteroids, et cetera.

21 DR. SAUSVILLE: There we discussed the stepwise
22 approach that Carole outlined, reverse binding and then
23 neutralizing, then PK, then PD. I mean, it would seem the
24 logical progression of that is that --

25 DR. SALOMON: Is next find out what it's --

1 DR. SAUSVILLE: Yes, because it's important
2 enough to change the biological properties.

3 DR. CHAMPLIN: Although the aggregates usually
4 are -- it's not just like it's binding -- the aggregate may
5 stimulate the immune response but it will bind the soluble
6 factor.

7 DR. SALOMON: Right. But then you would be --
8 perhaps if you could demonstrate that it was -- well, that
9 is actually a fair point. It's easy to say. It might not
10 be so easy to prove.

11 Certainly non-natural forms, denatured,
12 disulfide bridge, broken forms that might expose cryptic
13 antigens, those would be very relevant. The aggregate
14 question is relevant but more difficult. That's a good
15 point.

16 So, I don't know. Not acting as the Chair now,
17 my own personal thing would be that we ought to keep that
18 in mind, that if an antibody response is discovered, even
19 if it's not so clear it's biologically relevant in my
20 opinion -- just because I'm a little concerned about the
21 time frame in which we're going to define biological
22 relevance in a field as complicated as biologics -- but if
23 we find an antibody response, that there should be a phase
24 in this phased-in process that we identify what it is
25 against and consider ways to minimize it.

1 Well, we can return from product labeling and
2 promotion to this last question, and that is study of
3 repeat or intermittent usage. I think to summarize that,
4 the concern that the FDA had in our initial conversations
5 was that a lot of these drugs could be brought to market
6 with the idea that they'd be used once, and yet, once it's
7 marketed, people will essentially start using it multiple
8 times. So, how big a concern is that in the context of
9 immunogenicity, and to what extent should that be
10 considered in the plans the sponsors provide, phase II,
11 phase III versus phase IV?

12 DR. SIEGEL: Let me just add as a background to
13 that the agency -- those of you who have read the
14 newspapers in the last year are aware that the agency has
15 focused a fair bit -- Dr. Zoon could expound on this -- on
16 the fact that a number of notable toxicities have come to
17 light in the post-marketing period. Where possible, it
18 would be desirable -- it's not always possible in a
19 feasible manner, but where possible, it would be best to
20 identify them prior to marketing.

21 In sum, I think the data you heard regarding
22 Remicade, for example, about reuse was a case where the
23 immune complex disease on reuse came to light essentially
24 within the first few weeks after licensure of the product.
25 This licensed product was used in people who had also

1 received it in clinical trials.

2 With that and as was pointed out with, say,
3 imaging agents for cancer, which we know sometimes behave
4 differently on reuse because of the development of HAMA,
5 there's a reasonable guess that you could license them for
6 single use, but that some people are going to want to use
7 them a second time. And the question is should we be more
8 routinely getting those data pre-marketing.

9 DR. CHAMPLIN: It's hard to think of too many
10 things that you'd only do once. In a transplant, modifying
11 a transplant maybe. But most things, imaging agents, anti-
12 inflammatory drugs, almost anything you would at least have
13 the potential to reuse, so that that should be explored in
14 the initial studies.

15 DR. VOSE: I agree. I think once they've
16 gotten to the phase II portion, they know what dose, and
17 they're going to go on to do treatment, that they should
18 have at least some retreatment information. Again, we need
19 to know if it's clinically relevant, though, as far as
20 that's concerned.

21 DR. SALOMON: Abbey.

22 MS. MEYERS: One of the problems is more and
23 more we're seeing with the new biotech products, the
24 manufacturers really don't make an awful lot and have it on
25 hand and there's usually a shortage. Even if they give it

1 away under a treatment IND, they have a very limited
2 amount. They only allow a certain number of patients to go
3 through. So, I can't see, unless you tell them up front
4 that you want some people to be rechallenged, because the
5 people who went through phase II are dropped when they
6 start phase III. That's it. Nobody else can get it.

7 DR. SIEGEL: Yes. This I guess will be an
8 issue that we would take up at early developmental meetings
9 with sponsors so that they could plan it into their plan in
10 terms of drug supply. I think that's a point well taken
11 because with the biologics in particular, as you well know,
12 there's often an upscaling necessary to market. In that
13 period between the small scale production for clinical
14 trials and the upscaling, there are these shortages.

15 MS. MEYERS: And they don't want to invest in
16 that until they get some sense from you whether the drug
17 looks good or not.

18 DR. MILLER: I'm actually going to take the
19 opposite. I think there are some situations where you're
20 not going to be able to do that. I don't think to require
21 it in all situations is -- I mean, like the one that is for
22 the acute chest pain syndrome. You can't very well require
23 people to be retreated because generally people get
24 treated, they get PTCA. Until you get huge numbers, I
25 think that it should be recommended you can get the data,

1 but I think that in some cases, especially if you have an
2 agent that works, you may not need to retreat them for a
3 year, two years. So, it may be difficult.

4 I think that if patients are getting, in the
5 clinical trial, repeated doses, that you make it imperative
6 that you don't only test the first dose pharmacokinetics,
7 you test the fourth dose immunogenicity and
8 pharmacokinetics as well. So, from that standpoint. But
9 in all situations, I don't think you can reasonably expect
10 them to say, okay, we're going to wait around till somebody
11 relapses, has a second episode of chest pains so we can
12 give them the drug again.

13 DR. VOSE: But I think if the sponsors know
14 this that that's part of the overall plan and then they
15 have a protocol that's already open and available, at least
16 they'll get some patients that they can do that on and have
17 a little bit of information. I don't think you have to
18 have a huge trial at the time that you're considering that.

19 That even, for example, has been done in some
20 of the lymphoma antibodies, that they had a retreatment
21 trial that was open like two months after the treatment
22 trial was opened, and it has actually worked pretty well.

23 DR. SCHWIETERMAN: It's more common to have
24 open label extension studies following, say, a phase I or
25 phase II for both safety and for even long-term efficacy in

1 | some cases. So, we could easily include these sorts of
2 | things, measuring patients who went off the drug or had
3 | serial doses, whatever, or just measuring at the fourth
4 | cycle what the antibody levels were.

5 | DR. SIEGEL: I think that we see the spectrum
6 | in terms of pragmatics. There are certain things like
7 | flares of multiple sclerosis or arthritis or Crohn's
8 | disease or whatever which occur reasonably regularly where
9 | you could collect some amount of data. Imaging of tumors
10 | where you could certainly image before and after therapy
11 | and people are interested in doing that where one could
12 | expect to be able to collect those data. I think waiting
13 | around to follow people for them to have an MI might be a
14 | more difficult situation. We'll tone that with some
15 | concern about the pragmatic.

16 | Rejection episodes or organs is something where
17 | usually if you follow over the course of two or three years
18 | of development at least, you're going to get a second
19 | episode in a lot of people.

20 | DR. SALOMON: Dr. Auchincloss?

21 | DR. AUCHINCLOSS: Well, actually I think
22 | everything I was going to say has really been said. So,
23 | let me just go one step further, and that is, in your
24 | question you say you want us to discuss the nature, extent,
25 | timing, and role of these studies, which I don't know if

1 | we've done that. Do you have something sort of in
2 | particular in mind? Because I do think in general, yes,
3 | studies of reuse should be part of recertification.

4 | DR. SIEGEL: Well, no. That was just to open
5 | up broadly for you to provide input where you'd like.

6 | There is one other aspect of this question
7 | which hasn't been touched on, though, which is there's one
8 | question which was raised in the presentation. There's
9 | this question of one-time use and then repeated one-time
10 | use. But there are also those drugs that are used
11 | chronically and the concern that although they'll then be
12 | studied chronically -- and we have guidelines and policies
13 | regarding how many patients for how long should be studied
14 | in different settings. One thing that happens, once
15 | they're out of the clinical trial setting, is rather than
16 | chronic continuous use, a patient may be on it for several
17 | months and then be off for a period of time and then be
18 | restarted.

19 | DR. AUCHINCLOSS: I think intermittent use is a
20 | very important issue to cover, and you made that clear
21 | there. But that probably does happen in most clinical
22 | trials also. Again, if you've set up your protocol to look
23 | for those events and capture them when they occur, you
24 | probably see them, don't you?

25 | DR. SIEGEL: Well, we've seen some drug

1 | development programs which wouldn't have captured that
2 | information, in fact, failed to. But I think it can be if
3 | one plans to.

4 | DR. SALOMON: Well, I think we'd all agree
5 | then, again trying to reach a consensus here, that there's
6 | no doubt with any immunogenicity experiment, whether it be
7 | in an animal or a human, that repeated dosing and the
8 | avenue of dosing are critical, but that repeated dosing,
9 | regardless, is a high risk factor for getting amplified
10 | primed responses. Right?

11 | And certainly the clinical effects of a primed
12 | immune response can be profoundly different than that of
13 | the primary immune response, which is something that hasn't
14 | come up yet. But it's also true. Right? Usually much
15 | different, more specific, higher avidity antibodies
16 | frequently.

17 | Anyway, so the bottom line is I think that we
18 | just need to make sure that a trial in which the intention
19 | is obviously to treat repeatedly, that that be from the
20 | beginning incorporated in the trial design.

21 | DR. AUCHINCLOSS: I just wanted to ask the FDA
22 | for a little clarification. In a sense this is a little
23 | unusual. Right? Because you're basically asking the
24 | sponsor to come up with information that goes beyond their
25 | request for labeling.

1 DR. SIEGEL: Well, exactly. That's why it's
2 not quite the no-brainer that Dr. Salomon --

3 DR. AUCHINCLOSS: No. I don't think it's a no-
4 brainer at all.

5 DR. SIEGEL: Because in fact --

6 DR. SALOMON: I wasn't characterizing it as a
7 no-brainer. I just thought this was appropriate
8 policymaking.

9 DR. SIEGEL: Nobody would deny that repeat use
10 could heighten those issues, but one potential response to
11 that is, well, we'll just put it in a caution, don't use
12 repeatedly. This is for one-time use only.

13 DR. SALOMON: No, but to me that's
14 disingenuous. You're not going to tell someone with
15 Crohn's disease that had a beautiful response to your
16 reagent that, well, I'm sorry, we can't treat you again. I
17 think that to me is a no-brainer.

18 DR. AUCHINCLOSS: I wanted to clarify that
19 because I think the assumption with which I approached this
20 is that reuse and intermittent use is such a given with all
21 of these products that you have to assume that's it --

22 DR. SIEGEL: We should be collecting the data.

23 DR. SALOMON: Yes, and I agree with Dr.
24 Auchincloss on that.

25 Are there any other comments on this?

1 (No response.)

2 DR. SALOMON: Then I think I'd like to thank
3 everyone on the committee. I hope that we've addressed
4 each of the questions.

5 DR. SCHWIETERMAN: Yes. We very much
6 appreciate the input. Thank you very much.

7 DR. SALOMON: At this point, we are complete
8 with the open committee portion of today, and at this point
9 we'll take a 10-minute break and come back to the closed
10 committee discussion, the update of the research programs
11 and a site visit report.

12 Again, I thank also the audience for their
13 attention today as well.

14 (Whereupon, at 4:08 p.m., the committee was
15 recessed, to reconvene in closed session, this same day.)

16
17
18
19
20
21
22
23
24
25