

U.S. DEPARTMENT OF HEALTH & HUMAN SERVICES

+ + + + +

PUBLIC HEALTH SERVICE

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

Tuesday, 14 September 1999

+ + + + +

SEP 22 09 10 AM '99

The meeting took place in Versailles Rooms I and II, Holiday Inn, Wisconsin Avenue, Bethesda, Maryland, at 1:00 p.m., Harry B. Greenberg, M.D., Chair, presiding.

**OPEN**

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

PRESENT:

HARRY B. GREENBERG, M.D., Chair  
NANCY CHERRY, Executive Secretary  
ADAORA ADIMORA, M.D., Member  
ROBERT S. DAUM, M.D., Member  
KATHRYN M. EDWARDS, M.D., Member  
MARY K. ESTES, Ph.D., Member  
WALTER L. FAGGETT, M.D., Member  
BARBARA LOE FISHER, Member  
DIANE E. GRIFFIN, M.D., Ph.D., Member  
ALICE S. HUANG, Ph.D., Member  
KWANG SIK KIM, M.D., Member  
DIXIE E. SNIDER, JR., M.D., MPH, Member  
DAVID S. STEPHENS, M.D., Member  
ROBERT BREIMAN, M.D., Invited Participant  
L. PATRICIA FERRIERI, M.D., Invited Participant  
DAVID KARZON, M.D., Invited Participant

## I-N-D-E-X

	<u>Page No.</u>
Call to Order, Introductions, Administrative Matters, <b>HARRY GREENBERG</b> , Chair	4
<b>Session 1: Update on Thimerosal - Open Session</b> <b>DR. WILLIAM EGAN, FDA</b>	9
<b>Session 2: Update on Cell Substrate Workshop - Open Session - DR. ANDREW LEWIS, FDA</b>	27
<b>Session 3: Update on RotaShield - Open Session</b> <b>DR. KATHRYN CARBONE, FDA</b>	46
<b>Session 4: Briefing on Selected Individual Research Programs - Open Session</b>	
Introduction - <b>DR. THOMAS HOFFMAN, FDA</b>	71
Laboratory of Parasitic Biology and Biochemistry - <b>DR. RICHARD KENNEY, FDA</b>	74
Laboratory of Biophysics - <b>DR. RICHARD PASTOR, FDA</b>	85
<b>Open Public Hearing</b>	94

P-R-O-C-E-E-D-I-N-G-S

1:00 p.m.

CHAIR GREENBERG: Could people in the back please take their seats? Peter Paradiso, sit down.

Okay. I'd like to welcome you all to the Vaccine and Related Biological Products Advisory Committee. My name is Harry Greenberg, and after the welcome I'd like the members of the committee to briefly introduce themselves and their affiliation, and we can start over here.

Rob?

DOCTOR DAUM: I'm Robert Daum from the University of Chicago.

DOCTOR EDWARDS: I'm Kathy Edwards from Vanderbilt University, Nashville, Tennessee.

DOCTOR ADIMORA: Ada Adimora, University of North Carolina at Chapel Hill.

DOCTOR KIM: Kwang Sik Kim from Children's Hospital in Los Angeles.

DOCTOR GRIFFIN: Diane Griffin from Johns Hopkins School of Public Health.

DOCTOR SNIDER: Dixie Snider, Associate Director for Science at the Centers for Disease Control and Prevention.

DOCTOR STEPHENS: David Stephens, Emory

1 University, Atlanta.

2 MS. FISHER: Barbara Loe Fisher, National  
3 Vaccine Information Center in Vienna, Virginia.

4 DOCTOR ESTES: Mary Estes, Baylor College  
5 of Medicine, Houston.

6 CHAIR GREENBERG: Harry Greenberg, Stanford  
7 University and the Palo Alto VA Hospital.

8 DOCTOR KARZON: David Karzon at Vanderbilt  
9 Medical School.

10 DOCTOR HUANG: Alice Huang, California  
11 Institute of Technology.

12 DOCTOR FERRIERI: Patricia Ferrieri,  
13 University of Minnesota Medical School, Minneapolis.

14 CHAIR GREENBERG: Okay, thank you.

15 We have a couple of new members of the  
16 panel. Welcome.

17 And, without further ado I'd like Nancy  
18 Cherry to read the COI statement.

19 EXECUTIVE SECRETARY CHERRY: Good morning.  
20 Let me add my welcome to all of you. We haven't had  
21 a meeting for a while, but particularly also to our  
22 new members, Doctor Walter Faggett who isn't at his  
23 place yet, Ms. Barbara Fisher over here on the corner,  
24 Doctor Diane Griffin and Doctor David Stephens. Their  
25 terms of office began in February, but since we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 haven't met face to face since then this is their  
2 first meeting.

3 Two of our members, Doctor Steve Kohl and  
4 Doctor Dianne Finkelstein, are unable to attend this  
5 meeting, but the Director of the Center for Biologics  
6 Evaluation and Research has appointed Doctors Robert  
7 Breiman, Theodore Eickhoff, Patricia Ferrieri and  
8 David Karzon as temporary voting members for Sessions  
9 I through V and Session VII. Doctor Eickhoff will not  
10 be able to join us until tomorrow.

11 The committee management specialist for  
12 this meeting is Ms. Denise Royster, and you probably  
13 saw her at the table or here in the room. She's  
14 assisted today by Ms. Rosanna Harvey, who we borrowed  
15 from another committee.

16 And now, may I have a drum roll, what  
17 you've been waiting for, the conflict of interest  
18 statement.

19 The following announcement addresses  
20 conflict of interest issues associated with this  
21 meeting of the Vaccines and Related Biological  
22 Products Advisory Committee on September 14-15, 1999.  
23 To determine if any conflicts of interest existed, the  
24 agency reviewed the submitted agenda and all financial  
25 interests reported by the committee participants.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

In accordance with 18 USC 208, the following individuals have been granted waivers that permit them to participate in the committee discussions: Doctors Edwards, Greenberg, Griffin and Ferrieri. Doctor Greenberg has recused himself from the discussion on RotaShield, and Doctor Mary Estes has received a limited waiver permitting her to participate in that same discussion by sharing her expertise. Should the need for votes arise during that session, Doctor Estes will be unable to vote.

Several participants disclosed a potential conflict of interest, which was deemed by FDA as not requiring a waiver, but does suggest an appearance of a conflict of interest. A written appearance determination under 2635.502 of the Standards of Ethical Conduct has been granted to permit Doctors Daum, Finkelstein and Stephens to participate in the committee discussions.

With regard to FDA's invited guests, and this is tomorrow, the agency has determined that the services of Doctor George Carlone is essential for the discussion of meningococcal conjugate vaccines. Doctor Carlone reported that as a part of his federal government duties he is involved in a CRADA for pneumococcal protein vaccine, supported by a firm that

1 could be affected by the discussion.

2 In the event that the discussions involve  
3 specific products or firms not on the agenda, and for  
4 which FDA's participants have a financial interest,  
5 the participants are aware of the need to exclude  
6 themselves from the discussions and their exclusion  
7 will be noted for the public record.

8 With respect to all other meeting  
9 participants, we ask in the interest of fairness that  
10 you address any current or previous financial  
11 involvement with any firm whose product you wish to  
12 comment on.

13 Copies of all waivers and appearance  
14 determinations addressed in this announcement are  
15 available by written request under the Freedom of  
16 Information Act.

17 And, I have one other little announcement.  
18 Some of you may need to move your microphones just a  
19 little closer. I think it will help our  
20 transcription.

21 CHAIR GREENBERG: Thank you, Nancy.

22 We have an interesting and full agenda for  
23 this afternoon, so I'd like to, without further ado,  
24 move on, and Doctor Bill Egan from FDA is going to  
25 update the panel and the audience on the issue of



1 Thimerosal and its inclusion in various vaccines.

2 Bill - all the speakers should realize we  
3 have a strict time limit, and I'd ask you to end your  
4 talk before it.

5 DOCTOR EGAN: Good afternoon.

6 Over the past several months, there has  
7 been a significant amount of activity related to  
8 Thimerosal as a preservative in vaccines. During the  
9 next few minutes, I hope to provide you with an  
10 overview of these activities and a sense of where we  
11 are headed.

12 Let me first provide a little background  
13 about preservatives and Thimerosal, and I should first  
14 mention that most of the data and the listings that  
15 are in my talk were compiled by Doctor Leslie Ball,  
16 Doctor Doug Pratt and Doctor Robert Ball.

17 By way of background, let me mention that  
18 a preservative must be present in multi-dose, multi-  
19 entry vials. This requirement was placed into the  
20 Public Health Service regulations in 1968. There  
21 were, and there still are, good reasons for this  
22 requirement, for a preservative in these multi-entry  
23 vials.

24 The regulations, our Code of Federal  
25 Regulations, also state that a preservative should not

1 be toxic to the recipient, and it should not denature  
2 the product.

3 A definition of a preservative, however,  
4 is not provide in the Code of Federal Regulations. A  
5 definition, however, is found in the United States  
6 Pharmacopeia, and is widely used. Although CBER and  
7 the Office of Vaccines has always accepted materials  
8 that meet the requirements of the USP definition, we  
9 are not bound to follow that definition.

10 Thimerosal is the most widely used  
11 preservative in vaccines, and has been in use since  
12 the 1930s. It was first introduced into this  
13 diphtheria toxoid by Eli Lilly. It is currently  
14 present in about 50 U.S. licensed biological products  
15 in concentrations ranging from 0.003 to 0.01 percent.  
16 Thimerosal use was reviewed internally within then the  
17 Bureau of Biologics in 1976, and it was concluded that  
18 there were no harmful effects of Thimerosal at the  
19 doses that were received during a lifetime.

20 I'd also note that Thimerosal, which is a  
21 mercuric derivative with ethyl and thiosalicylate -  
22 attached to it, and it breaks down the ethyl mercury  
23 and thiosalicylate.

24 The Food and Drug Modernization Act of  
25 1997 mandated that FDA compile a list of mercury-

1 containing products and subsequently conduct an  
2 analysis of the effect of mercury on recipients of  
3 these Thimerosal containing or mercury containing  
4 products. The product listing is due to Congress in  
5 November of this year. There is no stated deadline  
6 for the analysis of the effects.

7 Now, independent of the FDA Modernization  
8 Act, there has been a concern in various corners,  
9 including CBER, of the increase in accumulated amount  
10 of mercury that children are receiving through  
11 vaccines. At FDA, we have been discussing this issue  
12 with manufacturers and have requested them that as new  
13 products are developed that they not contain  
14 Thimerosal unless absolutely necessary.

15 A number of U.S. licensed vaccines contain  
16 Thimerosal, and these are presented on the next two  
17 slides. These vaccines include DTaP, all the DT&Td  
18 products and hepatitis B and a variety of additional  
19 vaccines, including influenza, meningococcal  
20 polysaccharide vaccines, and rabies vaccines.

21 There are, however, a number of vaccines  
22 that are Thimerosal free, either by virtue of  
23 presentation in single-dose images, or through the use  
24 of alternative preservatives. These vaccines include  
25 some DTaP, for example, Infarix, HIB vaccines, HIB

1 hepatitis B combinations, Comvax, IPV/OPV, MMR, et  
2 cetera.

3           However, we are still left with several  
4 vaccines for which there is no Thimerosal free  
5 presentation, and these include the whole cell  
6 containing pertussis vaccines and the toxoid vaccines,  
7 the diphtheria and tetanus toxoid vaccines.

8           One can calculate the maximum exposure to  
9 Thimerosal that a child might receive by age six  
10 months or by age two years. Excluding the pediatric  
11 use of influenza vaccine, the total, the maximum  
12 amount received is 187½ micrograms by six months, and  
13 237 micrograms by two years of age.

14           Through the use of particular vaccines,  
15 however, this exposure could be reduced to zero, as is  
16 presented on the next slide and is in your handouts,  
17 by judicious choice of schedule and vaccines, with the  
18 exception of, perhaps, influenza if that's needed, one  
19 could have a completely Thimerosal free schedule.

20           Now, the question remains as to the level  
21 of risk, that even the maximum exposure to Thimerosal  
22 containing vaccines might pose. At the outside in a  
23 state that there is no data to think that this  
24 exposure to Thimerosal presents any risk, nonetheless,  
25 when we present the current U.S. guidelines for

1 exposure, and I use the plural because there are more  
2 than one, the EPA guidelines approximately 0.1  
3 microgram per kilogram per day, the ATSDR 0.3  
4 micrograms, the FDA guideline 0.4. There's also a WHO  
5 guideline, which is 0.47 for adults and 0.1 micrograms  
6 per day. These are given as weeks, so just divide by  
7 seven.

8 Now, using these numbers, the suggested  
9 limits on methyl mercury intake, for the first six  
10 months for different birth cohorts in infants can be  
11 calculated, this is on the next slide, and one can see  
12 that for all birth cohorts, weight cohorts, the  
13 vaccination schedule would exceed the WHO guidelines  
14 and EPA guidelines, barely exceeds the ATSDR guideline  
15 at the lowest five percent birth weight, and does not  
16 exceed the FDA guideline. And, by two years of age  
17 it's not exceeding any one of these guidelines.

18 Now, there are many caveats to using these  
19 recommendations, and this includes, you know, is  
20 methyl mercury as toxic as ethyl mercury, routes of  
21 administration, dose schedule, magnitude of doses,  
22 pharmacokinetics, the rate of elimination and so on.

23 Let me now discuss what's been happening  
24 over the past several months, keeping these background  
25 data in mind. The most significant event was the

1 AAP/PHS joint statement that was issued on July 7, and  
2 these groups agree that, "Thimerosal containing  
3 vaccines should be removed as soon as possible." The  
4 groups also recommended that, "Clinicians and parents  
5 can take advantage of the flexibility within the  
6 existing schedule to postpone the first dose of  
7 hepatitis B from birth until two to six months."  
8 There was no change in the recommendation to immunize  
9 infants for hepatitis B surface antigen positive  
10 mothers.

11 The joint statement also called for a  
12 formal request from FDA to the manufacturers for a  
13 plan to reduce or eliminate Thimerosal from their  
14 vaccines, a review of pertinent data in a public  
15 workshop, expedited FDA review of manufacturing  
16 supplements for removal of Thimerosal, additional  
17 studies to understand the risks of Thimerosal,  
18 monitoring changes in immunization practices, and to  
19 provide better information to health care workers to  
20 enable them to better communicate with parents and  
21 consumer groups.

22 Many of these recommendations have already  
23 been implemented. FDA issued its letter to the  
24 manufacturers on July 1, actually a week in advance of  
25 this statement, and the letter asked manufacturers to

1 provide plans for removing Thimerosal, if they intend  
2 to remove Thimerosal, or if they plan on keeping  
3 Thimerosal an explanation for this decision.

4 Manufacturers have responded to the FDA  
5 letter. Additionally, through PhRMA, the vaccine  
6 industry "supports the goal of Thimerosal free  
7 vaccines and is working closely with FDA and other  
8 government agencies, as well as the American Academy  
9 of Pediatrics, to meet this objective." A public  
10 workshop was held on August 11<sup>th</sup> and 12<sup>th</sup> at the NIH,  
11 and data related to Thimerosal use and safety were  
12 reviewed. And finally, Merck submitted a supplement  
13 for Thimerosal free hepatitis B vaccine and this was  
14 approved on August 22<sup>nd</sup>. It was reviewed  
15 expeditiously.

16 The final issue is where are we going, and  
17 I think that Thimerosal will be removed from most, if  
18 not all, vaccines, and the alternative of choice, at  
19 least in the United States, will probably be single  
20 dose presentations. Alternative preservatives will  
21 also be used, but we need to consider increased  
22 toxicity testing for these, so that we don't get in  
23 the similar position that we were with Thimerosal,  
24 and, perhaps, we might - we should allow, or might  
25 allow, a decreased effectiveness relative to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 current USP requirements for vaccines as  
2 preservatives, which are rather stringent  
3 requirements.

4 Thank you.

5 CHAIR GREENBERG: Thank you, Bill.

6 Can we have some lights?

7 Well, we are doing very well here.

8 This is, obviously, panel members, an  
9 important and high public visibility issue. Bill has  
10 presented this very brief review to keep us apprised  
11 of what's going on, but I think we have a time for a  
12 few questions if any of you have them.

13 Dixie?

14 DOCTOR SNIDER: Well, I just want to add to  
15 what Bill has said, bringing us up to date, he  
16 mentioned that the AAP/PHS statement talked about  
17 postponing the hep B dose, and on Friday the MMWR,  
18 taking the approval that Merck proceed for their  
19 hepatitis B vaccine, CDC issued some new  
20 recommendations to use the Thimerosal preservative  
21 free vaccines to vaccinate infants at birth. The  
22 reason for that, some of which was given in the MMWR  
23 article, is that there's a lot of confusion, and many  
24 hospitals had discontinued hep B vaccines at birth  
25 altogether, even for infants of high-risk mothers,



1 including those that were known to be hepatitis B  
2 positive. So, we felt it important to restart the  
3 infant hep B immunization with the Thimerosal free  
4 product.

5 People can read the article for further  
6 details.

7 DOCTOR EGAN: Yes, thank you very much for  
8 adding that point, which slipped my presentation.

9 CHAIR GREENBERG: Thank you, Dixie.

10 Actually, that's heartening, because that  
11 was a problem, and, clearly, no matter how clear you  
12 try to be the public and physicians were confused by  
13 this issue, and evidently some high-risk children were  
14 not vaccinated when they should have been.

15 Any other -

16 DOCTOR FAGGETT: Harry, Walter Faggett,  
17 Chairman - Section NMA.

18 One question about the Merck vaccines,  
19 what kind of inventory do they have, how available is  
20 it going to be for immunizing those children in the  
21 nursery?

22 DOCTOR EGAN: Yes. I can't comment on the  
23 current inventory of Thimerosal free vaccine in Merck,  
24 but if somebody is here from Merck that knows that  
25 answer?

1 DOCTOR FAGGETT: We had a meeting in Vegas  
2 in August, it was a fact that it is very confusing to  
3 our patients and parents, and I don't know if you  
4 emphasized the fact that in our present situation we  
5 need to really also be cautious about vaccinating  
6 premature, low birth weight infants. I mean, that was  
7 another issue that was brought up.

8 So, those are two issues that we need to  
9 have some clarity on. Would the Merck vaccine be used  
10 on low birth weight and pre-term infants as well?  
11 That's going to be another -

12 DOCTOR EGAN: I think that may be in the  
13 MMWR article but I'm not sure. Dixie, do you know how  
14 that's addressed in the MMWR?

15 DOCTOR SNIDER: I don't recall that we  
16 addressed the low birth weight issue. It's clear that  
17 for a while, Walter, we're going to be in a position  
18 of having both Thimerosal preservative free vaccine  
19 and Thimerosal containing vaccine on the market, and  
20 these guidelines basically are saying, let's give  
21 preference to the younger children for the Thimerosal  
22 preservative free, and use the Thimerosal containing  
23 products for the older children and adults, because  
24 there will be both, and there will be supply problems  
25 initially.

1 I can't speak as to what the supplies are,  
2 but I know that Merck is working very hard to make as  
3 many doses available as they possibly can safely, and  
4 it shouldn't be too long before we move to a situation  
5 where we - one thing I didn't mention is that Smith  
6 Kline-Beecham is also in the process of making a  
7 preservative free, their hepatitis B vaccine  
8 preservative free, so we are in an interim period now,  
9 hopefully, we'll get to a period where we have no  
10 hepatitis B vaccine that contains Thimerosal.

11 As far as low birth weight infants are  
12 concerned, I don't think that this last MMWR addressed  
13 it. There certainly is greater concern with low birth  
14 weight infants, premature infants -

15 CHAIR GREENBERG: Excuse me, can you speak  
16 a little closer to your microphone?

17 DOCTOR SNIDER: There is greater concern  
18 with low birth weight infants, with regard to mercury,  
19 and there's been a lot of discussion about what to do  
20 about those infants. I think the fact that we now  
21 have preservative free vaccines available should help  
22 alleviate those concerns.

23 CHAIR GREENBERG: Doctor Huang?

24 EXECUTIVE SECRETARY CHERRY: Before we go  
25 any farther, would all of you hold your microphones a

1 little close, because there's a buzz in here and I  
2 think people in the audience are having trouble  
3 hearing.

4 DOCTOR HUANG: As a point of information,  
5 is there a cost difference to the consumer between  
6 Thimerosal free and Thimerosal containing vaccine?

7 DOCTOR EGAN: I don't know how they are  
8 being costed. I'm not aware that there's any change  
9 in costs to the CDC for their NIP program.

10 DOCTOR HUANG: Is there a difference  
11 between single and multi-dose vaccine?

12 DOCTOR EGAN: Yes, I mean, general single  
13 dose presentations are more expensive. But, you have  
14 to know that all of the single dose presentations of  
15 hepatitis B, for example, contained Thimerosal as  
16 well, and this was so that there would be a single  
17 formulation that the manufacturers would have that  
18 would go either into single-dose vials or multi-dose  
19 vials.

20 CHAIR GREENBERG: Doctor Daum?

21 DOCTOR DAUM: Close enough to the  
22 microphone, I hope.

23 The American Academy of Pediatrics, at  
24 least currently, does not have a recommendation for  
25 immunizing newborns less than two kilos, so I don't

1 know whether that situation is going to change with  
2 Thimerosal free, but I think it probably will have no  
3 impact on that situation.

4 I think that it should be noted somewhere  
5 during this discussion that this is going to have some  
6 impact on places where money is more scarce than it is  
7 in the United States, because it really is the end of  
8 multi-dose vials if other countries decide that they  
9 want to remove this preservative from their products  
10 as well. And, this was an important point, I thought,  
11 raised at the NIH workshop by people concerned with  
12 health care beyond the United States.

13 I would be a little remiss, I guess, if I  
14 didn't comment on the Academy of Pediatrics and the  
15 Public Health Service, the speed and the aggressive  
16 nature of changing the recommendation so quickly. It  
17 seemed that FDA had set in motion a fairly orderly  
18 review of Thimerosal containing products, and it  
19 seemed that plans were going forward to decrease them  
20 in products or to eliminate them.

21 Thimerosal has been around and used for a  
22 long, long time, and one wonders what the need was to  
23 act so quickly, an issue this urgent, reversal of  
24 direction, which I think did confuse a lot of people.

25 At the workshop, Barbara Watson from

1 Philadelphia commented that at her hospital there was  
2 at least three children that she knew of that were  
3 born to serotype positive mothers, who were not  
4 immunized at birth because it was believed that this  
5 vaccine shouldn't be used in that context incorrectly.

6 I also, one more comment and then I'll end  
7 with a question for Bill. I also think it might be  
8 instructive for people to know that there are other  
9 impacts, it turns out, of changing or deleting that  
10 birth dose, which, again, made me concerned about the  
11 speed with which the recommendation was changed. We  
12 have a paper in press at JAMA which will be coming out  
13 the first week in November, which documents in the  
14 inner city of Chicago that that birth dose, first dose  
15 of hepatitis B does something very positive to people,  
16 that it makes it more likely that they are going to  
17 end up at two years of age up to date with all of  
18 their shots.

19 If you stratify our inner city population  
20 by those who received that first dose and those who  
21 didn't, at two years of age there's an impressive and  
22 statistically significant difference in who is up to  
23 date for all shots, DTP, IPB, everything. So, I view  
24 the suggestion to postpone that first dose with some  
25 special concern, perhaps.

1           And, I want to end with a question, Bill,  
2 if you don't mind, after all those comments, is how is  
3 FDA viewing manufacturers' applications to just remove  
4 the Thimerosal? It sounds like you went very quickly  
5 with the Merck product, but can a vaccine that's been  
6 licensed, safety and immunogenicity profile in place,  
7 turn around and remove the Thimerosal and there's an  
8 assumption that everything else would stay the same.  
9 Is that the approach, or is there some other?

10           DOCTOR EGAN: Yes, well, that's a very  
11 complicated question, and one that Doctor Baylor tried  
12 to address as best he could during the Thimerosal  
13 workshops. For a more complete answer, I would refer  
14 people to that when it becomes available on the web.

15           There are a lot of issues. It depends  
16 whether Thimerosal is in the product or whether it's  
17 contained in the diluent for the product.

18           What the expectations are and what data  
19 may already exist from the manufacturers with regard  
20 to stability, it does not mean that Thimerosal per se,  
21 you know, lengthens the stability or decreases the  
22 stability of the hepatitis B vaccine at least, and  
23 there are some data that do address that. I mean,  
24 certainly, although not perfect, there's data, for  
25 example, on Comvax, which is a Thimerosal free

1 preparation, and, you know, the hepatitis B component  
2 is not adversely impacted by not having Thimerosal in  
3 that preparation.

4 There are many other issues, for example,  
5 if usually puts material in single-dose presentations  
6 with a preservative, then the issue of, you know, the  
7 ability to manufacture and to put material into  
8 single-dose preparations without a preservative, you  
9 know, and maintain sterility, that has to be assessed,  
10 because the last thing you want is, you know, material  
11 that's no longer sterile.

12 For some of the other vaccines, how  
13 crucial they may, for example, in influenza  
14 manufacturing to maintain some Thimerosal during the  
15 manufacturing, I think we'll have to look at that.  
16 So, it becomes an awfully complicated situation, Merck  
17 being one of the simpler.

18 CHAIR GREENBERG: Any other - Ms. Fisher?

19 MS. FISHER: Thank you.

20 Well, I think the FDA acted very prudently  
21 in asking the manufacturers to take the mercury out of  
22 the vaccines at this point, as there is accumulating  
23 evidence, for example, the study in the American  
24 Chemical Society here this month that mercury can  
25 circumvent a blood brain barrier that usually prevents



1 such toxins from entering the brain.

2 As the mercury free vaccines are coming  
3 out, and we also will have the vaccines that have  
4 mercury in it, hepatitis B vaccines, should consumers  
5 be asking, Doctor Snider, should consumers be asking  
6 their pediatricians for the mercury free vaccine,  
7 especially for premature infants and two-month old  
8 infants?

9 DOCTOR SNIDER: I think if you look at the  
10 MMWR, hopefully, the impression you will come away  
11 with is that we are urging providers and parents of  
12 infants who are to receive the birth dose to receive  
13 the Thimerosal free product. So, I think we are,  
14 although I think we addressed our MMWR primarily to  
15 providers, but certainly I think it would be  
16 appropriate for parents to request the Thimerosal free  
17 product for that particular age group.

18 But, I mean, there is a caveat with that,  
19 in that if there is none available, and you have a  
20 mother whose status you don't know, or who is known to  
21 be hepatitis B surface antigen positive, you don't  
22 want to have that infant become infected with  
23 hepatitis B and have the high risk of cirrhosis or  
24 cancer. So, you know, there you take the greater risk  
25 by not vaccinating with a Thimerosal containing

1 product.

2 CHAIR GREENBERG: Any other questions?

3 DOCTOR FAGGETT: Harry, just one other  
4 comment.

5 Back to Dixie's point, I think this brings  
6 up the issue of making sure that whatever information  
7 that pediatrician or family practice doctor has to  
8 tell the parent is accurate and up to date. The NMA  
9 fully agrees with AAP position, but we really need to  
10 look at how we are informing the information we are  
11 putting out there, because that's back to the question  
12 of inventory, to raise parents' expectations that they  
13 can get it and not have the inventory, I think, does  
14 not serve anybody well.

15 CHAIR GREENBERG: Well, I think those  
16 comments are excellent. I would just like to say for  
17 everybody who was not at the two-day conference that  
18 I think the FDA, and the AAP, and other bodies, are to  
19 be commended on trying to bring order to what is  
20 inherently a very complex database, and it is not  
21 critical to really understand this in scientific  
22 terms, and it is not - there is conflicting scientific  
23 data that on the face of it looks like it was obtained  
24 correctly as to the risks, and there's clear-cut  
25 advantages. So, I think the FDA is to be commended,

1 as is Merck for moving very rapidly for eliminating  
2 Thimerosal in a product that is not needed.

3 On the other hand, for sure a mother who  
4 is infected with hepatitis B virus, that infant should  
5 be vaccinated, and that's a clear risk that we don't  
6 want - that we want to deal with.

7 I'd like to thank you, Bill, for updating  
8 us, and now we are now still ahead of time. I'd like  
9 to move on to our next agenda item, which is Doctor  
10 Andrew Lewis, there he is, and Doctor Lewis is going  
11 to update us on the cell substrate workshop. I think  
12 you all remember that I guess it was last year Andy  
13 spent some time bringing us up to date on the very  
14 important topic of cell substrate selection.

15 DOCTOR LEWIS: Thank you, Doctor Greenberg.

16 Just to introduce myself, I'm Andrew  
17 Lewis, in the Office of Vaccines, Division of Viral  
18 Products, and I'm going to attempt to review for you,  
19 in fairly cursory detail because there's a huge amount  
20 of information that was presented, the cell substrate  
21 meeting that we had last week, mid Friday afternoon,  
22 late.

23 I think the beginnings of this meeting  
24 started before this committee on November the 19<sup>th</sup>  
25 last year, when we presented or introduced the topic

1 of using aplastic cell substrates for viral vaccine  
2 development and manufacturing to the committee.

3 Keith, can I have the next overhead,  
4 please? Just to review very briefly for those of you  
5 in the audience and on the committee who were not  
6 present at that meeting, the motive antifactors that  
7 are driving the Center for Biologics to consider using  
8 neoplastic cell substrates for viral vaccines include,  
9 very importantly, development of whole virus, the  
10 traditional vaccines to HIV, and in addition several  
11 other things are very actively involved in generating  
12 this need.

13 Bioengineering approaches to viral  
14 attenuation are creating large opportunities to  
15 develop vaccines that had not been available to us  
16 previously. Emerging viruses, such as the Hong Kong  
17 influenza H5N1 flu strain, and now I think more  
18 recently H9 and 5 flu strain may require rapid  
19 attention. Progress in understanding carcinogenesis  
20 has been very rapid in the past decade, and along with  
21 that our ability to detect and identify adventitious  
22 agents. And finally, we have a very successful  
23 experience since the late 1980s with using highly  
24 purified biologicals that were made and actually  
25 derived the neoplastic cells.

1           So, based on these factors, there is  
2 increasing interest and need to use neoplastic cells  
3 to develop traditional vaccines.

4           Now, as a result of our presentation  
5 before the committee last year, several things  
6 evolved. First, we were going to develop a document  
7 outlining issues. We were going to hold a workshop on  
8 those issues and on this document, and I think these  
9 are the first two things that we have accomplished,  
10 and we're at this stage in implementing the approach  
11 that we've outlined on this overhead.

12           The document, we estimated last year it  
13 will take us about six months to put together. We had  
14 the document ready, I think, some time in May for  
15 internal review, and then it went out to the people  
16 who were going to be addressing the topic in July.  
17 And so, that document sort of formed the basis for the  
18 agenda for the meeting, and I'll have a little bit  
19 more to say about that.

20           The title of the meeting was, "Evolving  
21 Scientific and Regulatory Perspectives on Cell  
22 Substrates for Vaccine Development." The meeting was  
23 sponsored jointly by the Center for Biologics, the  
24 International Association for Biologicals, and the  
25 National Institute of Allergy and Infectious Diseases,

1 the National Vaccine Program Office, and the World  
2 Health Organization.

3 The goals of the workshop were two in  
4 number. First, to identify the concerns and issues  
5 that were associated with using neoplastic cell lines,  
6 and then to identify approaches to determine the  
7 levels of risk that might be association with those  
8 concerns.

9 The meeting was organized around the  
10 following scheme. The first session was to introduce  
11 the cell substrate history and to review the  
12 milestones in cell substrate development over the past  
13 50 years, and then following that review process to  
14 present the CBER draft proposal as it formed the basis  
15 for the need for the meeting, number one, and for the  
16 organization of the session. The other sessions were  
17 then organized around the concept of issue  
18 presentation, each specific session followed by a  
19 panel/audience discussion about issues, and we  
20 proceeded through that on that through the three-and-  
21 a-half days of the workshop, with one exception, that  
22 was a session on Thursday night designed for people to  
23 present late breaking information and miscellaneous  
24 topics that the speakers and people who had been  
25 contacted to participate in the meeting felt like that

1 they would be able to - that would be of interest to  
2 the audience.

3 I won't have anything more to say about  
4 that session, as it was a composite of a lot of topics  
5 that were germane, but that really did not get involved  
6 in the business of the panel discussions to any  
7 significant degree.

8 Now, the topics of cell substrate history  
9 were reviewed very nicely by Doctor Elwyn Griffiths,  
10 and Bill Egan, and Leonard Hayflick interacting during  
11 the first three presentations Tuesday evening, and  
12 following that Doctor Phil Krause and I took about an  
13 hour of the session's time to present the approach  
14 that CBER had put together, based on the presentation  
15 that was made before the committee last November.  
16 And, that approach was developed in this document.

17 The document is on - will be on the CBER  
18 web site very shortly, and copies of it will be made  
19 available to those people who are, in fact, interested  
20 in receiving that.

21 As I said, we distributed copies to the  
22 speakers and the session panel chairs who were going  
23 to be addressing the contents of the document during  
24 the course of the workshop.

25 The table of contents, very quickly,

1 includes these particular items. I think it covers  
2 some 25 or 30 pages, and we took the suggestions of  
3 the Advisory Committee to - and expanded on the  
4 approach that we presented before you last November,  
5 and I think based on the comments that we got it is  
6 reasonably comprehensive.

7 The gist of the document revolves around  
8 the concerns that were outlined again for the  
9 committee last November, and just very quickly for the  
10 audience and to recapitulate a bit. It's two  
11 comprehensive - it's too extensive to be presented on  
12 one slide, but the concerns as we have identified them  
13 represent tumor cell contamination, adventitious agent  
14 contamination, cell DNA contamination, cell protein  
15 contamination, viral-viral and viral-cellular  
16 interactions. The represent the generation of  
17 recombinants or reassortants, or - of the transduction  
18 cellular genes that might take place when vaccines are  
19 produced in the aplastic cell substrates. And then  
20 finally, the issue of genomic instability.

21 So, this particular - the sessions of the  
22 workshop were organized around the concerns that were  
23 listed in this paper. I think you'll see that as we  
24 go through the sessions. And finally, we tried to  
25 bring the CBER folks together with an algorithm which



1 we are calling a defined risk approach algorithm, and  
2 in this particular algorithm we are attempting to  
3 develop a systematic way of getting at the levels of  
4 concern or the levels of risk, however you want to  
5 call it, that might be posed by each of the issues  
6 that was in that table. And, just to again  
7 recapitulate what we would hope to do, with more or  
8 less success based on available data, is to assess the  
9 level of risk posed by each of those concerns and  
10 issues quantitatively. We would hope to be able to  
11 establish in many cases the probability of a worst  
12 case scenario for each of the issues or concerns, and  
13 then using these data to evaluate the levels of risk  
14 individually and cumulatively, and then finally, based  
15 on this data set, to assess the relative risk of the  
16 product.

17 Now, as I've already covered session one,  
18 we'll start with session two of the meeting that  
19 occurred last week, the session was Wednesday morning,  
20 and the task of the session was to review the  
21 mechanisms of neoplastic development in  
22 carcinogenesis, and to assess the impact of the issues  
23 posed by the use of neoplastic cells as vaccine  
24 substrates.

25 The basic topic here was the possibility

1 of the increasing recognition that the neoplastic  
2 process is a multi-factor process. It takes a  
3 multiplicity of events, and this has been a very  
4 recent paper in Nature that came out of Doctor  
5 Weinberg's laboratory at MIT, which demonstrated  
6 rather conclusively that at least three to four  
7 separate genetic alterations are required in normal  
8 cells before they'll evolve into a neoplastic cell  
9 which is capable of forming tumors.

10 And, the idea was to explore this multi-  
11 factor process with the concept of what the  
12 implications of this were for vaccine safety. And,  
13 the consensus that emerged from the panel that  
14 considered the topic after the session, after the  
15 relevant data was presented, basically was that due to  
16 the multi-factor processes that produce neoplasia,  
17 neoplastic cell components, which include nucleic  
18 acids and cell proteins that might remain in vaccines,  
19 should pose little or no risk of transferring  
20 neoplastic activity. And the second consensus that we  
21 feel emerged from this discussion was that there's  
22 really no correlation between the degree of  
23 aggressiveness in neoplastic cells and the ability to  
24 transfer neoplastic information to other cells.

25 Now, I would hasten to point out that

1 these were - this consensus was based on opinions of  
2 the panel and they readily expressed the fact that  
3 there's not a lot of data out there that sustains some  
4 of these opinions, and therein lies a problem that we  
5 have with trying to make some of the levels of risk  
6 assessment that we would like to make.

7 The second session, I don't think we  
8 cheated a little bit, we moved the business of viral-  
9 viral and viral-cellular interactions into the second  
10 session because this was the most complex, I think, of  
11 the issues that we asked the workshop to address.  
12 These represent a multiplicity of events that occur at  
13 low frequency in cultures where the multiple viruses  
14 are replicating at the same time, and these  
15 interactions include recombination, reassortant,  
16 deletion, repair, pseudo typing and transduction of  
17 cellular DNA or cellular nucleic acids to other types  
18 of cells by way of a virus that may incorporate this  
19 material during this - cycle.

20 And, since this was a fairly extensive  
21 topic, it occupied two independent sessions of the  
22 meeting, and the consensus that emerged from the panel  
23 that considered this was that these types of  
24 interactions are quite low-frequency events, and that  
25 the type of interaction that will occur will, of

1 course, depend on the virus substrate combination.  
2 Different types of viruses, whether they be a DNA  
3 virus, or an RNA virus, combined with a particular  
4 substrate will determine whether these types of  
5 interactions will occur, and, in fact, at what  
6 frequency at which they may occur.

7 The third consensus was that novel agents  
8 of unpredictable pathogenicity can arise from these  
9 types of interactions under experimental conditions.  
10 Exactly what type of agent that might arise under  
11 manufacturing conditions, of course, is purely  
12 speculative at this point in time.

13 And then finally, the panel felt like the  
14 primary cells, in fact, owes, perhaps, a greater risk  
15 than tumor cells for the occurrence of these type of  
16 events, simply because primary cells each batch is  
17 different and each batch would have its own unique  
18 infectious or latent agent that might be involved in  
19 these types of interactions. Therefore, it would be  
20 much more difficult to get a handle on that, and you'd  
21 have to try to assess that every time, with each batch  
22 of primary cells, rather than being able to do it once  
23 and have some assurance that you will not see the  
24 introductions of something new by going out and  
25 getting a whole new cell culture system.

1           And finally, they recognized that  
2 unrecognized and unknown agents, perhaps, represent  
3 the most significant concern that one has to deal with  
4 in this type of situation, and in this regard they  
5 sort of preempted the work of a later panel.

6           In session four, the task was to review  
7 the issues associated with residual DNA of neoplastic  
8 cells. This has been a perennial problem of tumor  
9 cell substrates, or neoplastic cell substrates, or  
10 cell substrates for a long time, and, in fact, the  
11 issue has been out there and dealt with very  
12 effectively over the years, but each time the  
13 substrate changes some of the dynamics of residual DNA  
14 change and they require us to be back up and take a  
15 look at this situation again.

16           The consensus of the committee was that  
17 they agreed with panel one, that the risk of  
18 neoplastic activity with residual DNA for neoplastic  
19 cells is minimal to none, that there's really too  
20 little data on the possible infectivity of DNA  
21 containing whole viral genomes to assess the risks  
22 that might be posed by the presence of a latent virus  
23 genome or an infectious pro virus in residual DNA,  
24 were it to be present in sufficient length to encode  
25 for this type of process, and finally, there's no

1 evidence that human endogenous viral genomes are, in  
2 fact, infectious at this point in time.

3 We skipped over session five, because that  
4 was the Thursday evening session which I referred to  
5 earlier.

6 Session six, the task of section six was  
7 to review adventitious agent contamination, and the  
8 consensus that evolved from panel four, who was asked  
9 to discuss this topic, was that vaccines should not  
10 contain infectious agents, period, but adventitious  
11 agents do pose significant challenges to the use of  
12 neoplastic cells as vaccine substrates. Primary  
13 cells, again, represent - they were felt to represent  
14 a greater risk than neoplastic cells. The neoplastic  
15 cells posed risk for the presence of unrecognized  
16 oncogenic agents, such as endogenous retro viruses,  
17 herpes viruses, polyoma viruses and the new class of  
18 circo viruses.

19 The next consensus item was that needs to  
20 be a rigorous search for retro virus particles, and  
21 especially infectious retro virus in RT positive  
22 neoplastic cells. The presence of RV-like particles  
23 were not felt to represent - to be indicative of risk,  
24 but certainly their presence needs to be recognized,  
25 and a variety of detection techniques were discussed,

1 the complexities were such that I felt it wouldn't be  
2 appropriate to review them right now.

3 Then finally, and I think quite  
4 significantly, there was a considerable discussion  
5 about the possible presence of abnormal PrP genes, or  
6 the creation or the development of abnormal PrP genes  
7 in human neoplastic cells that needed to be addressed,  
8 although at this point in time there's no data that  
9 says abnormal PrP proteins that could be the product  
10 of such genes would, in fact, represent any threat.

11 The final session was asked to consider  
12 the development of designer cell substrates for  
13 vaccine manufacture, both the session and the panel,  
14 and the panel was asked to summarize the meeting.

15 The concept of designer cell substrates is  
16 one that involves using defined genetic elements,  
17 viral, oncogenes, cellular oncogenes, the induction of  
18 telomerase activity, irradiation and various other  
19 types of mutation events to produce a defined  
20 neoplastic event in normal cells. And, once you have  
21 that neoplastic event then that particular cell could  
22 be engineered to have to express various parameters of  
23 genetic activity that might be used to complement  
24 viruses or to simply be used to permit viruses to be  
25 expressed in cell lines that would be difficult to

1 obtain otherwise.

2 Under this type of situation, you would  
3 have maximum experimental control over the development  
4 of the cell itself, and this could, perhaps, have  
5 substantial advantages, since the material that you  
6 would start out with would be well known, well  
7 characterized, and in some cases it might have been  
8 used for vaccine production for many years. So, by  
9 introducing this proposal, which is now a very  
10 feasible approach to developing cell substrates, we  
11 hope to get a nice discussion of this process going,  
12 and, in fact, I think we did just that.

13 The consensus was that there are a wide  
14 variety of ways to effect immortalization of mammalian  
15 cells, and as I alluded to, engineering in this  
16 fashion allows step-by-step control of the process.

17 In spite of this, careful consideration -  
18 the panel felt that careful consideration needed to be  
19 given to what might go wrong, and then once the  
20 immortalized cells are developed they may pose issues  
21 like other types of neoplastic cells, for example, the  
22 creation of a PrP gene as a result of some abnormal  
23 mutation in the genome.

24 Finally, in summarizing the meeting, the  
25 panel considered that the defined risk approach that



1 I showed you earlier was a useful way to organize  
2 thinking about the process, however, I think the  
3 committee was a bit skeptical of our ability to  
4 generate useful numbers to address these levels of  
5 risk, and they thought that the outcome of this type  
6 of analysis would, at this stage of our knowledge,  
7 probably be suspect in some circumstances. However, I  
8 thought that they did think that when sufficient data  
9 were available to allow the application of this  
10 technique that useful information could be obtained.

11 And finally, that there was considerable  
12 discussion toward the end of the session on the risk  
13 posed by residual DNA and the fact that they are  
14 insufficient data, even though this question has been  
15 around now for probably 25 years, to dismiss it as a  
16 concern. And, I think the meeting ended on the note  
17 that it would be extremely useful for regulatory  
18 agencies, and CBER in the United States, and NIBSC,  
19 and, perhaps, the Paul-Ehrlich Institute and other  
20 institutes, regulatory institutes, to try to get  
21 together and develop a game plan, or a series of  
22 experimental approaches to answer this question, which  
23 most people think could be answered relatively simply  
24 once and for all.

25 I think that's sort of where we are. I'd

1 be happy to try to answer any questions. As I say,  
2 this was an extensive meeting, there was a huge amount  
3 of information presented. I think what we did  
4 accomplish, and we accomplished several things, at  
5 least from my superficial perspective, and the first  
6 thing was that as asked by the committee be got this  
7 information out before the scientific public. I think  
8 from the comments I heard the issues were favorably  
9 received by our colleagues, and I think they were  
10 quite enthusiastically discussed. And, I think that  
11 we also, at least from the folks that talked to me,  
12 there was considerable sympathy to the types of  
13 problems that we are trying to address here, and a  
14 number of people expressed a willingness to help us  
15 out in any way they possibly could.

16 CHAIR GREENBERG: Well, thank you, Andy,  
17 for reviewing what must have been an incredibly  
18 complicated meeting. I'm sure that many of you on the  
19 panel will look forward to receiving the documents  
20 which will be chock full of good science, actually.

21 We have time for some questions. We are,  
22 obviously, panel members, not going to be able to  
23 explore each one of those areas, despite many of your  
24 interests, but we do have time for some questions. So,  
25 if there are any for Andy.

1 Walt?

2 DOCTOR FAGGETT: Doctor Lewis, again, Walt  
3 Faggett. Thanks for a very clear, this is strictly  
4 very complex subject.

5 On the topic of genomic instability, you  
6 mentioned that there is a possibility of replication  
7 of some endogenous agents. What's the worst case  
8 scenario in that instance? What could that result in?

9 DOCTOR LEWIS: I think that, again, it  
10 would depend on the substrate itself, from my  
11 perspective, and Doctor Peden and a number of folks  
12 who were at the meeting are here, so I would ask a  
13 little help in this situation if I don't cover it  
14 completely.

15 In a human cell, I think that at least  
16 from my own personal perspective, the thing that I  
17 worry about the most would be the induction of a  
18 latent virus, and I'll give two for example. The  
19 first for example would be there's an increasing  
20 amount of data now accumulating on the presence of  
21 human polyoma viruses in various types of human  
22 tumors. I won't bother to mention them, but these  
23 viruses occur latently, and they are not too difficult  
24 to induce, and they are in most of us, and they are  
25 present in many of our tissues. So, I think the

1 possibility exists that this particular genome could,  
2 in fact, be induced to expressed as an infectious  
3 virus as a result of a mutational event within the  
4 cell itself.

5 Now, with this particular series of  
6 viruses we have some comfort, because we have the  
7 capacity to detect these agents with quantitative PCR  
8 assays at an extremely efficient level. So, I think  
9 it would be possible with this particular example to  
10 be sure that the genome was either there or not there  
11 before we ever developed the substrate. So, that's  
12 just one type of example.

13 Endogenous retro viruses in tissues other  
14 than man would, I think, present a possible problem,  
15 especially rodent and non-human primate tissues might  
16 be the source of endogenous retro viruses that could  
17 be activated by this type of technique.

18 And finally, the example of the PrP gene  
19 I think is the thing that gives us the most pause,  
20 because technology is not very good at detecting  
21 infectious PrP or prion activity at this point in  
22 time, and so, in fact, the suggestion was made by  
23 Doctor Cashman at the meeting that one way to deal  
24 with this would be the possibility of engineering a  
25 cell line in which the PrP gene was, in fact, ablated,

1 so that you could get rid of this problem once and for  
2 all.

3 CHAIR GREENBERG: Any other questions from  
4 panel members? No?

5 Well, if not, thank you, Andy, for moving  
6 ahead with this. I guess - can I just ask one thing?  
7 When will this circle back?

8 DOCTOR LEWIS: I was afraid you might ask  
9 that. Unfortunately, at this point in time, you know,  
10 we just haven't had a chance to digest this  
11 information, and, in fact, I was confronted just this  
12 morning when I was trying to put these slides together  
13 that the planning meeting for the next VRBPAC session  
14 meeting in November is tomorrow. And, I just think  
15 that it's not going to be possible for us to get this  
16 together for a week or two anyway.

17 Now, I would certainly hope that by the  
18 end of the month we should have a pretty good handle  
19 on what transpired at the meeting and what we gleaned  
20 from it and what we didn't glean from it, how it is  
21 going to impact on our immediate problems with dealing  
22 with some of the issues that are already before us,  
23 and maybe we can, in that period of time, we ought to  
24 have some idea of where we need to go in the future.

25 I would think realistically we should be

1 back before the committee in January. That would be  
2 my feeling. Doctor Egan may choose - and Doctor  
3 Patriarca may choose to set another agenda.

4 CHAIR GREENBERG: Well, that's always the  
5 case.

6 Thank you, Andy.

7 I'd like to move on now to our third  
8 update session, an update on RotaShield by Doctor  
9 Carbone.

10 Oh, and I am recused from conducting this  
11 session, so Doctor Daum?

12 For this one I'm allowed to stay in the  
13 room, for the next one I've got to leave.

14 DOCTOR DAUM: For the transcript record, I  
15 am Robert Daum, and I'm temporarily chairing this  
16 meeting for the Rotavirus item.

17 Doctor Carbone?

18 DOCTOR CARBONE: Thank you.

19 Today I'd like to review the chronology of  
20 the events prior to licensure and post-licensure of  
21 Rotavirus vaccines.

22 Just as background information, why are we  
23 interested in Rotavirus as an agent of illness in the  
24 United States? It's the single-most important  
25 etiological agent of severe diarrhea in infants and

1 young children worldwide. Virtually all children are  
2 infected by age three to five years, that's four out  
3 of five children, and notice that this is true of  
4 developed and undeveloped countries. Severe diarrheal  
5 disease is caused in children of three to 35 months,  
6 and in the U.S. it regularly causes seasonal diseases  
7 from November to May, depending on what part of the  
8 country you live in.

9 Specifically in the United States, the  
10 impact of the disease that causes half a million  
11 physician visits a year, that is one out of seven  
12 children, 50,000 of those children are hospitalized,  
13 that's one out of 78, and there are 20 deaths per year  
14 of children under five years of age, one out of  
15 200,000.

16 Just as a little background about the  
17 agent itself, Rotavirus is an RNA virus and contains  
18 11 segments of double stranded RNA as its genome.  
19 Because the genes are in individual segments of  
20 RNAase, you can actually end up with a chimeric or  
21 hybrid virus when two different viruses infect the  
22 same cell and the genes reassort. Therefore, progeny  
23 may have genes from two or more parent viruses.

24 There are many strains in multiple  
25 species, and there are multiple serotypes, and that

1 one single serotype, one single virus, is unlikely to  
2 be made into a vaccine, vaccines are likely to require  
3 multiple viruses. All of these issues with Rotavirus  
4 play a role in development of the vaccine itself.

5 RotaShield vaccine is the first licensed  
6 Rotavirus vaccine in the United States. It is a live  
7 attenuated reassortant of a rhesus Rotavirus, RRV  
8 strain, that contains a single human gene, the VP7  
9 gene, for issues of immunogenicity. The vaccine  
10 itself contains four different serotypes, four  
11 separate viral strains, and was produced by Wyeth-  
12 Lederle Vaccines and Pediatrics. It was licensed in  
13 August of 1998, and recommended for universal  
14 immunization by these organizations.

15 The oral vaccine is used in children, it  
16 is administered orally and it's administered three  
17 doses at approximately two, four and six months of  
18 age.

19 I want to discuss some pre-licensure  
20 clinical studies. Prior to licensure, this  
21 reassortant was tested in studies that contained  
22 15,000 participants. That includes vaccinees and  
23 placebos. However, there is no immune correlate of  
24 protection for this vaccine. What that means is, it's  
25 impossible to do a blood test, for example, to look at



1 an antibody level in any large-scale way and detect an  
2 effective response to the vaccine. Therefore, the  
3 effective response of the vaccine is tested by  
4 literally efficacy preventing or reducing the severity  
5 of the actual disease.

6 The efficacy with the licensed dosing  
7 against any kind of Rotavirus gastroenteritis is  
8 approximately 50 to 70 percent effective, against  
9 severe Rotavirus gastroenteritis it's approximately 70  
10 to 90 percent effective.

11 The vaccine was licensed based on three  
12 major placebo controlled efficacy and safety studies,  
13 two performed in the United States and one in Finland.  
14 About 18,000 children received this vaccine at the  
15 licensed dose and formulation in these studies.  
16 However, we consider additional information from  
17 clinical studies that were performed in the United  
18 States, Venezuela, Brazil and Peru, and approximately  
19 5,000 more children received vaccine in these studies.

20 A major concern, of course, with a vaccine  
21 which is a prophylactic treatment is safety. The  
22 problematic problem which concerns the safety of  
23 causal versus associated, and let me describe what I  
24 mean by that. In the label for this vaccine when  
25 licensed, were included adverse reactions. An adverse

1 reaction is something that is noticed both in the  
2 recipient of a vaccine and the placebo, but was  
3 statistically seen more often in vaccinees. Two  
4 examples were moderate fever and high fever were both  
5 seen more often in vaccinees versus placebo recipients  
6 in a statistical manner.

7 Then there's another category, which is  
8 also in the label for this vaccine, called adverse  
9 events. These are typically rare events, and in this  
10 case there was some gastroenteritis reported,  
11 meningitis, hepatitis seizures, intussusception, which  
12 we will focus more on later, failure to thrive and  
13 death. However, these are called adverse events  
14 because the rates are actual similar in vaccinees and  
15 placebos in the data, and, therefore, it's difficult  
16 to determine the causation or an association, versus  
17 an association with the vaccine.

18 Today we'd like to talk about a serious  
19 and rare adverse event associated with vaccination,  
20 and that is intussusception. First, let me discuss  
21 what intussusception is. Intussusception is a disease  
22 of the bowel where the bowel literally telescopes in  
23 on itself and can often lead to bowel obstruction.  
24 Signs of the disease include vomiting and bloody  
25 stools. It is typically identified by radiology

1 study, x-ray, sonogram or barium enema or enema of  
2 some sort, and it can be treatment by a non-surgical  
3 way, usually with an enema, or by surgery, actual  
4 surgery where the bowel is either reduced, the  
5 intussusception is reduced, or if the bowel has been  
6 damaged has to be resected.

7 There are certain diseases which have been  
8 associated with the development of intussusception,  
9 including anatomical abnormalities, like Meckel's  
10 diverticulum, lymphomas, other congenital bowel  
11 diseases, infections, adenovirus, human herpes virus  
12 6 and 7, Epstein-Barr virus, and even some bacteria  
13 strains have been associated with intussusception.  
14 Causality has always been difficult to prove.

15 Rates have been also difficult to prove in  
16 the general population of children, but generally it's  
17 been quoted looking at studies and reports from  
18 various health agencies of .074 to .05 percent in the  
19 first 12 months of life. That has been translated in  
20 other studies to suggest that we expect a reporting  
21 rate of 14 to 16 cases per week.

22 Peak age for intussusception in the  
23 general population of children is four to nine months,  
24 however, the range, as you can see here, is quite  
25 broad, from less than one month to almost two years.

1           Let's talk about the adverse events that  
2 were noted pre-licensure associated with Rotavirus  
3 vaccine use. Pre-licensure concerns are rare, but  
4 serious adverse events prompt analysis of data and a  
5 publication re intussusception. This is the  
6 publication to which that is referring to by Doctor  
7 Rennels, et. al. In this publication, you can see  
8 there's an extremely small number of cases of  
9 intussusception associated with the vaccine, and there  
10 was one case associated in placebo controls.

11           The rates were analyzed, and the rate in  
12 the vaccines of .05 percent was not statistically  
13 significant from the rate .022 percent.

14           I put this line here to prompt a  
15 discussion, issues of data analysis methods. As you  
16 can see here, it becomes very difficult because only  
17 two of these cases were in children receiving the  
18 license formulation, while three of these cases were  
19 in children receiving the other formulation. Some  
20 studies the children received one dose. Some studies  
21 they received three. Some studies they received  $10^4$ ,  
22 some studies  $10^5$  lots of virus. It makes it difficult  
23 to do comparisons among studies, and depending upon  
24 which studies you include, and, of course, including  
25 the appropriate placebo controls, the reanalysis of

1 the data has shown this number becomes .04 percent and  
2 this number becomes .03 percent, they move closer.  
3 So, it's a very difficult analysis to perform.

4 Of course, we are all concerned regarding  
5 any possible causality between intussusception and  
6 Rotavirus vaccine, and so the association requires  
7 further study. In pre-licensure studies, however, the  
8 RNAase distribution of vaccinees was similar to that  
9 seen in comparative unvaccinated populations. Wild  
10 type Rotavirus is not reliably associated with  
11 intussusception. There are several studies that have  
12 looked at this, the numbers are extremely small. Many  
13 of the studies have no control population to compare  
14 to, and the answers that they give are quite  
15 conflicting.

16 The lack of seasonality noted with wild  
17 type Rotavirus intussusception suggested that because  
18 wild type Rotavirus seizures was not followed or  
19 accompanied with large numbers of intussusception, or  
20 an increase or a peak, then that was believed to also  
21 provide more information that even wild type Rotavirus  
22 was not associated with intussusception.

23 However, because this is a serious adverse  
24 event, whether or not it's associated with the vaccine  
25 has to be looked into and continued to be studied.

1 Therefore, at licensure and post-licensure the FDA  
2 took action. Information regarding intussusception in  
3 the pre-licensure clinical trials was placed in the  
4 label for the public, for disclosure, for physicians  
5 and for patients.

6 A new VAERS term, that's vaccine adverse  
7 event reporting system, a new term for intussusception  
8 was added to the adverse event reporting system, so  
9 the reports from physicians and general population  
10 would be easily captured for review by the VAERS  
11 staff.

12 In addition, two post-marketing clinical  
13 trials were designed, and one, a very large one was  
14 specifically designed to capture serious adverse  
15 events and compare them to baseline population and  
16 intussusception was a major concern of our's when that  
17 study was designed.

18 The studies I referred to, one is 20,000  
19 infants age six weeks to six months in an HMO system  
20 in California, and the computerized database allowed  
21 review of serious adverse events such as  
22 intussusception in a population of children who  
23 received the vaccine in the HMO and a population who  
24 did not.

25 Study two, which was not initiated because

1 of the recent events, was planned to study age-  
2 specific adverse events following vaccination at older  
3 ages than currently recommended in the license and  
4 younger ages of children, to look at age-associated  
5 adverse events.

6 So, what did these studies and other  
7 information reveal to us? Post-licensure data that  
8 were obtained, after an estimated 1.5 million doses  
9 were administered between licensure and June of 1999,  
10 revealed that in VAERS reports of 15 cases between  
11 September 1<sup>st</sup> and July 7, 1999. What was concerning  
12 was that this reporting rate was approximately the  
13 same rate as seen in the population, since this is a  
14 passive reporting system, we anticipated lower than  
15 normal rate of reporting. So, this prompted concern.

16 Secondly, the post-marketing clinical  
17 trial between December and June, pardon the typo,  
18 please correct this on your handout, nine total cases  
19 of intussusception were reported in the large post-  
20 marketing clinical trial in the HMO, three of them  
21 were in vaccinees.

22 An MMWR analysis, you see the citation  
23 down here, indicated a possible trend of association  
24 with intussusception, although it was not  
25 statistically significant there was concern because of

1 an increased risk in the first and second week after  
2 the first vaccination and a change in the typical age  
3 of intussusception was somewhat earlier in infancy  
4 following vaccination.

5 Actions taken, on 7/15/99 the CDC made a  
6 recommendation of cessation of vaccination and  
7 initiated a case control study. After consultation  
8 with the FDA, the manufacturer notified the vaccine  
9 purchasers via telephone of this information, hand  
10 delivered a Dear Doctor letter to cease vaccination  
11 pending further data collection. You can see that  
12 happened fairly promptly. In addition, fairly  
13 promptly, the manufacturer went with personal visits  
14 to the purchasers and places do not use stickers on  
15 remaining vaccine inventory in the purchaser's  
16 supplies.

17 A multi-center research group was  
18 initiated, with participation by government entities,  
19 NIH, CDC and FDA, universities and industry. The  
20 major concerns of this multi-center group are the fact  
21 that there is some disagreement and a large amount of  
22 unknown information about what exactly causes this  
23 intussusception. There are some theories about  
24 physical issues and mechanical issues, but the actual  
25 pathogenesis and mechanisms really are not known.



1                   And then, of course, the major issue of,  
2                   is Rotavirus vaccination associated with an increased  
3                   risk of intussusception? This group is addressing  
4                   this in a clinical epidemiology standpoint, of course,  
5                   the CDC case control study qualifies there, pathology  
6                   of the children affected and if there are any surgical  
7                   materials available to evaluate that. And, of course,  
8                   basic scientists gather to discuss pathogenesis, a  
9                   mechanism to further evaluate this problem.

10                   Just a little information about the CDC  
11                   studies that have been initiated. There is a case  
12                   control study headed by Doctor Warden. At this point,  
13                   the study has 400 enrollees, that does not mean 400  
14                   people with vaccine-associated intussusception, I  
15                   wanted to make that clear, that's simply children  
16                   enrolled in this study, a one to four case ratio to  
17                   controls. Enrollment will end late October, and then  
18                   the data will be analyzed, and, of course, it's not  
19                   appropriate to present or analyze the data prior to  
20                   finishing the enrollment, so that will come later.

21                   A cohort study has been initiated by  
22                   Doctor Chen's group, based on several medical care  
23                   sites, and a retrospective review of another large  
24                   database similar to VAERS, vaccine safety datalink, to  
25                   detect whether other vaccines or other diarrheas may

1 also be associated intussusception, also by Doctor  
2 Chen.

3 The case control study that was mentioned,  
4 which will end in October, gathers information on all  
5 cases of intussusception, and focusing on states with  
6 the highest distribution of Rotavirus vaccine. That's  
7 simply to get enough numbers to provide a meaningful  
8 study.

9 The study includes all cases with  
10 radiographic or surgical confirmation diagnosed  
11 between these dates in children age one to 11 months.  
12 There are age match controls with no intussusception  
13 selected from infants at the same hospital as the  
14 cases, and the comparison will be of expected  
15 intussusception rates based on the placebo subjects  
16 and changes in these rates, if any, seen in vaccinees.

17 So, in summary, Rotavirus causes  
18 significant disease in the United States and  
19 tremendous death internationally in infants. There  
20 has been licensure of a new Rotavirus vaccine. There  
21 have been administered over a million doses, and they  
22 were associated with a rare but serious adverse event  
23 intussusception, and the data are insufficient at this  
24 point to decide whether there's causal association.

25 CDC, FDA and other groups are pursuing

1 additional clinical, epidemiological, and scientific  
2 data to determine if association is causal, and  
3 further regulatory actions will be taken pending  
4 review of this new information.

5 Thank you.

6 DOCTOR DAUM: We continue to do well in  
7 terms of scheduling, so we have time to discuss Doctor  
8 Carbone's presentation or ask questions of her.

9 Doctor Ferrieri?

10 DOCTOR FERRIERI: My question is whether we  
11 know anything about pathology within the abdomen at  
12 surgery in those infants who underwent surgical  
13 correction of the intussusception, were there other  
14 abnormalities found? For example, lymph node  
15 enlargement, anyone with an associated other  
16 abnormality or congenital defect.

17 DOCTOR CARBONE: That issue is actually  
18 being studied. That's part of the multi-center group  
19 and the pathological assessment. It's difficult to  
20 give any numbers or significant data because it simply  
21 has not been studied. We receive reports post-fact,  
22 and surgical reports after the fact.

23 However, some surgical reports that we  
24 have have noted some lymphadenopathy, enlargement of  
25 the lymph nodes, and some have noted some death to the

1        bowel, it's intussuscepted, of course, the blood  
2        supply is cut off if it's a long enough time.

3                But, as far as detection of other  
4        pathogens, there's been no organized search because  
5        these are clinical cases. In the retrospect, the  
6        pathological group is going to be evaluating exactly  
7        that.

8                DOCTOR DAUM: Ms. Fisher, I think you were  
9        next.

10                MS. FISHER: It's my understanding that  
11        there have been 100 cases of intussusception following  
12        Rotavirus vaccine reported to VAERS and two deaths.  
13        Is that correct?

14                DOCTOR CARBONE: As of September 9, 1999,  
15        there were 99 cases reported to VAERS, people  
16        reporting intussusception in children who had received  
17        vaccine. In addition, there have been two deaths  
18        reported in children who have received vaccine, who  
19        later - one of those cases - let me say, those cases  
20        are currently under review, there's some information  
21        on one of those cases that the infant may not have had  
22        intussusception at all based on pathology reports, but  
23        that's very preliminary information, and those cases  
24        require - are currently getting further review.

25                MS. FISHER: I have one more question -

1 DOCTOR CARBONE: Excuse me, VAERS is a  
2 passive reporting system, and the first 15 cases that  
3 were reported were reported completely passively.  
4 Those arrived at the FDA with no prompting. All  
5 subsequent cases, and there's been an enormous bump,  
6 have been reported following publication and  
7 announcement by the CDC. That we can really not  
8 consider passive reporting any longer. So, the  
9 increase in rate following the CDC report may simply  
10 be an increased awareness in the public, and we  
11 consider those reports very seriously. It's not like  
12 we dismiss them. However, in terms of numbers, the  
13 change pre and post that day are a difference between  
14 passive and more active reporting.

15 I just wanted to make that clarification.

16 DOCTOR DAUM: Do you want a follow-up  
17 question?

18 MS. FISHER: One follow-up. In the  
19 original licensing studies for this vaccine, what was  
20 the length of follow up, what was the follow-up period  
21 to look for such things as intussusception?

22 DOCTOR CARBONE: Good question. The  
23 studies, of course, are numerous and varied. Length  
24 of follow up were usually no less than 42 days  
25 following vaccination, and in some cases were up many

1 months beyond that. In some cases, the studies went  
2 for two seasons, so the children were actually  
3 followed for over a year.

4 DOCTOR DAUM: Doctor Adimora?

5 DOCTOR ADIMORA: I may have missed this in  
6 your presentation, but to what extent was RotaShield  
7 marketed and distributed internationally?

8 DOCTOR CARBONE: Well, I'm U.S. FDA, so  
9 it's licensed in the United States and we follow the  
10 U.S. distribution. I don't know if anybody would care  
11 to comment on international distributions.

12 DOCTOR DAUM: Is there someone in the  
13 audience, Peter, who would like to comment? Come up  
14 to the microphone and identify yourself.

15 EXECUTIVE SECRETARY CHERRY: Please use the  
16 microphone.

17 DOCTOR DAUM: Can you talk to the  
18 microphone and tell us who you are?

19 MR. RUSSO: I'm Carlo Russo from Merck.  
20 RotaShield was approved in Europe in May, so it was  
21 licensed in Europe.

22 DOCTOR DAUM: Has it been used?

23 MR. RUSSO: That I don't know.

24 MR. PARADISO: Thank you, Peter Paradiso.  
25 It was licensed in Europe. It has not been

1 distributed or used anywhere outside the United States  
2 to date.

3 DOCTOR DAUM: Thank you for clarifying  
4 that.

5 Other comments? Doctor Faggett?

6 DOCTOR FAGGETT: Yes. The 99 case, what  
7 was the average there of incidence, and also, you  
8 mentioned, what, four to six as the average age, I'm  
9 used to the age being a little older. That's a two-  
10 part question.

11 DOCTOR CARBONE: Those data are difficult  
12 to come by, and let me explain. In various data,  
13 because they are reported to us, there is no control  
14 over possible selection biases, so these data can be  
15 analyzed, but as to what they mean we don't know.

16 It turns out there's only about a month or  
17 a month and a half difference in age, with the  
18 children in the various databases. Preliminary  
19 analysis, let me emphasize, may be slightly younger  
20 than the average age quoted for the population, but as  
21 you've noted, even finding out what the average age  
22 for the population is is very, very difficult to know.

23 The concern was possibly most stimulated  
24 by the fact that in the reported cases the vaccination  
25 occurred, had occurred in most of these cases about a

1 week prior to the report. Of course, there's also the  
2 bias that anything that happens in closer association  
3 to vaccination is likely to be associated with the  
4 vaccine, even if it's a random event.

5 So, I apologize for not being able to give  
6 you the exact data, but they really aren't available  
7 even for the normal population.

8 DOCTOR DAUM: Doctor Karzon, please.

9 DOCTOR KARZON: The pathogenesis of this  
10 illness is not fully understood. Is there anyone  
11 looking at the microbial flora in known cases that are  
12 diagnosed?

13 DOCTOR CARBONE: There may be investigators  
14 out in the scientific community looking at that, but  
15 from our point of view Doctor Breiman's group, the  
16 multi-center working group, that's one of the  
17 approaches that's going to be taken, to review the  
18 cases and look for associated - for example, there is  
19 a report in the literature in children where they've  
20 looked for Rotavirus in children's intussusception and  
21 actually found adenovirus also present in the majority  
22 of those children, as well as adenovirus present in  
23 children who have intussusception and no Rotavirus  
24 present.

25 So, that is absolutely a very important



1 question, and that will be addressed.

2 DOCTOR DAUM: Doctor Snider, then Doctor  
3 Kim.

4 DOCTOR SNIDER: Dixie Snider, CDC.

5 I just wanted to point out to everyone  
6 that this case control study is a massive undertaking.  
7 It requires hundreds of people. It's consuming time  
8 of most people in the National Immunization Program,  
9 and, thank goodness for all the people that CDC has  
10 stationed in the States, and for all the state health  
11 departments and other health care provider  
12 organizations that are helping out with this  
13 investigation.

14 I know it sounds like a long time to the  
15 end of October before we finish up this study, but it  
16 is a massive job trying to track down all these cases,  
17 and then the four controls per case to get the  
18 information that's needed.

19 So, I just wanted people to be aware that  
20 lots of effort is being put into this, lots of  
21 resources, to try to get the answers as quickly as we  
22 possibly can.

23 DOCTOR DAUM: Kwang Sik.

24 DOCTOR KIM: Doctor Kim from Los Angeles.  
25 My question was partially answered by

1 Doctor Snider, however, just looking into the numbers  
2 that you presented, rate of intussusception was about  
3 one per 10,000 before licensure, you know, in your  
4 document, which means that if you immunize 1.5 million  
5 than you anticipate about 15 cases. So, the question  
6 comes up, is that what is the interpretation before  
7 licensure, which is one per 10,000 versus, you know,  
8 after licensure, which is 15 per 1.5 million, what is  
9 the - what made your interpretation somewhat  
10 different, I guess, in terms of looking for numbers?

11 DOCTOR CARBONE: Let me rephrase that to  
12 make sure I understand. What you are saying is the  
13 rate after licensure isn't very much different from  
14 the rate prior?

15 DOCTOR KIM: No, it's the same.

16 DOCTOR CARBONE: Right, it's the same from  
17 the rate prior, and, therefore, why were we concerned.

18 One of the reasons we are concerned was  
19 because the information - we feel that in many ways we  
20 appropriately stimulated a public concern about many  
21 of the adverse events of this vaccine to the label,  
22 that's normal, you put in the label adverse events.  
23 Physicians read that and, hopefully, patients have  
24 access to this information as well.

25 Therefore, one concern is that when people

1 find an adverse event following a new vaccine it gets  
2 reported at a very high rate. However, we still  
3 assume that because of the passive reporting system,  
4 as few as five percent or ten percent of the cases  
5 will actually be reported. Therefore, when we see a  
6 rate in a passive reporting system that equals the  
7 expected rate, we become concerned and investigate  
8 that.

9 Did I answer your question?

10 DOCTOR KIM: Yes.

11 DOCTOR CARBONE: Thank you.

12 DOCTOR DAUM: I actually have a question.

13 I'm very impressed at the response that  
14 the entire vaccine community has made to this issue,  
15 the speed with which everyone from CDC, to FDA, to  
16 manufacturer, responded by picking this up and acting,  
17 and I'd like to know whether you think there's any  
18 precedent for responding at this kind of speed, and  
19 whether this is - I think it's something that  
20 everybody should sort of take a bow for and really  
21 feel good about how the system appears to be working.  
22 I wonder if you'd like to comment on that.

23 DOCTOR CARBONE: The only thing I'd like to  
24 say is that I think it just reflects the concern that  
25 we have in doing the regulation and putting out a safe

1 and effective product. And, it simply was what we  
2 felt the right thing to do.

3 DOCTOR DAUM: How will you address, let's  
4 say that the case - let's take the worst case, and the  
5 case control studies establishes causality, how will  
6 FDA, or other agencies for that matter in your  
7 opinion, view testing of future Rotavirus vaccines  
8 with respect to rare side effects?

9 DOCTOR CARBONE: I think that's a terribly  
10 sticky wicket problem. Obviously, that's a \$64,000.00  
11 question, and I think that one of the big problems we  
12 are dealing in this is this massive, vast lack of  
13 information, even about intussusception as a disease  
14 itself. And, I think it makes sense that if we find  
15 out what causes intussusception, or at least get a  
16 handle on some mechanism, what the relationship  
17 between infectious agents are and intussusception,  
18 that, perhaps, a vaccine could be designed to  
19 specifically avoid the problems.

20 But, it is a vast ranging problem,  
21 including even oral delivery of vaccines at all, if  
22 there's other association.

23 I can't really make any specific comments  
24 about plans, not knowing what we are going to find  
25 down the road, except we are considering the

1 possibility from all directions, in our laboratory we  
2 actually have a very talented young scientist, Doctor  
3 Atreya, who of his own volition developed a Rotavirus  
4 lab to start looking at adverse events about a year  
5 and a half ago when we were investigating the vaccine,  
6 and he's made some good headway. There are many  
7 people, obviously, at the CDC, Doctor Snider was  
8 talking about the effort, and in the larger community,  
9 so because this problem has finally risen to the  
10 surface, and we have many different ways to  
11 investigate it, I think that - I actually am very  
12 hopeful that a mechanism will be found and a way to  
13 protect children against Rotaviral diseases will come  
14 around. It's not particularly obvious right now  
15 because we don't have enough information.

16 DOCTOR DAUM: Doctor Estes.

17 DOCTOR ESTES: I think many people have  
18 raised the question about whether this has been a  
19 problem with the live oral polio vaccine, which is  
20 probably the oral vaccine that we have the most  
21 experience with. And, can you comment on that, or at  
22 least let us know what's being done to look at that?

23 DOCTOR CARBONE: That's actually being  
24 looked at very intensively. The data on this are  
25 very, very preliminary. The polio in the intestine

1 causes a very different type reaction and a very  
2 different location of reaction than Rotavirus does.  
3 However, there is some hints in careful, careful study  
4 that there are a few cases that may have been  
5 associated with polio virus vaccine, but it's very  
6 preliminary, I stress association rather than causal,  
7 but there are several groups that are looking very  
8 intensively at that and other orally-delivered  
9 vaccines.

10 DOCTOR DAUM: If there are no other  
11 questions, I'll just make one final comment, and that  
12 is that outside of the United States in developing  
13 countries this disease is an even greater impacter on  
14 pediatric ill health than it is in our country, and it  
15 would be crucial to clarify this issue so that we can  
16 deliver the vaccine to children in developing  
17 countries once the safety issue is clarified.

18 And, with that, I will step down and turn  
19 the floor back to our erstwhile Chair.

20 CHAIR GREENBERG: Thank you.

21 And now, we will move into Session 4,  
22 which is a briefing on selected individual research  
23 programs, and for an introduction we have Doctor  
24 Thomas Hoffman.

25 Doctor Hoffman, this session moves along

1 reasonably quickly, so -

2 DOCTOR HOFFMAN: My name is Thomas Hoffman.  
3 I'm the Acting Director of the Division of Allergenic  
4 Products and Parasitology.

5 I'm going to depart slightly and introduce  
6 each of the two presenters in turn, rather than  
7 introduce both at this time as it is on the program.

8 The first person that you'll hear from is  
9 Doctor Richard Kenney. Doctor Richard Kenney, Rick  
10 Kenney, came to CBER as a Senior Research Investigator  
11 in 1995, after completing a fellowship in infectious  
12 disease at NIH, and taking further training in  
13 parasitology at the NIAID LPD.

14 The major thrust of his laboratory work  
15 has been on the immunobiology of and vaccine  
16 development for Leishmaniasis. His projects focus on  
17 human Leishmaniasis, a protozoan parasitic infection  
18 of the skin or visceral organs that is transmitted by  
19 sand flies. His lab uses a variety of techniques to  
20 pursue research, including molecular biology, cell and  
21 tissue culture, cytokine analysis and pre-clinical  
22 studies in mice and monkeys, as well as human clinical  
23 studies in patients with visceral or cutaneous  
24 disease, their families and in volunteers.

25 Thirty to 50 percent of Doctor Kenney's

1 time is spent performing regulatory functions, which  
2 includes the clinical review of parasitic vaccines and  
3 related products. This work involves extensive pre-  
4 submittal support, review of IND submissions and  
5 amendments, training in all phases of review,  
6 statistics, clinical trial design and clinical  
7 activities.

8 Doctor Kenney also supports regulatory  
9 projects involving DNA vaccination, allergenics, micro  
10 bacterial INDs and the International Committee on  
11 Harmonization Policy Development, as well as  
12 preparation of guidance documents. He serves on  
13 several external committees for both malaria and  
14 Leishmania research.

15 Doctor Kenney maintains collaborative  
16 projects in India and Brazil. Doctor Kenney also  
17 retains a close collaboration with the Laboratory of  
18 Parasitic Diseases at NIH, where he holds a dual  
19 appointment.

20 He is an attending in the Infectious  
21 Disease Consult Service at NIH, and is an active  
22 member of the Tropical Medicine Consult Service.

23 Doctor Kenney will describe his current  
24 research projects and collaborations in a moment.  
25 Doctor Kenney has received a number of important



1 awards and peer recognition. He and his group  
2 received the Sigma Chi award for their vaccine trials  
3 in primates at the annual FDA's Scientific Forum in  
4 1997. Doctor Kenney was the principal investigator  
5 for an employee invention report that was submitted  
6 and accepted in January, 1998, for development of a  
7 vaccine that contained heat killed Leishmania, and  
8 recombinant human IL12 and alum as adjuvants. In May  
9 of 1998, Doctor Kenney was awarded the PHS Outstanding  
10 Service Medal in recognition of his outstanding  
11 accomplishments in the field of Leishmaniasis in  
12 India.

13 Doctor Kenney has made important  
14 discoveries in his chosen field, as you will hear. He  
15 has made vital contributions to the regulatory  
16 programs of the division, as a basic scientist and  
17 clinical reviewer. In addition, he has made an  
18 important impact on global public health through his  
19 international activities.

20 On a personal note, although I do not  
21 supervise him directly, Doctor Nakhasi, one of our lab  
22 chiefs, does, and I have always found Rick to be  
23 energetic, good natured and even tempered. He takes  
24 on a multitude of tasks that would ordinarily be  
25 considered to lie outside of his job description. He

1 is a remarkable clinician whose work is consummate and  
2 enviable, and who adds important depth in this area to  
3 the Office of Vaccines.

4 Rick?

5 DOCTOR KENNEY: Thank you very much, Doctor  
6 Hoffman, Doctor Greenberg.

7 They have asked me to give a very brief  
8 overview of some of the activities of our lab, to try  
9 to show you folks what we are trying to do.

10 If I could have the first slide. Maybe,  
11 maybe not. This will be a short talk if we don't have  
12 slides.

13 Leishmaniasis is not a typical disease  
14 that people have heard of, although it even affects  
15 people down in southern Texas. It's a worldwide  
16 infection, it's been a scourge in the world for many  
17 centuries. It is one of the top five parasitic  
18 diseases that the WHO targets the vaccine development,  
19 and, thus, is an important infection for our lab and  
20 for the FDA as a whole, we believe.

21 The disease comes in two flavors. There's  
22 cutaneous disease, or a skin disease, where an  
23 ulceration forms at the site of the bite of a sand  
24 fly. The protozoan parasite is able to go into the  
25 site, infect macrophage, and you develop a chronic

1 infection, a chronic ulceration that lasts somewhere  
2 between four months up to two years, and occasionally  
3 produces other complications. This type of a disease  
4 occurs endemically, happens all the time in many  
5 regions throughout the tropics.

6 The other type of disease is called  
7 visceral Leishmaniasis. This is an inflammation of  
8 the spleen and the liver, where you get dramatic  
9 enlargement. It's generally fatal over the course of  
10 about eight months without proper treatment. This  
11 happens epidemically, usually focuses in Brazil, in  
12 The Sudan where it kills more people than their  
13 recurrent civil wars, and in eastern India.

14 Next slide, please? Do I have the gadget?  
15 Oh, great. Okay. There we go.

16 I'm a clinician, we parasitologists have  
17 to show one gross picture at least. The disease  
18 worldwide causes a lot of morbidity, more than  
19 mortality. The infection, though, can be scarring, and  
20 is generally socially unacceptable and, thus, is a  
21 focus for vaccine development to try to alleviate most  
22 of this morbidity.

23 This infection has lasted for several  
24 months and will probably last for several months more.  
25 It will self cure. The goal of the vaccination, of

1 course, is to avoid this type of occurrence.

2 It was noticed about 100 years ago that  
3 you could vaccinate with a live organism.  
4 Unfortunately, that vaccination also has its own  
5 adverse events and was dropped about 20 years ago.

6 Over the past time since, there have been  
7 many labs that have been trying to produce vaccines  
8 that are from killed antigen. Basic concepts of  
9 vaccine development are like building blocks, they are  
10 all based on basic immunology. The way to get to a  
11 final vaccine is to choose the right antigen. These  
12 have to be tested in preclinical studies and go  
13 through clinical trials to prove that they are broad  
14 utility.

15 The program that I've tried to develop  
16 over the past four years has focuses both on the basic  
17 immunology and on the eventual development of a  
18 vaccine that might be useful for Leishmaniasis. This  
19 is the only hard slide. The focus that we've done in  
20 our lab here has been on phosphorylation of the  
21 interferon gamma receptor. The general concept for  
22 the activation of macrophage, which is the cell that  
23 Leishmania infect, is that there are several pathways.  
24 The one that we've focused on is the signaling by  
25 interferon gamma, a cytokine that transmits signals

1 between cells. When interferon gamma comes and  
2 attaches to the receptor the alpha and beta subunits  
3 are the site of attachment, the alpha receptor becomes  
4 phosphorylated by Janus kinases that are present in  
5 the cytoplasm. These kinases themselves become  
6 phosphorylated and transphosphorylate another  
7 cytoplasmic factor called stat-1.

8 This is the signal transduction pathway  
9 that gets the gamma interferon signal down to the  
10 nucleus, where it stimulates the production of  
11 messenger RNAs and proteins to activate the  
12 macrophage. When Leishmania invade a macrophage they  
13 inhibit many pathways, one of which is that they are  
14 known to inhibit the phosphorylation of both the stat  
15 and the jak kinases.

16 Unfortunately, the mechanism for that  
17 hasn't been known. What we did was to take antibodies  
18 and look at the receptor, the surface receptor itself,  
19 and surprisingly found that Leishmania somehow caused  
20 the direct down regulation of production of the alpha  
21 subunit of the receptor, so there's no alpha subunit  
22 when gamma interferon runs around, that cannot  
23 transmit a signal and you don't get activation of the  
24 macrophage and the macrophage is unable to kill the  
25 Leishmania.

1                   In terms of the vaccine development, the  
2 main cell that is used for immunogenicity against  
3 Leishmania is the T-cell. It's a cellular disease,  
4 it's much more than antibodies. T-cells differentiate  
5 into two flavors. One is the T helper 1 type cell,  
6 which in terms of Leishmaniasis is a good T-cell. It  
7 produces interferon gamma and IL-2 in response to  
8 differentiation by interleukin-12. Interleukin 12 is  
9 the cytokine that is produced in K cells and other  
10 cells and in monocytes, that causes this  
11 differentiation pathway. Other T-cells can  
12 differentiate to Th2 cells in the presence of  
13 interleukin-4 to produce more interleukin 4, 5 and  
14 interleukin-10.

15                   In-bred strains of mice are either  
16 susceptible or resistant to Leishmaniasis based on the  
17 development of Th2 cells or TH1 cells. The mice that  
18 die, the susceptible mice, have been found to have an  
19 increased amount of IL-4. The resistant mice have an  
20 increased amount of IL-12. It was found about four  
21 years ago in Phil Scott's lab in Philadelphia that if  
22 you give interleukin-12 with antigen you can vaccinate  
23 a susceptible mouse and make it resistant, and this is  
24 the basis for the current effort in vaccine  
25 development. So, if you give IL-12 with antigen, you

1 get a lot of T-cells, Th1 type cells that are  
2 producing interferon gamma.

3 We spent a fair amount of time in various  
4 mice studies trying to determine which antigen must be  
5 the best for a vaccine, and we compared native,  
6 autoclaved, protease inhibitors. We aged it a little  
7 bit and autoclaved it, we filtered it, basically we  
8 found that if you autoclave an antigen it works as  
9 well or better than the native crude antigen in this  
10 vaccine.

11 At this point, the field is not to the  
12 point where we have a recombinant vaccine. There's a  
13 lot of different antigens that look hopeful, but we  
14 are still not at a point where we can choose a single  
15 recombinant antigen. So, the whole field is using  
16 crude antigens.

17 We took this autoclaved antigen, we are  
18 calling it heat killed Leishmania vaccine, into a  
19 couple of monkey trials to show the safety and  
20 immunogenicity, comparing antigen alone with adjuvants  
21 alum, or aluminum hydroxide, and this interleukin-12  
22 that causes Th1 cell development. We did a dose  
23 escalation using 40 nanograms to 100 nanograms and one  
24 microgram in various groups of monkeys along with  
25 antigen and alum, to give a dose escalation that's met

1 on IL-12. We also did a study where we looked at  
2 decreasing doses of antigen, I don't know if that can  
3 be focused any more, thanks, where we had one  
4 milligram, half a milligram, and .25 milligrams of  
5 antigen to try to minimize any side effects. Twenty-  
6 four monkeys were in each experiment. These were  
7 vaccinated once. This is Curious George. They were  
8 vaccinated in the arm, my little prop here,  
9 subcutaneously. We tested for safety in  
10 immunogenicity, we found that there was adequate  
11 immunogenicity and interferon gamma did appear in the  
12 presence of antigen in cells that came out. And  
13 finally, we used the monkeys in a challenged model,  
14 where we infected in their forehead, and several  
15 months later followed the course of this infection.  
16 The monkeys serve as a useful way to see whether or  
17 not the vaccine works. They developed a fairly  
18 typical lesion that is quite like the human lesion.

19 So, just one data slide here, this is a  
20 little hard to see again, the antigen, if you  
21 vaccinate with antigen alone, and then infect one  
22 month later and follow the size of the lesion, this is  
23 the maximum lesion size with antigen alone, compared  
24 to saline alone. It's been known in mice that antigen  
25 causes larger lesions than saline. This antigen is



1 being used in vaccine trials throughout the world.  
2 Unfortunately, nobody has really looked to see whether  
3 it causes worse diseases because we don't have a  
4 challenged model in humans. Nonetheless, if you  
5 combine the antigen with increasing doses of  
6 interleukin-12 the lesion that develops after  
7 infection, after challenge, is smaller. So, for the  
8 first study we were able to show that there was a dose  
9 response to IL-12. In the second study, we decided to  
10 go ahead and use two micrograms of human IL-12, in  
11 combination with the antigen at various doses, and, in  
12 fact, were able to protect 12 out of 12 monkeys. None  
13 of these 12 monkeys developed lesions if they were  
14 given the combination of the three agents, versus all  
15 four of the saline controls, if you leave out either  
16 antigen, either adjuvant, excuse me, we had one of  
17 four monkeys in each group develop a lesion. And so,  
18 we feel that the most effective vaccine is probably  
19 going to be something that combines antigen, alum and  
20 something like interleukin-12. The safety and  
21 immunogenicity, like I said, were established. We are  
22 using this data as the basis for a human trial.

23 The further monkey trials we do will  
24 compare this successful vaccine in the monkey  
25 challenged model with oligo nucleotides, short pieces

1 of DNA that have the special capacity, they are called  
2 CPG oligos, to stimulate Th1, stimulate this  
3 interferon gamma response, versus a true DNA vaccine  
4 where we have inserts of various antigens on a CPG  
5 Plasmid backbone.

6 Finally, the human trial that we are about  
7 to start compares antigen with the combination of  
8 antigen and alum, low doses of IL-12 to begin with,  
9 and a dose escalation up to what we feel would be an  
10 effective dose and, of course, a safe dose in various  
11 groups of humans. This will be done at NIAID  
12 staggering each group to increase safety.

13 So, in summary, lab works, clinical  
14 studies, both here and in Brazil and India, though I  
15 didn't have time to show you those, we've spent a lot  
16 of time searching for different potential antigens.  
17 We've done these three pre-clinical studies in mice  
18 and monkeys, and we're at the stage now we are moving  
19 into humans and planning to do future studies at sites  
20 that we are collaborating with in Brazil.

21 Thank you.

22 CHAIR GREENBERG: Thank you, Doctor Kenney,  
23 for that interesting talk to buff us all up on  
24 Leishmaniasis.

25 Doctor Hoffman, are you here for your next

1 introduction? I'm not going to have any questions,  
2 because I'd like to move along.

3 DOCTOR HOFFMAN: Our second talk will be by  
4 Richard Pastor. Doctor Pastor is Chief of the  
5 Laboratory of Biophysics in the Division of Allergenic  
6 Products and Parasitology, a position he has held  
7 since 1996.

8 His laboratory characterizes components in  
9 vaccines and allergenic extracts, including adjuvants  
10 in delivery systems, using biophysical methods. His  
11 related regulatory responsibilities include lot  
12 release of vaccines using NMR and light scattering,  
13 and review of INDs and PLAs for adjuvants, grass  
14 extracts and LAL test kits with an emphasis on their  
15 physical chemistry.

16 Doctor Pastor's specialty is computational  
17 biophysics, and he frequently addresses general  
18 mathematical and computer science issues faced by  
19 CBER, such as statistics of lot release and stability  
20 protocols and modeling of adverse reactions.

21 Doctor Pastor will describe his current  
22 research activities in a moment.

23 Rich's science will speak for itself, so  
24 I wish to touch on his role as a mentor and manager  
25 within the division. Without doubt, he is a leader

1 among the lab chiefs, who is able to mobilize his  
2 peers to analyze the goals and direction of the  
3 division and to take effective action in executing  
4 them. He sees problems and solutions that are often  
5 obscure to others, and he has devised ways to  
6 implement agreements that all involved can see as in  
7 their interest.

8 Rich is, above all, a teacher and mentor  
9 to his staff and other junior members of the division.  
10 He sacrifices his own time and energy to see to it  
11 that their personal or resource needs are met. His  
12 peers recognize Rich as an outstanding resource for  
13 scientific discussion.

14 In 1997, he was acknowledged by the agency  
15 for outstanding achievement for excellence in science  
16 by a group. In many ways, he is a throw back to the  
17 scientist who spends most of his time thinking,  
18 discussing and arguing science above all else.

19 Doctor Pastor continues to make remarkable  
20 strides in his chosen field of research, while  
21 simultaneously supervising and mentoring young  
22 students and investigators in the art and science of  
23 research. He has evolved into one of the most  
24 experienced, capable and innovative administrators and  
25 managers in CBER.

1           At his site visit, I surprised and  
2 embarrassed him with a comparison to Martin Arrowsmith  
3 from Sinclair Lewis' Pulitzer Prize winning novel. I  
4 feel so strongly about the aptness of what follows  
5 that I'm going to repeat the description of the  
6 protagonist in that novel that applies readily to him.  
7 "Martin had one characteristic without which there can  
8 be no science, a wide-ranging sniffing, snuffling,  
9 undignified, un-self-dramatizing curiosity, a  
10 curiosity where he saw nothing as ordinary and it  
11 drove him on."

12           CHAIR GREENBERG: Doctor Pastor.

13           DOCTOR PASTOR: Thanks.

14           So, I'd just like to give an overview and  
15 some broad brush strokes. So, in my first slide I'll  
16 just give you an overview of the biophysics lab. The  
17 second one, just a comment on the sort of modeling we  
18 do. It's interesting, it's like new, it has its  
19 problems. And then, in the three next slides I'll  
20 give you one slide each on my areas of research and  
21 then where I'm trying to go with each one of them.

22           The biophysics lab, as you have in your  
23 handout, and it's on the web site, I believe, this is  
24 just an overview of the three areas in which we work  
25 in the biophysics laboratory. One is NMR

1 spectroscopy, one is a simulation, and the other one  
2 is light scattering.

3 If you were to spend some time on this  
4 slide, you'd see that each area has a certain problem  
5 to it, it solves certain problems, it like has other  
6 problems, and what we're trying to do in the lab, to  
7 the best we can, is to work on projects in which these  
8 areas compliment each other, and as a group we can get  
9 a better understanding of biopolymers and vaccines  
10 especially.

11 My area is modeling. It's a computer  
12 simulation method, but it's basically solving just the  
13 equations of motion of the system, four - mass -  
14 acceleration, essentially. You put the system on a  
15 computer, on a super computer usually, you run it for  
16 a month or two, or sometimes even six months. Out of  
17 that comes a path, and from that path you'll gradually  
18 get a structure that the system wants to be in.

19 Some examples of that, at least ideally,  
20 would be a structure of a membrane complex, so you're  
21 lipids are here, you have a protein here, and say you  
22 have a drug binding here. If you didn't know where  
23 the drug bound, or how the lipids rearrange itself in  
24 the membrane, in the future one could just put all  
25 these things into your system and just run it. It's

1 a pretty amazing idea.

2 Another sort of structure would be if say  
3 you have a polysaccharide vaccine, or a protein  
4 vaccine, you add an adjuvant to it, say some kind of  
5 surfactant, you could literally put that all in a big  
6 computer, you know, system, run it and see what the  
7 structures of that thing are, where the adjuvant  
8 binds, how it changes the structure, ultimately how it  
9 will interact with the cell itself.

10 So, it's a method that just has fantastic  
11 promise, and I can guarantee that eventually this is  
12 how we are going to do a large part of our science.

13 Now, why can't we do it right now?  
14 Basically, our computers aren't fast enough. That  
15 means that we can't do quantum mechanics. Sometimes  
16 we have a chemical reaction, you have to have the  
17 quantum mechanics. We can't really do that yet. Our  
18 force fields are not exact, many details about that,  
19 other than to say that, you know, we do a pretty good  
20 job on order, but we don't get it perfect. So, it's  
21 an advanced area. And, because we are solving  
22 equations, you say, well, how long can you run this  
23 thing for, well, basically, we can run them for on the  
24 order of 20 nanoseconds, that's  $10^{-8}$  seconds, we might  
25 want to run something that would take as long as a

1 minute. Well, that's a factor of 100 million there  
2 you need. But, we will get there.

3 So, there's sort of a bottom line to this,  
4 is that we can do a lot, we can't do everything, and  
5 you have to choose your problems very carefully.

6 So, the first problem I'll talk about is  
7 sort of this one, and so what have we done? Well, I'm  
8 going to to take all of this stuff out, and in my area  
9 that I've been working on for many years is lipid  
10 bilayers, and I don't have a nice monkey to show you,  
11 but I do have a nice bilayer. And, as you see, a lot  
12 of the - this is now in like textbooks, if you  
13 generally see the picture, that's one of mine, and  
14 we've learned a lot from this bilayer. This is just  
15 a snapshot from a simulation, but basically you can  
16 see that the lipids are somewhat random, they are  
17 hanging down, but they are not hanging straight down.  
18 They are extremely fluid. Water binds to the surface  
19 in very interesting and important ways, and if one  
20 were to follow a small drug molecule going through  
21 that thing you could see it go through.

22 Where does this go eventually? Well, a  
23 bilayer, this is just a little snapshot, of course, of  
24 a liposome, so and one of the things we are interested  
25 in vaccines is like doing vaccine delivery through the



1 liposome. Ultimately, we could in a simulation put  
2 the vaccine in that liposome, adjust the lipids that  
3 you actually want and run that thing, see which lipids  
4 are better.

5 The other little area I just want to touch  
6 on is just the early results we have on simulations of  
7 a micelle. We've simulated octyl glucoside micelles  
8 by themselves, and now we are at the stage where we  
9 are putting a peptide in. I only want to make one  
10 point from this picture, if you pull the peptide out,  
11 just graphically, you can see that effectively the  
12 peptide is in, essentially, a cleft of all the octyl  
13 chains, so it's fitting in a pocket in this micelle,  
14 thereby making it more stable. Ultimately, we hope to  
15 use this kind of system to understand the interaction  
16 of vaccine adjuvant complexes.

17 That's a little slide of another kind of  
18 modeling I do. This is an oligo saccharide. In this  
19 pentamer, the experimentalist has a problem that they  
20 couldn't - they did NMR on it, and they couldn't  
21 separate the effects of what they were seeing. There  
22 was a possibility that you were getting this odd  
23 pattern of spin lattice relaxation times, because the  
24 molecule was kind of a cylinder, but was it because it  
25 was rotating as a rigid cylinder or was it

1 isomerizing, or just in a sense wobbling a lot at the  
2 very end. You might say why is that important? Well,  
3 the flexibility and confirmation of these  
4 polysaccharides is important to understand. This is  
5 our way into it.

6 We then applied three different techniques  
7 to it, all of which I actually, you know, kind of  
8 worked on, sort of invented. So, basically, we fit  
9 the NMR using an anisotropic model which we originally  
10 published in '95. We did a modeling, which we also  
11 did in the lab, to actually get what the shape of this  
12 polymer was, and then I did a kind of simulation  
13 called Langevin dynamics, which I published a lot of  
14 work on.

15 By doing all of these three, not just one,  
16 and certainly not just none, we were able to separate  
17 the effects and prove really very conclusively that,  
18 in fact, the entire effect was just due to a libration  
19 of that last ring. So, in other words, the thing is  
20 actually pretty rigid, but it just has a floppy tail,  
21 but we were able to, like, pin that down precisely.

22 This is in present JACS. What is the  
23 long-range goal of this? Well, as we understand these  
24 little pieces of polysaccharides we can understand  
25 much like longer polysaccharides. The point is,

1 really, you have to understand them well.

2 The second to last slide, on allergenic  
3 extracts. I was the Acting Chief of the Allergy lab  
4 for about a year, and one of the issues we really had  
5 to face was, how do you lot release well? The problem  
6 of allergenic extracts is that there's a huge  
7 variability in both the extracts, the tests are  
8 biological tests with a large variability, and the  
9 people are also quite variable. The allergic response  
10 varies tremendously between individuals and even the  
11 same person on different days.

12 So, therefore, we really had to face very  
13 directly that one needs to balance what you call the  
14 manufacturer's risk in any lot release situation, and  
15 this manufacturer's risk is that because of the  
16 variability of the tests you sometimes have to throw  
17 out a perfectly good lot of vaccine. In other words,  
18 if they submit the reference themselves, sometimes you  
19 are going to have to say, sorry, that fails, that's  
20 the nature of the testing.

21 Likewise, you are going to have to say,  
22 well, what if something is right on the edge, you want  
23 to catch that most of the time, can you catch it all  
24 the time? No. If you never make a mistake, you'll  
25 never pass a single lot, you'll throw out everything

1 because you want to be too careful to some extent.

2 So, what was the problem we specifically  
3 had to face? One was, what are the limits of these  
4 relative potencies for an ELISA assay for these two  
5 kinds of extracts? They were set previously, we  
6 wanted to look at them again, so we basically - and  
7 what's the specific problem, if you are getting  
8 allergen therapy after the bottle expires if you want  
9 to switch manufacturers they have to give you a new  
10 bottle, what kind of a dose change are you able to  
11 like get and not keel over, or have an adverse  
12 reaction?

13 So, what we did there, we did a really  
14 combined study that's pretty interesting. We haven't  
15 paid for this, it's been like submitted on it, where  
16 we look at the dose response, it hadn't really been  
17 done before but we did it, and we found that a factor  
18 of four, interestingly enough, leads to a five percent  
19 increase in adverse reactions.

20 Then, we went and checked by looking at  
21 the observed values of like all the lots of vaccines  
22 that like CBER had like gotten in the last several  
23 years, and then worked backwards, to make a long story  
24 short, and to see what is the distribution of like  
25 this - of the manufactured lots. And, in fact, it's

1 really quite tight, much tighter than we really  
2 expected.

3 Because of this, we can actually, we are  
4 in the process of widening the limits slightly, but  
5 only widening it after a very careful analysis that we  
6 are well within that factor of our. So, I'm kind of  
7 excited that we were able to do - to solve a problem  
8 in a way that could actually lower the manufacturer's  
9 risk without impinging on a consumer's risk at all,  
10 it's quite low. That's an ideal, I think.

11 Long-term goals, we can do this for  
12 everything, now that we really have a thought process  
13 worked out.

14 My next slide is where I want to go. This  
15 is basically a whole series of like areas and like  
16 membranes. We are almost finished with the simple  
17 lipid bilayer, and now we want to start to add  
18 peptides to it, work out what the real - where the  
19 lowest free energy state, make the lipids more  
20 complex. We want to continue working in like sugars.  
21 In fact, we are starting to work on an interesting  
22 project of like toxins with self-surface, like  
23 gangliosides. I'm not done with the allergy work, I'm  
24 still working with my pals there, and we, you know,  
25 are going to finish that up soon.

1 Long term, back to the big picture, on the  
2 Star Trek picture of the thing, we'd like to simulate  
3 a whole patch of like membrane, just do it. Likewise,  
4 we'd like to simulate a huge complex with vaccine and  
5 just an adjuvant. All these things are well within  
6 our, you know, ten years or so we'll maybe be there,  
7 and likewise, as I said in the last slide, look at  
8 some of the limits for these other vaccines regulated  
9 by OVR.

10 Thank you very much.

11 CHAIR GREENBERG: Thank you, Doctor Pastor,  
12 and in the interest of time there won't be any  
13 questions from the committee, but thank you very much.

14 We are now going to move on to the session  
15 that you all love, the open public hearing piece, and  
16 at this point I ask the audience whether there's  
17 anybody that wishes to address the committee.

18 I'm told that there is one person that has  
19 identified themselves previously, Doctor Michael  
20 Young, the Chief Science Office from Medeva, is that  
21 correct? Okay. And, I'm told that you need about  
22 five minutes, Doctor? Okey-dokey.

23 DOCTOR YOUNG: Good afternoon.

24 As the Chief Scientific Officer of Medeva,  
25 the UK's largest vaccine manufacturing company in

1 worldwide vaccine research since our acquisition of  
2 the Wellcome vaccine products and R&D in that area, I  
3 feel it's appropriate for the committee to at least  
4 hear something from an industry perspective going back  
5 to the meeting two or three days ago on the whole  
6 question of cell substrates. This is a crucial issue  
7 for anyone responsible for R&D and R&D investment.

8 I'm sure that it is clear to you all, it  
9 was very worthwhile to attempt to get consensus  
10 between, on the one hand, government agencies,  
11 government bodies such as NIH, such as NIBC, Paul-  
12 Ehrlich and others, as well as academia, and, indeed,  
13 the industry, and the agency is, I believe, to be  
14 commended on that.

15 It is important to look at risks, as has  
16 been said. It's also important to look at benefits,  
17 and I'm sure I needn't remind this particular  
18 committee that vaccines have been undergoing quite  
19 some reconceptualization and broadening, seeing them  
20 both, on the one hand, as prophylaxis, and in that  
21 sense prevention of disease, and on the other hand as  
22 immune modulators, and to some degree treatment of  
23 infectious diseases. So, the roles are shifting with  
24 time.

25 For those of us attempting to invest and

1 decide on investments in these areas, one of the most  
2 important things for us is to know what it is we are  
3 trying to aim at, what consensus is amongst agencies  
4 as to what we should be doing, and it's particularly  
5 important to hear those consensuses reviewed and  
6 endorsed by a committee such as this, when, indeed, it  
7 comes back to you.

8 I would, indeed, point out that when the  
9 study is done a great deal of work has clearly gone in  
10 before, I hope the committee will give it clear  
11 recognition, and I believe that the agency, FDA in  
12 this particular case, is to be commended on making the  
13 effort to push for this. I hope the committee  
14 understands just how much is involved in that.

15 Thank you for your time.

16 CHAIR GREENBERG: Do committee members have  
17 any questions of Doctor Young?

18 If not, thank you, Doctor Young.

19 Is there anybody else in the audience who  
20 wishes to address the committee?

21 Let the record show that I've stared down  
22 the audience and nobody seems to be raising their  
23 hand.

24 If that's the case, I am going to  
25 recommend that we take a break now, end the open



1 public hearing, and I'm told that several of the  
2 committee members have yet to check in, so what I  
3 think I will do is, let me just look here, I think we  
4 will get back on track, and can people check in in a  
5 half an hour? So, I'd like people back here at 3:45,  
6 to start again promptly at 3:45. At that time, we'll  
7 start our closed sessions, and, in fact, Doctor Daum  
8 will start that session because I will be not in the  
9 room.

10 EXECUTIVE SECRETARY CHERRY: Yes, and we  
11 will be in closed session, so at this time I'm afraid  
12 we'll have to dismiss the audience.

13 (Whereupon, the open session was concluded  
14 at 3:16 p.m.)

**CERTIFICATE**

This is to certify that the foregoing transcript in the  
matter of:                   MEETING SESSIONS 1 THROUGH 4

Before:                   VACCINES AND RELATED BIOLOGICAL  
                              PRODUCTS ADVISORY COMMITTEE

Date:                    SEPTEMBER 14, 1999

Place:                   BETHESDA, MARYLAND

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

*Wene Gray*