

1 other stuff saying troglitazone/liver failure, do  
2 liver monitoring.

3 This patient presents to the emergency  
4 room with symptoms of hepatitis. She gets sent home  
5 with a diagnosis of a viral illness, continues on her  
6 troglitazone, no liver enzymes were done. She comes  
7 back to the hospital two weeks later with liver  
8 failure.

9 And then finally, a fractionation of  
10 patient care that we have patients who were seen by  
11 multiple doctors who are managing different aspects of  
12 the patient's medical conditions, and this leads to  
13 sort of breakdowns in the system. People drop the  
14 baton. One person gets the test. They don't send the  
15 result to the other person, and the patient can  
16 continue on the drug with abnormal liver enzymes.

17 The next slide, please.

18 In this slide, I want to focus now on the  
19 issue of enzyme monitoring and rapid risers and is  
20 liver failure predictable or preventable. We had data  
21 on the time course of enzyme changes for 12 patients.  
22 In nine of these 12 cases with enzyme data, 75  
23 percent, the transition from normal to irreversibility  
24 occurred within a range of four to 34 days.

25 For most, the time course of liver enzyme

1 change is unknown. The question is: are these people  
2 with the unknown time course, are they rapid risers or  
3 are they slow risers? Can we prevent it or is it  
4 pretty much unpreventable?

5 Would they be prevented by the current  
6 monitoring or by more frequent monitoring, say, weekly  
7 monitoring?

8 We don't know the answer to any of these  
9 questions. We compared the group of rapid risers with  
10 the group of non-rapid risers for all clinical  
11 characteristics that we could abstract data from the  
12 case report forms, and in none of the clinical or  
13 demographic features was there any difference between  
14 the rapid risers and the unknown risers. In other  
15 words, they are clinically indistinguishable from the  
16 rapid risers.

17 So this raises concern in our minds that  
18 the majority of unknown risers may also be rapid  
19 risers, and this has implications for any  
20 consideration of a monitoring program.

21 Clearly, monthly monitoring would miss the  
22 rapid risers who comprise 21 percent of the cases that  
23 we have in our series, and the concern we have is that  
24 monitoring might miss upwards of 75 percent, or three-  
25 quarters of all cases if these prove to be rapid

1 risers as well.

2 Next slide, please.

3 We'll now talk briefly about under  
4 reporting of cases because that is an element that we  
5 are dealing with here.

6 This slide summarizes reports from the  
7 literature in which serious or fatal adverse drug  
8 reactions have been studied to see what is the  
9 completeness of reporting. On average ten percent or  
10 less of serious or fatal adverse drug reactions were  
11 discovered to have been reported.

12 Of note, even fatal INH hepatitis, which  
13 is well known and well described, only ten percent of  
14 cases were reported in the study. In that study where  
15 that ten percent figure was obtained, they believed  
16 that they actually under ascertained the actual index  
17 cases of hepatitis by a factor of twofold, which would  
18 bring the reporting rate down to actually five  
19 percent.

20 Next slide, please.

21 The idea has also been proposed by some  
22 that the reporting of acute liver failure with  
23 troglitazone is more complete and that actually it may  
24 be totally complete because of publicity created by  
25 "Dear Health Care Professional" letters and by media

1 attention.

2 This slide is a scatter plot of every case  
3 of acute liver failure reported to FDA. It plots the  
4 date of diagnosis of acute liver failure on the X axis  
5 against the reporting date, the date that FDA received  
6 that case report.

7 We also have shown sort of two bellwether  
8 times. This is the December 1st "Dear Doctor" letter,  
9 and this is the July 28th "Dear Doctor" letter, and  
10 then we have the same thing on the Y axis. This is  
11 the December 1st "Dear Doctor" letter. This is the  
12 July 28th "Dear Doctor" letter.

13 Important features to note in this slide  
14 is that there has been a steady stream of cases  
15 reports up through the end of the period that we're  
16 reporting on. There has been also no apparent  
17 clustering of cases in any given time period.

18 Where publicity has been looked at and  
19 studied, it has been found that there can be a very  
20 short-term boost in reporting that follows a publicity  
21 event. The duration of this publicity effect is less  
22 than a month.

23 If we look at this slide and we look at  
24 the time periods of the "Dear Doctor" letters and the  
25 associated publicity with them, let's go back to

1 December 1st, 1997 and look at the time period right  
2 before. We had cases getting reported sort of within  
3 the month before that, and look at the month after.  
4 We don't see a burst of reports.

5 Now, let's go to July 28th. We have some  
6 reports after, and look at that. Look at all of the  
7 publicity there. This is two months before a case  
8 came in.

9 The fact is we do not see evidence that  
10 there is substantial publicity effects.

11 One additional point about publicity.  
12 Where it's been studied, the reports that come in are  
13 consumer reports. They're from the people who  
14 experienced these adverse reactions, but it's not from  
15 their doctors. It's not the doctors reporting the  
16 adverse reaction. It's consumers.

17 If you look at the case reports of acute  
18 liver failure, less than ten percent of our case  
19 reports are from consumers. Over 85 percent are from  
20 physicians, and the remaining percentage are from  
21 allied health professionals.

22 So we conclude from this that there is no  
23 evidence that publicity has stimulated the reporting  
24 of cases of liver failure with troglitazone, and so we  
25 believe that the reporting rate for troglitazone is

1 probably in the neighborhood of about ten percent, and  
2 near the end of my presentation I'll give additional  
3 data that supports that belief.

4 Next slide, please.

5 I now want to shift gears. We're going to  
6 stop talking about individual cases, and now we're  
7 going to put on our population hats, and we're going  
8 to start talking about the epidemiology of acute liver  
9 failure with troglitazone, and we're going to start  
10 talking about things like rates and risk, and so as we  
11 go along, I'll try to educate you if you don't already  
12 know these things, and please don't interpret that I'm  
13 trying to talk down to you. What I'm about to talk  
14 about is very complicated, and it all deals about  
15 time.

16 Time is the key to understanding toxicity,  
17 liver toxicity, with troglitazone. So keep your eye  
18 on time. Time is the answer.

19 Next slide.

20 The methods that we used to estimate the  
21 risk of acute liver failure with troglitazone. One of  
22 the methods was we used a standard life table  
23 analysis. In a life table analysis, you do that  
24 because not all patients stay on a drug forever. You  
25 have some patients that stay on a drug for one months,

1 others for two months, other for three months.

2 In the sponsor's own clinical trials, we  
3 had only 45 percent of the patients out of their whole  
4 NDA who stayed on the drug for six months or longer.  
5 So most of the patients in the NDA were based on data  
6 of patients treated for five months or less.

7 So what's necessary then, you do a life  
8 table analysis to account for the fact that people  
9 don't stay on the drug for comparable periods of time.  
10 You have to think of time now as the denominator, not  
11 numbers of individual patients, and a life table  
12 allows you to do that.

13 We use the pattern of troglitazone usage  
14 from our United Health Care database to pattern the  
15 use of troglitazone in the entire United States, and  
16 then we calculated the rate of reported acute liver  
17 failure for each separate month of drug usage, and  
18 this is important. This is what we call the interval  
19 specific hazard rate. A rate incorporates the idea of  
20 time. It is the number of cases that occur in X  
21 amount of patients over X amount of time.

22 A way of thinking of it is X amount of  
23 events in X amount of person-years. So the person-  
24 year is now the denominator. It's taking the number  
25 of people over the amount of time.

1 Next slide, please.

2 Okay. This slide presents the life table  
3 analysis. It's based only on cases reported to the  
4 FDA. It is not adjusted for under reporting. I'll  
5 orient you to the slide.

6 The left column shows the duration of  
7 troglitazone use in intervals expressed as months of  
8 use, and we have cases reported as far out as eight  
9 months of use on troglitazone.

10 For each of these intervals of time we  
11 calculated the number of patients who were treated in  
12 that interval and the amount of time that they  
13 contributed to the overall model of risk. From that  
14 we could calculate an interval specific hazard rate.

15 We have chosen to use as a reference point  
16 rates expressed per million person-years, and the  
17 reason why we do this is because the background rate  
18 for acute liver failure of idiopathic cause is one per  
19 million per year. That's the risk of being struck by  
20 lightning in the next year in the United States.  
21 That's the U.S. risk. It's one per million per year,  
22 and that's the background rate for acute liver failure  
23 in the United States.

24 So one can look at these interval specific  
25 hazard rates and just based on case reporting by



1 itself, not accounting for under reporting, can  
2 interpret this as a relative risk, or you can just  
3 look at it as an interval specific hazard rate.

4 Then we have our last column, which is the  
5 cumulative risk. What this demonstrates, it's  
6 expressed in terms of one case per how many users in  
7 that interval. So, for example, in the first interval  
8 where we had all 1.23 million people who have been  
9 treated with troglitazone, where all of them got the  
10 drug, how many cases did we produce? You know, we had  
11 five cases in that group. That gives you one case per  
12 209,000 people in that first month of use.

13 But it translates to an interval specific  
14 hazard rate of 56 per million person-years which, as  
15 I've said before, is over 50 times higher than the  
16 background rate.

17 Now, the thing about hazard rates is that  
18 the longer you stay on a drug, the longer you  
19 accumulate risk. It's kind of like compounding  
20 interest. Well, the longer you stay on troglitazone,  
21 the more you compound the interest of developing acute  
22 liver failure, and that's expressed in the cumulative  
23 risk column.

24 And so what we see here is that during the  
25 first three months of use with troglitazone we have a

1 fairly stable but elevated risk, and then in months  
2 four, five, and six the hazard rate nearly more than  
3 triples, up to a peak of 185.

4 By this time, however, if you remember  
5 from the slide that I showed earlier of the pattern of  
6 troglitazone use in the population, the number of  
7 people who are still on the drug is down like now  
8 below 30 percent of all people who have used the drug.  
9 So we're getting really small denominators, and so  
10 confidence limits start to get increased.

11 Confidence limits tell you how certain are  
12 you of your point estimate, and the point I'm trying  
13 to make is that although the point estimates seem to  
14 drop here, the confidence limits are such that these  
15 rates are completely compatible with the rates staying  
16 at the level of the peak rate that we've described  
17 here.

18 Under no circumstance, however, is there  
19 any evidence that the risk declines. We see no  
20 evidence that the risk stops. We have cases reported  
21 out to eight months. We don't have cases reported of  
22 liver failure out beyond that. We do have cases of  
23 severe hepatitis that have been reported to us as far  
24 out as 16 months, and in the sponsor's own NDA  
25 clinical trials, they had patients out as far as 18

1 months who were withdrawn from the study because of  
2 elevated liver enzymes. I think I misstated that --  
3 who had liver elevations that were more than three  
4 times the upper limit of normal.

5 They ran into the same problems with their  
6 studies that we run into here. You've got that  
7 shrinking denominator of people who are at risk to  
8 experience the event far enough out in time. So  
9 you've got to keep your eye on time. Time is the  
10 answer.

11 What we see here is that the cumulative  
12 risk increases so that by the time you get out to  
13 eight months on the drug, based on case reports by  
14 itself, the cumulative risk is one in 15,000  
15 individuals treated with the drug. That's accounting  
16 for no under reporting.

17 Let's go to the next slide, and we'll see  
18 what the impact of under reporting is on cumulative  
19 risk. This slide is slightly mislabeled, and I  
20 apologize for that.

21 What we mean here is the level of actual  
22 reporting or the efficiency of reporting. So cross  
23 out the "under," and what we're showing here is what  
24 would the cumulative risk look like in the patient  
25 population treated with troglitazone at three months,

1 six months, or eight months of use if the reporting  
2 efficiency is 25 percent, that is, if we've received  
3 25 percent of the cases. This would be an  
4 exceptionally high reporting rate in our estimation.

5 If ten percent of reports were received,  
6 this is the column that would apply. This is the  
7 number that we believe most accurately describes  
8 reporting with troglitazone, and then I've shown five  
9 percent because there was a survey of Rhode Island  
10 physicians that found reporting less than three  
11 percent of serious hospitalized or fatal ADRs, and  
12 what's beautiful about that study is that they showed  
13 that Rhode Island physicians were identical in their  
14 pattern of reporting to physicians in the United  
15 States overall. And so it's not inconceivable that  
16 the reporting rate could be as low as five percent.

17 In any event, let's just pick one cell on  
18 the slide, and I'll explain to you what it means.  
19 Let's take this one.

20 A patient on troglitazone for six months  
21 of use, and what this slide suggests is that based on  
22 the models of hazard rates and cumulative risk and  
23 adjusting for under reporting, that one in 1,800  
24 patients may have experienced acute liver failure.

25 I'll present additional data subsequently

1 that will show how this rate estimate is very  
2 consistent with the data which we have from population  
3 based sources.

4 Next slide, please.

5 This slide finally is intended to give a  
6 visual display so that you can understand the  
7 interplay of hazard rate and cumulative risk. The  
8 hazard risk is the upper line. It's plotted on a log  
9 scale along the right side Y axis, and we've got rates  
10 that go from about 50 up to 185, close to 200, and you  
11 can see the time course by time out to eight months.

12 Along the left-handed Y axis we've plotted  
13 in a linear curve the cumulative risk, and this is  
14 expressed per million users, and what we see is a  
15 steady increase in risk, and I don't have a ruler here  
16 that I can display, but it looks pretty linear to me.

17 The question is the hazard rate shows on  
18 sign of decreasing. The cumulative risk continues to  
19 rise. The longer you stay on troglitazone, the higher  
20 the risk you accumulate. The question is we know  
21 maybe what's happening out to eight months. What  
22 happens to the person who stays on troglitazone for 12  
23 months, for 24 months, for 36 months, for ten years?  
24 We don't have the data. We don't have the answers.  
25 We have this. And what we see isn't reassuring.

1 Next slide.

2 I'll now explore population based data  
3 which we have to try to come to grips with what the  
4 level of risk is that we may be dealing with with  
5 troglitazone for the development of acute liver  
6 failure.

7 Next slide.

8 This slide summarizes population based  
9 epidemiologic studies that provide information about  
10 what the background rate for acute liver failure,  
11 idiopathic acute liver failure is in the United  
12 States. Here causes such as viral hepatitis,  
13 acetaminophen overdose, and other recognized causes of  
14 acute liver failure have been removed, and then what  
15 remains?

16 The slide is sorted in terms of size of  
17 the study, in terms of how many person-years of  
18 observation were present in the study, and the larger  
19 study found a point estimate of close to one per  
20 million person-years for idiopathic acute liver  
21 failure.

22 A number of other studies failed to find  
23 any cases, but that's more a function of their lack of  
24 statistical power to detect a rare event.

25 If you were to summarize all of these

1 slides -- and I'm only doing it for instructive  
2 purposes; I'm not trying to present a meta analysis  
3 here -- the rate would be under one per million per  
4 year, but we believe that the background rate that  
5 we're working with is probably in that neighborhood,  
6 one per million per year.

7 And I would remind the Committee that that  
8 is similar to the risk of being struck by lightning in  
9 the United States over the course of a year.

10 next slide, please.

11 Before discussing this slide, I'll just  
12 mention that from the United Network on Organ Sharing  
13 we also received information through review of the  
14 literature on rates of transplantation for drug  
15 induced acute liver failure, and the rates on a  
16 population basis for transplantation from drug induced  
17 acute liver failure is .1 per million person-years.  
18 Okay?

19 So we've got a background rate of one per  
20 million per person-year for all acute liver failure  
21 and a background rate of transplantation for drug  
22 induced acute liver failure of about .1 per million  
23 per year. So those I think are very sort of  
24 complementary.

25 Okay. Now, in this slide we summarize

1 population based data on the risk of acute liver  
2 failure with troglitazone, and I'll need to spend a  
3 lot of time on this slide. So bear with me.

4 We summarize a number of different studies  
5 that have information that bear on the subject. The  
6 number of individuals in that study are shown in this  
7 column, and then the proportion of patients in each  
8 study that had six months or more treatment with  
9 troglitazone is shown here.

10 The reason why I have included this is  
11 because we don't know what the power of these studies  
12 are to find acute liver failure. We do know from the  
13 hazard rate information that we've developed that the  
14 rate seems to peak at six months and then may continue  
15 at that high level beyond that.

16 So it seemed to us that using a benchmark  
17 of six months or longer of treatment would be at least  
18 a crude indicator of a study's power to detect acute  
19 liver failure if troglitazone causes acute liver  
20 failure at the kind of rates that we're talking about.

21 We then also summarized the number of  
22 person-years encompassed in that study, and what I'd  
23 like to point out here is the whole thing about time.  
24 You can have a lot of patients, half that amount of  
25 time. You can have a lot of patients, but not a lot



1 of time.

2 Look at this. Sixteen hundred patients,  
3 less than 400 years of person-time. You can treat  
4 5,000 patients for two months and not see acute liver  
5 failure, and that study in terms of the value that it  
6 contributes is almost uninformative because it doesn't  
7 have the power to show the effect. If the effect  
8 happens later and you don't look with substantial  
9 power later, you're not going to find the problem.

10 Okay. Now, let's go and look at each of  
11 the studies. From the NDA they had 2,500 patients,  
12 about 45 percent at six months or longer, 1,400  
13 patient-years. There were no cases of acute liver  
14 failure that were identified in that study. So you  
15 get a point estimate of zero.

16 But the important thing to focus on when  
17 you're dealing with safety isn't the point estimate.  
18 It's the upper bound of the 95 percent confidence  
19 interval.

20 This Committee is most comfortable and  
21 most familiar, I am sure, in dealing with issues of  
22 efficacy. When one concentrates on efficacy, you  
23 focus on the lower bound, the lower 95 percent bound  
24 of the confidence interval, and you do that because  
25 you want to see is the effect we're seeing with this

1 drug distinguishable from whatever you're comparing it  
2 to, whether that be placebo or another drug.

3 But what you're looking at is the lower  
4 bound because the lower bound is what tells you about  
5 statistical significance.

6 When you're dealing with drug safety,  
7 you've got to flip and reverse. You've got to look at  
8 the upper bound. You have to think of it in terms of  
9 what is the capacity of this study to rule out a  
10 particular level of risk.

11 When you do that what you see is that this  
12 NDA lacked the power to rule out an incidence rate of  
13 about 2,600 per million person-years.

14 If you further dissected out this study  
15 and focused on just the patients who got six months or  
16 longer therapy, you would see that the study lacked  
17 the power to rule out a relative risk of almost 6,000  
18 per million person-years.

19 In other words, what I'm saying is  
20 although the NDA found on cases, it's more a question  
21 of a lack of statistical power to do so. It's not  
22 evidence of a lack of an association with acute liver  
23 failure and troglitazone.

24 Now let's go to the next two studies that  
25 have been -- these first three studies, by the way,

1 are familiar to the FDA and have been reviewed by the  
2 FDA. We've received information on them. The  
3 studies that I'll talk about at the end of the slide,  
4 the data have never been presented to the FDA. They  
5 have not been reviewed by us. The first time that we  
6 saw them was in the sponsor's briefing package to the  
7 Committee, but I've listed them here so that I can  
8 discuss and instruct the Committee in ways they need  
9 to evaluate these studies to understand risk.

10 We'll now move to the diabetes and  
11 prevention program study, which is done by the  
12 National Institutes of Health. This study was looking  
13 at patients with impaired glucose tolerance. So these  
14 are patients who aren't yet diagnosed with diabetes,  
15 but they're patients who are at higher risk of  
16 developing diabetes.

17 One of the arms in that study was an arm  
18 treating patients with troglitazone. So they had  
19 patients with impaired glucose tolerance being treated  
20 with troglitazone. Five hundred and eighty-five  
21 patients were enrolled in that clinical trial.  
22 Eighty-six percent of those patients had six months or  
23 more treatment. So you can see there's a real  
24 contrast there in a sense in terms of study power.

25 You know, they've got a lot of patients

1 who had exposure at the time when the risk sort of  
2 goes up the highest.

3 Person-years were about 580. On average,  
4 the patients in this study were treated for a year.

5 They had one case of acute liver failure  
6 that resulted, and that translates to an incidence  
7 rate of about 1,700 with an upper confidence bound  
8 that goes up to about 9,500 per million person-years.

9 Now, remember when you're thinking about  
10 this incident rate per million person-years, the  
11 background rate is one. So this number, this  
12 incidence rate is actually an estimate of the relative  
13 risk. That upper bound is an estimate of what the  
14 relative risk might be that this study is not capable  
15 of ruling out.

16 Then we'll go to the third study, the  
17 REACH study. This is a postmarketing study done by  
18 Parke-Davis studying the use of troglitazone in  
19 patients with Type 2 diabetes. This case produced a  
20 patient with acute liver failure when about 2,400  
21 patients were enrolled in the study. The company was  
22 kind enough to provide us with information on the time  
23 of enrollment of patients in that study or at least  
24 the number of patients in the study, and we had to  
25 make assumptions about how patients were enrolled. So

1 we made the simplest assumption one can make, which is  
2 that patients were enrolled in a continuous fashion  
3 over the time period that the study occurred.

4 If one does that, we arrived at an  
5 estimate that about 17 percent of the patients in this  
6 study were on drug for six months or longer at the  
7 time this case of liver failure occurred.

8 The total number of patient-years acquired  
9 in that study by that time point was about 780. The  
10 incidence rate from that study would be 1,274, with  
11 confidence bound that goes up to about 7,000.

12 We'll now talk about just two of a number  
13 of studies that the sponsor included in their briefing  
14 document. In their briefing document, they present a  
15 lot of studies, and they combined them. Here we've  
16 taken a couple of individual cases, studies, and we  
17 showed them individually, and we do this because it's  
18 more appropriate to look at individual studies than to  
19 group studies where one wasn't originally planning to  
20 group them.

21 So we don't believe that a meta analysis  
22 is the way to look at that. You lose certain  
23 information when you combine the data. You lose  
24 information about what the actual power of a study was  
25 to show an effect.

1           So the appropriate way to look at any  
2 study that's done to look at the risk of acute liver  
3 failure with troglitazone is to look at the study by  
4 itself, to look at the power that study has, to  
5 identify the problem we're interested in, and then  
6 what is the upper 95 percent bound on that study  
7 because that tells you what relative risk that study  
8 is consistent with.

9           A study from Glaxo was included in the  
10 submission that had 3,000 patients. We have no notion  
11 of what the demographics of use were, the duration of  
12 use. We know that the total person-years was about  
13 1,200 person years. That would work out to less than  
14 five months per patient. So clearly, this percent  
15 mark, this unknown is less than 50 percent. It's  
16 probably in the neighborhood of 30 to 40 percent, I  
17 would guess.

18           No cases occurred, but what's the upper 95  
19 percent bound? It's 3,000. This study had the bulk  
20 of the patients the bulk of the time at the place  
21 where the hazard rates were lower and hadn't moved  
22 into that period where the hazard rates get higher.

23           Now, let's go to Sankyo study, 1,600  
24 patients. These patients were treated on average for  
25 under three months. So, you know, the percent that

1 are on it more than six months might be one percent.

2 I mean we don't know.

3 No cases got produced, but look at the  
4 upper bound. It's like nearly 10,000. So the take  
5 home message from this slide is that we have some  
6 population based evidence from clinical studies. I  
7 mean both of these things were basically randomized  
8 clinical studies. DPP was a randomized clinical study  
9 that had monitoring and baseline testing and  
10 everything else. REACH was a randomized study. it  
11 had monitoring, baseline testing, and everything else,  
12 and lightning struck twice, here and here.

13 We had these other studies. They're not  
14 powered sufficiently to see the problem. They are all  
15 compatible with and all consistent with the rates  
16 observed in this study. You have to look at the upper  
17 bound.

18 Next slide.

19 I'll now present data on liver enzyme  
20 monitoring and severe liver injury in patients treated  
21 with troglitazone from the United Health Care  
22 database.

23 UHC is a health care management company  
24 with health plans in nine different states across the  
25 U.S. It maintains a research database covering 3.5

1 million people. It collects computerized data on  
2 prescriptions, diagnoses, lab tests, and procedures.  
3 It does not collect data on the results of lab tests.

4 FDA has a cooperative agreement with the  
5 United Health Care to conduct postmarketing drug  
6 safety studies. We use this database to study enzyme  
7 monitoring and the occurrence of severe liver injury  
8 in troglitazone users.

9 Next slide.

10 This slide outlines the criteria for  
11 inclusion in our enzyme monitoring study. We require  
12 that all subjects in the study have received  
13 troglitazone and have at least 90 days in the database  
14 before that first prescription to be included in the  
15 study.

16 The reason why we did this is we wanted to  
17 be sure that patients who we saw as a first  
18 prescription of troglitazone in our study were,  
19 indeed, receiving their first prescription.

20 The other criteria we had was it related  
21 to disenrollment or the end of a study interval. If  
22 the time point came where a liver test should be done  
23 and that time came after the time point when a patient  
24 disenrolled because they changed insurance plans or  
25 after a time period when the study interval ended, we



1 didn't count that patient in the denominator for  
2 calculation of a rate at that time point.

3 Next slide.

4 This cartoon helps to graphically  
5 demonstrate the design of the study. We've got March  
6 '97 when troglitazone came on the market up through  
7 about October 25th when the first "Dear Doctor" letter  
8 went out alerting the health care community about  
9 reports of liver failure with the drug.

10 Any patient who started troglitazone  
11 during this time period and who met the previously  
12 described enrollment criteria were included as cohort  
13 one. About 2,300 patients were included in that  
14 cohort.

15 We then created a second cohort out of all  
16 troglitazone users. That is bracketed by December  
17 1st, 1997. That's the date of the first "Dear Doctor"  
18 letter that recommended very specific monitoring  
19 requirements, and we included in this cohort any  
20 patient who started their first troglitazone  
21 prescription was between that date and the end of June  
22 of '98. Cohort two has, as you can see, about 2,800,  
23 2,900 patients.

24 Cohort three is comprised of all  
25 individuals who started troglitazone and met the other

1 enrollment criteria from August 1st of '98 following  
2 the July 25th "Dear Doctor" letter through the end of  
3 December 1998, 1,400 patients in the final cohort.

4 Next slide.

5 This cartoon demonstrates the analysis  
6 plan. We've got time line here. We've got a patient  
7 who receives their first prescription for  
8 troglitazone. We define the baseline monitoring  
9 period as that time going from 30 days before to seven  
10 days after that first prescription.

11 We then looked at the monthly anniversary  
12 date from that index prescription for as long as the  
13 patient remained on troglitazone and looked plus or  
14 minus seven days from that date for evidence of  
15 reporting a billing claim for enzyme monitoring.

16 Next slide.

17 This gives an overall picture of the flow  
18 of patients in the study. Within the UHC database  
19 there are almost 9,400 patients who received  
20 troglitazone, contributing a total of nearly 4,900  
21 person-years of time. Seventy-six hundred met the 90-  
22 day and prior enrollment screen, and 6,500 met the  
23 disenrollment or end of study interval screen.

24 So the data that I'm about to present now  
25 on enzyme monitoring is based on these 6,441 patients.

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 Next slide.

2 This slide summarizes the proportion of  
3 patients who started troglitazone in each of the time  
4 periods, the initial time period, the time period  
5 between the "Dear Doctor" letters, and the time period  
6 after the second "Dear Doctor" letter, the proportion  
7 who had baseline testing done, had a billing claim for  
8 baseline testing done within 30 days before to seven  
9 days after.

10 And what we see is that there has been an  
11 increase that corresponds to the "Dear Doctor"  
12 letters, but even in the final cohort, only 45 percent  
13 of patients had a baseline monitoring test.

14 Next slide.

15 This slide shows data on full compliance  
16 with monthly monitoring and baseline testing for  
17 troglitazone in this study, and to orient you, we've  
18 got each of the cohorts shown here, corresponding to  
19 the different rows, and then the number of months of  
20 treatment that a patient was experiencing.

21 And the way to read this slide, for  
22 example, is let's just take cohort three at three  
23 months. What this says is that in patients that start  
24 troglitazone in that last time period and were on the  
25 drug for three months and so were eligible to be

1 tested at that time, only 2.7 percent of those  
2 patients had a test done at three months, two months,  
3 one month, and a baseline.

4 At four months, it was less than one  
5 percent. At five months, it was zero, but we don't  
6 show the data there. At six months we had nobody in  
7 this last cohort who had opportunity to be on the drug  
8 for six months because our study period was only five  
9 months long.

10 To give the Committee a sense of study  
11 power, could we go to that previous slide for a  
12 minute, please, Lanh? Thank you.

13 To give the Committee a sense of the study  
14 power that we have here, we had 1,400 patients  
15 remember in that cohort who had a baseline test. This  
16 was 1,166 patients who were eligible; 9.3 percent of  
17 1,166 had an enzyme test done. The denominator here  
18 was 636. Here it was 366. Here it was 182, and at  
19 five months we had 16 individuals, and as I said  
20 before, there were zero individuals out here.

21 So that gives you a sense of what we're  
22 talking about here. Okay.

23 Next slide.

24 The question comes us: what's the  
25 completeness of the data that we've used based on

1 claims lag?

2 Claims lag is you go and you have the test  
3 done, and then the place where you have the test done,  
4 they've got to process the claim with the payee, with  
5 United Health Care. So United Health Care collects  
6 data on how long does it take for claims to be  
7 processed and how complete are claims at different  
8 time periods.

9 In any event, based on those analyses, the  
10 claims data for cohort one are 99 percent complete,  
11 for cohort two 99 percent complete, and for cohort  
12 three they are better than 85 percent complete.

13 Next slide.

14 In this study we also looked at occurrence  
15 of clinical outcomes in patients, and we identified  
16 three patients who had codes that may signify acute  
17 liver failure, one with a code for transplant and two  
18 with codes for hepatic encephalopathy or acute  
19 necrosis.

20 Those last two patients, they processed no  
21 claims after that hospitalization. In other words,  
22 they are hospitalized with those diagnoses, and then  
23 they ceased to file claims. United Health Care says  
24 that that is a pattern that is seen in patients who  
25 die during that event.

1           We are in the process of obtaining medical  
2 records for those. So these are not validated at this  
3 time. We have only the claims data to base it on, but  
4 based on the claims data, these are the incidence  
5 rates for possible acute liver failure, for  
6 hospitalization with drug induced hepatitis, and for  
7 the combination of both from this study.

8           Next slide.

9           There are several other issues that I have  
10 to rush through before concluding. The question comes  
11 up, well, you know, there are other drugs on the  
12 market, and they cause liver toxicity. What are their  
13 risks.

14           The purpose of this slide is to give  
15 people kind of an overall sense in a general way of  
16 what we're talking about. We went to the United  
17 Network on Organ Sharing to obtain information on the  
18 drug association, the drug that was listed -- oh,  
19 here. Let me start again.

20           For patients who are registered for liver  
21 transplantation with UNOS because of acute liver  
22 failure due to a drug, what is the drug that was held  
23 responsible that it was attributed to, that liver  
24 failure? This information was obtained from UNOS  
25 through the Division of Transplantation in HRSA, and

1 so we thank them for it.

2           What we show is the drug group, the number  
3 who were registered for transplants. From IMS Health,  
4 we obtained the number of prescriptions for those  
5 drugs that were issued over the five-year period that  
6 these data encompass.

7           And then finally, we calculate a liver  
8 transportation registration rate per billion  
9 prescriptions with 95 percent confidence intervals,  
10 just more as a heuristic thing to sort of show people  
11 what we're talking about with troglitazone.

12           We've included sulfonylureas and metformin  
13 as other diabetes drugs. There were no registrations  
14 with liver transplantation for those. So we see what  
15 we have there.

16           With troglitazone, there were three in  
17 this time period, with 7.9 million prescriptions. So  
18 this is the liver transplantation registration rate  
19 and the confidence limits.

20           For nonsteroidal anti-inflammatory drugs,  
21 these are widely reported in the literature. There's  
22 a whole literature on NSAIDs and liver disease. The  
23 thing is, yes, NSAIDs do cause liver disease, but they  
24 cause it at a low rate. It can have a population  
25 impact because so many people take the drug.

1           So if we look there, in this five-year  
2 period there were six patients registered for  
3 transplantation and 372 million prescriptions, and so  
4 you've got a number of 16 for NSAIDs.

5           Now, Bromfenac-Duract is another NSAID,  
6 and it had two cases registered with 2.6 million  
7 prescriptions, and you can see the reporting rate  
8 there.

9           Finally, we include the statin drugs, the  
10 coase reductase inhibitors. They are reported to  
11 cause liver disease, but it's not generally severe  
12 liver disease, and we had one report in 206 million  
13 prescriptions, and you can see the rate.

14           So in this analysis, very qualitatively  
15 speaking, troglitazone looks very different than most  
16 other drugs.

17           Next slide.

18           Another question comes up. Metformin and  
19 lactic acidosis, the risk that it poses to diabetics,  
20 and so we have to be able to tolerate risks because  
21 it's a risk-benefit equation.

22           Well, the data we have on metformin and  
23 lactic acidosis comes primarily from studies based on  
24 reporting rates. It's not based on population based  
25 estimates.



1                   However, there are two population based  
2 studies done in diabetics looking at the issue of  
3 lactic acidosis. One looked at patients studied who  
4 were taking metformin. The other was studying Type 2  
5 diabetics who were not taking metformin. This latter  
6 study was performed by Kaiser Permanente Northwest in  
7 Oregon, but was funded by Bristol-Myers Squibb.

8                   What we see here, however, is that the  
9 rate per million person years of observation for  
10 patients with metformin or Type 2 diabetics without  
11 metformin is identical. This is for lactic acidosis.

12                   Furthermore, all of the cases that were  
13 identified in either of these two studies had  
14 recognized factors capable in and of themselves of  
15 causing lactic acidosis. So the question arises, you  
16 know, we talk about tolerable risk with metformin, but  
17 maybe what we should have been thinking about was,  
18 well, what's the risk in the study base, the base  
19 population, diabetics in general.

20                   It may be that people who use troglitazone  
21 have the same risk of lactic acidosis as this group of  
22 patients, Type 2 diabetics, who don't use metformin.  
23 These are the only two studies that are available.  
24 There are not other population based data that we're  
25 aware of.

1 Next slide.

2 This summarizes the rates, the death rates  
3 per million person-years that seem to apply. For  
4 metformin/lactic acidosis we have a rate of 15 per  
5 million person-years. This comes right out of the FDA  
6 approved label, which says that the background rate is  
7 three per 100,000 per year, which would be 30 per  
8 million per year, but the death rate with lactic  
9 acidosis is about 50 percent. So we divided 30 by  
10 two, and that's where we get the 15, but the place  
11 where it comes from is the FDA approved label.

12 For sulfonylurea/hypoglycemia and death  
13 from that, we took the numbers from the sponsor's  
14 briefing package, 14 to 33 per million person-years,  
15 and then for troglitazone and acute liver failure, the  
16 estimates that we have are from population based  
17 studies.

18 Next slide.

19 I want to revisit under reporting once  
20 more before concluding. We presented before  
21 literature to suggest that reporting rates might be in  
22 the neighborhood of ten percent. Now that you've seen  
23 the data on the population based studies, we can do an  
24 observe to expected analysis using the rates seen in  
25 those studies to calculate what would be expected to

1 have happened in the entire U.S., compare it to what  
2 was reported, and come up with the reporting rate.

3 So from the DPP or REACH, UNC, we've got  
4 it all here. You can see for liver transplantation or  
5 death, the reporting rates, the reporting efficiency  
6 range from about two percent up to about nine percent.  
7 For hospitalization with hepatitis and troglitazone,  
8 the setting of troglitazone, somewhere between eight  
9 and ten percent.

10 We believe that these data provide  
11 internal corroboration of the literature that suggests  
12 that under reporting is substantial with troglitazone,  
13 and these data would lead us to believe that our  
14 estimate that we received only about ten percent of  
15 the cases is a fair assumption, and that the life  
16 table analysis adjusted for a reporting rate of ten  
17 percent is an accurate and fair portrayal of the data.

18 Next slide.

19 Okay. We've made it to the end, and if I  
20 have the Chairman's permission to go over my time by  
21 two or three minutes to complete these slides.

22 CHAIRMAN BONE: You recall that we were  
23 expecting to allow for the Committee members to ask  
24 questions in that time, but please wrap up.

25 DR. GRAHAM: Okay. Thank you.

1 CHAIRMAN BONE: Finish your remarks, but  
2 please be concise.

3 DR. GRAHAM: Thank you.

4 Okay. I want to make a few comments about  
5 benefit and risk and the way an epidemiologist looks  
6 at it from a population perspective.

7 We've seen today and we've heard today,  
8 this morning, that there's no question that individual  
9 patients have benefitted from the use of troglitazone.  
10 These benefits, however, have been in the short term,  
11 and in many of them they've related to things that  
12 relate to quality of life, and those are difficult  
13 things to quantitate.

14 We now have to sort of look at risk and  
15 benefits from a population perspective, and we have to  
16 realize that the major health benefits that we're  
17 talking about with diabetes therapy in general,  
18 troglitazone in particular, will be realized in the  
19 future, five, ten, 15 years down the line.

20 And I think it's important to focus on  
21 residual benefit, the residual benefit that  
22 troglitazone affords over other available treatments  
23 because that's really the appropriate benefit-risk  
24 decision to be made. It's not the total benefit of  
25 troglitazone necessarily, but it's its residual

1 benefit over other therapies if the Committee decides  
2 that those other therapies are safer than  
3 troglitazone.

4 The average troglitazone user is 61 years  
5 old. Well, what that means is competing mortality or  
6 lack of effectiveness of the drug will substantially  
7 reduce the pool of people who can stay on the drug  
8 long enough to realize these delayed benefits.

9 So what you have to think about is what's  
10 the present value of avoiding something that happens  
11 ten years or 15 years down the line.

12 Next slide.

13 The background rate for acute liver  
14 failure is about one per million per year, and for  
15 multiple population sources, we have estimates that  
16 suggest that it could be in the neighborhood of over  
17 1,000 per million person-years.

18 The life table analysis, adjusting for  
19 under reporting, suggests a risk of 1,000 per million  
20 person-years at six months of use of the drug. That's  
21 very consistent with the data that we've presented  
22 here above.

23 The question is, from the other studies  
24 that we showed that were under powered, we really  
25 can't rule out a relative risk that may be as high as

1 3,000 or 6,000 per million person-years.

2 The hazard rate is elevated with the first  
3 month of use. It peaks at six months and then appears  
4 to remain high. We see no evidence that it declines.  
5 The cumulative risk progressively increases with  
6 continued exposure. So the question is: the longer  
7 you stay on the drug, what happens to that cumulative  
8 risk?

9 Most troglitazone users, while we have the  
10 under reporting and we think that we've only got maybe  
11 ten percent of the cases, the important thing for the  
12 Committee to realize is that most troglitazone users  
13 have not yet passed through the period of peak risk.  
14 So you have to consider that as well.

15 Last slide. There are no obvious  
16 predictors of who is at risk of developing acute liver  
17 failure with troglitazone. Acute liver failure  
18 appears to be unpredictable. The point of  
19 irreversible determinism seems to occur early in the  
20 process, and this is highlighted by the issue of rapid  
21 risers.

22 Enzyme monitoring occurs at a low level  
23 and in an irregular manner. We can't point to  
24 monitoring and say that this intervention has had any  
25 impact on the incidence of acute liver failure.

1           Finally, there are no data available on  
2 the efficacy of monitoring in the wave of preventing  
3 acute liver failure. That is, we don't know if  
4 monitoring can prevent this disorder in the first  
5 place.

6           That's the end of my presentation, and I  
7 thank the Committee for their attention.

8           Oh, final slide, just to acknowledge the  
9 people who helped us from United Health Care and FDA.

10           CHAIRMAN BONE: Thank you, Dr. Graham.

11           I'm sure there will be a number of  
12 questions about the content of your presentation. I  
13 think the plan will be for both the agency  
14 presentations and the presentations by the sponsor to  
15 ask specific questions that are related to the  
16 information presented, and then to reserve more  
17 extended discussion for the time designated for  
18 discussion. We did intend to include some question  
19 time, however, in the allotted time.

20           Are there questions from the Committee  
21 regarding Dr. Graham's presentation?

22           MR. HAMMES: Richard Hammes, University of  
23 Wisconsin.

24           CHAIRMAN BONE: Dr. Hammes.

25           MR. HAMMES: To get this in a little more

1 real perspective, is there any data in terms of the  
2 death rate of diabetics over age 45 in terms of deaths  
3 per million person-years?

4 DR. GRAHAM: Oh, I'm sure that data is  
5 available, and I haven't done the research on that,  
6 but what we're focusing on here is death due to a  
7 specific cause, not death due to heart attacks or  
8 stroke or the other things that diabetics deal with.  
9 We're focusing on the issue of hepatotoxicity with  
10 troglitazone, and in that context the appropriate  
11 thing to focus on is the rate of death or the rate of  
12 occurrence, the incidence of acute liver failure.

13 CHAIRMAN BONE: Was your question related  
14 to how to relate this to potential reduction in death  
15 from other etiologies or how did you intend that to  
16 be?

17 MR. HAMMES: Well, in looking through some  
18 of the written things here, there was one letter that  
19 came in that suggested that the risk of dying from  
20 diabetes was like one in 30. Well, you know, a risk  
21 from liver failure of one in 10,000 compared to the  
22 risk of dying in one in 30 has a whole lot different  
23 perspective than a risk of being struck by lightning.

24 DR. GRAHAM: Oh, yeah. Let me address  
25 that, please, Dr. Bone.



1           That risk of one in 30 of dying of  
2 diabetes is the background rate that all diabetics  
3 face regardless of the treatment they receive. What  
4 we're looking at is the incremental increase, the  
5 relative increase in risk of death. We're looking at  
6 a very specific cause, and it may be true that one in  
7 30 diabetics die over some particular time period.

8           The fact is, however, that applies to all  
9 drugs. You sort of have to keep these things  
10 separate.

11           If you look at the relative risk estimate,  
12 what this says is if I had two diabetics or 2,000  
13 diabetics in each group and I had one group that's  
14 being treated one way and one group that's being  
15 treated another way, they're all going to experience  
16 the same background mortality rate, this one in 30 or  
17 whatever it is, but then there will be a residual  
18 difference that's due to the drug, that's attributable  
19 to the treatment they receive, and that's what we're  
20 focusing on here: the attributable death rate that  
21 occurs with troglitazone from acute liver failure.

22           And so it's important not to confuse these  
23 two things because then you lose sight of what the  
24 role and the effect of the drug is, and that's what  
25 we're focusing on here.

1 CHAIRMAN BONE: Dr. Braunstein.

2 DR. BRAUNSTEIN: Well, there's a lot of  
3 data presented. Let's see if I understand this.

4 The risk for an individual patient who is  
5 taking the drug for one year with full reporting would  
6 be one in 1,000 for having liver failure or death; is  
7 that right?

8 DR. GRAHAM: Suing the full reporting  
9 slide, not the slide adjusted for under reporting, I  
10 think we had, if I recall, at eight months it was like  
11 one in 15,000.

12 DR. BRAUNSTEIN: Well, let's say we just  
13 captured everybody.

14 DR. GRAHAM: Okay. if we capture  
15 everybody, then we go to that under reporting slide.  
16 It would be in the neighborhood probably of one in  
17 1,000. We only model it out to eight months because  
18 that's as far out as our life table permits us to go.

19 So if you take somebody, say, at six  
20 months, the example that I gave, where the cumulative  
21 risk would be one per 1,800 individuals who stayed on  
22 the drug for six months, person time-wise you have to  
23 divide that number by two because it's six months' of  
24 time for a person-year. So that would be one per 900  
25 person-years.

1           If it turns out that the hazard rate does  
2 continue and there's an increase in cumulative risk,  
3 we did not model it for this Advisory Committee, but  
4 sort of the short answer is that the absolute risk to  
5 an individual patient would be greater than one in  
6 1,800 patients. Whether it would be one in 1,500, one  
7 in 1,200, one in 1,000, I can't exactly tell you, but  
8 that's the notion of cumulative risk

9           CHAIRMAN BONE: All right. Dr. Seeff.

10          DR. SEEFF: Could I just get a  
11 clarification on the timing of the development of  
12 liver disease? You've shown some slides here about  
13 the time from jaundice to encephalopathy. You've told  
14 us, I think, that there are three manifestations of  
15 liver disease. One is jaundice. One is elevated  
16 enzymes, and one is symptoms.

17          Within each of those three categories,  
18 what does the timing from receipt of the drug to the  
19 development of each of these manifestations mean and  
20 a range?

21          DR. GRAHAM: From the receipt of?

22          DR. SEEFF: From the receipt of  
23 troglitazone, among those who develop an abnormality  
24 -- let's start with jaundice -- what was the mean time  
25 from receipt of troglitazone to the development of

1 jaundice? And I'd like to have it as a mean and a  
2 range, if possible.

3 DR. GRAHAM: Right. We don't have those  
4 data here. We have the database here so we could  
5 actually run those analyses and get the answers to  
6 those questions for you, in general.

7 But clinically, there are only a handful  
8 of patients with abnormal labs, first, and in those  
9 cases the abnormalities weren't necessarily  
10 particularly severe, and the patients with symptoms in  
11 most of those patients, the timing of the symptoms was  
12 only a matter of between a couple of days and a couple  
13 of weeks before jaundice occurred.

14 But we could do those analysis, but it's  
15 only a handful of cases. The bulk of the cases were  
16 jaundice.

17 DR. SEEFF: The primary manifestation is  
18 jaundice then?

19 DR. GRAHAM: Yeah, and the interval from  
20 symptoms to jaundice for those other patients is only  
21 a matter of days to at most a couple of weeks. It's  
22 a very short range.

23 DR. SEEFF: And see that in at least one  
24 instance the duration between, I guess, the  
25 development of jaundice and hepatic encephalopathy was

1 as short as four days.

2 DR. GRAHAM: Actually you can have  
3 patients who present with encephalopathy before  
4 jaundice. The very hyper acute cases can occur in  
5 such a fulminant fashion that patients don't have  
6 opportunity to develop jaundice before they become  
7 encephalopathic.

8 This is an interesting point, and I'm glad  
9 you raised this question because there were two cases  
10 that we had that presented with elevated ammonia  
11 levels and encephalopathy prior to the development of  
12 transaminase elevations, and I don't know if it  
13 suggests anything mechanistically, but these were  
14 patients who sort of metabolically were already in  
15 liver failure before apoptosis or whatever other  
16 process occurred began to occur.

17 CHAIRMAN BONE: There's some questions  
18 over here. Let's see. We'll just start with I think  
19 Dr. Hirsch had his hand up first.

20 DR. HIRSCH: Yeah, I just wanted to  
21 clarify one thing, if you can help me out. I'm a  
22 little confused about the fact that so few people have  
23 been taking troglitazone for more than six months. I  
24 assume this means that they're just starting to take  
25 it now.

1 DR. GRAHAM: Right.

2 DR. HIRSCH: Do we have any notion of the  
3 number of people who took it and stopped taking it for  
4 any reason?

5 DR. GRAHAM: From the data that we have  
6 available, we're not able to make any inference about  
7 what the reason was for stopping the drug, and the  
8 reason why you sort of have that slide that shows  
9 things coming down like that is, in part, patients who  
10 start it and stop it, but also it's because you have  
11 a constant infusion of new patients who start the  
12 drug.

13 DR. HIRSCH: So you don't know what the  
14 combo is.

15 DR. GRAHAM: That's correct.

16 DR. HIRSCH: How many are starting versus  
17 -- we don't have good data.

18 DR. GRAHAM: Well, we could. We didn't do  
19 that for this presentation, but we could show what the  
20 contribution was due to the influx of new patients.

21 DR. HIRSCH: It would be very interesting  
22 to know the number of people who took troglitazone and  
23 stopped taking it for all reasons.

24 DR. GRAHAM: Right.

25 DR. HIRSCH: And what that is. Obviously

1 that's a very important denominator in another  
2 calculation that I just want to point out to you.

3 I just want to make sure I'm correct in  
4 this. My mathematics here, as you were talking and  
5 going along, is something like one per 1,800 at six  
6 months in the whole population, with the ten percent  
7 reporting rate, and also your model is a linear model.  
8 In other words, it's two per 18, et cetera, et cetera.

9 So, you know, if Rezulin were something  
10 like insulin, a great drug in diabetics, you'll be  
11 taking it all the time. It isn't something ordinarily  
12 that you would stop. So it's not much of a  
13 calculation to show that at five years if your model  
14 is correct and if all the Type 2 diabetics in America  
15 were taking this, you'd have in excess of 50,000 cases  
16 of acute liver failure. That's not a hard calculation  
17 to do. Is that meaningful or is that a stupid  
18 calculation?

19 DR. GRAHAM: No. We didn't model the data  
20 beyond where they go. What we say is that based on  
21 the data we have, we're experiencing very high rates  
22 and accumulating risk.

23 DR. HIRSCH: So that is a possibility.

24 DR. GRAHAM: And that it is quite possible  
25 that that would happen, and we see no evidence to

1 suggest to us that that won't happen. I mean, you  
2 have uncertainty about what happens in the future, but  
3 based on what we see, we don't see anything to tell us  
4 that that won't happen

5 DR. HIRSCH: So that would be the major  
6 cause of liver failure kind of of all time if that  
7 were -- you know, 50,000 cases of acute liver failure  
8 would be rather remarkable, right?

9 CHAIRMAN BONE: Let's confine ourselves to  
10 specific questions, please.

11 I think Dr. Marcus had a question and  
12 we're coming right along.

13 DR. MARCUS: Yeah, I'd like to follow up  
14 on that one in 30 issue. Let us suppose the  
15 background -- there's another shoe that needs to drop  
16 on that -- the background mortality rate, let's say,  
17 per unit time is one in 30 for someone with diabetes,  
18 and if you're going to add to that an incremental  
19 mortality rate of, say, one in 600, then that's like  
20 adding another five percent to that one in 30, and so  
21 if you had a treatment which reduced mortality that  
22 one in 30 by as much as ten percent, then that favors  
23 the drug in terms of the overall effect in the  
24 population mortality.

25 But if the treatment effect is only five



1 percent, then is it fair to assume that any savings of  
2 mortality you're achieving due to the beneficial  
3 effects of the drug in general on diabetes are a wash,  
4 given the incremental mortality due to that additional  
5 five percent?

6 DR. GRAHAM: Right. Well, a couple of  
7 comments. One, I think, and I would urge the  
8 Committee not to confuse the background rate of death  
9 from diabetes with the issue of death from adverse  
10 reactions to the drug because the mortality rates for  
11 cardiovascular disease and everything else will dwarf  
12 just about anything that we're talking about here.

13 DR. MARCUS: But the drug could cause a  
14 ten percent reduction in that.

15 DR. GRAHAM: Well, it could.

16 DR. MARCUS: I'm not saying it does, but  
17 it conceivably could.

18 DR. GRAHAM: It could, and what you have  
19 to do, the way to do that analysis appropriately is  
20 not to be looking at the deaths from cardiovascular  
21 disease, but what you do is if you wanted to do it  
22 this way, you take a large cohort of troglitazone  
23 users, a large cohort of SU users, a large cohort of  
24 metformin users, a large cohort of insulin, whatever  
25 you want to do; a large cohort, and then you follow

1 them through time to see what happens. What is their  
2 mortality experience?

3 And you look and see where people drop  
4 out, you know, and what they die of, and then you can  
5 sort of see what the years of potential life lost are,  
6 and then you have to really focus in on cause specific  
7 mortality.

8 If 95 percent of your mortality is  
9 cardiovascular disease, you can look to see what is  
10 the incremental change in cardiovascular mortality,  
11 but then you also have to look at, well, what's your  
12 death rate from lactic acidosis, what's your death  
13 rate from liver failure. They have to be treated  
14 independently.

15 And when you do that and you look at these  
16 different drugs, I think you could take the major --  
17 I mean, an appropriate thing might be to take the  
18 major mortality, the major adverse side effects of the  
19 different drugs and the mortality risks associated  
20 with them, hypoglycemia with SUs, lactic acidosis with  
21 metformin if you believe that it's different than the  
22 background rate for diabetics, the risk of death from  
23 liver failure with troglitazone, and compare in  
24 comparable cohorts what the mortality experience would  
25 be, what the years of potential life lost would be in

1 those cohorts due to those adverse effects.

2 That's the appropriate way to look at  
3 this.

4 DR. MARCUS: We don't have that luxury.

5 DR. GRAHAM: No, we don't have that  
6 luxury, but you do have the rates. You have the  
7 rates.

8 CHAIRMAN BONE: Well, I think Dr. Marcus  
9 is commenting that that would be informative if we had  
10 a quantitative estimate of the beneficial effects.

11 DR. MARCUS: I guess I'm trying to model  
12 what the degree of benefit to the mortality would have  
13 to be to overcome the added increment, to overcome by  
14 a factor of ten or a factor of 100, to find out --

15 DR. GRAHAM: I think it would have to be  
16 substantial, but I'm not an expert in diabetes, but  
17 what I've read from diabetes and the experts that I've  
18 talked to in diabetes, nobody has yet made the claim  
19 that there are data that show that there's a  
20 convincing impact on mortality experience to the  
21 treatment of diabetes.

22 We do have other endpoints where there  
23 appears to be beneficial effects, and so if your  
24 outcome is mortality, I think unless you have the  
25 data, it's difficult to do that balancing.

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1                   CHAIRMAN BONE: We're going to probably  
2 hear a lot from our diabetologist to discuss that  
3 point during the discussion section. I'd like to keep  
4 our time right now on the specifics of Dr. Graham's  
5 presentation, and we'll come right around the table in  
6 the pattern we started with.

7                   And I think Dr. Fleischer has a question.

8                   DR. FLEISCHER: I wanted to know, given  
9 the relatively short duration in patients on the drug,  
10 how powerful do you think your linear model of  
11 increasing risk over time is? Because that's a really  
12 critical calculation.

13                  DR. GRAHAM: Well, when you're lost at sea  
14 without a compass, you use whatever you have, and this  
15 is a compass that we have, and what I've tried to do  
16 is use multiple compasses.

17                  We've got this model that's based on  
18 spontaneous case reports and the literature that's  
19 suggested under reporting, and it gave us one  
20 suggestion. We went to population based data and in  
21 multiple different places, you know, lightning struck,  
22 and the compass points in the same direction.

23                  And then we go back and we look at what do  
24 those studies predict about what the under reporting  
25 rates might be for liver failure with troglitazone,

1 and, lo and behold, it comes back and kind of sort of  
2 internally validates the model.

3 So I've got these different compasses, and  
4 they're all kind of pointing the same place. Now, how  
5 good a compass is it? Well, I won't know until I get  
6 to the North Pole, and so I would submit that the  
7 information is informative and that it is predictive  
8 in a certain general sense, and certainly the clinical  
9 trials all do point to a high, a high relative risk  
10 and a high incidence rate, and certainly the  
11 experience through eight months, that one in 1,800  
12 patients could experience acute liver failure on the  
13 drug, I think that that is probably not far off the  
14 mark.

15 DR. FLEISCHER: And that's at six months?

16 DR. GRAHAM: Right, and that experience,  
17 the majority of patients on troglitazone haven't  
18 reached that six-month point yet.

19 CHAIRMAN BONE: Let's see. Go ahead,  
20 Ms. --

21 MS. KILLION: Killion.

22 CHAIRMAN BONE: -- Killion. I'm sorry.

23 And then we'll come around in order,  
24 please, from now on.

25 MS. KILLION: I was looking at one of your

1 benefit-risk analyses where it says that there is no  
2 predictor of who's at risk, but when I was looking  
3 through some of the other materials, it seemed to me  
4 that you said 43 percent of troglitazone users are  
5 female, and yet when you look at the 43 cases of acute  
6 liver failure, 70 percent of them were female.  
7 Wouldn't that be an indicator of a higher risk to  
8 women for liver failure?

9 DR. GRAHAM: It may be. I'm reticent to  
10 put a statistical test to case reports, but the  
11 clinical impression is there, that women may be at  
12 higher risk. This is something that's seen with other  
13 hepatotoxins, that women are at higher risk. So it  
14 wouldn't be at all unexpected, I suppose, that that's  
15 the case here.

16 The fact is even though women have higher  
17 risk, it doesn't mean that men are without risk. What  
18 it might mean, we have a composite risk. Let's say  
19 the composite risk is 1,500 per million person-years.  
20 Well, what that means is the risk in women might be  
21 2,000 or 2,200, and the risk in men might be 1,000 or  
22 800. It's still elevated in men as well as in women,  
23 but women are subject to a higher level of risk.

24 CHAIRMAN BONE: Thank you.

25 Let's see. Dr. Colley, did you have a

1 question? No or yes?

2 DR. COLLEY: I was impressed by the  
3 abysmal adherence to recommended monitoring that you  
4 found, and my question is are you able to determine if  
5 monitoring in the most recent labeling had been  
6 adhered to, would you be able to detect those cases?

7 DR. GRAHAM: If monitoring had been done,  
8 sort of like we're agreeing that it hasn't been done,  
9 but had it been done could we have detected what,  
10 people who would have abnormal liver enzymes? Could  
11 we have prevented liver failure? Is that the  
12 question?

13 MS. KILLION: Yes.

14 DR. GRAHAM: Well, that is what I was  
15 trying to get at with the rapid riser issue, and the  
16 problem is we only had 12 cases out of the 43 where we  
17 sort of know the time course, where we've got liver  
18 enzyme measurements close enough to when liver failure  
19 was diagnosed, when they had reached that irreversible  
20 place, to sort of know what the time course was.

21 For nine of those people what we found was  
22 that they went from a normal enzyme to irreversibility  
23 in like basically one month, one monitoring interval.  
24 For the other three, they had enzyme abnormalities  
25 that were seen to increase, you know, sort of from one

1 month to a next month and then to irreversibility.

2 So we have an N of 12, and nine of them,  
3 you know, 75 percent, the answer to your question  
4 would be would fail in a monthly monitoring system to  
5 prevent that, and then the question is: for everybody  
6 else that we don't know, well, what happens to them?

7 And so we looked at the clinical content  
8 and saw no distinguishable characteristics that would  
9 allow us to distinguish who a rapid riser is from any  
10 of those people, leading us to wonder, you know, are  
11 most of them rapid risers as well.

12 CHAIRMAN BONE: Thank you.

13 Dr. Cara, you had a question?

14 DR. CARA: Yeah, I have a question that  
15 will hopefully help me separate out a little bit the  
16 apples and oranges that we're getting in all this  
17 information, and it primarily relates to the sort of  
18 numerators and denominators that you versus the  
19 sponsor are using.

20 They've limited their comments about  
21 hepatic failure and risk of hepatic failure primarily  
22 to their studies. However, when you estimated the  
23 risk of acute liver failure with troglitazone it was  
24 more of a, quote, unquote, real world sort of  
25 situation where you took patterns of troglitazone use



1 from the UHC database.

2 My question is: was that database  
3 specifically evaluated in terms of diagnosis? In  
4 other words, did you essentially take all troglitazone  
5 prescriptions? Were these specifically for people  
6 with diabetes?

7 DR. GRAHAM: We took anybody who was  
8 treated with troglitazone.

9 DR. CARA: Regardless of the diagnosis?

10 DR. GRAHAM: Well, you can't -- unless you  
11 go back to the medical records, you won't be sure of  
12 the diagnosis, and based on the age of the patients,  
13 we had very few patients who were under the age of 45  
14 who started the drug, and so --

15 DR. CARA: Well --

16 DR. GRAHAM: Well, our presumption is that  
17 most of them are Type 2 diabetics.

18 DR. CARA: Well, but that may not be an  
19 adequate presumption because troglitazone is being  
20 used more for other sorts of conditions, including --

21 DR. GRAHAM: Well, then here's another  
22 statistic then that maybe will help nail this down.  
23 Only 12 percent of the entire UHC population was on  
24 troglitazone monotherapy. All other patients, so 88  
25 percent of the patients, were on other drugs used to

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 treat diabetes. Most of them were on sulfonylureas,  
2 metformin and insulin.

3 I have a slide. We had it in the  
4 original slide show. It got cut. You saw we went a  
5 little over time. That slide would have taken ten  
6 minutes for people on this Committee to understand  
7 because of its complexity. I think it may have been  
8 in the original package that went out. I don't  
9 recall.

10 But in any event, these data would lead us  
11 to believe that the overwhelming majority of  
12 troglitazone is used to treat Type 2 diabetics, I  
13 mean.

14 CHAIRMAN BONE: Thank you.

15 Let's see. Let's just so over there. Go  
16 ahead.

17 DR. KREISBERG: Okay. Dr. Graham, I'd  
18 like to address two issues with you, and, Henry, I'd  
19 like to be able to come back to Dr. Graham after the  
20 sponsor has a chance to make a presentation because I  
21 think that --

22 CHAIRMAN BONE: Well, let's see how the  
23 program can go.

24 DR. KREISBERG: Okay. The first issue is,  
25 and I'm addressing now a slide that shows up on page

1 17 of the handout, which is called "Summary of  
2 Population Based Data on Risk of Acute Liver Failure  
3 with Troglitazone," in which you do modeling, and  
4 based upon two actual cases, you come up with specific  
5 recommended rates that range in the range of 1,200 to  
6 1,700 per million patient-years with very wide  
7 confidence intervals.

8 But I'm impressed that you even come up  
9 with confidence intervals where there are no cases of  
10 liver toxicity.

11 DR. GRAHAM: No, no. That's binomial. I  
12 mean, that --

13 DR. KREISBERG: Well, you're way over my  
14 head, but it just intuitively doesn't make much sense  
15 to me.

16 DR. GRAHAM: No, what that confidence  
17 limit tells you on a study with no outcomes is it  
18 speaks back to the power of the study to rule out a  
19 certain level of risk, and what we're saying here is  
20 that these studies are basically uninformative as to  
21 what the point estimate is.

22 They are informative, however, in telling  
23 us what the rule out level is and what one could do if  
24 thinking sort of in risk-benefit. I'll give you an  
25 example.

1 DR. KREISBERG: You don't have to do that.

2 I understand what you've said.

3 DR. GRAHAM: No, no. This is an important  
4 example to understand risk-benefit and the use of  
5 confidence limits.

6 In designing studies for safety one goes  
7 to design them to rule out an upper level of risk,  
8 that one is comfortable still permits a favorable  
9 benefit-risk analysis, and so these give us  
10 information on that, on what the upper bound might be.  
11 These studies don't exclude those risks, and so the  
12 Committee could use that information in its  
13 formulation of benefit-risks to say are we comfortable  
14 with the risks being at this particular level, at that  
15 upper 95 percent bound.

16 DR. KREISBERG: In this slide you have two  
17 documented cases of acute liver failure in five  
18 studies that you reviewed, and on the basis of those  
19 two cases, you make an estimate. Now, what I want to  
20 ask you about this particular slide is how do you know  
21 that this is not a Type 2 error, and that is, how do  
22 you know you're assuming that a difference exists when  
23 one actually doesn't exist based upon the infrequency  
24 of the events?

25 DR. GRAHAM: It has to do with the

1 background rates, that one in a million background  
2 rate, and if I had put P values on these studies,  
3 you'd see P values that are like ten to the minus six.  
4 I mean we're talking about P values that are just  
5 unheard of.

6 And to have it happen in two different  
7 studies, it's sort of the same way when you guys go  
8 and review two different clinical trials to say that  
9 the drug is effective, and you require that both of  
10 those trials be a .05 level.

11 And the reason for having two studies is  
12 because your probability of making a Type 2 error with  
13 two positive studies is like basically .05 times .05.

14 You've got two studies here where  
15 lightning struck, and the question is: what's the  
16 probability of a ten to the minus 12 event happening  
17 and not being real?

18 And then you do the modeling as well and  
19 all of the other evidence about under reporting, and  
20 it comes up with the same exact answer. So the fact  
21 that you've got zeros in these under powered sponsor  
22 studies is a fact that relates perfectly to their  
23 being under powered.

24 If I had had 20,000 patients on  
25 troglitazone for one month and I found nothing because

1 those patients hadn't gotten to the period of high  
2 risk, or 20,000 patients on the drug for one week or  
3 one day, and it gives you a certain number of people  
4 and you don't see the events, it's not told you very  
5 much about what the actual risk is because it doesn't  
6 have the ability to find it.

7 DR. KREISBERG: Can I ask my other  
8 question as well?

9 DR. GRAHAM: Go ahead and finish.

10 DR. KREISBERG: Okay. The other issue has  
11 to do with what you said and the estimate that Dr.  
12 Hirsch made about 50,000 cases of acute hepatic  
13 failure, and that sounds to me as if you're saying  
14 that this is not an idiosyncratic reaction because  
15 over time, you expect to see a cumulative increase,  
16 and that suggests that time will bring it out.

17 What's the possibility that time will  
18 bring out the reaction in those individuals who are  
19 susceptible to it, but not in those individuals that  
20 are not?

21 DR. GRAHAM: That's perfectly possible.  
22 What you're doing then might amount to sort of almost  
23 a eugenic sort of selection process though because  
24 what you're really doing then is let's propose, for  
25 example, that it's due to an underlying metabolic

1 polymorphism, and we're selecting out people who are  
2 sensitive.

3 Well, we have to identify what that  
4 polymorphism is and the mechanism and everything else  
5 to select those patients out so that they don't get  
6 exposed to the drug, and if you were able to do that,  
7 then maybe if that were the mechanism, then maybe you  
8 could eliminate acute liver failure from happening.

9 The fact is we don't know what the  
10 mechanism is. All we can do is say what we observe in  
11 the rates, and what we observe in the rates is that as  
12 far out as eight months we have not weeded the  
13 population of everybody who's susceptible to acute  
14 liver failure from troglitazone. They're still there.

15 DR. KREISBERG: Thank you.

16 CHAIRMAN BONE: Dr. New.

17 DR. CARA: Just can I just make a follow-  
18 up comment?

19 You're assuming that the population that  
20 is on troglitazone is constant.

21 DR. GRAHAM: Well, no. What I'm assuming  
22 is based on the data that we have up to now, is that  
23 you'll continue to have new people who get on board  
24 who start at month one and then have to ride basically  
25 the train through six months and then out beyond. so

1 they've got to ride the trade from a period of  
2 background rate, when they're not on troglitazone of,  
3 you know, one per million per year, hop on the train  
4 in the first month, have a rate of 56 or whatever it  
5 is with under reporting, and then take the train up  
6 the hill to 185 or whatever it is, and then follow at  
7 that high altitude until I don't know how long.

8 And so somebody who starts the drug today,  
9 these are sort of the mortality risks that that  
10 patient will have to pass through as they go through  
11 time.

12 CHAIRMAN BONE: Okay. Thank you.

13 Dr. New.

14 DR. NEW: Dr. Graham, did you control for  
15 the possibility that at the moment that there is a  
16 rapid rise or a sudden fatal hepatotoxicity that  
17 induces death, as you've just said, in the absence  
18 even of liver function abnormalities, that this could  
19 be due to the fact that somebody was taking another  
20 medication?

21 DR. GRAHAM: In virtually all of the  
22 cases, other medications were excluded. About 20  
23 percent of these patients were taking concomitant  
24 statins for hypercholesterolemia, which is the exact  
25 percentage found in the United Health Care database.



1 Acetaminophen wasn't implicated in any of  
2 the cases, and there was not a common thread in any of  
3 the cases.

4 In terms of those two case, it's not that  
5 they didn't have liver enzyme abnormalities. It's  
6 that the ammonia elevations preceded by a couple of  
7 days the development of high transaminase levels.

8 DR. NEW: Dr. Bone, I just want to refer  
9 back to Dr. Marcus' question about putting the risk of  
10 troglitazone on the background of the risk of  
11 diabetes, and I think we have to come to that because  
12 I don't think patients care whether they die of  
13 troglitazone poisoning or of diabetes.

14 DR. GRAHAM: Well, could I make one  
15 comment on that, which is --

16 CHAIRMAN BONE: We're going to have our  
17 discussion later. We're going to concentrate on  
18 specific questions about Dr. Graham's presentation.  
19 We've already spent quite a lot of time trying to get  
20 at that as pieces of information. I think we should  
21 focus on that for the rest of this time period.

22 Dr. Molitch.

23 DR. MOLITCH: I have a couple of questions  
24 that deal with the interval specific hazard rate and  
25 then the cumulative risk, and perhaps my naivety as a

1       statistician, and in addition, I have a question about  
2       the nature of the under reporting of acute liver  
3       failure and your estimate that it's only ten percent.

4               But I understand that you made a number of  
5       other efforts talking to transplant centers, UNOS  
6       registries, et cetera, to ascertain these 43 cases of  
7       acute liver failure. Do you really think, in fact,  
8       over this time period that there are 400 cases of  
9       liver failure occurring in this country and not 43 or  
10      do you feel that that 43 is actually a fairly close  
11      representation of the true number of case?

12             DR. GRAHAM: No, I think that the number  
13      is closer to 400 than it is to 43, and that most cases  
14      with acute liver failure don't make it to transplant  
15      centers, and that most patients with acute liver  
16      failure due to drug induced cause don't receive  
17      transplantation for it.

18             I mean we'll leave -- the University of  
19      Texas has -- I don't know where he gets the data from,  
20      but it's in two of his publications that only one in  
21      ten patients with acute liver failure get  
22      transplanted. So I do believe that the number of  
23      cases of acute liver failure with troglitazone is much  
24      closer to 400, and the internal consistency of the  
25      data would suggest that it's about ten times as much

1 as the number of cases we have reported.

2 DR. MOLITCH: But the internal consistency  
3 of the data, again, deals with one case in a couple of  
4 large series that come up with that same kind of  
5 figure.

6 DR. GRAHAM: Well, now, you've got the  
7 DPP. You've got the REACH study, and although it's  
8 not validated, we have from the United Health Care  
9 what appears to be data that are consistent with the  
10 other two, and then we have the modeling that we did  
11 that ends up giving us a predicted rate, that 1,000  
12 per million person-years at six months that's  
13 virtually on the nose with everything else, coming at  
14 it from an entirely different angle.

15 And so it's sort of like different threads  
16 of information coming from different sources that are  
17 unrelated, giving rise to very similar estimates of  
18 risk are what we find sort of persuasive, or at least  
19 I find it persuasive.

20 DR. MOLITCH: I just find a very thin  
21 thread, and with all of the media hype that has gone  
22 on over the last year, I wonder whether, in fact, it's  
23 still just a ten percent under reporting.

24 DR. GRAHAM: Well, what we know about  
25 stimulation of reporting, we don't see the evidence of

1 it in the cases. I mean, you know, it's always  
2 possible that some cases are stimulated, but you still  
3 have, you know -- if it turns out that we've got, you  
4 know, 12 percent of the cases instead of ten percent  
5 or 15 percent instead of ten percent, we don't have 25  
6 percent. I mean that's an unheard of reporting rate  
7 in the United States.

8 DR. MOLITCH: My second question deals  
9 with the interval specific hazard vapors as cumulative  
10 risk, and it looks like the intervals specific hazard  
11 rate does go down after six months; is that correct?

12 DR. GRAHAM: Well, what happens though is  
13 that the confidence bounds go up. So the point  
14 estimate has gown down. It's still above what it was  
15 in the preceding months, and in the last month, it  
16 flips up to a level that's intermediate, but the  
17 confidence limits on that are such that who's to say  
18 that it does in truth. We'll only know with the  
19 accumulation of additional cases.

20 DR. MOLITCH: But to take that to a  
21 specific patient situation where we have a patient in  
22 the office that we're treating, if we are seeing a  
23 patient who has had normal liver enzymes for six or  
24 eight months, is that person still at the same  
25 increased risk at month nine, is what you're say, than

1 what they were at month four?

2 DR. GRAHAM: Yes, yes. Clearly, at month  
3 four whether they're at the same risk as month six, I  
4 would feel less comfortable about saying that it's  
5 exactly the same. I would say that qualitatively it's  
6 the same. It is still far above what the background  
7 rate would be.

8 DR. MOLITCH: Was this information known  
9 when you came up with the eight month recommendation  
10 for the liver function testing?

11 DR. GRAHAM: Oh, no, no. I mean, I think  
12 that eight months -- well, Dr. Bilstad and Dr. Sobel  
13 could talk about how that number was come up with.

14 These analyses were not done until  
15 preparation for this Committee.

16 CHAIRMAN BONE: Thank you.

17 I think Dr. Temple had a question for Dr.  
18 Graham.

19 DR. TEMPLE: Yeah, I do. Just one point  
20 on the last discussion. Many times people think that  
21 the so-called idiosyncratic liver reactions are things  
22 that only occur early. So the crucial question isn't  
23 so much whether you can pin down the exact rate as to  
24 whether the risk is essentially gone after a period of  
25 time, and I think David would argue he can't pin the

1 numbers down, but he's saying it looks like it's not  
2 gone. You continue to get late cases.

3 I had a question about page 17 also. You  
4 explained why you decided not to pool the various  
5 population based data, but by doing that, in a certain  
6 sense you give the studies that didn't have any cases  
7 no credit at all.

8 So my question to you is whether you did,  
9 even though you don't think it's the right thing to  
10 do, do an overview of those studies and come up with  
11 a rate.

12 CHAIRMAN BONE: Excuse me. For the  
13 Committee, where is this?

14 DR. TEMPLE: Oh, I'm sorry. It's page 17  
15 of the handout.

16 CHAIRMAN BONE: Which handout? There are  
17 several.

18 DR. TEMPLE: David's slides. It was  
19 already referred to once. It's called "Summary of  
20 Population Based Data on Risk of Acute Liver Failure."

21 PARTICIPANT: The material we received,  
22 page 17 has something else.

23 DR. TEMPLE: NO, no. I think it's a  
24 handout that just came around. It's his slides.

25 CHAIRMAN BONE: Oh, this handout. All

1 right. Not the briefing book.

2 DR. TEMPLE: No.

3 CHAIRMAN BONE: I'm sorry. Please go  
4 ahead.

5 DR. TEMPLE: Okay. It's a list of five  
6 studies. It's the one that people have been  
7 discussing.

8 CHAIRMAN BONE: Yes.

9 DR. TEMPLE: It shows two cases among five  
10 studies. Three studies don't show any, and you have  
11 confidence limits for them, but what you don't get is  
12 what might be called an overview of those data, which  
13 you can guarantee will give a somewhat lower rate.

14 DR. GRAHAM: Well, now a couple -- okay.  
15 Thank you, Lanh.

16 DR. TEMPLE: let me just finish my  
17 question. I did it crudely. I get a rate of about  
18 500 per million, which you know, is close enough to  
19 1,500 so that it may not matte.

20 DR. GRAHAM: Right.

21 DR. TEMPLE: But it is different.

22 DR. GRAHAM: Okay. Well, a couple of  
23 things that I'd say about that, Dr. Temple. One is  
24 that there are a number of other studies that aren't  
25 shown on this slide. We can only fit so many on a

1 slide, but they were in the sponsor's briefing  
2 document.

3 If you were to contemplate grouping these  
4 studies together in some sort of meta analytic way, I  
5 would say that it's absolutely necessary to create  
6 strata that cover different time periods on drug  
7 because of the way the hazard changes over time, and  
8 that when you do that, it's a shame. This is a slide  
9 that was in the talk, but it was another one that had  
10 to get pulled out because it's too complicated.

11 If Dr. Bob O'Neill were here in the  
12 audience, he could discuss it because it was from an  
13 article that he wrote, but what it basically says is  
14 that you've got to take the patients who are on the  
15 drug, say, from zero to three months, and then for  
16 more than three months up to six months, then eight  
17 months, and take those different strata and the  
18 proportions in each study, and then basically do a  
19 Mandell-Henzel analysis that looks at the power within  
20 each of those strata to identify the risk.

21 Now, in the talk, I gave a discussion  
22 about that I did that for the NDA study, and what I  
23 found is that the grouped analysis gives you this  
24 upper bound of 2,500, but if I looked at the place  
25 where the risk is really greatest, in this group over

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525



1 six months, that the upper bound was actually 5,600 or  
2 close to 6,000.

3 And so it actually works opposite. You  
4 get the smaller confidence limit, but it's because  
5 you're grouping all this time, this two months and one  
6 month in the Sankyo study and this Galaxo study and  
7 all those other studies, and so you're diluting the  
8 effect.

9 So if you were going to do a meta analysis  
10 and you were going to combine these data, you'd have  
11 to stratify for time.

12 DR. TEMPLE: I guess I'd say that depends  
13 on what you believe most about which the data are.

14 CHAIRMAN BONE: Okay. Thank you.

15 We're going to come around, and we have  
16 other members and we'll come around. Let's see. Dr.  
17 Lewis.

18 We can leave this slide up for the moment  
19 if you'd like or just have it read to retrieve.

20 DR. LEWIS: I'd like to come back to the  
21 issue of exactly when the cases are occurring among  
22 these 43 that we know about. If we look at page 8,  
23 the slide on that that talks about the characteristics  
24 of the 43 patients, the duration of therapy is a  
25 fairly -- it's short at four days and it's up to 236

1 days. How many of the patients were actually within  
2 the first month --

3 DR. GRAHAM: Of the cases?

4 DR. LEWIS: -- of the case.

5 DR. GRAHAM: Right.

6 DR. LEWIS: Where they were only taking  
7 troglitazone for 30 days and the event occurred.

8 DR. GRAHAM: Right. I think it's  
9 unfortunate. That was another slide we had in our  
10 talk, and it got censored. I'm just saying this to let  
11 Dr. Ho know.

12 CHAIRMAN BONE: We're really short on  
13 time, and we don't need that discussion. Let's go.

14 DR. GRAHAM: I think the answer was five.

15 DR. LEWIS: So only about ten percent were  
16 in the first month. So most of these occurred within  
17 the subsequent few months, and it doesn't seem like  
18 there was anybody after eight months of treatment.

19 DR. GRAHAM: But we have no reported cases  
20 of liver failure after eight months. The problem is  
21 that the denominator of use of patients who are at  
22 risk to develop it is down there below 30 percent of  
23 the population, and so what that means is that in  
24 order to sort of produce cases that get through the  
25 under reporting and everything else, you really have

1 got to wait for time to accumulate there, and then  
2 we'll be able to test the hypothesis fairly.

3 CHAIRMAN BONE: All right. Dr.  
4 Illingworth, did you have a question?

5 DR. ILLINGWORTH: From your analysis of  
6 the results, is it possible to estimate how many  
7 patients were on other drugs or were taking other  
8 drugs briefly that are metabolized by the cytochrome  
9 P3A4 system?

10 DR. GRAHAM: Okay.

11 DR. ILLINGWORTH: And may be potentiators  
12 of inducing toxicity under stable conditions?

13 DR. GRAHAM: Right. The majority of  
14 patients, the drugs that they -- were basically only  
15 on drugs for the treatment of their diabetes. About  
16 20 percent were on statins, and then there were two or  
17 three patients who were on various NSAIDs, and there  
18 were a few patients on calcium channel blockers, but  
19 the majority of patients were on those drugs.

20 DR. ILLINGWORTH: I'm thinking  
21 specifically of a patient, say, that had been given  
22 erythromycin for an acute infection. Could that have  
23 been the trigger in some case or was that looked at?

24 DR. GRAHAM: Yeah. In no patient was  
25 there a setting like that. Patients, you know,

1 started the troglitazone. If they were on other  
2 treatments, most of the time those treatments had been  
3 continuous, and the troglitazone was added to it, and  
4 there was no like particular drug that stood out as a  
5 common thread in, you know, more than a few cases at  
6 a time.

7 CHAIRMAN BONE: I think Dr. Genuth is  
8 next.

9 DR. GENUTH: Could you put up the slide  
10 again, the infamous page 17 slide?

11 When I looked at that slide, like Dr.  
12 Kriegsberg, I was intuitively puzzled. So I'd like to  
13 ask his question just a little differently.

14 You want us to focus on the 95 percent  
15 confidence intervals and, in particular, the upper for  
16 safety purpose.

17 DR. GRAHAM: Right.

18 DR. GENUTH: So I'd like to know in your  
19 calculation, in a study where there are no events or  
20 even a study with one event, how reliable is your  
21 estimate of the upper 95 percent confidence interval?

22 DR. GRAHAM: Well, it has the statistical  
23 properties of a 95 percent upper bound, and what a 95  
24 percent upper bound says is that we can be 95 percent  
25 sure, and if you do it one sided and you make it 90

1 percent bound, we just say that there is a five  
2 percent chance that the rate that we're observing  
3 could be greater than that.

4 DR. GENUTH: No, I understood that. I  
5 want to know how reliable the 95 percent confidence  
6 intervals are when there are zero events or one event.

7 DR. GRAHAM: Well, no. I'm not a  
8 statistician either, but you use programs like SAS  
9 where they've worked out the statistics of it, and  
10 it's statistically accurate.

11 What level of, say, imprecision there is  
12 is expressed in the width of the confidence interval.  
13 That's sort of the best answer I can give.

14 DR. GENUTH: I know we're short of time.  
15 Let me try to get at it slightly differently.

16 DR. GRAHAM: Okay.

17 DR. GENUTH: When you calculate a 95  
18 percent confidence interval in a study where there are  
19 ten events versus a study where there are zero  
20 events --

21 DR. GRAHAM: Right.

22 DR. GENUTH: -- is there a difference in  
23 the confidence you can have as to what that upper  
24 bound is?

25 DR. GRAHAM: No. Well, what you know is

1 that the bound -- when you have more events in a given  
2 study, your upper bound will come in closer. So part  
3 of the problem of what we're dealing with here though  
4 is that you're dealing with an event that has a  
5 background rate that's just so incredibly low that in  
6 order to bring that bound down to, say, closer to the  
7 point estimate if the point estimate is 1,000 or it's  
8 1,200, to bring that upper bound down to 1,500 or  
9 1,800, the size of a study that you'd need to do that,  
10 I mean, dwarfs the imagination, I mean, because you're  
11 dealing with a background expected rate of one in a  
12 million.

13 And so you're sort of trapped in this  
14 dilemma, but what we're pointing in that upper bound  
15 risk is basically the incidence rate. What is the  
16 upper possible incidence rate from these data?

17 CHAIRMAN BONE: Let's see. Dr.  
18 Braunstein, did you have another question or comment?

19 Do I understand correctly then that the  
20 number of cases of idiopathic acute liver failure in  
21 the United States is about 200 per year?

22 DR. GRAHAM: That's correct.

23 CHAIRMAN BONE: Have we seen a tripling of  
24 the number of cases of idiopathic acute liver failure?

25 DR. GRAHAM: They don't collect statistics

1 on that. So you have to sort of -- you can look at  
2 transplants, but most patients don't make it to the  
3 transplant centers.

4 There's no central place where this data  
5 is all collected that you could sort of look for for  
6 secular trends. One might be able to -- I mean even  
7 death certificate data is incredibly difficult to use.  
8 So there's not an easy answer to look to see has there  
9 been a secular effect.

10 CHAIRMAN BONE: Yeah, and how many  
11 transplants are done a year?

12 DR. GRAHAM: It's about 4,000, 4,500, and  
13 as I showed you before, about six percent, it turns  
14 out, are done for acute liver failure, and of that six  
15 percent a smaller percentage, you know, less than, you  
16 know, a quarter are due to transplantation for drug  
17 induced causes.

18 CHAIRMAN BONE: Well, let me see then.  
19 That would mean that you would have how many done per  
20 year for drug induced causes? A quarter of --

21 DR. GRAHAM: Well, I mean, it's really  
22 hard to answer these questions without having -- we  
23 have a lot of these slides in sort of like background  
24 material.

25 CHAIRMAN BONE: Just please do the

1 arithmetic. You said about 4,000 liver transplants.

2 DR. GRAHAM: Right, and about six percent.  
3 Six percent of 4,000 would work out to like 240.

4 CHAIRMAN BONE: Two hundred forty, and a  
5 quarter of those would be 60.

6 DR. GRAHAM: Well, it's less than a  
7 quarter. There's a slide, Lanh, that was the UNO  
8 slide on transplants, and it was like .66 per million  
9 and .11. So about a sixth of transplants for acute  
10 liver failure are due to drug induced causes. That's  
11 like about 15 percent.

12 CHAIRMAN BONE: And you don't think that  
13 that 40 or so -- that's about 40 a year. So you think  
14 that --

15 DR. GRAHAM: Yeah, 40 or 50.

16 CHAIRMAN BONE: -- if we had ten percent  
17 of the people going to transplant, that would double  
18 the number, but you don't think you'd have picked that  
19 up in your --

20 DR. GRAHAM: Oh, not in the -- the data  
21 that we have don't go through the period of time, and  
22 actually we know from our data of like about four or  
23 five patients with hepatitis who were placed on a  
24 transplant list, and then we have the seven patients  
25 that we know were transplanted, and all of these



1 occurred before December '99, but they're not  
2 reflected yet in the UNOS data.

3 So I can't explain what the lag is there  
4 on their end.

5 CHAIRMAN BONE: All right. You can see  
6 where I was trying to get a little corroboration.

7 DR. GRAHAM: Right.

8 CHAIRMAN BONE: I think one of the  
9 concerns that many of us would have had to do with the  
10 estimate of the under reporting and the validation.  
11 I had a little trouble following the relationship that  
12 you have found between your estimate and the number of  
13 cases that you had from the HMO data.

14 DR. GRAHAM: Can I explain that?

15 CHAIRMAN BONE: Yes, concisely, please.

16 DR. GRAHAM: Okay. Take a study. Let's  
17 take the DPP study or the REACH study. Take the REACH  
18 study, and in the REACH study we had a rate of like  
19 what, about 1,200 per million person-years for liver  
20 failure. Well --

21 CHAIRMAN BONE: That's with confidence  
22 limits of 32 to --

23 DR. GRAHAM: Right.

24 CHAIRMAN BONE: -- 7,000 for those who  
25 don't have the slides.

1 DR. GRAHAM: Right, and I based the under  
2 reporting rate on the point estimate, which I think is  
3 a reasonable thing to do, and what you do is you say:  
4 well, okay, in the United States what's the cumulative  
5 person-year time of exposure to troglitazone?

6 The answer is about 676,000 person-years  
7 of time, all troglitazone use from March up through  
8 December '98.

9 Okay. Divide that number, 676,000, by the  
10 number 1,274, and that will give you the number of  
11 expected cases, and then compare that number with the  
12 number that's reported, and that's your reporting  
13 rate, and that's called an observe to expected  
14 analysis, and I had slides to talk people through this  
15 one, too.

16 CHAIRMAN BONE: Excuse me, Dr. Graham.  
17 You've made that point several times. The point is  
18 here though --

19 DR. GRAHAM: Well, no, but it would help  
20 if you could see it to focus on.

21 CHAIRMAN BONE: But it also appears to me  
22 that if you -- but when you're making that estimate,  
23 it has extremely wide confidence limits on that  
24 estimated occurrence rate.

25 DR. GRAHAM: Well, we can recalculate it

1 going with the lower bound and recalculate it with the  
2 upper bound. In the end, you're kind of left with,  
3 you know, having to make a decision, and the point  
4 estimate to me just seemed to be the fairest place  
5 because the point estimate is what was observed.

6 CHAIRMAN BONE: Well, yeah, that's one  
7 case, and that's the point that's been made here.

8 DR. GRAHAM: Well, but it's a very rare  
9 event and --

10 CHAIRMAN BONE: Let's don't have a debate  
11 about this.

12 The other question I specifically had  
13 though was relating your occurrence rate in cases of  
14 acute liver failure or hepatitis and the HMO group  
15 that you had. You had three cases of acute liver  
16 failure, I think, and four --

17 DR. GRAHAM: Yeah, that's claimed, and  
18 four of hepatitis, hospitalized --

19 CHAIRMAN BONE: And those cases have not  
20 been investigated?

21 DR. GRAHAM: We have not obtained the  
22 medical records yet. That's correct.

23 CHAIRMAN BONE: Okay. Now, did you use  
24 those estimates from that database? I thought I  
25 understood you to say that you attempted to relate

1 that to this reporting rate question, and that's what  
2 I was trying to get at here.

3 DR. GRAHAM: No. In a slide right before  
4 my summing up slides, I took the study, the DPP study,  
5 the REACH study, the UHC study, and the NDA study and  
6 calculated what the reporting rates would be using  
7 those rates just to give people a full flavor. You  
8 could cross out the UHC line if you wanted to cross it  
9 out.

10 CHAIRMAN BONE: No, I was just trying to  
11 understand.

12 DR. GRAHAM: But the fact is that actually  
13 the UHC line gives you a higher reporting rate than  
14 with the other studies.

15 CHAIRMAN BONE: Well, with unadjudicated  
16 cases.

17 DR. GRAHAM: Well, there we had three, but  
18 they're not validated yet.

19 CHAIRMAN BONE: That's right. I mean, we  
20 don't know whether they had viral hepatitis or  
21 something, too.

22 DR. GRAHAM: Well --

23 CHAIRMAN BONE: We can't use those cases.

24 DR. GRAHAM: -- we don't know it  
25 exclusively, but the codes that we have don't indicate

1 that, and usually --

2 CHAIRMAN BONE: That's what I was -- I was  
3 trying to get at how you'd use those cases without  
4 having reviewed the records.

5 DR. GRAHAM: But I think we presented it  
6 in the context of all the other data that are  
7 available.

8 CHAIRMAN BONE: I understood that part.

9 DR. GRAHAM: Okay.

10 CHAIRMAN BONE: Thank you.

11 Dr. Lewis or anyone else? We have final  
12 questions here.

13 It's now noon. So we're going to have to  
14 make some plans about how we're going to proceed after  
15 this discussion, but go ahead.

16 DR. LEWIS: Just in terms of these severe  
17 adverse drug reactions and the under reporting, is it  
18 the same for fatal adverse reactions as it is for  
19 headaches or diarrhea?

20 DR. GRAHAM: No. For hospitalization or  
21 death, the reporting rates seem to be very similar.  
22 For less severe adverse reactions, the belief is, and  
23 in places where it's been looked at, those reporting  
24 rates are even poorer than for the severe reactions.

25 DR. LEWIS: Right, because as a practical

1 matter, we're not seeing this epidemic of fulminant  
2 hepatitis due to drug or other things. I mean it's  
3 been a fairly constant rate. There are several  
4 hundred cases a year of viral hepatitis that are fatal  
5 and a number of drugs, some of which are known to be  
6 the drugs and some are not.

7 And the question about how many people get  
8 transplanted for acute drug induced liver disease  
9 that's failed, it doesn't mean that they all don't get  
10 the transplant centers. More and more people are  
11 actually being evaluated, but they don't necessarily  
12 get transplanted because of co-morbid disease. They  
13 may simply be too sick when they get there, and we  
14 don't have really good numbers on that.

15 DR. GRAHAM: Right.

16 DR. LEWIS: But, you know, whether it's 43  
17 cases or whether it's really been 430 cases, it  
18 doesn't sound like it would be the 430 from what you  
19 just said when we do have better reporting of the more  
20 severe toxicity.

21 DR. GRAHAM: Well, one thing to say about  
22 that has to do with attribution of the liver failure  
23 to a drug, and in several studies where that's been  
24 looked at even, say, for fatal INH hepatitis, the  
25 attribution gets made in only about a quarter of the

1 cases, and so you run into this problem that when you  
2 look at acute liver failure and the way it's  
3 classified by transplant centers and you have this  
4 category that's called drug induced, and then you've  
5 got this category that's called "unspecified," which  
6 is basically they haven't made the attribution, and we  
7 have examples of cases here where patients presented  
8 and the attribution wasn't made initially because it  
9 just didn't click.

10 So you have that problem to deal with as  
11 well.

12 DR. LEWIS: That runs about 25 percent.

13 CHAIRMAN BONE: All right. Let's see.  
14 Wrap-up questions as far as Dr. Graham's presentation?  
15 Dr. Genuth.

16 DR. GENUTH: I realize you don't have this  
17 data now, but in your collaboration with United Health  
18 Care, is it possible for you to learn what proportion  
19 of patients who start Rezulin stop it for lack of  
20 efficacy?

21 DR. GRAHAM: I'd have to think --

22 DR. GENUTH: In the doctor's mind or  
23 patient's mind.

24 DR. GRAHAM: Right. Such a study could  
25 possibly be designed, but what it would involve is our

1 having to go back to the physicians to understand what  
2 was going on, and that would be a more complicated and  
3 expensive study, and it wouldn't be the focus of what  
4 our cooperative agreement is intended to do, which is  
5 to study the adverse effects rather than something  
6 like this.

7 So it theoretically could be done, but it  
8 would be a difficult study for us to do.

9 CHAIRMAN BONE: Thank you.

10 Thank you, Dr. Graham.

11 This is a final question, Dr. Cara, about  
12 Dr. Graham's presentation.

13 DR. CARA: I'm concerned about the fact  
14 that when you look at the data from the sponsor,  
15 again, there is about half of their cases of acute  
16 liver failure were patients on monotherapy, whereas in  
17 your population based data you said that about 80-  
18 plus.

19 DR. GRAHAM: I don't think 50 percent of  
20 the cases were on monotherapy with troglitazone.

21 DR. CARA: Twenty out of the 43?

22 DR. GRAHAM: No, I don't think that that's  
23 correct.

24 CHAIRMAN BONE: Maybe we can clarify that  
25 during the lunch hour and have actual facts instead of



1 standing --

2 DR. GRAHAM: Right. We can check our  
3 database.

4 DR. CARA: Thank you.

5 CHAIRMAN BONE: It's now 12:06 by the  
6 Chairman's standard time.

7 (Laughter.)

8 CHAIRMAN BONE: The sponsor has agreed to  
9 give their presentation straight through following the  
10 lunch recess, which will be for -- we are really going  
11 to start at 12:45. So that's going to compress the  
12 lunch slightly.

13 Please be here in your seats ready to go  
14 at 12:45. We've got to get back on track.

15 (Whereupon, at 12:06 p.m., the meeting was  
16 recessed for lunch, to reconvene at 12:45 p.m., the  
17 same day.)

18

19

20

21

22

23

24

25

## A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(12:46 p.m.)

CHAIRMAN BONE: The first presentation of the afternoon will be the introduction by Dr. Robert Zerbe of the sponsor, and then there will be a series of presentation as you see in the program.

We are going to go straight through the sponsor's presentations since we have already taken the lunch recess. Committee members have been asked to reserve their questions until the end of the presentation.

Please be quiet in the back, please. The Committee is in session, and we're proceeding now. Thank you.

The company has advised me that they anticipate that questions that may arise during some of the earlier presentations will be answered as they go along with the subsequent ones, and in the interest of efficiency and flow, have asked that we reserve questions until after their presentation.

We'll then have a period specifically directed to questions about the presentations by the sponsor's speakers, and then we'll have a general discussion. So we'll try to stay on task on those two separate areas.

1 Thank you.

2 Dr. Zerbe.

3 DR. ZERBE: Yes. Dr. Bone, Advisory  
4 Committee members, and guests, I'm Dr. Robert Zerbe,  
5 Senior Vice President for Clinical Research and  
6 Development at Parke-Davis, and it's my pleasure to  
7 introduce our presentation on the risk-benefit  
8 assessment of Rezulin, a novel treatment for Type 2  
9 diabetes.

10 We appreciate the opportunity to share  
11 this valuable information in a scientific forum, and  
12 we look forward to your comments.

13 It was a little over two years ago when we  
14 presented for the review of this Committee the initial  
15 clinical efficacy and safety data for Rezulin. The  
16 review at that time was based on an NDA submission  
17 filed in July 1996 which showed significant improve in  
18 glycemic control when Rezulin was added to insulin in  
19 patients who were inadequately controlled with insulin  
20 alone.

21 Following a positive recommendation by the  
22 Committee, the FDA granted approval of the initial  
23 application in January 1997.

24 An SNDA submission to extend the  
25 indications to include both sulfonylurea combination

1 and monotherapy was submitted in February 1997 and was  
2 approved in August 1997.

3 In November 1998, another SNDA was filed  
4 to extend the indications to include the addition of  
5 Rezulin to patients who had failed the combination of  
6 sulfonylureas and metformin. This efficacy supplement  
7 will be discussed today.

8 Rezulin has shown excellent efficacy as  
9 demonstrated by significant reductions in hemoglobin  
10 A1c whether it is added to a treatment regimen of  
11 patients who have failed diet, failed sulfonylurea,  
12 failed insulin, or failed the combination of  
13 sulfonylurea and metformin. Clinically significant  
14 reductions in hemoglobin A1c resulted.

15 In the groups which continued previous  
16 therapy, the hemoglobin A1c either remained the same  
17 or increased, as indicated by the white bars. These  
18 clinically significant effects will be discussed  
19 extensively later in the presentation.

20 Clearly, Rezulin is quite effective when  
21 administered in a variety of situations, and this  
22 efficacy has translated into wide use of the drug  
23 since its launch approximately two years ago. Since  
24 that time over 1.5 million patients have been treated  
25 with the drug.

1                   Now, over that time, there have also been  
2 significant label changes related to safety. Dr.  
3 Bilstad reviewed these in detail. So for the sake of  
4 time, we will not repeat them.

5                   Sine the initial observation of severe  
6 hepatic failure, Parke-Davis has worked closely with  
7 the FDA and outside consultants to better understand  
8 the mechanism and epidemiology of these events. We  
9 have tried to better define populations that can gain  
10 the greatest benefit and, perhaps most importantly,  
11 professional educational initiatives were undertaken  
12 to inform physicians and patients about the importance  
13 of monitoring.

14                   Fortunately, these efforts have reduced  
15 the occurrence of severe liver events. The data shown  
16 here, which will be presented much more extensively  
17 later in the presentation, demonstrate that the  
18 reports of jaundice have been decreasing since this  
19 series of label changes were initiated by Parke-Davis.

20                   A similar trend is evidence in death and  
21 transplant reports, as you will see later in the  
22 presentation. This has occurred despite an ever  
23 increasing number of patients who are exposed to the  
24 drug each month. This decreasing incidence is due in  
25 part to the recommended monitoring, but perhaps more

1 importantly it's due to the very high awareness of  
2 this problem that has been generated and shown in  
3 surveys of prescribing physicians.

4 In today's presentation, we will outline  
5 the reasons which we believe that the benefits of  
6 Rezulin in the treatment of Type 2 diabetes clearly  
7 outweigh the highly publicized risks.

8 Following this brief presentation, Dr.  
9 Paul Watkins, Professor of Medicine and Professor of  
10 Pharmacology at the University of Michigan, who is a  
11 well recognized expert in hepatology and drug  
12 metabolism and has systematically reviewed these cases  
13 for us, will describe our current state of knowledge  
14 of the pathology, time course, and possible pathologic  
15 mechanisms of these idiosyncratic liver events.

16 Dr. Mark Pierce, Vice President of  
17 Clinical Research and Parke-Davis will discuss our  
18 estimate of incidence and the positive impact of label  
19 changes on these incidence estimates.

20 Dr. Gerry Faich, who is formerly head of  
21 the Drug Postmarketing Surveillance at the FDA and is  
22 currently President of Pharmaceutical Safety  
23 Assessment, has assisted us in this analysis and will  
24 join Dr. Pierce in the presentation.

25 Drs. Pierce and Faich will show a

1 different picture than that that has been portrayed by  
2 earlier presentations, and they will show that these  
3 events are rare, and the rate is decreasing.

4 Dr. Philip Home, Professor of Diabetes  
5 Medicine and Endocrinology at the University of  
6 Newcastle in Great Britain and Vice President of the  
7 International Diabetes Federation, will discuss the  
8 comparative risk of troglitazone. Dr. Home, who has  
9 researched and published in the spectrum of therapies  
10 in diabetes will present data which show that the risk  
11 of Rezulin treatment is comparable to the risk of  
12 therapy with other agents available to patients with  
13 Type 2 diabetes.

14 Dr. Randy Whitcomb, Vice President of  
15 Clinical Research at Parke-Davis, will present a  
16 summary of the data that demonstrate the benefits of  
17 Rezulin therapy in a wide range of Type 2 diabetes  
18 patients.

19 And finally, I will conclude the session  
20 with an overall summary and assessment of the risk-  
21 benefit ratio, which we feel remains quite positive.

22 In addition to the presenters, the  
23 following additional consultants will be available for  
24 comment:

25 Dr. Thomas Buchanan, Associate Professor

1 of Medicine at USC;

2 Dr. Andrew Drexler, Director of the Mount  
3 Sinai Medical Center;

4 Dr. Judith Jones, former Director,  
5 Division of Drug Experience at the FDA, and currently  
6 President of the Degge Group. Dr. Jones has some  
7 valuable information which we will try to include in  
8 our presentation. If that's not possible, we would be  
9 very happy to present it in the discussion period. It  
10 is relates to an employee database cohort looking at  
11 the background incidence and comparative risk of liver  
12 events in treatments for Type 2 diabetes.

13 Dr. Chris O'Conner, Associate Professor of  
14 Medicine at Duke University.

15 Dr. Jerry Olefsky, Professor of Medicine  
16 and Head of Endocrinology and Metabolism at UC, San  
17 Diego.

18 And Dr. Ken Polonsky, who also helped us  
19 in the evaluation of this, was unfortunately unable to  
20 join us, but he's head of medicine and head of the  
21 Division of Endocrinology at the University of  
22 Chicago.

23 Our series of presentations will clearly  
24 demonstrate that the risk of liver related death and  
25 transplant is low and declining. The risk compares



1 favorably to the risk of serious events associated  
2 with other available treatments; that physicians have  
3 a high awareness of the risk and the need for  
4 monitoring; and that Rezulin through its unique  
5 mechanism provides marked benefit in combination and  
6 in monotherapy to hundreds of thousands of patients.

7 I'd now like to introduce Dr. Paul  
8 Watkins, Professor of Medicine and Professor of  
9 Pharmacology at the University of Michigan, to provide  
10 a description of the liver events with troglitazone.

11 DR. WATKINS: I am Professor of Medicine  
12 and Professor of Pharmacology and Director of the  
13 Clinical Research Center at University of Michigan.  
14 I am practicing hepatologist there.

15 I have consulted at some time with most of  
16 the major pharmaceutical companies, and I've listed  
17 here the active consulting contracts I have. I do not  
18 receive, own stock in or receive research support from  
19 any of these companies, including Warner-Lambert,  
20 Parke-Davis. I also have a consulting contract with  
21 the Food and Drug Administration, but have not  
22 consulted with them on issues related to troglitazone.

23 By way of background, what we are talking  
24 about here is hepatocellular injury. This is when a  
25 drug or the metabolite of the drug injures the liver

1 cell in a global fashion, causing liver cells to leak  
2 or die, breaking open, releasing their contents into  
3 the blood, and that content included alanine amino  
4 transferase or ALT.

5 In an otherwise healthy liver, the height  
6 of the serum ALT should correlate with the extent of  
7 injury that's occurring, and by convention, three  
8 times the upper limit of normal has been considered  
9 clinically significant, although the actual degree of  
10 injury occurring at that level is quite mild.

11 When jaundice occurs in an hepatocellular  
12 injury as opposed to other types of injury, severe  
13 liver injury has occurred, and the purpose of ALT  
14 monitoring is to prevent the onset of jaundice.

15 This is a typical patient manifesting ALT  
16 elevations in the clinical trials, and what's shown  
17 here in light blue is the serum ALT as a function of  
18 time on drug with monthly monitoring, and as was  
19 characteristic, there was no evidence of liver injury  
20 until in this patient about four months on drug when  
21 the serum ALT gradually rose.

22 The drug was discontinued. You may not be  
23 able to see the yellow line there, and then the serum  
24 ALT returned to normal, indicating resolution of the  
25 liver injury.

1 Characteristically in the clinical trials,  
2 the ALT elevations were between two and seven months  
3 on drug.

4 Now, the rationale for monitoring is that  
5 by catching the ALT rise before jaundice -- you can  
6 see the bilirubin was not affected, shown in orange --  
7 you prevent progressive liver disease to jaundice and  
8 perhaps worse. So you would assume that stopping the  
9 drug at this point prevented the progression of  
10 disease, and certainly that appears to be the case in  
11 some individuals.

12 However, we were able to learn from the  
13 clinical trials that that's not always the case, and  
14 that was because in the pre-approval clinical trials,  
15 or most of them, there were no stopping criteria based  
16 on ALT, and ALT elevations were generally  
17 asymptomatic. So that in about half of the patients  
18 who developed an ALT elevation greater than three  
19 times the upper limit of normal, the physician elected  
20 to continue therapy with the drug.

21 And what's shown on this slide is one such  
22 patient who manifested the typical elevation -- this  
23 is a constricted time scale -- over a couple of  
24 months, then had the serum ALT return to normal, but  
25 in fact, stayed on the drug until almost two years

1 later when the study was discontinued.

2 And actually there were five patients in  
3 the clinical trials treated through ALT elevations  
4 greater than ten times the upper limit of normal.  
5 When the serum ALT returns to normal with this type of  
6 injury, the liver is normal, and there was never any  
7 change in the serum bilirubin, and what this tells us  
8 is that with this drug, as with other drugs such as  
9 proparthaurasil tacrin, the liver does have the  
10 ability to adapt to the initial injury.

11 We are forced, however, to stop all people  
12 whose ALTs elevate because we don't know which subset  
13 -- we're incapable of identifying them that will go on  
14 to an aggressive injury.

15 Now, I was brought in immediately after  
16 the first severe liver event was noted postmarketing  
17 in the fall of 1997, and since then I've reviewed all  
18 Med Watch reports of liver related events on a weekly  
19 basis.

20 In the last several months we formed a  
21 team. That's myself and two other hepatologists at  
22 the University of Michigan, who have contacted the  
23 institutions, tried to speak to the relevant  
24 physicians, to obtain complete information on all the  
25 cases.