

at

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PERIPHERAL AND CENTRAL NERVOUS SYSTEM

ADVISORY COMMITTEE

**ISSUE: SAFETY AND EFFICACY OF AGGRENOX
(DIPYRIDAMOLE/ASPIRIN CAPSULE (NDA 20-884))**

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Wednesday, April 28, 1998

8:30 a.m.

Holiday Inn Gaithersburg
Two Montgomery Village
Gaithersburg, Maryland
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Sid Gilman, M.D., Chairperson
Sandra Titus, Ph.D., Executive Secretary

MEMBERS

Michael H. Brooke, M.D.
David A. Drachman, M.D.
James C. Grotta, M.D.
Claudia H. Kawas, M.D.

NEUROLOGICAL CONSULTANTS

Ella P. Lacey, Ph.D.
Richard D. Penn, M.D.
Gerald Van Belle, Ph.D.

SGE CONSULTANTS (CARDIOLOGY)

Robert Califf, M.D.
Marvin Konstam, M.D.

FDA

Florence Houn, M.D.
Russell Katz, M.D.
Kathy Robie-Suh, M.D.
Lilia Talarico, M.D.
Robert Temple, M.D.

C O N T E N T S

	<u>Page</u>
Call to Order, Introductions Sid Gilman, M.D.	4
Conflict of Interest Statement Sandra Titus, Ph.D.	5
Introduction of Issues Russell Katz, M.D.	7
Presentation of Boehringer Ingelheim Pharmaceuticals	
Introduction Manfred Haehl, M.D.	10
Clinical Overview Gregory W. Albers, M.D.	47
Aggrenox Development Rationale Thomas Muller, M.D., Ph.D.	79
Clinical Trial Efficacy James Street, Ph.D.	94
Safety Kenneth J. Rakowski, M.D.	179
Conclusion Manfred Haehl, M.D.	191
Open Public Hearing	
Dena Van Husen	194
Phillip B. Gorelick, M.D., MPH	196
Mark J. Alberts, M.D.	199
FDA Presentations	
Overview of NDA Kathy Robie-Suh, M.D., Ph.D.	203
Efficacy Issues Ann Farrell	209
Statistical Review of Aggrenox Mushfiqur Rashid, Ph.D.	215
Discussion by Advisory Committee	252

P R O C E E D I N G S

Call to Order, Introductions

DR. GILMAN: Good morning and welcome. I would like to go around the table and have people introduce themselves. I will start at the left here.

DR. VAN BELLE: Gerald Van Belle, University of Washington, Seattle.

DR. GROTTA: James Grotta, University of Texas Health Center, Houston.

DR. KONSTAM: Marvin Konstam, New England Medical Center, Boston.

DR. LACEY: I am Ella Lacey, Emerita Faculty, Southern Illinois University, Carbondale, Illinois. Consumer Rep.

DR. PENN: I am Richard Penn, Professor of Neurosurgery, Rush University in Chicago.

DR. DRACHMAN: David Drachman, UMASS Memorial Health Care.

DR. CALIFF: Bob Califf, Duke University.

DR. ROBIE-SUH: Kathy Robie-Suh, Division of Gastrointestinal and Coagulation Products, FDA.

DR. TALARICO: Lilia Talarico, Division of Gastrointestinal and Coagulation Products, FDA.

DR. KATZ: Russ Katz, Division of Neuropharmacological Drug Products, FDA.

1 DR. GILMAN: Thank you all. Before we get
2 started, let me just lay out a few ground rules. At
3 meetings like this, often two people will want to speak
4 simultaneously. To avoid that, I ask that members of the
5 committee at the table will raise your hand in some way to
6 signal that you would like to speak.

7 For both the sponsor and the agency, I can assure
8 you from previous experience on this committee that we have
9 read the material thoroughly. We are familiar with it. We
10 have a number of questions and we would like to have our
11 questions addressed.

12 So when you are speaking, if we ask you a
13 question, and we will--we will interrupt from time to time--
14 please answer our question at that time. Don't say you will
15 get to it in a minute because sometimes those issues
16 disappear and they we are left in our deliberation period
17 not knowing the answer to the question. So please allow us
18 to interrupt and please answer the question as directly as
19 you possibly can.

20 We have a conflict of interest statement by Sandra
21 Titus, our Executive Secretary.

22 **Conflict of Interest Statement**

23 DR. TITUS: The following announcement addresses
24 the issue of conflict of interest with regard to this
25 meeting and is made a part of the record to preclude even

1 the appearance of a conflict at this meeting.

2 Based on the submitted agenda for the meeting and
3 all financial interests reported by the participants, it has
4 been determined that all interests in firms regulated by the
5 Center for Drug Evaluation and Research which have been
6 reported by the participants present no potential for a
7 conflict of interest of the committee with the following
8 exceptions.

9 In accordance with 18 USC Section 208(b)(3),
10 waivers have been granted to Drs. Richard Penn, Sid Gilman,
11 Claudia Kawas and Marvin Konstam. A copy of these waiver
12 statements may be obtained by submitting a written request
13 to the agency's Freedom of Information Office, Room 12A30 of
14 the Parklawn Building.

15 We would also like to disclose that Dr. James
16 Grotta was a local PI on a study of Syntex's Ticlid, a
17 competing product to Aggrenox. Further, we would like to
18 disclose that Dr. Robert Califf is the Director of the Duke
19 Clinical Research Institute at the Duke University Medical
20 Center. The Duke CRI is the coordinating center for
21 numerous clinical trials and it has received funding from
22 various pharmaceutical companies for a study of products
23 unrelated to the product at issue or to the competing
24 product.

25 Although these interests do not constitute a

1 financial interest in the particular matter within the
2 meaning of 18 USC, Section 208, they could create the
3 appearance of the conflict. The agency has determined, not
4 withstanding these involvements, that the interests of the
5 government in Dr. Califf's participation outweighs the
6 concern that the integrity of the agency's programs and
7 operations may be questioned.

8 Therefore, Dr. Califf may participate fully in the
9 committee's deliberations concerning Aggrenox. In the event
10 the discussions involve any other product or firm not
11 already on the agenda for which an FDA participant has a
12 financial interest, the participants are aware of the need
13 to exclude themselves from such involvement and their
14 exclusion will be noted for the record.

15 With respect to all other participants, we ask, in
16 the interest of fairness, that they address any current or
17 previous involvement with any firm whose products they may
18 wish to comment upon.

19 DR. GILMAN: Thank you.

20 We will move right along to Dr. Katz' overview.

21 **Introduction of Issues**

22 DR. KATZ: Thanks. Actually, the agenda is
23 somewhat misleading. I am not going to give an overview. I
24 really just asked for a couple of minutes to welcome folks
25 back. In the Division of Neuropharmacological Drug

1 Products, we have always considered this committee to be our
2 committee, sort of an extension of the Division. But
3 technically I have been told that is not correct.

4 From time to time an issue will come before
5 another division, other than ours, that needs to be brought
6 before this committee. That is the case this morning. The
7 NDA for Aggrenox, as Dr. Gilman pointed out, is the
8 Gastrointestinal and Coagulation Drug Products Division.
9 But, because the issues are neurological, it is appropriate
10 to bring it to this committee.

11 Having said that it is not "our" committee, I
12 still think of it as our committee. So I asked Dr.
13 Talarico, as the Director of the division, if I could just
14 give a welcome. I am pleased to do that.

15 Dr. Talarico's division and staff have actually
16 reviewed the data and they will be presenting the FDA's view
17 of the results of the trial. Dr. Feeney and I from the
18 Neuropharm Division have consulted with that division and we
19 are available to comment further if needed.

20 So I really just want to welcome you back, those
21 of you who are coming back. There have been many changes
22 since our last meeting which, as Dr. Gilman reminds me, is
23 quite a while ago.

24 We have a number of new members. And we have a
25 number of members who are returning. I want to welcome the

1 new members, Dr. Grotta and Dr. Roy Penix, who,
2 unfortunately, was ill and can't be here. And Dr. Lacey.
3 Welcome. I hope that your serve on the committee is
4 interesting and stimulating. I expect it will be.

5 Dr. Mike Brooke, Dr. Gerald Van Belle and Dr.
6 Richard Penn are returning to this committee after a numbers
7 of years off and are the inspirations we need to perform
8 three or four more years of thankless, underpaid, government
9 service. So we want to thank them in advance.

10 There is one other major change in the committee
11 that many of you have probably noticed and that is this is
12 the first meeting of the PCNS Advisory Committee, in over
13 twenty years, in which Dr. Paul Leber is not at the table.
14 As most of you know, Dr. Leber retired a couple of months
15 ago, and this committee, and well as many of you know full
16 well his extraordinary contribution.

17 Those of us in the division were fortunate to be
18 able to experience his influence on a daily basis, some of
19 us for many, many years. I know that the committee will
20 miss him and we at the division, of course, miss him very
21 much. I guess he is not here in the audience.

22 But, in any event, I just wanted to welcome you
23 all back, those of you who have been on the committee. And
24 I hope that the discussion is interesting. I expect it will
25 be.

1 I will go back to Dr. Gilman. Thank you.

2 DR. GILMAN: Thank you. We have been joined by
3 Dr. Claudia Kawas who is from Johns Hopkins University.

4 Boehringer is now going to begin with Dr. Manfred
5 Haehl, Senior Vice President, Medical and Drug Regulatory
6 Affairs.

7 **Presentations by Boehringer Ingelheim Pharmaceuticals, Inc.**

8 **Introduction**

9 DR. HAEHL: Dr. Gilman, Dr. Talarico, Dr. Titus,
10 members of the committee, good morning and thank you very
11 much for giving us the opportunity to present to you this
12 morning.

13 [Slide.]

14 My name is Manfred Haehl. I am the Senior Vice
15 President for Medical and Drug Regulatory Affairs,
16 Boehringer Ingelheim Pharmaceuticals.

17 [Slide.]

18 Boehringer Ingelheim seeks approval for Aggrenox
19 and its extended-release formulation product of dipyridamole
20 and aspirin which reduces the combined risk of death and
21 non-fatal stroke in patients who have had transient ischemia
22 of the brain or completed ischemic stroke.

23 Before we begin the detailed presentation of the
24 data in support of this NDA, let me please read you the
25 rationale for the development of Aggrenox and its potential

1 for the presentation of stroke.

2 Ischemic stroke is a serious and devastating event
3 in the larger group of ischemic vascular conditions. Its
4 incidence remains high at about 700,000 per year in this
5 country in spite of risk management and pharmacologic
6 approaches. Therefore, the impact, not only on the patients
7 but also their families and society, is enormous.

8 There is substantial prior information on the use
9 of anti-platelet agents both alone and in combination.
10 Safety and efficacy of those agents have been established in
11 ischemic diseases in general and in the secondary prevention
12 of stroke particularly.

13 Aspirin is the most widely studied anti-platelet
14 agent in the prevention of stroke. The most recent FDA
15 rulemaking for the professional labeling was published at
16 the end of last year.

17 [Slide.]

18 The indication in this final rule is as follows:
19 to reduce the combined risk of death and non-fatal stroke in
20 patients who have had ischemic stroke or transient ischemia
21 of the brain due to fibrin-platelet emboli. The dose in
22 this rule is 50 to 325 mg/day. It is important to note that
23 this indication was granted on the basis of a relative risk
24 reduction for the combined endpoint of stroke, TIA and death
25 in the range of 13 to 18 percent.

1 The trial of aspirin alone in patients with prior
2 TIA or occlusive stroke showed individually and in
3 metaanalyses clear benefits on stroke. However, the results
4 on death were less conclusive. The trials of dipyridamole
5 alone were both fewer and underpowered and basic research
6 suggested that the combination of aspirin and dipyridamole
7 would yield greater benefits than either agent alone.

8 For these reasons, Boehringer Ingelheim sponsored
9 two large-scale trials. First, the European Stroke
10 Prevention Study No. 1 or, in short, ESPS-1, which has been
11 a combination of aspirin together with immediate-release
12 dipyridamole in one arm against placebo in the second arm.
13 And the second study was the European Stroke Prevention
14 Study 2, or ESPS-2 which was based, in part, on the results
15 of ESPS-1.

16 ESPS-2 used a two-times-two factorial design of
17 dipyridamole extended-release and aspirin. The combination
18 dose and formulation were chosen to yield maximal and
19 sustained inhibition of platelets together with minimal side
20 effects, less frequent dosing, fewer pills, to enhance long-
21 term compliance.

22 [Slide.]

23 You will note that the pivotal trial, ESPS-2, has
24 contributed about 33 percent of the data to the world
25 literature on aspirin and stroke, 3,400 to 5,050 and has

1 more than doubled the world literature on dipyridamole in
2 stroke.

3 [Slide.]

4 ESPS-1 studied the efficacy of the combination of
5 immediate-release dipyridamole plus aspirin as compared to
6 placebo in the prevention of secondary stroke. This study
7 confirms the highly significant benefit of the combination.
8 However, due to its design, it was not able to evaluate the
9 contribution of dipyridamole or aspirin for the observed
10 effects.

11 [Slide.]

12 A pivotal study for Aggrenox is ESPS-2. It was
13 designed and it was powered to establish the efficacy of the
14 Aggrenox combination product and of its components, and
15 especially it studied the Aggrenox formulation which is
16 under review today.

17 [Slide.]

18 The Aggrenox formulation was designed to combine
19 doses of two active anti-platelet agents with distinctly
20 different modes of action.

21 [Slide.]

22 The product is formulated as a hard gelatin
23 capsule containing an immediate-release 25-mg tablet of
24 aspirin surrounded by approximately 700 extended-release
25 granules. These granules amount to 200 mg of dipyridamole

1 and have different coating to insure sustained release of
2 dipyridamole over the entire dosing interval.

3 The Aggrenox formulation was desired to enhance
4 compliance over immediate-release dipyridamole formulations
5 which require TID or QID dosing. The 20 mg of aspirin was
6 chosen to insure maximal cyclooxygenase inhibition in the
7 platelet--you know that this is a hit-and-run phenomenon for
8 the lifetime of the platelet--and, on the other hand, to
9 minimize the potential for aspirin-related adverse events.

10 The scientific hypothesis was that the two
11 distinctly different mechanisms within a rational
12 formulation with no power for kinetic interaction between
13 the two components would actually translate into important
14 benefits in the clinic, benefits which are additive and
15 superior to the monotherapies.

16 ESPS-2, in pivotal trial, did test for this
17 hypothesis in a double-blind, randomized, four-armed
18 parallel design in over 600 patients with preceding TIA or
19 completed ischemic stroke.

20 The patients were recruited in 59 centers from 13
21 countries all over Europe.

22 [Slide.]

23 The study demonstrates the highly significant
24 superiority of Aggrenox both over aspirin and dipyridamole
25 in stroke prevention. We will demonstrate to you that this

1 superiority can be achieved without additional safety risk.

2 While the outcomes for the prevention of stroke
3 are of powerful significance, the rate for deaths in the
4 study was too low to establish a significantly signature
5 reduction. Nevertheless, the trends, both for Aggrenox and
6 for aspirin, were positive. They were comparable to each
7 other and, even more important, and notably, they were
8 consistent with prior experience and the recently published
9 FDA-approved labeling for aspirin.

10 [Slide.]

11 Finally, all significant results in ESPS-2 are
12 robust and reproducible independent and regardless of the
13 statistical methods applied. The powerful superiority
14 results from ESPS for stroke prevention and with the
15 consistent trend for combined death and stroke, we would
16 like the committee to consider that the indication for
17 Aggrenox should be harmonized with the approved indication
18 for aspirin.

19 [Slide.]

20 In addition, we would like to ask you to consider
21 how the label for Aggrenox can reflect the robust
22 superiority of Aggrenox for the endpoint of stroke.

23 Finally, we will show you that ESPS-2 meets the
24 FDA requirements for a single trial to support the
25 approvability of a product as shown on this slide.

1 [Slide.]

2 In addition, we will show that Aggrenox complies
3 with the FDA guidance on combination products in which the
4 sponsor must provide evidence of the contribution of each of
5 the components.

6 [Slide.]

7 Before I now hand over to the next speaker, I
8 would like to take a moment to mention the academic experts
9 which are in attendance with us today. They are Dr. Donald
10 Easton, Professor and Chairman of Neurology, Brown
11 University, Rhode Island Hospital; Dr. David Sherman,
12 Professor and Chief of Neurology, The University of Texas
13 Health Science Center; Dr. Charles Hennekens, Visiting
14 Professor at Miami and Oxford, U.K.

15 [Slide.]

16 Dr. John Pathy, Director and Emeritus Professor,
17 Health Care Research Unit, University of Wales. Dr. Pathy
18 also was the Chairman of the Independent Morbidity and
19 Mortality Assessment Group of ESPS-2.

20 Ladies and gentlemen, unfortunately, I have to
21 tell you that Dr. Diener from the University of Essen, who
22 was one of the principle investigators of ESPS-2, wanted to
23 attend but could not come because his mother had to undergo
24 acute surgery.

25 [Slide.]

1 Following this introduction, four additional
2 speakers will now present to you. Dr. Greg Albers of
3 Stanford will present an overview of the current management
4 of recurrent stroke and where Aggrenox will fit. He will be
5 followed by Dr. Thomas Muller from Oldenburg, Germany, who
6 will review the pharmacological and the pharmacokinetic
7 rationale for the formulation of Aggrenox. The clinical
8 efficacy and the clinical safety will be presented by Drs.
9 Street and Rakowski from Boehringer Ingelheim
10 Pharmaceuticals. I will follow with concluding remarks.

11 Ladies and gentlemen, I would like to conclude my
12 introduction with my sincere appreciation to the committee
13 for their consideration and for their advice.

14 Finally, I would like to introduce Dr. Greg
15 Albers, Director of the Stanford Stroke Center, who was the
16 lead author of the recently published American College of
17 Chest Physicians Sixth Consensus Conference on
18 Antithrombotic Agents in the Management of Stroke.

19 Dr. Albers will review the current treatment of
20 stroke and place in perspective where Aggrenox might fit in
21 the treatment armamentarium.

22 Thank you for your attention.

23 DR. GILMAN: Dr. Haehl, please don't leave the
24 podium just yet. We have been joined by Drs. Houn and
25 Temple. Could you introduce yourselves.

1 DR. HOUN: Florence Houn, Office of Drug
2 Evaluation III. Thank you.

3 DR. TEMPLE: I am Bob Temple. I am Associate
4 Director for Medical Policy. Thank you.

5 DR. GILMAN: Dr. Haehl, you mentioned a number of
6 issues that I think, perhaps, we should ask you about at
7 this point unless you prefer to defer to some of your
8 colleagues. Question 1 concerns one of your slides where
9 you described Aggrenox's proposed indication is to reduce
10 the combined risk of death and non-fatal stroke in patients
11 who have had transient ischemia of the brain or completed
12 ischemic stroke.

13 The original protocol, as I understand the
14 situation, specified two endpoints. One is stroke. The
15 second is all-cause death. Then, later, a third endpoint
16 was added, apparently, which would be the composite endpoint
17 stroke and/or death.

18 What you have shown is that there is an effect
19 upon stroke that seems beneficial to your ingredient
20 components. But I don't believe that you have shown that,
21 in fact, it is effective for the combined problem of stroke
22 and/or death.

23 Could you address that question? It had a couple
24 of parts. One is is this a reasonable request or reasonable
25 indication in light of the findings, first. And, second,

1 can you explain the change in the endpoints?

2 DR. HAEHL: Dr. Gilman, you find me in a conflict
3 now. The conflict is should I obey to your suggestion to
4 immediately answer or should I tell you that we have
5 prepared a presentation which will address that.

6 I will try to answer briefly and attend to it and
7 then more extensively later. Yes, the primary endpoints of
8 the study ESPS-2 were conducted in stroke endpoints and
9 mortality. This was a European study. When we decided,
10 because of the very, very significant and beneficial outcome
11 of the study, that we would not want to hesitate to also
12 propose this formulation for registration in the U.S., we
13 had a pre-NDA meeting with the FDA.

14 In the flavor of also looking at the effects of
15 aspirin where ESPS-2 has shown a major contribution of the
16 data available for aspirin, it was agreed to also look at
17 the endpoint combined stroke and death. Dr. Street will
18 address this in detail.

19 DR. GILMAN: Is it your view that the results of
20 this trial, ESPS-2, in fact, did show that this medication
21 is effective in patients for the combined risk of death and
22 non-fatal stroke?

23 DR. HAEHL: Our assessment is that the results
24 obtained for ESPS-2 for this combined endpoint are
25 absolutely consistent to prior experience which was in the

1 aspirin label. Therefore, we consider that as a
2 confirmation of the database.

3 DR. GILMAN: Questions from the rest of the
4 committee?

5 DR. DRACHMAN: I have one question. Would you say
6 something about the most frequent cause of death, meaning
7 those with stroke, and the relation of aspirin to heart
8 disease, the dosage levels?

9 DR. HAEHL: Could you repeat precisely for me your
10 question. Are you talking about the results of ESPS-2 and--

11 DR. DRACHMAN: That, or more generally, the reason
12 for death in most people with stroke--in other words, if
13 this formulation was designed to prevent death, then one
14 needs to think of why those with stroke die and, given that,
15 how this medication would relate to those known causes of
16 death.

17 DR. HAEHL: I would like--Dr. Street, could you
18 show your slide on the mortality outcome in ESPS-2?

19 [Slide.]

20 DR. HAEHL: I am showing you a slide which will
21 come up in the later presentation and maybe will somewhat
22 disrupt the presentation and take it out of context.

23 DR. GILMAN: Please answer the question, if you
24 can, even briefly now. You can get back to it later.

25 DR. STREET: I believe I am not prepared with the

1 slide here. We have only the total mortality slide. We
2 examined that. My presentation, that would be figure No. 24
3 of my slides. The primary factorial analysis is where the
4 supportive pairwise comparisons start at 26. First maybe we
5 should start with the slide to get a picture of the overall
6 mortality.

7 [Slide.]

8 Here we see that there are very few little
9 differences between the curves. This is the aspirin curve
10 on top in yellow. The green is Aggrenox. By the end of the
11 two years, the planned endpoint of the study, most of the
12 curves have converged. Placebo in blue is slightly less.
13 These amount to very few patients' deaths saved per thousand
14 treated.

15 I could look those up if you wish, but
16 approximately 10 on aspirin--13 on aspirin, 10 on Aggrenox--
17 but very moderate-sized reductions.

18 DR. DRACHMAN: The question was what did they die
19 of? What are the diagnoses leading to death? What did they
20 die of?

21 DR. HAEHL: Since this is a clinical question, may
22 I ask Dr. Hennekens to give us his perspective on that, if
23 you permit.

24 DR. HENNEKENS: First, by way of background, I
25 chaired an aspirin strategy group that petitioned the FDA

1 for indications for aspirin in this situation. The same
2 issue came up with the aspirin data which related to the
3 fact that the trials are designed to test a particular
4 outcome and all-cause mortality is certainly not an outcome
5 for which trials of the usual size will have adequate power,
6 let alone cause specific mortality.

7 So, I think that with that as the caveat, there
8 was no significant reduction from aspirin alone on death,
9 but the combined endpoint of stroke plus death in patients
10 with TIA and stroke did show a significant result, and the
11 labeling indication was granted. So, I think that is
12 background.

13 Now, with regard to the causes of death, there is
14 a variety of causes of death that basically are a major
15 contributor here is death from stroke, and it shows the
16 expected reduction, however, the numbers are just
17 insufficient on which to make a firm judgment for death
18 alone, let alone cause specific mortality, and I think that
19 is an important methodologic point that has to be given, yet
20 the data are consistent with reductions in stroke deaths.

21 DR. GILMAN: Dr. Temple had a question.

22 DR. TEMPLE: Actually, I have a comment.

23 In devising endpoints for these intervention
24 trials, historically, people have tried to identify cause-
25 specific mortality, that is, the person died of a heart

1 attack, the person died of sudden death, the person died of
2 a stroke, and it is treacherous business, so the advice we
3 often give--not always accepted I should tell you--is that
4 you should look at total mortality, and not worry too much
5 about your ability to separate the causes of death, because
6 it is very difficult, it is after the fact, and you often
7 can't do it.

8 So, it is not uncommon in a lot of the trials we
9 give advice about for the endpoint to be total mortality
10 plus the event of interest, such as stroke. You know, one
11 can even make a case for throwing MIs into that endpoint,
12 too, because the populations get all of these things.

13 Overviews of aspirin data, for example, have
14 consistently shown that people with stroke get heart attacks
15 and stroke and die of what appear to be heart attack,
16 stroke, and things like that, and people with heart attacks
17 get stroke and die of heart attack, stroke, and all those
18 things.

19 So, the causes of death in these atherosclerotic
20 populations are pretty similar across the board, but the
21 main thing I want to say is our experience has told us it is
22 not easy to figure out the cause of death after the fact.
23 It is very difficult. So, often total mortality is the
24 endpoint chosen or the component chosen.

25 DR. GILMAN: Since we are talking about this, that

1 is a point, how, in fact, did the sponsor determine the
2 cause of death. Sometimes these would happen at home,
3 sometimes in hospital, yet, you had regular follow-up
4 visits, how was it ascertained what the cause of death might
5 have been in this trial.

6 Dr. Haehl.

7 DR. HAEHL: We had a morbidity and mortality
8 assessment committee, and Professor Pathy was the chairman
9 of this committee, and I would invite Professor Pathy to
10 comment how the cause of death was assessed.

11 DR. PATHY: Thank you, Chairman.

12 Firstly, of course, the trialist reported back on
13 the cause of death as he saw it, but the MMAG had to make
14 certain clear-cut definitions to ensure consistency of
15 reporting. Thus, anyone having an endpoint stroke is very
16 likely to have other events, such as pneumonia, particularly
17 aspiration pneumonia, equally somebody having a myocardial
18 infarction is likely to have congestive heart failure.

19 Therefore, we made a very clear-cut decision that
20 a patient having a stroke, an endpoint stroke and dying
21 within 30 days of that stroke would be classified, the death
22 would be classified as stroke, though the trialist may have
23 written down chest infection, but we would still label it as
24 death from stroke if it occurred within 30 days.

25 Similarly, if a patient died within 30 days of a

1 myocardial infarction, despite the fact that the trialist
2 might label it as congestive heart failure, we would label
3 the cause of death as a myocardial infarction.

4 So, we had to have long-term consistency in the
5 trial a certain specific criteria, diagnostic criteria.

6 DR. GILMAN: Thank you. That does help.

7 Dr. Katz, did you want to comment?

8 DR. KATZ: I just was going to, before this last
9 comment, reiterate that the comment that Dr. Temple gave,
10 which is that it is true that it is difficult to ascribe any
11 sort of a cause to mortality, and it is also true for
12 stroke, because that is going to be an issue about stroke-
13 related death, and it is very difficult, and the comment we
14 just heard suggested that there were some criteria, where
15 there were some prospective criteria, I guess there were,
16 about what was a stroke-related death, that is arbitrary, as
17 well, and doesn't necessarily mean that the deaths were
18 actually related to stroke.

19 I think again, as Dr. Temple pointed out, I don't
20 know how important this question is really in the overall
21 schema. I mean we are looking over a mortality which is
22 probably a reasonable way to look at deaths.

23 DR. GILMAN: Dr. Haehl, you commented when you
24 were discussing the use of DP and aspirin in combination
25 that you view these as mutually beneficial approaches drugs,

1 but you haven't commented on the rationale for the dose.

2 Why did you choose 25 milligrams of aspirin, 200
3 of DP? Did you do dose finding studies?

4 DR. HAEHL: I explained that from the development
5 point of view, we chose the 25 mg b.i.d. because we expected
6 that, first of all, 50 mg daily would completely suffice to
7 knock out cyclooxygenase in the platelet, and that is an
8 effect which will last for the whole lifetime of the
9 platelets or nine days roughly, and this is an important
10 contribute to platelet aggregation.

11 Secondly, we were convinced that 25 mg b.i.d. are
12 an effective dose of aspirin, however, would minimize the
13 risk for the aspirin-induced adverse events, and again I
14 would like to ask Dr. Hennekens to comment and share with us
15 his experience and his interpretation of the dose response
16 of aspirin as to safety.

17 DR. HENNEKENS: In the antiplatelet trialist
18 collaboration, we found that the benefits of aspirin were
19 present across a wide range of doses, from about 30 to 50 mg
20 up to really several grams a day, but the most striking
21 finding was the difference in the side effect profile.

22 In addition, working with Paul Ridker, we did
23 plated aggregability and bleeding times for a dose of 50 mg
24 a day for the Women's Health Study of aspirin in 40,000
25 women funded by the NIH, which is giving 50 mg of aspirin a

1 day and placebo, and found that we got complete inhibition
2 of platelet-dependent cyclooxygenase for the life of the
3 platelet.

4 These data in our small pilot are consistent with
5 the prior seminal work of Garrett Fitzgerald of Carlo
6 Patrono and Babette Weckslar confirming that this dose will
7 give inhibition to platelets, and also from the antiplatelet
8 trialist data at minimal side effects, so it is an optimal
9 dose with regard to inhibiting platelet aggregation and
10 minimizing side effects from aspirin.

11 DR. GILMAN: Thank you. And dipyridamole, how did
12 you choose the dose of dipyridamole?

13 DR. HAEHL: Dipyridamole, we choose with respect
14 to its ability to inhibit adenosine uptake, and again here
15 the rationale, where Dr. Muller will refer to and show you
16 also slides to that, was that we wanted to obtain an 80
17 percent inhibition of adenosine uptake because we believed
18 that 80 percent is a relevant inhibition which will
19 translate into clinically important inhibition of platelet
20 aggregation. Just to tell you that we have determined IC50
21 values for this mechanism, and we translated that into
22 concentrations which we would need in plasma.

23 DR. GILMAN: So you did no dose finding studies
24 then.

25 DR. HAEHL: For outcome studies of this type, we

1 did not feel the possibility to do Phase II-A type dose
2 finding studies.

3 DR. GILMAN: Dr. Califf.

4 DR. CALIFF: I guess we are covering a lot of
5 background things, so I will just keep going here for a
6 little bit.

7 There were two questions I had that are less--
8 well, that I would regard as background that I would like to
9 hear a little discussion on.

10 One is the use of placebo. How is the use of
11 placebo justified in light of all the other data about the
12 efficacy of aspirin for patients with vascular disease?

13 Secondly, in the population data at least that I
14 saw, there is really a very homogeneous cultural ethnic
15 background of the population, and would you propose that
16 extend the findings to all races and ethnic backgrounds
17 based on these data?

18 DR. HAEHL: The ESPS-2 was a study which was
19 performed all over Europe, and coming from Europe, I would
20 consider that Europe is a relatively large pool, genetic
21 pool, and it covered all ethnic groups of Europe.

22 However, clearly, we don't have information on
23 ethnic groups, especially specific to America or the United
24 States, so we certainly do not have included African-
25 Americans in this study.

1 From the preclinical data, from pharmacokinetic
2 data which we have, and from based on the pharmacologic
3 mechanisms, we do not believe--and I underline we do not
4 believe--that there is an important difference in the clinic
5 between ethnic groups, but specifically for those in
6 America, we have not investigated that.

7 Again, I would like to ask one of our clinician
8 advisers, Dr. Albers maybe, whether he could comment on his
9 interpretation of differences both in the treatment of
10 stroke and also in the ethnic differences and the effects of
11 Aggrenox between the two continents.

12 DR. ALBERS: I don't think we have data from any
13 of the antiplatelet stroke prevention trials to suggest that
14 there is a different response between different ethnic
15 populations. One of the issues with ethnic compilations
16 that some of them have more risk factors, and we have
17 evidence from the ESPS-2 trial that patients with risk
18 factors, particularly hypertension, diabetes, did appear to
19 respond in a similar manner. I think that is as close as we
20 are going to be able to come to extrapolating and saying
21 that we don't have anything specific that would indicate
22 that different populations would be expected to respond
23 differently, although, as mentioned, there is no specific
24 data in African-Americans.

25 DR. HAEHL: I didn't answer your first question as

1 to placebo, the use of placebo. May I have a slide.

2 [Slide.]

3 I think we have to separate between our today's
4 point of view and the point of view when the study was
5 initiated. That holds true for the inclusion of placebo.
6 It also holds true for several other aspects of the
7 methodology of clinical trials.

8 The placebo was included because of, at that time,
9 conflicting results from previous stroke trials. The
10 placebo comparison was perceived to be necessary to assess
11 the potential benefits of low-dose aspirin at that time, and
12 at the beginning of the trial, all 60 independent ethical
13 review committees agreed that the use of placebo was
14 appropriate, as did the Central Ethics Review Committee, and
15 as did, of course, all the participating investigators.

16 It is clearly an issue from today's point of view
17 and especially with the results of ESPS-2 in hands, we would
18 never suggest to do again a placebo-controlled trial, but
19 that is the development of knowledge and experience.

20 DR. GILMAN: Thank you.

21 Dr. Katz.

22 DR. KATZ: A couple of questions. About the
23 statement that there were no evidence that there were racial
24 differences in response, has that been actually
25 investigated, or has that question really not just been

1 examined adequately?

2 DR. ALBERS: It is extremely difficult to examine
3 because of the sample sizes needed to show a benefit of an
4 antiplatelet agent for stroke prevention. You generally
5 need studies of several thousand patients in the trial
6 minimum to show a benefit.

7 So, conceiving of doing a trial where you are
8 going to have that appropriate power in individual racial
9 groups, that hasn't been done, although currently there is
10 an ongoing study that is looking just at African-Americans
11 with two different antiplatelet agents.

12 But the point that I was making is within the
13 limitations of the study, which clearly are underpowered
14 limitations, no obvious differences have been noted in terms
15 of one racial group responding differently to an
16 antiplatelet agent than another.

17 DR. KATZ: Let me just ask you, I don't know those
18 data, the representation, the degree of representation,
19 let's say, of African-American patients is presumably quite
20 small. I mean it is one thing to say within the limits of
21 the data there is no obvious difference, but if the data are
22 so limited, it's hard to say anything about it presumably,
23 so I mean are they that limited?

24 DR. ALBERS: In most of the stroke prevention
25 trials, specifically African-Americans have been very

1 limited. I think that was one of the rationale for the NIH
2 to fund a specific trial looking just at African-Americans.

3 DR. KATZ: What about in-vitro work and the effect
4 on cyclooxygenase activity or whatever else you look at with
5 these agents, using platelets from African-Americans, has
6 that been looked at?

7 DR. ALBERS: I don't have any data on that. I
8 don't know if any of the other experts know of any specific
9 studies that have looked at that issue.

10 DR. HAEHL: We have information that
11 pharmacokinetically, it behaves--dipyridamole behaves in the
12 same way in whites and in African-Americans.

13 DR. KATZ: Kinetically, but not necessarily
14 mechanistically?

15 DR. HAEHL: I am not aware that we have
16 investigated platelets of different ethnic origin.

17 DR. KATZ: I just had another question earlier
18 about the dose response.

19 DR. HAEHL: To the question under discussion, Dr.
20 Gilman, Dr. Hennekens would want to comment.

21 DR. GILMAN: Yes, please.

22 DR. HENNEKENS: I wanted to emphasize Dr. Albers'
23 important point. It is true that there is a difference in
24 the rates of these diseases that are occurring by ethnic
25 group, but the question is do we have a priori any reason to

1 suspect that there is a difference in the relationship of
2 the agent to the disease, not the disease incidence itself.

3 My own view of this is I feel that a study that
4 includes 5 percent or even 10 percent of African-Americans
5 is potentially more damaging than one that excludes them
6 completely. If you want to get the answer, you must have a
7 sufficient number of people to answer that question
8 definitively, and the inclusion of 5 percent or 10 percent
9 is not going to answer that question.

10 I think it may be politically correct, but I think
11 it is scientifically incorrect. I think the way to do the
12 study is the way the NIH is doing it in that population to
13 get a reliable answer to that question.

14 DR. KATZ: I don't disagree. I am just trying to
15 make, to sort of bring out the point that there really is
16 not very much known about the effects in that population,
17 and if the intention is to rely on one trial done not in
18 this country, these are issues that I think are worth
19 thinking about.

20 The question I had also, if I could, about dose
21 response in aspirin and the choice of the dose, are there
22 any trials that look directly, compare directly within one
23 trial, various doses of aspirin, and has a dose response
24 been shown in those?

25 Just the other half of that question is what about

1 the side effect. You say there is a dose response with side
2 effect, but what does that look like? I mean where does
3 that start, where does the dose of aspirin start to be a
4 problem?

5 DR. ALBERS: In terms of the efficacy comparison,
6 there are three trials that have given head-to-head
7 comparisons of aspirin dose. There was a Dutch TIA trial,
8 which was a large study, looking at a 30-mg dose versus
9 about a 300-mg dose, showing no difference.

10 There was a trial in the UK that looked at about a
11 300 mg dose versus a dose close to 1,000 mg, so medium
12 versus high dose, and showed no difference in efficacy, and
13 then I will show you some data in a few minutes about a more
14 recent study that looked at carotid endarterectomy patients
15 and compared low doses to high doses, and actually showed
16 benefit of low doses over high doses.

17 Do you want to comment further about the side
18 effect profile?

19 DR. HAEHL: Dr. Albers, I would just put up a
20 slide in support of your--so that is the summary on a slide
21 for the different doses in terms of efficacy for doses from
22 100 mg up to 900 mg.

23 DR. HENNEKENS: With regard to the side effects
24 issue, in the UK TIA trial, approximately 800 patients were
25 randomized to placebo to 300 mg a day or 1,200 mg a day.

1 With regard to GI side effects, the rates were 24 percent in
2 the placebo group, 29 percent in the low-dose aspirin group
3 of 300 a day, and 39 percent in the 1,000 mg a day.

4 Now, if one looks at those differences, they are
5 statistically significantly different, not just between the
6 high dose and placebo, but between the high dose and low
7 dose, and the low dose was closer to the placebo in
8 frequency than it is to the high dose.

9 With regard to GI bleeding, it was 1.6 percent in
10 placebo, 2.6 percent in the low dose, and 4.9 percent in the
11 high dose. Furthermore, in the antiplatelet trial--those
12 are direct comparisons--the indirect comparisons in the
13 antiplatelet trial as collaborations show that the lower
14 doses were associated with even fewer side effects, and also
15 that 30 to 50 mg is enough to maximally inhibit platelet-
16 dependent cyclooxygenase for the life of the platelet.

17 So, I think there are compelling reasons for this
18 dose with regard to efficacy and with regard to safety, as
19 well.

20 DR. GILMAN: Dr. Drachman and then Dr. Penn.

21 DR. DRACHMAN: I am a little puzzled. If 50 mg
22 totally suppresses cyclooxygenase, what is the basis of more
23 bleeding with larger doses? What are the other effects of
24 aspirin on bleeding tendency?

25 DR. HENNEKENS: There is evidence there are direct

1 toxic effects of the aspirin, for example, in the stomach,
2 and that is also related to the dose, so that we have--

3 DR. DRACHMAN: On bleeding effects.

4 DR. HENNEKENS: Yes, on bleeding, yes.

5 DR. GILMAN: Do you want to address that question?
6 Go ahead, Bob.

7 DR. TEMPLE: If you do endoscopy studies with
8 NSAIDs and aspirin, you find local punctate ulcerations and
9 things like that, so they have direct effects in addition to
10 the effect on bleeding. I doubt 300 mg once a day has a
11 major effect of that kind, but at 1,200 or so, you
12 definitely can get that.

13 DR. GILMAN: Let's stay on this issue. Dr.
14 Califf.

15 DR. CALIFF: I am buying the argument related to
16 platelet function and cyclooxygenase, but I mean there are
17 major questions about how aspirin works in the first place
18 now with the evidence of the role of inflammation, and I
19 don't know if we have similar kind of data about
20 inflammation, so I am very skeptical of relying on some sort
21 of biological measurement to tell us what the right dose of
22 aspirin is in the first place.

23 DR. GILMAN: Another issue. Dr. Penn.

24 DR. PENN: Yes, I just want to make sure that I
25 understand the data on myocardial infarction and aspirin

1 dose. Is there a relationship between aspirin dose and
2 myocardial infarction and death or is it just a trend as is
3 indicated here?

4 DR. HAEHL: In ESPS-2, we have no significant
5 result for the reduction of myocardial infarction, and we
6 believe that that is also what you would not expect in a
7 population with prior stroke or TIA. That would not be the
8 population where you would investigate the efficacy in
9 preventing myocardial infarction.

10 DR. PENN: My question is, is there going to be a
11 different dose of aspirin recommended for myocardial
12 infarction than the dose that we are now suggesting that you
13 give for stroke.

14 DR. HAEHL: On behalf of Aggrenox, I can only
15 suggest the dose of 50 mg for stroke prevention. I have to
16 forward the question as to the most adequate dose for the
17 prevention of myocardial infarction to the clinical experts.

18 DR. HENNEKENS: Just as Dr. Albers participated
19 with the American College of Chest Physicians, I, with Phal
20 Fuster and Mark Cyken, wrote the AHA guidelines for aspirin
21 and the citizens' petitions to the FDA.

22 Our view of the totality of evidence indicates
23 that a dose of 50 mg a day is sufficient, and in the absence
24 of any acute symptoms, whether or not you survived a prior
25 heart attack, a prior occlusive stroke, a prior TIA, have

1 chronic stable or unstable angina, a bypass or an
2 angioplasty, that 50 mg of aspirin a day will suffice to
3 maximally inhibit platelets, give the clinically beneficial
4 effect, and minimize the side effects.

5 The place where the issue is different is if you
6 are having an acute occlusive event, then, Fitzgerald has
7 shown in healthy volunteers, as well as those with unstable
8 angina, that while this dose is sufficient to get that
9 effect, it takes about two days to occur from the time you
10 start the first dose.

11 Therefore, you need a dose of at least 162.5, as
12 was used in IC, 325 in GC, so for acute occlusive events, a
13 dose of aspirin of about 325 in a regular aspirin is optimal
14 to get a rapid clinical antithrombotic effect, whereas, for
15 the prevention of occlusive events, the 50 mg dose, possibly
16 with an enteric coat, might minimize the side effects even
17 further.

18 So, for the vast majority of people who are
19 treated with aspirin for the long term, 50 mg enteric is
20 sufficient. When you are having an occlusive event,
21 regardless of the vascular bed, I think a 325 dose of
22 regular aspirin is imperative, and if the patient can't
23 swallow it, to at least dissolve it under the tongue.

24 DR. GILMAN: Dr. Konstam.

25 DR. KONSTAM: Dr. Hennekens, I am getting more

1 confused, because I follow what you are saying, but I am
2 having trouble following how much of it is on the basis of
3 physiologic information and how much of it is on the basis
4 of clinical data.

5 So, if I am not mistaken, the doses of aspirin
6 approved are down to 75 mg a day. Is that not right?

7 DR. TEMPLE: They vary by indication. I mean in
8 the monograph, we are very empirical. If 300 is what has
9 been studied, that is sort of what the claim gets even
10 though everybody believes, just the way Charley does, that
11 75 is probably enough.

12 Now, Rob just suggested, well, maybe you shouldn't
13 believe that and you should stick with empiricism.

14 DR. KONSTAM: I just want to know what the data
15 are. Can you just stick to the clinical trial data
16 supporting the 50 mg dose?

17 DR. HENNEKENS: Well, the data that have been
18 studied go as low as 30 mg on clinical endpoints and show
19 clinical benefits, and I think that--you know, I take your
20 point. When we were designing the Women's Health Study and
21 had it funded by NHLBI, Dr. Lenfant created another expert
22 advisory committee that had us kick out the 325 every other
23 day dose, because as Dr. Temple said, that was the dose we
24 had shown in the Physician Study to be beneficial on acute
25 MI. We wanted to make sure to have that dose and frequency

1 studied, and the expert committee was so convinced both on
2 clinical data and on some of the biochemical correlates that
3 they asked us to kick out the 325 every other day.

4 So, we are study 50 mg versus placebo in 40,000
5 women in prevention. But the totality of evidence on this
6 question includes not just basic research and clinical
7 studies, but there are clinical trial suggesting that the
8 lower doses will give net clinical benefits, as well.

9 The question in my mind, frankly, as a scientist,
10 is whether higher doses, which might also potentially have
11 antiatherogenic effects while having more side effects that
12 we know might have greater benefits. That has never been
13 tested in direct head-to-head comparison.

14 DR. KONSTAM: Just to follow up, I mean I think
15 these points are all very well taken, we don't know what the
16 ideal dose is. There is a lot of reason to believe that
17 lower doses may be beneficial, and you have made those
18 points eloquently.

19 I guess this question arose--and I think it is
20 important--is that what are we going to be recommending for
21 the atherosclerotic population, and here, we are going to
22 wind up focusing on patients with past TIAs and strokes, but
23 there are broad questions here.

24 So, the question is what is the recommendation for
25 aspirin going to be in that, and that is going to wind up

1 being on the basis of clinical trial data.

2 DR. HENNEKENS: Well, the FDA--and I agree with
3 their recommendations--have recommended 50 to 325 based on
4 the range of low doses that have shown clinical benefits in
5 trials, and I agree with that.

6 What I am saying further is that especially when
7 you are looking at a combined preparation with another
8 mechanism of action, you want to minimize the side effects
9 from this one component.

10 So, I think that from a purely scientific basis--
11 and I wasn't asked about this until a week ago--but if I had
12 been, I would have suggested 50 mg of aspirin as the
13 component in Aggrenox. I think it is the wisest
14 scientifically with regard to maximizing benefits and
15 minimizing side effects, and that is all I can say about it.

16 DR. GILMAN: To go back to a comment that Dr.
17 Haehl made, in fact, this would be the very population that
18 you would be concerned about myocardial infarction, and
19 these are people who have had stroke or TIA, and they are at
20 risk for myocardial infarction.

21 DR. HENNEKENS: Yes, I think that is an excellent
22 point, and these are people that this dose of aspirin should
23 from the totality of evidence, not from ESPS-2, but from the
24 totality of evidence from the antiplatelets trial is give
25 benefits without side effects.

1 Furthermore, as has been asked by the other
2 panelists, if this patient then, nonetheless, despite being
3 on this prophylactic dose to prevent stroke and death, does
4 exhibit symptoms of MI, that person should have a 325 mg of
5 aspirin within 24 hours of onset of those symptoms.

6 DR. GILMAN: Well, that still raises the question
7 about the wisdom of 50 mg of aspirin in people who are at
8 risk for myocardial infarction.

9 DR. HENNEKENS: Well, perhaps I am not
10 understanding the controversy here, because for the long
11 term prophylaxis, you want to get the benefits with minimal
12 side effects, and frankly, although we test at 325 every
13 other day, 325 now, in 1999, is a dose that will show a
14 benefit, but will also have a side effect profile that is
15 higher than the 50 mg.

16 So, to keep this person for long-term prophylaxis,
17 both prevented with regard to occlusive complications with
18 minimal side effects, I think the 50 mg dose is optimal. If
19 that person, despite this prevention, does develop acute
20 symptoms, then, a high dose then would be necessary to get
21 the maximal protection over that acute event, but for the
22 chronic prophylaxis, it is the low dose that gives the
23 benefits with minimal side effects in my view.

24 DR. GILMAN: Thank you.

25 Yes, Dr. Lacey.

1 DR. LACEY: I have a question which is on
2 something that was presented a little bit earlier. On
3 addressing the issue of the percentage of African-Americans
4 in a study, the statement was made that 5 to 10 percent
5 inclusion would be more damaging than exclusion, I would
6 like to ask if that is without regard to the size of the
7 study or did you mean related to this intended population of
8 7,000.

9 DR. HENNEKENS: I will give you an example. In
10 the 1980s, the FDA prescription-labeled aspirin for the
11 treatment of TIAs in men, but not in women, and it was based
12 on a totality of evidence that was driven by a Canadian
13 study that had a number of women that, in my view, was
14 inadequate to answer the question in women, let alone answer
15 the question whether women were significantly different from
16 men.

17 So, from 1980 to 1998, we said TIAs could be
18 treated with aspirin in men, but not women. Then, when a
19 sufficient totality of evidence emerged from numerous trials
20 that studied women, it was clear that the benefit in women
21 was exactly the same as the benefit in men.

22 So, what I am saying generically is that I would
23 favor a study in African-Americans that can answer the
24 question definitively in African-Americans, and if I wanted
25 to test whether African-Americans were different from non-

1 African-Americans, I would power my sample size overall to
2 answer the overall question, and I would want 50 percent of
3 that population to be African-American compared with the
4 comparison group to get the most powerful test.

5 When you have small numbers, I think there is so
6 much variability in the numbers of endpoints and in the data
7 that you might not get the right answer, and I am concerned
8 that a lot of treatment decisions are being made based on
9 the inclusion of 5, 10, 15 percent of a population in an
10 overall study that simply can't with assurance answer that
11 question. It is a methodologic concern.

12 DR. LACEY: But I am still not clear. Are you
13 saying that regardless of the study, 5 to 10 percent would
14 always be unmeaningful and significant?

15 DR. HENNEKENS: No. What I am saying is that if a
16 study is powered to get an overall result, and with 10
17 percent of that total sample can be shown to give not just a
18 significant result in that subset, but a significantly
19 different result if one exists between that subset and the
20 rest of the population, then, I am satisfied.

21 In my experience, that hardly ever occurs by the
22 inclusion of 10 percent of anything in a study the way they
23 are designed.

24 DR. LACEY: But conceivably if the study were
25 large enough, 5 to 10 percent--

1 DR. HENNEKENS: If it were, but if I really wanted
2 to answer the question about whether African-Americans were
3 different from non-, I would do a 50-50 split.

4 DR. GILMAN: Dr. Califf.

5 DR. CALIFF: I don't want to belabor this now, but
6 I think it will be important to come back to this issue
7 later because for those designing studies that will come
8 before panels in the future, this is a very important
9 question, and I share Dr. Hennekens' frustration with the
10 way things have been done in the past, but I am not sure of
11 what the guidance ought to be. I think it will be worth
12 discussing later.

13 DR. GILMAN: Dr. Drachman.

14 DR. DRACHMAN: Well, I don't really want to beat
15 this to death, but--but most of my patients with strokes and
16 TIAs are hypertensive diabetics who have had one MI. What
17 are we to do? And, furthermore, we learned, right or wrong,
18 that the most common cause of death with stroke is MI.

19 Would you recommend that my patient with an MI,
20 with an old MI and with a new TIA or stroke be put on this
21 drug and aspirin, or what do we do?

22 DR. HAEHL: If you allow, Dr. Easton would like to
23 answer that question.

24 DR. EASTON: Well, I am here today on behalf of
25 stroke prevention. I was here for ticlopidine, I was here

1 for clopidogrel, I am here for this.

2 I think Dr. Drachman's question is germane and let
3 me give you the numbers since we don't seem to have it on a
4 slide, just so we know what it was in ESPS-2.

5 It turns out that there were 757 deaths, and of
6 those, 176 were due to stroke. The next largest group was
7 143 due to infection, and we heard what the issues might be
8 around that, how many of those were actually stroke.

9 The next largest group is sudden death, and there
10 were 69 myocardial infarctions as compared to the 176
11 strokes.

12 DR. KONSTAM: Sudden death?

13 DR. EASTON: I am sorry, sudden death was 107.

14 DR. KONSTAM: 107 sudden deaths and 69 MIs.

15 DR. EASTON: That is correct. And then we have
16 heard previously about how likely they are to reflect what
17 actually happened to the patient, as I think Dr. Katz
18 pointed out.

19 Those are the large ones, and then there are a
20 smattering of other issues in the tables here, if you would
21 like me to leave it with you just to look at in this trial.

22 DR. GILMAN: Thank you.

23 Dr. Haehl, thank you for your forbearance. You
24 have answered a number of questions that were pressing us.

25 So, shall we move on to Dr. Albers?

1 DR. HAEHL: Yes. Thank you very much.

2 **Clinical Overview**

3 DR. ALBERS: Good morning.

4 [Slide.]

5 Stroke occurs when there is an abrupt disruption
6 of the blood flow to the brain that is severe enough to
7 cause brain injury that will give lasting neurologic
8 deficits.

9 Most strokes are due to blood vessel occlusions,
10 but about 15 percent are due to ruptures of blood vessels,
11 and this can either be within the parenchyma of the brain or
12 in the subarachnoid space surrounding the brain. We are
13 going to ignore those and focus on the 85 percent of strokes
14 are ischemic or due to blood occlusions.

15 These occlusions typically occur because of
16 atherosclerosis involving either the cervical or the
17 intracranial vessels, and atherosclerosis can cause embolic
18 or thrombotic occlusion of the vessels because of aggregates
19 of platelets, fibrin, and debris from these atherosclerotic
20 plaques.

21 [Slide.]

22 Here is the diagram that shows the most common
23 causes of ischemic stroke. About 15 percent of ischemic
24 strokes are due to emboli from the heart. Cardiac emboli
25 that typically occur because of atrial fibrillation, heart

1 valve disease, or myocardial infarction can lead to clots
2 that break loose and travel to the brain, but most strokes,
3 as mentioned, are due to atherosclerosis either of the
4 aorta, the cervical vessels, or the large or small
5 intracranial vessels.

6 This atherosclerosis can cause flow-limiting
7 reductions, but more commonly it causes artery to artery
8 emboli from the atherosclerotic plaques.

9 [Slide.]

10 A TIA has a very similar pathology to a stroke.
11 With a TIA there is a transient occlusion of an intracranial
12 artery that is severe enough to cause focal neurologic
13 symptoms, but these symptoms resolve rapidly because of
14 fragmentation and dissolution of microemboli or thrombi.

15 TIAs typically last about 10 to 20 minutes. It is
16 very unusual for a TIA to last longer than an hour.

17 [Slide.]

18 Stroke, as you know, is a very devastating
19 disease. It is the leading cause of long-term disability
20 worldwide, and it is the third leading cause of death in the
21 United States. If you look on neurology wards, more than
22 half of the beds are filled with stroke patients.

23 [Slide.]

24 Stroke is increasing in incidence. The current
25 estimates from the American Heart Association are that there

1 are about 730,000 strokes occurring in the United States
2 each year, but most patients from stroke, as we have already
3 mentioned, don't die.

4 Only about 150,000 of those patients die, which
5 leaves a large number of patients living with neurologic
6 deficits due to stroke, and the current estimates are that
7 there are 4 million Americans living with stroke-related
8 disabilities, and since the population is rapidly aging, the
9 prediction is the incidence of stroke is going to go up
10 considerably in the next couple of decades.

11 [Slide.]

12 The economic burden of stroke is also very
13 frightening. Current estimates are that the total cost of
14 stroke in the United States is over \$40 billion each year,
15 and this breaks down to a per-event cost of about \$60,000
16 per stroke. This can be divided into the direct costs,
17 which involved the care and treatment of the patient, and
18 that is about \$40,000 a case, as well as the indirect costs
19 including lost productivity of about \$20,000 per stroke.
20 So, it is a phenomenally expensive disease.

21 [Slide.]

22 As we have been talking about, the major threat to
23 the stroke patient, particularly in the short term, over the
24 first few years, is not having a myocardial infarction or a
25 vascular death. The major threat, by far and away, is that

1 they are going to have a recurrent stroke.

2 Some studies have shown that recurrent strokes are
3 10 times more likely than a myocardial infarction during the
4 first few years after having a stroke. Later on, they often
5 die of a myocardial infarction or another vascular death,
6 but the key early problem that a stroke patient faces is
7 that they are going to have another stroke and be living
8 with disability from two strokes.

9 Fortunately, there has been a lot of progress in
10 stroke prevention for patients who have had a recent stroke
11 or TIA. Over the last decade, we have had a lot of progress
12 specifically, carotid endarterectomy has shown to be highly
13 beneficial for patients who have a stenosis of greater than
14 70 percent which has caused a stroke or a TIA, and recent
15 data suggest that even patients in the 50 to 70 percent
16 stenosis range can benefit if they are properly selected for
17 surgery.

18 Also, patients with cardioemboli as the source of
19 their stroke, such as atrial fibrillation, can have dramatic
20 reductions in stroke risk with oral anticoagulation therapy,
21 but unfortunately, these two categories, high-grade carotid
22 stenosis and cardiac emboli, only account for a minority of
23 strokes. Most strokes are due to atherosclerosis that is
24 not appropriate for endarterectomy, and for these patients,
25 antiplatelet agents are the treatment of choice, so we are

1 going to focus on those.

2 [Slide.]

3 We now have four different antiplatelet agents
4 that have been shown to be effective for preventing stroke
5 in patients who have a TIA or an ischemic stroke. These
6 include aspirin, ticlopidine, clopidogrel, and dipyridamole
7 particularly when it is combined with aspirin.

8 [Slide.]

9 Now, I want to look at a little bit of the data
10 regarding the efficacy of antiplatelet agents for preventing
11 the combined outcome of stroke, MI, and vascular death in
12 patients of different types. This data comes from the
13 Antiplatelet Trialists Collaboration last published in 1994.

14 What this group did was they looked at a large
15 number of studies, over 140 studies, that took patients with
16 a wide variety of different vascular diseases - myocardial
17 infarction, stroke, peripheral arterial disease. They
18 combined these mixed vascular disease populations together
19 and looked at the efficacy of all antiplatelet agents
20 compared with placebo for preventing this combined vascular
21 outcome.

22 What they reported was an odds reduction of a 27
23 percent, which translates to a relative risk reduction of 22
24 percent for preventing all these events in a mixed
25 population with a mixed population of antiplatelet agents.

1 Now, what we are interested in are the stroke and
2 TIA patients, and among these studies there were 18 studies
3 that specifically looked at patients with stroke or TIA, and
4 if you look at all antiplatelet agents in these patients,
5 the benefit appears to be a little bit less, specifically, a
6 22 percent odds reduction or a 17 percent relative risk
7 reduction.

8 A little more concerning is that when we look at
9 the 10 trials that looked at stroke or TIA patients and
10 evaluated aspirin versus placebo, the relative risk
11 reductions are even more modest, 13 percent relative risk
12 reduction or a 16 percent odds reduction.

13 DR. GILMAN: What is the confidence interval of
14 the odds reduction, is that 95 percent?

15 DR. ALBERS: I don't have the confidence intervals
16 on this study. The confidence intervals for this 144 are
17 really quite small. I am going to show you the confidence
18 intervals in just a moment for the specific stroke or TIA
19 trials.

20 So, one of the questions is why are relative risk
21 reductions lower than odds reductions, and that is because
22 they are calculated differently, and we can talk about that
23 later if there is interest. But you can see that the
24 interpretation of the data can be quite different if you are
25 thinking of a 27 percent reduction versus a 13 percent

1 reduction.

2 So, let's look now at the stroke and TIA patients
3 specifically.

4 [Slide.]

5 Here are the patients with stroke or TIA who were
6 randomized to an antiplatelet agent versus placebo, and as I
7 reported for aspirin, there was a 13 percent relative risk
8 reduction and for all antiplatelet agents combined there is
9 a 17 percent relative risk reduction.

10 The reason for the difference is that there are
11 two antiplatelet agents that appeared to be more effective,
12 and that would be ticlopidine and a combination of
13 dipyridamole and aspirin. So, that is why we see the
14 difference between the 13 and the 17. Then, one of the
15 concerns would be the dose which we have been discussing.

16 DR. GILMAN: That is just the question. So,
17 aspirin, all doses. How about specific doses though?

18 DR. ALBERS: That is this slide.

19 [Slide.]

20 This is a meta-analysis that was performed based
21 on the data from the Antiplatelet Trialist, and here we have
22 the relative risk reductions with the 95 percent confidence
23 intervals, and it is broken into three groups: studies that
24 looked at low doses, less than 100 mg/day; medium dose 300
25 mg/day, and then the high doses 900 mg or more. These are

1 the placebo-controlled studies.

2 Here you can see the point estimates for relative
3 risk: 13, 9, and 14, and now you can see the 95 percent
4 confidence intervals to get a feeling for what kind of
5 differences we have, and then when you combine the all
6 together, you have a 13 percent relative risk, obviously,
7 with narrower confidence intervals.

8 So, there does not appear from the available data
9 to be a relationship between aspirin dose and benefit for
10 preventing this combined endpoint.

11 [Slide.]

12 Now, as I also mentioned earlier, there were three
13 head-to-head comparisons.

14 DR. GILMAN: What about the 9 percent for 300 mg?

15 DR. ALBERS: Right. That comes from one study,
16 which was the UK TIA trial, and you can see that this one
17 study in and of itself did not show a statistically
18 significant benefit of 300 versus placebo. That is the only
19 study we have that compares 300 versus placebo.

20 Then, we have the Dutch TIA trial, a very large
21 study that compared 300 versus 30, a head-to-head comparison
22 there, which showed no difference in the efficacy between
23 300 and 30, and then there was the study, the UK TIA that
24 compared this 300 to about 1,000 mg of aspirin. Again,
25 there was no difference in the efficacy of the head-to-head

1 comparison.

2 [Slide.]

3 Now, there is one new study that has just come out
4 recently which was a direct comparison of high-dose aspirin
5 versus low dose, and this is in a specific patient
6 population. These are patients who have had a carotid
7 endarterectomy. So, it is looking at preventing stroke, MI,
8 and vascular death over the short term in a patient who has
9 just undergone a carotid endarterectomy. This data have
10 just been presented recently. It is called the ACE trial.

11 It is a large trial, you can see about 1,500
12 patients per group, and they were randomized to low-dose
13 aspirin which was either 81 or 325 mg of aspirin, or high
14 dose, and the high dose was either 650 or 1,300 mg of
15 aspirin.

16 Here you can see the results. At three months,
17 the rate of stroke, MI, or death was 6.2 percent in the low
18 dose and 8.4 percent in the high dose, which was a
19 statistically significant benefit favoring the efficacy of
20 low dose over high dose.

21 So, the previous low dose/high dose comparisons
22 had shown no difference. In the special circumstance,
23 carotid endarterectomy, short-term follow-up, we see a
24 statistically significant difference favoring the low dose
25 being more effective for preventing these vascular events.

1 DR. GILMAN: These comments have not dealt with
2 very low dose, such as 50 mg aspirin, though.

3 DR. ALBERS: The 50 mg, the main effect here
4 against placebo was the SALT trial, which was a 75 mg versus
5 placebo. The main data for the 50 mg against placebo will
6 be the ESPS-2 data, and the relative risk reduction seen
7 with the 50 mg is certainly in the ballpark, if not greater,
8 than the reductions that we are talking about here in the
9 SALT trial, at 75, or these other trials at higher dose.

10 Then, we had the 30 versus 300 from the Dutch TIA.

11 DR. GILMAN: Dr. Katz first. Dr. Drachman next.

12 DR. KATZ: Just a quick question about the Dutch
13 study, the 300 versus the 30. How big a trial was that, and
14 were there numerical differences, you know, sort of a trend
15 in favor of one dose or another?

16 DR. ALBERS: It was a very large trial, it was
17 over 3,000 patients. Somebody might be able to help me.
18 There was not a trend. It was very comparable. If somebody
19 has the exact numbers?

20 DR. EASTON: Five percent better in the 30 mg as
21 compared to the--

22 DR. ALBERS: So, we are hearing 5 percent better
23 in the low dose.

24 DR. GILMAN: Please use the microphone and repeat
25 what you just said.

1 DR. EASTON: I will check to confirm this, but
2 with respect to the trend, I believe it was about 5 percent
3 risk reduction favoring the 30 mg dose.

4 DR. GILMAN: Dr. Drachman.

5 DR. DRACHMAN: Would you go back one slide.
6 There, is it true that neither of the lower doses quite
7 reached significance, since they overlap zero, is that right
8 or not?

9 DR. ALBERS: Yes, that is correct. I suspect if
10 you add in the ESPS-2 to this--this was a meta-analysis
11 performed before ESPS-2 was available, and certainly the 50
12 mg versus placebo had a very statistically significant
13 benefit in ESPS-2, so I would be--I don't know if somebody
14 has done this already, but since it comes very close to
15 meeting statistical significance without ESPS-2, and ESPS-2
16 being a very large trial, I think it is highly likely that
17 this is now a statistically significant effect if you take
18 all the low dose versus placebo data in stroke or TIA
19 patients.

20 DR. GILMAN: Dr. Kawas.

21 DR. KAWAS: I would like some clarification on the
22 next slide actually.

23 In the low-dose group, can you separate out the
24 two low doses? I mean one of them is not so low, and is
25 that really what is generating the effect of 325?

1 [Slide.]

2 DR. ALBERS: There is a number of different
3 analyses that have been done on this, and a study has not
4 been published in full. I understand that for some of the
5 analyses, the 81 looked better, and that other of the
6 analyses, the 325 may have looked a little bit better in
7 terms of trends, but there was not a clear difference, there
8 was not a clear difference saying that one dose was better
9 than the other there.

10 DR. KAWAS: What was the relative proportion of
11 the two doses in that group that you pooled?

12 DR. ALBERS: Fifty-fifty.

13 [Slide.]

14 So, as already stated, based on the information
15 that we have been discussing in detail, about six months ago
16 the FDA revised the guidelines, and just quoting from the
17 Federal Register, what they now say is that the "positive
18 findings at lower dosages are sufficient reason to lower the
19 dosage of aspirin for subjects with TIA and ischemic
20 stroke."

21 For ischemic stroke and TIA, 50 to 325 mg aspirin
22 once a day is currently the recommended dose. The drug
23 should be continued indefinitely.

24 [Slide.]

25 Other professional groups have also joined this

1 low dose recommendation. I was part of the American College
2 of Chest Physicians group, and we put out a recommendation
3 that was published essentially simultaneously with the FDA
4 guidelines coming up with the same recommendation, 50 to 325
5 mg per day for stroke or TIA patients, and the American
6 Heart Association is in the midst of revising their
7 guidelines. The proposed dose that is being finalized right
8 now is the 50 to 325 mg dose, as well.

9 [Slide.]

10 Now, there are two other alternative antiplatelet
11 agents that are approved for use in stroke or TIA patients
12 for preventing stroke, and ticlopidine was the first one to
13 be approved, and this drug has some advantages.

14 It was studied in two large trials. The first was
15 a trial against placebo, looking at patients with completed
16 stroke, ticlopidine versus placebo, a study called CATS.
17 Ticlopidine was found to be statistically significantly more
18 effective than placebo in preventing stroke or stroke and
19 death.

20 It also was tested against aspirin, and this was
21 the TASS study, another large study comparing patients who
22 had had this time TIA or a recent stroke, ticlopidine versus
23 aspirin, and it was a high dose aspirin that was chosen in
24 the TASS study. Again, ticlopidine was shown to be more
25 effective than aspirin for preventing stroke or stroke and

1 death.

2 The disadvantages of ticlopidine are the
3 neutropenia. There is about a 1 percent incidence of
4 neutropenia, which can be very severe, but fortunately,
5 reversible. A little more concerning is the rare side
6 effect which is not always predictable and not always
7 reversible, which is TTP, thrombotic thrombocytopenic
8 purpura, which carries a very high morbidity and mortality.

9 Because of these hemologic side effects, CBC
10 monitoring is required with this drug, at least six CBCs
11 during the first three months of therapy, and there is also
12 some nuisance side effects that occur at relatively high
13 frequencies, diarrhea and rash. Five to 20 percent of
14 patients will have these type of side effects from
15 ticlopidine.

16 [Slide.]

17 Now, there is a related agent which is also
18 approved, clopidogrel, similar to ticlopidine, but it has
19 some substantial advantages in terms of the adverse effect
20 profile. It is much better tolerated than ticlopidine.
21 There has not been a placebo with neutropenia or TTP with
22 this agent, so no hemologic monitoring is required.

23 The drug has proven efficacy. It was compared in
24 a huge trial against aspirin, the CAPRIE trial, which
25 enrolled patients with stroke, myocardial infarction, or

1 peripheral arterial disease, and in that combined group of
2 patients, looking at the combined endpoint of stroke, MI,
3 and vascular death, clopidogrel was more effective than
4 aspirin.

5 Disadvantages of clopidogrel are that it has not
6 yet been tested in a TIA population, so we have no data
7 about clopidogrel in TIA patients, and then although the
8 CAPRIE trial was not powered to look at the individual
9 subgroups, stroke/MI, there were over 6,000 stroke patients
10 and over 6,000 MI patients in the CAPRIE trial, and if you
11 look at those patients as individual subgroups, there was
12 not a statistically significant benefit of clopidogrel over
13 aspirin in those 6,000 patient subgroups.

14 [Slide.]

15 Now, this is a slide that needs to be interpreted
16 with great caution. It is a figure that we put together
17 when we made the ACCP guidelines for stroke prevention.
18 What it is an attempt to do is give a general feel for the
19 efficacy data that have emerged from these three alternative
20 antiplatelet agents, ticlopidine, clopidogrel, and
21 dipyridamole-aspirin combination.

22 It is important to note that there are no head-to-
23 head comparisons. We have no head-to-head comparison of any
24 of these alternative agents one versus the other. We only
25 have comparisons with aspirin. In fact, for each of these

1 alternative agents, there is one single trial that had TIA
2 and stroke patients and compared the alternative agent head
3 to head with aspirin.

4 So, for clopidogrel, it is the CAPRIE trial, and
5 here we are looking at just the subgroup of patients who got
6 into CAPRIE because of a stroke. So, that is 6,431
7 patients. For ticlopidine, there was the TASS trial, and
8 that was 3,000 patients, and then ESPS-2, if we look at the
9 combination versus the aspirin-alone arm, that is about
10 3,299 patients.

11 DR. GILMAN: Well, as you say, that really should
12 not be shown here because you have not done head-to-head
13 comparison with these other drugs.

14 DR. ALBERS: Okay. What this is just an attempt
15 to do is show you what the risk reductions were in these
16 trials.

17 DR. GILMAN: But they are not comparable.

18 DR. ALBERS: The trials--and that is a very good
19 point, that is why I said this needs to be interpreted with
20 great caution because these are different trials, they had
21 slightly different inclusion and exclusion criteria. They
22 had different doses of aspirin. They are different studies.
23 So, this is not an attempt at all to say that these are
24 direct comparisons. This is just an attempt to summarize
25 the data for common endpoints that were available from these

1 trials.

2 So if you are looking at the endpoint of stroke
3 from these three trials, then, what you can see is that in
4 the stroke subgroup of CAPRIE, there was an 8 percent
5 relative risk reduction over aspirin. Clopidogrel over
6 aspirin was 8 percent.

7 From the TASS study, ticlopidine over aspirin was
8 21 percent, the ESPS-2 it was 23 percent.

9 The numbers for stroke, MI, and vascular death,
10 just to show the results of the trials, are for clopidogrel
11 7 percent, ticlopidine 9 percent, and then the combination
12 in the 22 percent range.

13 So, these are the available data, and
14 unfortunately, it is unlikely that we will have head-to-head
15 comparisons between these agents. So, these are the numbers
16 that we have available, but I certainly want to emphasize
17 that these are not--

18 DR. KONSTAM: What was the dose of aspirin in the
19 CAPRIE trial?

20 DR. ALBERS: These all have different doses of
21 aspirin. The CAPRIE trial had 325 mg of aspirin.

22 DR. GILMAN: The question was what was the dose of
23 aspirin in the CAPRIE trial.

24 DR. ALBERS: CAPRIE. Ticlopidine was high dose
25 aspirin, and then the dipyridamole/aspirin obviously was the

1 low dose we have been discussing.

2 DR. KONSTAM: So, in other words, these really are
3 not comparable.

4 DR. GILMAN: These are not comparable in any way.
5 I am not sure why you are showing these data.

6 DR. ALBERS: Okay. I am showing the data because
7 these are the three agents that clinicians are faced with in
8 terms of stroke prevention, and a very frequent question
9 that neurologists ask is what were the efficacy of these
10 agents in the trials that they were evaluated. Of course,
11 they have not been evaluated in head-to-head comparisons, so
12 we have no way of comparing the efficacy between these
13 agents. We only have the comparator of aspirin in there
14 different trials with three separate patient populations
15 that were enrolled.

16 DR. GILMAN: And different doses of aspirin.

17 DR. ALBERS: And different doses of aspirin if you
18 think that that is an important issue, yes.

19 DR. GILMAN: Well, that is a question.

20 DR. CALIFF: You have got me a little revved up
21 here. Just because some neurologists ask stupid questions
22 is not a reason to show the data at a meeting like this, and
23 we will come back to this later.

24 DR. ALBERS: Whether it is a stupid question or
25 not, I think is another issue. You know, we have choices to

1 make.

2 DR. CALIFF: You are saying it is intelligent to
3 do indirect comparisons and put things on a slide that lead
4 people to create images in their mind that are not
5 scientifically comparable.

6 DR. ALBERS: I think the practical issue is that
7 we have several antiplatelet agents that are available, and
8 from the point of view of the clinician, one needs to try to
9 balance what the perceived efficacy of these agents are,
10 what the perceived side effects are, and what the cost of
11 these agents are.

12 DR. GILMAN: The clinicians can think for
13 themselves, and by showing these data, it gives the
14 impression of a direct comparison, which is false, it is
15 just not valid.

16 DR. ALBERS: Okay. We will finish up with the
17 ACCP guidelines.

18 [Slide.]

19 The guidelines that were currently agreed upon by
20 the ACCP is that every patient who has had a non-
21 cardioembolic stroke or TIA should be taking an antiplatelet
22 agent daily. It was a very straightforward, high-grade
23 recommendation.

24 We also felt that aspirin, clopidogrel,
25 ticlopidine, and the combination of aspirin and dipyridamole

1 had all been shown to be effective for preventing stroke and
2 that they were all acceptable options for initial therapy,
3 and as we have clearly pointed out here, we have no direct
4 comparisons to help determine the absolute efficacy
5 differences.

6 However, we did have fairly clear safety, we felt,
7 in looking at the ticlopidine side effect profile versus the
8 clopidogrel side effect profile. Even without head-to-head
9 comparison, the incidence of adverse events were so
10 dramatically different between those two agents that we made
11 the recommendation that we would favor clopidogrel over
12 ticlopidine because of that adverse event profile.

13 Finally, we mentioned--and, again, no specific
14 head-to-head comparison, so nothing that can draw any firm
15 conclusion, but the combination of aspirin and dipyridamole
16 may be more effective than clopidogrel and has a similar
17 favorable adverse event profile.

18 [Slide.]

19 So, in summary, you can say that antiplatelet
20 agents are effective in the secondary prevention of nonfatal
21 stroke and death.

22 The currently approved antiplatelet regimens
23 provide a relatively modest risk reduction.

24 The hope is that more effective and safer
25 treatments to prevent stroke will be available on the

1 immediate horizon.

2 DR. GILMAN: Thank you.

3 Any other questions for Dr. Albers? Dr. Grotta.

4 DR. GROTTA: Dr. Albers, you sort of glossed a
5 little bit over the previous dipyridamole data. What about
6 the early dipyridamole trials that were uniformly negative,
7 how would you reconcile the later data with those?

8 DR. ALBERS: I don't know if we have slides. I am
9 sure this is going to be covered in later presentations, but
10 basically, prior to ESPS-2, when you look at the
11 dipyridamole/aspirin versus aspirin comparison, there were
12 only three trials in cerebrovascular patients, and they all
13 had very wide confidence intervals because of very small
14 samples sizes. When you sum them together, you see a trend
15 in favor of the combination over aspirin, but it is not
16 statistically significant, but the power of those studies to
17 detect a difference is extremely small. I am not sure if
18 somebody has that slide available.

19 Then, in terms of the combination
20 dipyridamole/aspirin versus placebo, the only large trial,
21 of course, would be the ESPS-1 that has already been shown.

22 [Slide.]

23 DR. HAEHL: This slide shows you the patient
24 numbers for the trials which included dipyridamole, to which
25 we referred as being underpowered.

1 DR. ALBERS: So, you can see that some of these
2 trials were trials on the order of 300 patients.

3 DR. KONSTAM: What about previous trials of
4 dipyridamole alone, are there such trials?

5 DR. TEMPLE: Well, there is PARIS. I mean there
6 were studies in the post-infarction setting from a while
7 ago.

8 [Slide.]

9 DR. HAEHL: These are the randomized small-scale
10 studies of dipyridamole with or without placebo or aspirin
11 control.

12 DR. ALBERS: That last slide that had the "omit"
13 written on it really addresses the earlier question. I
14 don't know if we can bring that up.

15 [Slide.]

16 It shows the confidence intervals of those trials.
17 Because the numbers were 300 to 400 patients, the confidence
18 intervals are extremely wide. With a 284-patient trial,
19 their chance of showing anything is extremely low. You can
20 see these huge confidence intervals. That is the same with
21 these other.

22 These are the comparison of dipyridamole and
23 aspirin versus aspirin. These are the previously available
24 data which led to the conclusion before ESPS-2 that there
25 was no clear evidence of a benefit.

1 You can see if you sum these, you get a small
2 trend in favor of the combination over aspirin, but ESPS-2
3 overwhelms these because of the large number of patients,
4 and when you have the totality of the evidence, then, you
5 see a statistically significant benefit of the combination
6 of dipyridamole and aspirin compared to aspirin alone.

7 DR. KONSTAM: Dr. Gilman.

8 DR. GILMAN: Please follow up, Dr. Konstam.

9 DR. KONSTAM: You are saying not to look at these,
10 and maybe we shouldn't, but I guess one of the things we are
11 going to have to come back to is that we have a single trial
12 to deal with.

13 So, I am going to be looking for some evidence
14 elsewhere in the literature or in the data set or somewhere
15 that confirms this. I guess looking at what you just showed
16 in the absence of ESPS-2, it looks like you are right on
17 unity for the comparison. Is that not right?

18 DR. ALBERS: There is a trend in favor of--

19 DR. KONSTAM: Do you want to put that up again?

20 DR. HAEHL: Our interpretation as the company for
21 these trials was that the wide range, which you have seen,
22 actually allows for any conclusion from highly, and
23 therefore, we decided to perform a very large-scale trial.

24 DR. KONSTAM: I understand that. I will put the
25 question this way. Is there any evidence elsewhere outside

1 of the ESPS-2 data set that is supportive of the conclusions
2 that you are trying to--

3 DR. HAEHL: Please put up Slide No. 5. Dr.
4 Hennekens.

5 DR. HENNEKENS: First, dipyridamole, and then
6 aspirin. On dipyridamole, I think it is very important to
7 understand the totality of evidence in the world literature
8 on dipyridamole alone and dipyridamole plus aspirin had 120
9 total strokes as the outcome of all the studies in the world
10 put together.

11 ESPS-2 itself had about I believe 323 strokes in
12 its own entirety, therefore, ESPS-2 swamps the world
13 literature on dipyridamole alone, because it contributes so
14 much information, and I think we have to understand the
15 difference between finding no association and an inability
16 to find an association if one is there because we didn't
17 have enough endpoints. I think that is an important frame
18 of reference for dipyridamole, I think, and for aspirin, I
19 think an important frame of reference is to look at the--

20 DR. GILMAN: Please continue.

21 DR. HENNEKENS: We have 3,406. In the eight
22 studies of aspirin in TIA or stroke patients, 3,406
23 randomized aspirin, 2,584 to placebo, an odds reduction of
24 18 percent with confidence intervals from 5 to 30.

25 Now, ESPS-2 on its own has 1,649 randomized to

1 aspirin and 1,649 to placebo, a 23 percent statistically
2 significant reduction with confidence intervals from 4 to
3 37.

4 I believe that the FDA's recommendation to approve
5 aspirin in this indication was based on the totality of
6 evidence that combined the eight other studies with ESPS-2,
7 and this goes back to the dose issue. The dose of ESPS-2 of
8 50 mg a day is showing a highly significant, very reliable
9 benefit of aspirin.

10 ESPS-2 is also showing a significant reliable
11 benefit of dipyridamole alone, and therefore, to me at
12 least, what is going on with Aggrenox has to be looked upon
13 in the context of this study showing a clear benefit on
14 stroke of both 50 mg of aspirin and the extended release
15 dipyridamole in this dose.

16 There are clear and conclusive benefits on stroke
17 for both of these, and they represent--you know, it is not
18 just that it is just one study, it represents such a large
19 contribution to the world literature on the treatment of TIA
20 and stroke patients. So, I think that has to be viewed as
21 beyond the fact that it is just one study.

22 DR. GILMAN: That is true, however, the benefit is
23 upon stroke, and not stroke and/or all-cause death.

24 DR. HENNEKENS: Actually, if I remember the data
25 correctly, there is a clear benefit of the combined

1 preparation on stroke plus death. However, it is not
2 significantly superior to either of the components.
3 However, when looking at stroke, there is a clear and
4 significant benefit of the combination that is not only
5 robust, but it is significantly better than any of its
6 components, and you will save double the number of lives
7 from stroke by treating with the combined preparation,
8 numbers of strokes, not death, but you are quite right, but
9 the study wasn't powered to answer the death question, and
10 in some ways I think it is a catch-22 to ask it to find a
11 benefit on something where it couldn't possibly do so.

12 DR. GILMAN: But the primary endpoints originally
13 designed were stroke, death, and now later modified to
14 stroke and/or death. That is the point I am making.

15 DR. HENNEKENS: And I would just like to say on
16 the stroke endpoint, there is not only a significant benefit
17 of aspirin, there is a significant benefit of dipyridamole,
18 and there is a much greater benefit of the combination that
19 is significantly better than either component on the
20 endpoint of stroke.

21 DR. GILMAN: For stroke.

22 DR. HENNEKENS: Yes.

23 DR. GILMAN: Dr. Temple.

24 DR. TEMPLE: Just a little bit of history. We
25 have major problems with this, as Marv and Rob will know.

1 We frequently look at combined endpoints, and our
2 inclination is to label a drug that, one, on a combined
3 endpoint with the benefit on the endpoint that was studied
4 in the trial, but it is typical, for example, you don't want
5 to leave deaths out of the combined endpoint, but it is very
6 unusual to have a persuasive effect on death alone.

7 So, we dance around that. We say the primary
8 endpoint was death plus all stroke, and the study was
9 successful, it reduced that, but you should not there was no
10 significant effect on death alone.

11 We face this problem with aspirin claim. Most of
12 the individual studies we relied on do not have a
13 significant effect of aspirin on death after MI, in fact, no
14 single study does. If you look at an overview, it sort of
15 does.

16 But we still use the combined endpoint because, on
17 the whole, that was what was studied, and if you were giving
18 a full explanation, you would say but note there was no
19 significant effect on death alone.

20 It is just very unclear about what to do. It is
21 sort of a matter of taste, but we face this every time. All
22 of the antiplatelet drugs being studied now are studied on a
23 combined endpoint, and they never show an effect on death
24 alone, but death is always in the endpoint because you can't
25 really leave it out.

1 So, it is sort of a matter of taste about how you
2 label it. You don't want to mislead anybody into thinking
3 there was an actual effect on death when there wasn't. On
4 the other hand, the combined endpoint may have been the
5 endpoint that was the one of choice, so you feel funny not
6 using it, and there is no perfect answer to this.

7 DR. GILMAN: Dr. Penn.

8 DR. PENN: Just from a policy standpoint, can I
9 ask you, are we committed to the aspirin standard where you
10 have added death, as you admit on not compelling data, in
11 our approval here? Do we have to be consistent?

12 DR. TEMPLE: No. That is sort of why I said it is
13 a matter of taste. I mean probably, in this case, since
14 this is a prescription drug, we can provide a fuller
15 explanation in the Clinical Trial Section if that were the
16 outcome, and say, you know, the main endpoint was a combined
17 endpoint, and the p-values were for that, and on the other
18 hand, almost all the action was on the stroke component.
19 You can give a lot of explanation, so that everybody knows
20 what the truth is.

21 DR. PENN: But we are going to ask the
22 statisticians if you added some other endpoint other than
23 death--and one can think of lots of other endpoints to put
24 in--the effect was so strong on stroke, that might carry
25 almost any endpoint that was neutral into it.

1 DR. TEMPLE: Absolutely.

2 DR. PENN: So, we could talk about dandruff, we
3 could talk about, you know, something else, and that puts us
4 in a difficult situation. I think, knowing from years past,
5 how the panel feels about these issues, we tried to stick to
6 what the data really shows us, and it may be important for
7 the company to tell us how they feel about this particular
8 issue, whether they felt forced into adding death or whether
9 it is something that it would save us a lot of trouble if we
10 just take that indication out.

11 DR. TEMPLE: Well, we often urge companies to at
12 least include one endpoint that has death in it, and the
13 reason isn't necessarily that you want to show a benefit, it
14 is because when someone dies, I mean, for example, if you
15 had a nice effect on stroke, but death went the wrong way,
16 it would be kind of goofy to say you had done something
17 good.

18 So, you put deaths in almost to prophylax against
19 their going the wrong way, and yet you may not expect a
20 beneficial effect on death. The action may well all be or
21 primarily be on stroke.

22 So, no, you are not bound by the way the aspirin
23 thing was written.

24 DR. GILMAN: Again, I have to point out that one
25 of Dr. Haehl's slides said that this will reduce the

1 combined risk of death and nonfatal stroke in patients, et
2 cetera.

3 DR. TEMPLE: That could be true, but irrelevant.
4 You know, you may reach the conclusion that that is not
5 where the action was. That is a possible conclusion to
6 reach.

7 DR. GILMAN: That is for this committee then to
8 wrestle with.

9 Dr. Katz.

10 DR. KATZ: I was basically just going to say the
11 same thing that Bob just said. The only point I want to
12 make is that as I gather, it is not entirely clear that
13 stroke and death was the primary outcome, so there is even
14 that additional potential problem.

15 DR. GILMAN: Dr. Califf.

16 DR. CALIFF: Just to comment that it sounds like
17 we are going to come back to this, but to me it is a bit of
18 a time warp looking at stroke or myocardial infarction as in
19 isolated endpoint. I think it is fraught with so many
20 difficulties that I would never advocate that now in a
21 prospectively designed trial, because these sudden deaths
22 that were mentioned, we have no idea how many of those were
23 sudden deaths due to stroke and how many were sudden deaths
24 due to heart disease.

25 So, it would be inconceivable to me in a large

1 trial to design a trial looking at a nonfatal component of a
2 frequently fatal pathophysiologic phenomenon without
3 counting both components in the primary, but we are caught
4 with what was done seven or eight years ago, in a previous
5 time, and it is going to be something we have to come back
6 and wrestle with.

7 DR. GILMAN: Dr. Temple.

8 DR. TEMPLE: But, Rob, let's say that is true and
9 therefore you are urging that you use combined endpoints.
10 So, now you do the whole thing, you do the combined
11 endpoint, and the deaths come out neutral. Within the
12 combined endpoint, the combined endpoint wins, let's say,
13 but the deaths come out neutral and all the action seems to
14 be in stroke, so you did the right study, but what do you
15 put on the label?

16 DR. CALIFF: Well, we got into this because we
17 were doing studies trying to prevent nonfatal MI, and in the
18 end, it actually was a little bit silly to try to prevent
19 nonfatal MI, because people are most worried about the fatal
20 MIs that they may have and we weren't counting those.

21 When people die, actually, from the statistical
22 point of view, you are left with an odd situation where you
23 are counting people who are dead as not having a endpoint,
24 which is an odd thing.

25 DR. TEMPLE: That is why you use combined

1 endpoints.

2 DR. CALIFF: What is why we used combined
3 endpoints, and I would say that you sort of want to add up
4 to the ultimate, preventing the combined endpoint where most
5 of the effect was on the nonfatal component should just be
6 noted. As you say, most of the effect was on the nonfatal
7 component.

8 DR. TEMPLE: You have clearly heard other
9 committee members wonder about that policy, and say, well,
10 maybe you should only get the thing that you won on, and I
11 do think it is a matter of taste. There is no single right
12 answer.

13 DR. CALIFF: This study is raising a number of
14 policy issues, I think.

15 DR. GILMAN: Dr. Easton wanted to comment?

16 DR. EASTON: That has resolved.

17 DR. GILMAN: If there are any presenters for the
18 open hearing, please talk with Sandra Titus.

19 Do you want to wrap this up? I think we should
20 take a break as soon as we are through with this segment.
21 Dr. Haehl, shall we stop here?

22 DR. HAEHL: I think the proposal was to have a
23 break and then we will continue with Dr. Muller for the next
24 presentation.

25 DR. GILMAN: Let's do that. Let's take about a

1 10-minute break. We will start promptly in 10 minutes.

2 [Recess.]

3 DR. GILMAN: We are missing Dr. Califf, but I
4 expect he will be right back.

5 Please, Dr. Haehl, let's proceed.

6 DR. HAEHL: Thank you, Mr. Chairman.

7 I would like now to call Dr. Thomas Muller from
8 Oldenburg, Germany, and he will present the rationale from a
9 pharmacological and from a pharmacokinetic point of view for
10 the formulation of Aggrenox.

11 Please, Dr. Muller.

12 **Aggrenox Development Rationale**

13 DR. MULLER: Ladies and gentlemen, I want to
14 shortly summarize for you the pharmacologic background for
15 the combination of low-dose aspirin with an extended release
16 preparation of dipyridamole to Aggrenox for the secondary
17 prevention of stroke.

18 First, I want to demonstrate the superior
19 inhibition of platelet thrombus formation by the combination
20 of aspirin with dipyridamole in a model of plaque vessel
21 wall interaction.

22 Then, I will shortly address the key mechanism of
23 action of both aspirin and dipyridamole to finally, shortly
24 address pharmacokinetic implications of these very different
25 mechanisms of action.

1 Before we start, let us turn to the site where all
2 the trouble starts in the patients with TIA and stroke.

3 [Slide.]

4 This is a cross-section through a plaque vessel, a
5 very schematic drawing, which demonstrates that the injury
6 of the endothelial cell lining at the interface between the
7 flowing plaque and the vessel wall, that this injury exposes
8 pharmacogenic elements of the vessel wall to the flowing
9 plaque. Platelets in the blood adhere to the collagen
10 fibers, they get activated and they start to aggregate.
11 This very local and extremely rapid response to the injury
12 ensures the hemostatic repair. If, however, this process
13 runs out of control, excessive thrombus formation may
14 follow.

15 [Slide.]

16 Such a thrombotic occlusion of the blood vessel
17 triggers a cascade of events which finally lead to the
18 complex and diverse clinical manifestations of TIA and
19 stroke.

20 The clinical evidence just discussed by Dr. Albers
21 for the benefit of antiplatelet agents clearly supports this
22 pathophysiologic concept. Therefore, we have established a
23 model which allows to directly assess the effects of
24 antithrombotic agents on such plaque-vessel wall
25 interaction.

1 [Slide.]

2 Human anticoagulated whole blood is allowed to
3 flow over thrombogenic surface and the thrombi attached and
4 adherent to this matrix are measured by quantitative
5 microscopy.

6 [Slide.]

7 This is shown in more detail in this slide. The
8 matrix is derived from cultured endothelial cells, and the
9 cell-free matrix is then exposed to the flowing human blood
10 in order to allow for the thrombus formation, and each
11 individual thrombus attached to the matrix is detected by
12 automated fluorescein microscopy.

13 As you see, most of the platelets and thrombi
14 adherent to this matrix are relatively small, however, there
15 are about 5 percent of these thrombi which are extremely
16 large and which might be biologically, especially important,
17 and it is the unique advantage of this model to allow us to
18 investigate the effects on these very large thrombi.

19 We have performed a double-blind, randomized,
20 placebo-controlled group comparison with exactly the same
21 medication used in ESPS-2, that is, the combination of low-
22 dose aspirin with extended release preparation of
23 dipyridamole and the individual components.

24 Each subject was treated with five doses, and the
25 blood was investigated just before the start of treatment

1 and after the end of the treatment.

2 [Slide.]

3 This slide summarizes the results on the mean
4 reduction of the size of all the thrombi detected on the
5 extracellular matrix, and as you see, placebo treatment did
6 not affect the mean size of the thrombi attached to the
7 matrix.

8 Dipyridamole had an effect. Aspirin showed about
9 a 50 percent reduction, which means that, on average, each
10 thrombus after treatment had only half of the size that it
11 had before the treatment, and it is quite evident that the
12 combination of this low dose of aspirin with extended
13 release dipyridamole clearly shows an additive benefit with
14 regard to the reduction of this mean area of all thrombi.

15 [Slide.]

16 I already addressed the issue that there are very
17 large thrombi to be detected on the extracellular matrix in
18 these flow experiments. They represent about 5 percent of
19 all the thrombi and as you see, placebo did not affect at
20 all the proportion of the very large thrombi.

21 In contrast, the combination of aspirin with
22 dipyridamole was very effect. It reduced the proportion by
23 an absolute number of 4 percent, which means by almost 80
24 percent, and it is quite evident that concerning the
25 formation of the very large thrombi, the effect of the

1 dipyridamole and the aspirin treatment is very similar in
2 contrast to the effect on the total number of platelet
3 thrombi.

4 DR. GILMAN: Dr. Muller, can I ask a question
5 here?

6 DR. MULLER: Yes.

7 DR. GILMAN: You are using 400 mg of DP there.

8 DR. MULLER: Yes.

9 DR. GILMAN: The formulation is with 200 mg. Can
10 you explain that?

11 DR. MULLER: I am very sorry. This means per day,
12 so this was administered twice daily, so this refers to the
13 daily dose of dipyridamole. It was exactly the same
14 treatment which has been used in the ESPS-2 study.

15 DR. DRACHMAN: Would you explain the ordinate? I
16 don't really understand that.

17 DR. MULLER: Right. The ordinate gives you the
18 absolute reduction in the proportion of very large thrombi.
19 So, the proportion of very large thrombi in the control, in
20 placebo, is normally 5 percent, and the reduction by the
21 treatment was virtually zero.

22 So, the treatment did not affect the proportion of
23 these very large thrombi, whereas, the combination of
24 aspirin with dipyridamole was very efficient. It reduced
25 the proportion of these very large thrombi by about 4

1 percent, which means there were only still 1 percent, which
2 represent 20 percent of these large thrombi remaining on the
3 matrix.

4 DR. GILMAN: This would be a nice model to test
5 various doses, you tried different doses of aspirin or
6 dipyridamole?

7 DR. MULLER: You are addressing very intriguing
8 point. I have to emphasize these studies were performed in
9 1988, and at the time, nobody knew about the results of
10 ESPS-2, so when I tried to publish this, everybody was
11 concerned about the positive outcome of dipyridamole in this
12 model, and insofar I refrained from performing more detailed
13 work in this model as I really had problems to get this
14 published.

15 But now with the data from the ESPS-2, I think it
16 looks very persuasive. That is my problem, I came too late,
17 you are right.

18 [Slide.]

19 With this indication of a superior benefit of the
20 combination of aspirin plus dipyridamole, on the mural
21 thrombus formation, I wanted also to now look behind the
22 scene and simply share with you the key biochemical
23 mechanisms, and we already talked in quite some detail about
24 this, so all of you are very much aware that the
25 [exacerbation] of the platelets leads to the generation of

1 this thromboxane.

2 Thromboxane is an activator of adjacent platelets,
3 and thereby an almost explosive formation of thromboxane
4 will occur in the close proximity of a growing thrombus.
5 This thromboxane not only activates platelets, it also
6 triggers vasoconstriction.

7 [Slide.]

8 Thereby we are very happy that aspirin does a very
9 efficient job with regard to the inhibition of the
10 cyclooxygenase activity, and this has been already addressed
11 in many details, so I need no longer to comment on this.

12 [Slide.]

13 But we have to keep in mind that thromboxane is
14 not the only mediator of platelet activation and platelet
15 aggregation. There are quite a number of other ones, and I
16 would like to focus your attention to adenosine diphosphate.
17 This is a molecule which is already stored in the granule of
18 the platelets, and whenever a platelet is activated, it
19 releases ADP from its internal store.

20 [Slide.]

21 This is depicted here in this slide, so in the
22 proximity of a growing thrombus, the concentration of ADP,
23 which was released from the granules of the activated
24 platelets, will be converted rapidly to adenosine, and this
25 adenosine is taken up by red blood cells and by platelets.

1 [Slide.]

2 Here, dipyridamole comes in. It is a very
3 efficient reversible inhibitor of the adenosine uptake. It
4 has an IC50 of only 0.25 microgram/mL in human plasma, and
5 by inhibiting the elimination of the adenosine in the close
6 proximity of the thrombus, it now can really bind to the
7 platelet and inhibit further platelet activation.

8 This cycle triggers a very intelligent feedback
9 mechanism, that is, the more platelets are activated, the
10 more ADP will be released and converted to adenosine, and
11 the more adenosine will be accumulating in the presence of
12 dipyridamole to feedback inhibit then further platelet
13 activation and thereby to allow for further growth of the
14 thrombus, and this mechanism only works after some initial
15 thrombus formation, and therefore it nicely explains the
16 preferential inhibition of very large thrombi by
17 dipyridamole.

18 The implications of the IC50 are that doubling
19 this concentration of 0.25 microgram dipyridamole/mL of
20 plasma, 0.5 microgram/mL, results in an approximately 80
21 percent inhibition of this mechanism.

22 [Slide.]

23 Therefore, it was the target of the development of
24 an extended release preparation of dipyridamole to maintain
25 a plasma level of 0.85 microgram/mL dipyridamole translating

1 into an 80 percent inhibition of the adenosine uptake over
2 the entire dosing interval, and Dr. Haehl already addressed
3 the convenience of such an extended release preparation
4 which has to be administered only twice daily.

5 In addition, all the data in the pharmacokinetic
6 demonstrate that there is no interaction, no pharmacokinetic
7 interaction between aspirin and the extended release
8 formulation of dipyridamole in Aggrenox.

9 [Slide.]

10 In summary, I would like to emphasize that the
11 suppression of thromboxane by aspirin and the reversible
12 inhibition of the adenosine uptake by dipyridamole, and thus
13 the increase in the local levels of adenosine combines two
14 very independent and efficient mechanisms to express
15 platelet thrombus formation.

16 The individual doses of the aspirin with its
17 irreversible inactivation of the cyclooxygenase, and the
18 extended release preparation of dipyridamole in order to
19 maintain efficient plasma levels over the entire dosing
20 interval have been selected.

21 There is no evidence for pharmacokinetic
22 interactions.

23 [Slide.]

24 Finally, I have shown that the combination of
25 aspirin with dipyridamole in Aggrenox combines with superior

1 inhibition of platelet thrombus formation.

2 With these preclinical results, it is time to turn
3 to the clinical benefits of Aggrenox, and therefore I would
4 like to introduce Dr. James Street, biostatistician, of
5 Boehringer-Ingelheim.

6 I thank you very much for your attention.

7 DR. GILMAN: Thank you.

8 Dr. Grotta, a question?

9 DR. GROTTA: Yes, two questions. Just coming back
10 to my previous question about the fact that prior to ESPS-1,
11 there were at least two trials that, in aggregate, I think
12 gave no signal that the combination of aspirin and
13 dipyridamole was superior to placebo or to aspirin alone.

14 Then, ESPS-1, which I suppose you will show showed
15 some efficacy, and now we have ESPS-2, is there an
16 explanation in your formulation of the drug that might
17 explain this discrepancy and give us greater confidence, to
18 understand this difference over time?

19 Then, how stable is this formulation that you are
20 seeing in Aggrenox, was there a change in the formula since
21 it seems to be that that might explain some of these
22 discrepant results? Was the formulation of the drug
23 consistent throughout the trials?

24 DR. HAEHL: The formulation of Aggrenox was
25 consistent since ESPS-2 when it was first used.

1 Dr. Sherman wanted to comment on your first
2 question.

3 DR. SHERMAN: One of the things that I have
4 learned about dipyridamole is that it is a reversible
5 inhibitor of platelets, and the effects on the platelets are
6 dependent on the blood levels, which was something I didn't
7 realize early on.

8 One could speculate that some of the earlier
9 trials they were using immediately release with the sorts of
10 plasma levels that you saw might have meant that a portion
11 of the time the patients didn't have inhibition of their
12 platelets. It is a potential explanation.

13 I think maybe a better explanation is just the
14 size of the studies, but from the understanding of the
15 biological mechanism, you could also make that argument, I
16 think.

17 DR. GILMAN: Dr. Drachman.

18 DR. DRACHMAN: Would you say a word about the PDE
19 efficacy of dipyridamole?

20 DR. MULLER: This is an important and interesting
21 issue. I think we all have read in our textbooks of
22 pharmacology that indeed initially it was believed that
23 dipyridamole preferentially inhibits the cyclic AMP
24 dependent phosphodiesterase, however, these observations
25 occur or this inhibition occurs only at plasma levels which

1 are far above the therapeutic levels.

2 More recent advances have brought our attention to
3 a different phosphodiesterase, which is the cyclic GMP
4 dependent phosphodiesterase, which is usually busy to
5 degrade cyclic GMP which is generated in the platelet in
6 response to nitric oxide or EDIF, and whenever in the
7 platelet there is an increase in cyclic GMP, dipyridamole
8 does interfere with the degradation of this intraplatelet
9 cyclic GMP, and indeed there is some experimental evidence
10 that this mechanism might also contribute to the
11 antithrombotic activity of dipyridamole, however, to keep
12 the presentation focused, I have concentrated on the
13 adenosine mechanism.

14 DR. DRACHMAN: This mechanism, I believe is used
15 in another recently accepted drug, namely, sildenafil,
16 better known as Viagra. What is the efficacy of this drug
17 vis-a-vis the erectile dysfunction that is dealt with by
18 sildenafil?

19 DR. HAEHL: As you know, there are several
20 subtypes of phosphodiesterases, and in addition, we have
21 never investigated that.

22 DR. GILMAN: Does Dr. Easton want to comment?

23 DR. EASTON: Just one clarifying comment to Dr.
24 Grotta's question, and that is, if you look at the three
25 previous stroke trials for the endpoint stroke, which is the

1 focus for the moment, there actually was an odds reduction
2 of 17 percent favoring combination dipyridamole plus aspirin
3 over aspirin alone.

4 It is clearly just a trend. The confidence
5 intervals do include unity. Now, when you take that and add
6 --and that turns out to be 120 events, and the difficulty
7 now is when you add ESP to that, you have 443 events, and
8 that number moves from 17 to 25, and is statistically
9 significant.

10 So, the point I would only make is it wasn't
11 negative data. It was lack of data prior to this trial.
12 Similarly, if you do the same numbers for the 14 trials, not
13 just now the three stroke included patients, but all of the
14 patients in combined dipyridamole plus aspirin versus
15 aspirin, again, you see this trend 12 percent reduction in
16 favor of the combination for prevention of the endpoint
17 stroke, and then that number also moves into the highly
18 significant range when you add in the ESPS trial.

19 So, I think it is fair to say that it was a lack
20 of data with a trend going in the right direction rather
21 than negative data.

22 DR. GILMAN: Thank you.

23 Dr. Califf.

24 DR. CALIFF: I just want to take advantage of this
25 one opportunity to maybe learn a little bit more about

1 stroke since we are on the mechanisms of action.

2 You built the whole rationale on antithrombotic
3 effect. I guess it is a three-part question. What do we
4 know about inflammation and risk of stroke? Does
5 dipyridamole add anything to aspirin in terms of
6 antiinflammatory effects, and if so, what?

7 Thirdly, are you convinced that the only mechanism
8 of action of aspirin is its antithrombotic mechanism in
9 preventing recurrent stroke?

10 DR. MULLER: We already discussed this issue this
11 morning, that indeed there is current speculation that
12 atherosclerosis may be an inflammatory process. I
13 completely agree on this.

14 My point was that however the acute reaction to
15 this inflammation that is the rupture of a plaque or any
16 other injury of the vessel wall triggers the instantaneous
17 response of the platelets, and that is where the thromboxane
18 inhibition and where the adenosine uptake inhibition really
19 comes into play.

20 That, of course, does not rule out any other
21 mechanism, but I think there is a rational basis for that,
22 and as long we don't have any long-term trials which clearly
23 demonstrate a superiority of high doses of aspirin which are
24 antiinflammatory active in the clinical endpoints, I think
25 this remains pure speculation, don't you agree?

1 DR. CALIFF: Yes, but like most pathophysiology,
2 it is speculation. In the end, we will come back to the
3 clinical data, which I guess we are getting.

4 DR. HAEHL: I would agree. We made a hypothesis
5 the basis for our formulation, and we tested it in the
6 clinic, and it seems that there is a relation between the
7 hypothesis and the result, but therefore it always is a jump
8 from one side to the other, but it seems to be consistent.

9 I had the impression that Dr. Hennekens wanted to
10 comment on the aspirin antiinflammatory efficacy.

11 DR. CALIFF: It would be interesting to know if
12 you have seen the same things that you saw with regard to
13 cardiac events in the stroke events issue.

14 DR. HENNEKENS: Well, our comparisons about
15 aspirin in the presence of high levels of CRP are sort of
16 post hoc, nonrandomized comparisons. My own view is that I
17 have serious doubts that a dose of 50 to 100 mg of aspirin
18 is having a significant antiinflammatory effect.

19 I think the alternative hypothesis that at the
20 time of an acute stroke or an acute MI, there is such
21 increased platelet activation that it does go with the data
22 from the antiplatelet trial, that people who were taking
23 aspirin at the time of the event, who were given an acute
24 amount of aspirin, tend to have lowered subsequent clinical
25 outcome, suggesting that there must still be increased

1 platelet activation and other mechanisms that are occurring
2 around the time of the event that relate to these mechanisms
3 described.

4 DR. CALIFF: But people with high CRPs also have a
5 high risk of stroke in addition to a high risk of MI.

6 DR. HENNEKENS: Yes, they do, and we did find that
7 people who were getting either 325 every other day in the
8 physician study or 50 a day in the women's study, that there
9 was some modification of the effect by aspirin, that is,
10 that aspirin seemed to have its greatest benefit in the
11 people with the highest levels, but as I say, they are not
12 randomized comparisons and we need more data on that
13 question.

14 DR. GILMAN: I would just like to note that Dr.
15 Michael Brooke has joined us. He is from the University of
16 Alberta, flew down this morning. Welcome.

17 DR. GILMAN: If there are no other questions,
18 let's move on, Dr. Street.

19 **Clinical Trial Efficacy**

20 [Slide.]

21 DR. STREET: Members of the committee, today, I
22 will present an overview of the design, principal results,
23 and reliability of the Second European Stroke Prevention
24 Study.

25 This trial was designed to test the safety and

1 efficacy of the two components of extended release
2 dipyridamole and aspirin, alone and in combination, to
3 prevent stroke and death in patients with prior TIA or
4 ischemic stroke.

5 There were actually four specific clinical
6 questions to be address by this trial. First, does low-dose
7 aspirin, 50 mg a day, prevent stroke or death, which was not
8 known at the time.

9 Does extended release dipyridamole prevent stroke
10 or death? That had also not been established.

11 Are the effects of the two drugs additive when
12 administered in combination?

13 Finally, is Aggrenox well tolerated?

14 ESPS-2 provides clear, positive answers to all of
15 these questions. I will address the first three, the
16 efficacy questions, and Dr. Rakowski will follow with a
17 discussion of the tolerability.

18 [Slide.]

19 Very briefly, I know you are all familiar with
20 this trial, but just for the record we will briefly
21 summarize.

22 This was a multicenter, randomized, double-blind,
23 placebo-controlled trial, ranged in a 2 x 2 factorial design
24 of four parallel treatment arms, each containing
25 approximately the same number of patients.

1 [Slide.]

2 These patients were recruited from 13 countries,
3 had 59 centers across Europe. The randomization was
4 performed by the European Organization for the Research and
5 Treatment of Cancer, a group which is independent and highly
6 experienced in centralized computer randomization.

7 This randomization was balanced with respect to
8 four factors: the gender, the age group, type of qualifying
9 event, and the center.

10 Treatment and follow-up were all to be two years
11 and patients were to be followed for two years regardless of
12 whether they ceased treatment. There were nine visits at one
13 and three months, and then at three-month intervals
14 thereafter.

15 [Slide.]

16 This simply summarizes the numbers of patients in
17 the four groups, roughly equal.

18 [Slide.]

19 As far as qualifying events which were to be
20 within three months, and most of which occurred--as a matter
21 of fact, the mean time from the qualifying event to
22 randomization was one month. We see perfect balance between
23 the treatment groups, roughly, one-quarter having a TIA, and
24 a little over three-fourths a stroke as their qualifying
25 event.

1 [Slide.]

2 The study was to include adult men and women, 58
3 percent were men, who had had, as I say, an ischemic stroke
4 or TIA within three months. They had to be stabilized from
5 that stroke prior to entry.

6 Key exclusion criteria were they had had no
7 history of gastric bleeding or other bleeding disturbances,
8 active peptic ulcer, known hypersensitivity to either of the
9 study medications, or any life-threatening conditions.

10 [Slide.]

11 Sample size. The protocol-planned sample size was
12 5,000 patients, 1,250 per treatment arm, the same number as
13 were present in the ESPS-1 design. In addition, this design
14 contemplated one interim analysis in the protocol.

15 DR. GILMAN: Could I ask about that? Sorry to
16 interrupt you.

17 DR. STREET: Yes.

18 DR. GILMAN: I thought you had planned interim
19 analyses yearly.

20 DR. STREET: No, there were interim safety reports
21 to the ethics committee, but they were not the basis for
22 decisions, nor were they analyzed with p-values or anything
23 like that, so there was only the one that was used as a
24 decision point.

25 DR. KONSTAM: I am not sure I understand that.

1 There were interim safety looks you are saying? What were
2 the purpose of these interim looks if you were not looking
3 at the data?

4 DR. STREET: Can someone actually comment on the
5 nature of the review that was performed for safety?

6 DR. HAEHL: Dr. Bertrand, would you please comment
7 on the procedures to inform the ethics committee about the
8 conduct of the trial in yearly intervals? For your
9 information, Dr. Bertrand is a co-worker of Boehringer
10 Ingelheim, and he was the responsible monitor for ESPS-2 in
11 Belgium.

12 DR. BERTRAND-HARDY: Data for the ethics
13 committee, we prepare once a year and the statistician made
14 a review of the main side effects as they were registered in
15 the case report form, mainly headaches, bleeding,
16 gastrointestinal diseases, and so on.

17 The results were presented to the ethics committee
18 once a year and this committee had to decide whether the
19 study could continue or not on the basis of those data.

20 DR. KONSTAM: So deaths were reviewed as well,
21 right?

22 DR. BERTRAND-HARDY: Yes, of course, yes.

23 DR. KONSTAM: And strokes were not?

24 DR. BERTRAND-HARDY: And strokes also.

25 DR. KONSTAM: Were reviewed.

1 DR. BERTRAND-HARDY: Yes, they were reviewed. But
2 the tables were randomly allocated so as to keep the
3 blindness of the study, so it was not possible to identify
4 the treatment groups.

5 DR. KONSTAM: But if you had identified a major
6 difference in the endpoint of stroke, let's say, between the
7 two groups at an interim look, might not you have
8 recommended stopping the study?

9 DR. BERTRAND-HARDY: No, because in the protocol,
10 there would be only one interim analysis, and the rules for
11 treatment cessation, of course, apart from the reason, and
12 for seeing side effects or something which would be very
13 important that the study would be stopped if the statistical
14 significance would reach a value which was lower than p 1
15 for 1,000. That was the only occasion, and also safety
16 analysis, as far as I know, never the statistician did
17 analyze the efficacy.

18 DR. GILMAN: But you did compare placebo group,
19 you know which was the placebo, you know which were the--

20 DR. BERTRAND-HARDY: No.

21 DR. GILMAN: No, you did not.

22 DR. BERTRAND-HARDY: Even the statistician did not
23 know that. He knew that there were three groups and named
24 A, B, C, and D, but he could not identify them.

25 DR. GILMAN: Then, explain how you would determine

1 safety if you didn't know which was the placebo group.

2 DR. BERTRAND-HARDY: Just by difference between
3 the groups.

4 DR. GILMAN: And was there a trigger for stopping
5 the trial?

6 DR. BERTRAND-HARDY: Yes, well, I mean regarding
7 safety, there was no trigger, just a strong excess of death
8 or something which would not be acceptable, and regarding
9 the efficacy, I told you that was the p-value.

10 DR. GILMAN: How would you know, what would be
11 your marker for excess, how would you know?

12 DR. BERTRAND-HARDY: Well, I can't specify
13 exactly, I don't know exactly which was the rules which were
14 applied by the statistician.

15 DR. GILMAN: Does anybody in the company know what
16 the rules were?

17 DR. HAEHL: Dr. Pathy, as the Chairman of the
18 Assessment Group, could you comment on that?

19 DR. PATHY: Chairman, whereas we looked at deaths,
20 we were totally blinded. We didn't know whether the patient
21 was on placebo or active medication. Neither did we know
22 the centers from which the reports were coming. Everything
23 was looked at totally blindly. So, I can't give you an
24 answer to that.

25 Also, of course, it was the ethical committee that