

1 receiving a second or third transplant, have high,  
2 panel-reactive antibodies, and those with high degrees  
3 of HLA mismatch.

4 These studies were not designed to  
5 specifically enroll high-risk patients. Only patients  
6 receiving their first renal transplant were enrolled  
7 and patients who required antibody induction therapy  
8 were excluded. And these patients may be considered  
9 those at highest risk.

10 Thus, to perform a meaningful subset  
11 analysis with the information we had, a high-risk  
12 group was defined using factors that are recognized as  
13 having a predictive value on acute rejection from  
14 registry information that is widely known.

15 In this definition, a patient was  
16 considered to be at high risk if they received a  
17 cadaver donor organ and would satisfy at least one of  
18 the following: had a cold ischemia time greater than  
19 24 hours; a PRA greater than 50 percent; or more than  
20 two HLA mismatches.

21 In Protocol 301 there is a decrease in the  
22 incidence of efficacy failure rates for patients to be

1 considered at high risk treated with either sirolimus  
2 dose when compared to azathioprine, but this  
3 difference is not statistically significant.

4 In Protocol 302 there is a statistically  
5 significant decrease in the incidence of efficacy  
6 failure rates for patients to be considered at high  
7 risk, treated with either dose of sirolimus when  
8 compared to placebo.

9 Patients not to be considered at high risk  
10 treated with either dose of sirolimus had  
11 significantly lower efficacy failure rates than the  
12 control. We realize that there are some limitations  
13 with this definition of a high-risk group. Around  
14 half the patients fall into the high-risk group.

15 In Protocol 301 the high-risk controls  
16 have lower efficacy failure rates than the non-high-  
17 risk controls. And the numerical advantage of  
18 sirolimus 5 compared to sirolimus 2 should be assessed  
19 in view of different toxicity, which Dr. Tiernan will  
20 discuss.

21 There is a growing body of data showing  
22 the consequences of early to late rejection on long-

1 term patient and graft survival. Thus, to go along  
2 with the overall rates of efficacy failure during the  
3 first six months, it is also informative to see where  
4 the events occurred. Also, please keep in mind the  
5 following plots when Dr. Tiernan discusses some of her  
6 safety analyses.

7 In this plot we had the time to efficacy  
8 failure in each treatment group during the first six  
9 months of treatment. The time to efficacy failure is  
10 significantly longer in the sirolimus 2 and the  
11 sirolimus 5 groups compared to azathioprine.  
12 Azathioprine is the lower curve and the 5 mg sirolimus  
13 group is the upper curve, with the 2 mg being in the  
14 middle.

15 From this plot we see that the majority of  
16 the events occur within the first 30 to 60 days post-  
17 transplant, and the majority of the events that  
18 occurred following 60 days occurred in the two  
19 sirolimus treatment groups.

20 This slide shows the number of first  
21 biopsy-confirmed rejection episodes that occurred  
22 after 60 days from the time to transplant and the

1 severity of the rejections. A first biopsy-confirmed  
2 rejection episode occurred after 60 days from time to  
3 transplant in 27 total patients; 13 in each of the  
4 sirolimus arms and one in the azathioprine group.

5 A majority of these rejections were mild  
6 in nature. And all of these patients were alive with  
7 a functioning graft at 12 months.

8 Now we have the plot of the time to  
9 efficacy failure at six months for Study 302. Again,  
10 we see that the two sirolimus groups have longer times  
11 to efficacy failure than the placebo group, which is  
12 at the bottom; sirolimus 2 and sirolimus 5 is the  
13 upper curve.

14 In Protocol 302 there were 24 patients  
15 with a first biopsy-confirmed rejection episode after  
16 60 days from time to transplant. They include 12  
17 patients in the sirolimus 2 group, ten patients in the  
18 sirolimus 5, and two in the placebo group. The events  
19 were mild to moderate in nature with only one  
20 sirolimus 5 mg/day rejection being severe.

21 Three patients with a rejection after 60  
22 days had a graft loss following the rejection that

1 occurred within one year post-transplant. There were  
2 two sirolimus 2 mg, which one was a mild rejection and  
3 one was a moderate rejection, and one sirolimus 5 mg  
4 per group, which was mild.

5 To summarize the efficacy of sirolimus,  
6 both doses of sirolimus show efficacy by significantly  
7 reducing overall efficacy failure at six months.  
8 However, there are some inconsistencies across  
9 subgroups.

10 There is a modest, numerical advantage in  
11 favor of sirolimus 5 in certain subgroups of patients  
12 and overall patient and graft survival is good. You  
13 can exclude a decrease of no more than 4.8 to 6.3 for  
14 sirolimus 2 mg, and 5.2 to 7.1 percent for sirolimus  
15 5.

16 The significance of these two bullet  
17 points needs to be assessed by taking into  
18 consideration some of the safety concerns the Division  
19 has regarding sirolimus, which Dr. Tiernan will now  
20 discuss.

21 DR. TIERNAN: The safety perspective will  
22 be divided into three main sections: the first

1 section on deaths, graft losses, and discontinuations;  
2 the second will deal with some of the common hazards  
3 that result from the use of transplant  
4 immunosuppression, such as first transplant  
5 lymphoproliferative disease infection, and post-  
6 transplant diabetes mellitus; and then we'll finish  
7 with a discussion of the treatment emergent adverse  
8 events such as thrombocytopenia and leukopenia, but  
9 spend a little bit more time on the hyperlipidemia and  
10 renal function issues.

11 I have two tables here, one for 301 and  
12 one for 302, which are basically just a summary of the  
13 deaths and graft losses at 12 months as presented as  
14 the sponsor as well. And as you can see going across  
15 for the different groups for sirolimus 2, sirolimus 5,  
16 and azathioprine, there's no significant difference in  
17 death and graft loss.

18 And again, this is also the case in Study  
19 302. Looking across this time at sirolimus 2,  
20 sirolimus 5, and placebo. No significant differences  
21 here in death and graft loss.

22 With death, the most common reason for

1 death was vascular, cardiovascular, or  
2 cerebrovascular, or infection. The overall death  
3 rates is just seen at 12 months in 301 and 301 were  
4 1.9 percent for azathioprine, 2.8 to 3.5 percent for  
5 sirolimus 2, four to five percent for sirolimus 5, and  
6 5.4 percent for placebo.

7 Discontinuations. The most frequent  
8 reasons for discontinuation were unsatisfactory  
9 response in azathioprine, placebo, and sirolimus 2;  
10 and with sirolimus 5 it was adverse event.

11 Okay, we'll start with the hazards of  
12 post-transplant immunosuppression. Post-transplant  
13 lymphoproliferative disease, the rates of PTLD were  
14 essentially similar to that found in other trials.  
15 Please keep in mind that despite this decreased use of  
16 anti-T-lymphocyte antibody that was found in the  
17 sirolimus arms, the highest incidence of PTLD was 1.4  
18 percent and it was found in the sirolimus 5 arm.

19 When we looked at post-transplant  
20 lymphoproliferative disease please keep in mind that  
21 we did not know the EB virus status of donor and  
22 recipient.

1                   Infection in studies 301 and 302. Across  
2 the treatment groups there were no increases in the  
3 rates of sepsis, pyelonephritis, wound infection, or  
4 pneumonia. For azathioprine and placebo these were  
5 groups that had higher rejection rates and an  
6 increased requirement for immunosuppression. However,  
7 in those groups there was no difference with respect  
8 to serious infection.

9                   Regarding opportunistic infection, in the  
10 sirolimus 5 mg arms, as previously stated by the  
11 sponsor there was a higher incidence of mucosal herpes  
12 simplex. This is rather difficult to explain because  
13 many of these patients were on a cyclovirin and  
14 cyclovir prophylaxis; two antiviral drugs that have  
15 excellent efficacy against herpes simplex.

16                   So this is a difficult thing to explain.  
17 But again, as pointed out by the sponsor, this is a  
18 diagnosis that's not necessarily culture-confirmed.

19                   There was a decreased incidence of CMV  
20 infection and disease that the sponsor feels may have  
21 been explained by the mandated CMV prophylaxis that  
22 was utilized for high-risk patients; that is a CMV-



1 negative recipient of a CMV-positive kidney. And that  
2 certainly contributed to the decreased incidence of  
3 CMV infection and disease as compared to the MMF  
4 trials and the tacrolimus trials.

5 But also keep in mind that with CMV  
6 infection it's also very important to be cognizant of  
7 the donor recipient's status and the mismatch for CMV,  
8 and there were only 6.6 percent high-risk Black  
9 patients and 22.1 percent high-risk non-Black patients  
10 in this trial.

11 Post-transplant diabetes mellitus. The  
12 definition utilized in this trial: there was no prior  
13 history of insulin-dependent diabetes mellitus or non-  
14 insulin-dependent diabetes mellitus, and they had to  
15 require insulin for greater than or equal to 30  
16 consecutive days with less than five days interruption  
17 to maintain a normal fasting blood sugar.

18 Overall, the incidence of PTLD was  
19 uncommon in this trial, even in the control groups  
20 which were treated with steroids for higher rejection  
21 rates. There was no significant difference in PTLD  
22 when we looked at the subgroups, be they Black, non-

1 Black, female, or male.

2 Okay, regarding treatment emergent adverse  
3 events. These are the events that occurred with a  
4 frequency that was greater than or equal to 20  
5 percent. And the statistically significant increased  
6 incidence of the side effects seen with sirolimus 5  
7 versus sirolimus 2 were fever, diarrhea, anemia,  
8 leukopenia, thrombocytopenia, and hyperlipidemia.

9 For the treatment emergent adverse events  
10 that occurred with greater than five percent and less  
11 than 20 percent frequency, again there was a  
12 statistically significant increase for sirolimus 5  
13 versus sirolimus 2 with these side effects, which were  
14 chills, facial edema, hypotension, hypokalemia,  
15 increased LDH, skin ulcer, lymphocele, tachycardia,  
16 insomnia, and epistaxis. The cases of epistaxis were  
17 not necessarily associated with thrombocytopenia. I  
18 think only one case was.

19 Hematologic adverse events.  
20 Thrombocytopenia as already discussed, was dose-  
21 dependent. It was reversible. The mean counts were  
22 still in the normal range with no count being less

1 than 50 X 10<sup>9</sup>/L. There was no increased incidence of  
2 bleeding.

3 For leukopenia, also dose-dependent,  
4 reversible. No cases of neutropenia. There was no  
5 white count that was less than 1000 mm<sup>3</sup>. There was no  
6 increased incidence of infection.

7 Hemolytic uremic syndrome, thrombotic  
8 thrombocytopenic, also called HAS/TTP. There were 43  
9 cases of HAS/TTP reported in the studies for 301 and  
10 302. There were higher rates of HAS for sirolimus 5  
11 and there were no patient deaths due to HAS. There  
12 were three patients who had graft loss: two in the  
13 sirolimus 5 group and one in the sirolimus 2 group.

14 For the liver function tests, we did not  
15 have data on hepatitis B virus and hepatitis C. The  
16 liver function tests that were evaluated were AST,  
17 ALT, and Alk Phos. There was a low percentage of  
18 patients with elevated LFTs to five to ten times the  
19 upper limit of normal. This was equally distributed  
20 among the study groups and there were no significant  
21 trends that were identified by race or gender.

22 Okay, hyperlipidemia I'll spend a little

1 bit more time on. Hyperlipidemia was a major side  
2 effect that was noted in the Phase II and Phase III  
3 trials. We did an analysis at the FDA. We looked at  
4 a transplant recipient cohort that actually started  
5 with normal fasting, pre-study, total cholesterol, and  
6 triglyceride levels, and then we looked at them to see  
7 if they developed new elevations on study drug.

8 Please keep in mind we didn't have lab  
9 tests such as HDL and LDL because those weren't  
10 mandated to be collected in this study.

11 This is the analysis of that cohort of  
12 patients for Study 301; again, keeping in mind  
13 azathioprine is the control here and sirolimus 2 and  
14 sirolimus 5. The group in the pre-study with less  
15 than 200 mg/dL of cholesterol coming in.

16 Azathioprine, 72 percent of the patients  
17 were starting the trial with a total cholesterol  
18 fasting less than 200; sirolimus 2, 71.8 percent  
19 starting the trial with normal cholesterol; sirolimus  
20 5, 71.2 percent coming in with a normal cholesterol.

21 On study, you can see the percentages that  
22 developed a total cholesterol that was greater than

1 240. For azathioprine it was 47.4 percent as opposed  
2 to the sirolimus arms which were sirolimus 2 at 64.2  
3 percent and sirolimus 5 at 68.2 percent. And these  
4 were significant increases with the addition of  
5 sirolimus 2 and sirolimus 5.

6 We looked at Study 302, again, keeping in  
7 mind that placebo was your control here. At pre-  
8 study, the percentage of patients who started the  
9 study with less than 200 mg/dL of cholesterol: 73.1  
10 percent in placebo; and the sirolimus 2 arm, 71.8;  
11 sirolimus 5, 75.3.

12 On study, for placebo 41.1 percent of  
13 those patients developed a total cholesterol elevation  
14 to greater than 240; 75.5 percent for sirolimus 2;  
15 72.7 percent for sirolimus 5. And again, these  
16 increases with sirolimus were found to be significant.

17 Bear with me. We did the same thing for  
18 triglycerides; the same set of tables. I won't go  
19 through the whole thing but I think basically you can  
20 see our parameters. Pre-study were 200 mg/dL for the  
21 triglycerides, and we were looking at the on-study  
22 development of greater than 500 mg/dL triglycerides.

1           Again, most of the studies were enrolling  
2       72, 75s, 83 percent, starting with normal  
3       triglycerides. And you can see, on azathioprine for  
4       301, five percent developed elevated triglycerides to  
5       greater than 500; as opposed to the sirolimus arms  
6       which were 14.5 percent and 17.9 percent developed  
7       elevated triglycerides. And again, these were  
8       significant.

9           Okay, Study 302 again, the same sort of  
10      table. And again, looking at the development of  
11      elevated triglycerides on-study, placebo in Study 302,  
12      2.2 percent as opposed to sirolimus 2 and sirolimus 5  
13      -- 15.5 percent for sirolimus 2, 23.5 percent for  
14      sirolimus 5. And again, significant changes.

15           We looked at the use of lipid-lowering  
16      drugs in the treatment on new onset  
17      hypercholesterolemia. And in this cohort of patients  
18      the percentage who required lipid-lowering agents  
19      included: for placebo, 15.8 percent; azathioprine,  
20      21.6 percent; sirolimus 2, 45.6 percent for Study 301,  
21      42.3 percent for Study 302; sirolimus 5 you can see,  
22      51.8 percent for Study 301, 46.7 percent for Study

1 302.

2 When we looked at who continued on lipid-  
3 lowering agents, greater than 63 percent of those who  
4 were initiated on a lipid-lowering agent for high  
5 cholesterol continued on therapy at six to 12 months.  
6 And I think we've already discussed the difficulties  
7 with trying to assess the use of lipid-lowering  
8 agents.

9 People generally follow the National  
10 Cholesterol Education Program Guidelines. Those are  
11 based on LDL which was a value that we didn't have in  
12 our risk factor stratification; depending on smoking,  
13 hypertension, diabetes and their history. So it's a  
14 difficult thing to get a handle on.

15 Renal function. We struggled -- we tried  
16 to reconcile this problem with sirolimus being an  
17 efficacious drug for prophylaxis of rejection and yet  
18 at the end of 12 months this drug does not do as well  
19 as the controls as far as renal function. And it's  
20 believed that sirolimus lacks nephrotoxicity, but why  
21 is sirolimus effective at preventing acute rejection?

22 But at 12 months the renal function again,

1 is measured by keeping in mind these are the criteria  
2 we use to measure Nankivell GFR, serum creatinine is  
3 significantly worse than that of azathioprine and  
4 placebo.

5 When you are evaluating the assessment of  
6 renal function please keep in mind that the  
7 investigators were blinded when they made their  
8 decisions to discontinue study drug because of acute  
9 rejection and decreased renal function, but the  
10 cyclosporine doses and the whole blood cyclosporine  
11 trough concentrations were similar across treatment  
12 groups.

13 There were more rejections in the control  
14 groups and we were concerned, was this possible that  
15 we could be eliminating a significant proportion of  
16 patients who had poor renal function and thus  
17 unfavorably weighting the analysis of GFR at 12 months  
18 against sirolimus?

19 So once again, we did an exploratory  
20 analysis attempting to minimize bias and get a better  
21 handle on this renal function problem. We tried to  
22 capture all the patients for the study visit at 12



1 months, including those who discontinued study drugs.  
2 And even at that, 11 to 14 percent of the study  
3 population were still not included in our analysis.

4 When we attempted, and I think we were  
5 fairly successful, at ensuring that the analysis  
6 population was representative of Study 301 and Study  
7 302 populations by trying to demonstrate similarity in  
8 the rates of rejection and the time to first  
9 rejection.

10 This slide shows the Study 301 mean GFR  
11 results at 12 months. We used a window of 337 to 393  
12 days. And you can see for azathioprine that the mean  
13 GFR at approximately one year was 65.9 cc/min, and for  
14 sirolimus 2 it was 57.4 and for sirolimus 5 it was  
15 55.1. So these are significant differences with  
16 azathioprine coming out with better renal function as  
17 measured by mean GFR at one year.

18 If you look at the N, the observed and  
19 total number of patients that we enrolled, you can see  
20 that that ranges from about 78.9 percent to 82.5  
21 percent. So we're still missing patients in this  
22 analysis. It was actually rather than 20 percent of

1 the patients missing we took an additional look at  
2 those patients.

3 Some were losses for graft loss and for  
4 death, so we really feel that only about 11 to 14  
5 percent of the population is missing in this analysis.

6 Let's go to Study 302 again, a very  
7 similar table. I'm sorry, 301; we'll do the serum  
8 creatinine first. For Study 301 the serum creatinine  
9 at 12 months, again, the same window: 337 to 393  
10 days. Azathioprine is the control.

11 You can see the mean serum creatinine at  
12 one year is 1.6 mg/dL and sirolimus 12 is at 2.17 and  
13 sirolimus 5 at 2.09. And again, these are significant  
14 differences in favor of azathioprine.

15 Again, looking at mean GFR at 12 months,  
16 Study 302, the placebo with a mean value at 61.7 at 12  
17 months at opposed to the sirolimus 2 and sirolimus 5  
18 groups at 54.9 cc/min and 52.9 cc/min, respectively.  
19 Again, significant differences in renal function in  
20 favor of placebo.

21 A little bit different for the evaluation  
22 of serum creatinine at 12 months. For Study 302

1 again, placebo control versus sirolimus 2 and  
2 sirolimus 5. Placebo with a mean serum creatinine of  
3 1.96 mg/dL; sirolimus 2, 2.11; sirolimus 5, 2.11. And  
4 these were not significant differences.

5 So the summary on the exploratory renal  
6 function analysis is essentially that the mean GFR at  
7 12 months is significantly better for azathioprine and  
8 placebo in Study 301 and 302. And the mean serum  
9 creatinine at 12 months is significantly better for  
10 azathioprine in Study 301 but not for 302.

11 The differences in GFR and serum  
12 creatinine were in the opposite direction to what one  
13 would expect based on the differences that we see with  
14 sirolimus in prophylaxing acute rejection. And  
15 cyclosporine exposure we feel could not explain these  
16 differences.

17 So as usual, another analysis was  
18 undertaken to try to answer some additional questions.  
19 And it became of interest to evaluate GFR among those  
20 who did not experience a rejection episode to see if  
21 there was an underlying difference that might be  
22 independent of rejection.

1           Because the mean time to acute rejection  
2           in sirolimus arms was greater than in the azathioprine  
3           and placebo groups, it became of interest to compare  
4           the GFR at 12 months in patients who had experienced  
5           at least one episode of acute rejection.

6           And I'll just review the setup for these  
7           tables because you're going to see about four of them.  
8           This is Study 301; again, mean GFR measured at 12  
9           months using the Nankivell equation; window 337 to 393  
10          days.       And again, the three main groups:  
11          azathioprine, sirolimus 2 and sirolimus 5.

12          You can see the patients are broken out  
13          into non-rejectors and rejectors, and you can look at  
14          the mean cc/min at one year.   And the non-rejectors  
15          definitely have better renal function than the  
16          rejectors: azathioprine, 67.5 as opposed to 61; the  
17          non-rejectors for sirolimus 2, 60 versus 46 in the  
18          rejectors; and sirolimus 5, the non-rejectors at 56.3  
19          cc/min as opposed to the rejectors in sirolimus 5 with  
20          45.7 cc/min.

21          When you compare the non-rejectors across  
22          study groups, the non-rejector for azathioprine has a

1 GFR of 67.5 cc/min at one year as opposed to the non-  
2 rejectors for sirolimus 2 and sirolimus 5, which are  
3 60 and 56.3. And these are significant differences in  
4 the non-rejector group.

5 And then we compared the rejector group,  
6 and once again it's the same trend. The rejectors for  
7 azathioprine have a GFR of 61 cc/min as opposed to  
8 46.7 and 45.7 cc/min for sirolimus 2 and sirolimus 5  
9 at one year.

10 And again, Study 302 a very similar setup  
11 for these tables. This time the placebo group is  
12 being studied against sirolimus 2 and sirolimus 5 and  
13 again, the non-rejectors have better renal function  
14 than the rejectors: placebo group non-rejectors,  
15 62.9; sirolimus 2 non-rejectors, 57.29; sirolimus 5  
16 non-rejectors, 55.2. So for the non-rejectors the  
17 placebo group definitely has a better GFR at one year.

18 When you look at the rejectors, placebo  
19 group, 59.7 cc/min as opposed to the rejector groups  
20 for sirolimus 2 and sirolimus 5. And then come in at  
21 46.9 and 43.5. And again, significant differences.

22 And lastly, we looked at serum creatinine

1 and broke that out also as rejectors and non-  
2 rejectors. And again, across the treatment groups,  
3 generally the non-rejectors are doing better and when  
4 you look at azathioprine versus sirolimus 2 and  
5 sirolimus 5, the sirolimus non-rejectors at 1.97 and  
6 sirolimus 5 non-rejector at 2.01 have higher values  
7 than the azathioprine non-rejectors at 1.51. And  
8 again, significant differences, significant trends.

9 For Study 302 again, we're looking at  
10 serum creatinine and breaking it out by rejectors and  
11 non-rejectors. These differences are not significant  
12 in this trial. Again, placebo non-rejectors, 1.84  
13 versus sirolimus 2 and sirolimus 5 non-rejectors at  
14 1.9 and 1.96. And for the rejectors again, placebo at  
15 2.17 versus 2.83 and 2.72. So not significantly  
16 different when looking at Study 301 for renal function  
17 when evaluating serum creatinine.

18 So in summary, in all treatment groups  
19 patients with at least one episode of biopsy-proven  
20 acute rejection had lower mean GFR and higher serum  
21 creatinine at 12 months, compared to patients without  
22 rejection. Among the patients with acute rejection

1 the mean GFR was decreased and the mean serum  
2 creatinine was increased in patients assigned to  
3 sirolimus versus those assigned to azathioprine or  
4 placebo.

5 Among the patients without acute rejection  
6 the mean GFR was decreased and the mean serum  
7 creatinine was increased in patients assigned to the  
8 sirolimus versus those assigned to the azathioprine  
9 and placebo arm.

10 And finally, our safety conclusions for  
11 sirolimus. As you're well aware, there are dose-  
12 dependent adverse events as previously noted for  
13 sirolimus 5 versus sirolimus 2. There's a lower GFR  
14 and an elevated serum creatinine at 12 months and we  
15 look to the Advisory Committee to tell us, number one,  
16 is this of any clinical significance? Were the  
17 correct tests used to assess renal function?

18 Hyperlipidemia. This is a consistent  
19 finding. It requires careful monitoring and treatment  
20 but we do feel that this can be managed. The long-  
21 term implications still remain to be seen. We don't  
22 feel that one year or two years of monitoring may tell

1 the whole story as far as cardiovascular  
2 complications.

3 And finally, we would recommend that if  
4 there are any recommendations for higher sirolimus  
5 dosing for African-American patients, please interpret  
6 these with caution if they're based on the assumption  
7 that no increased incidence of CMV, PTLD, or  
8 opportunistic infection is equivalent to saying  
9 they're under-immunosuppressed.

10 Because the development of infection and  
11 malignancy such as PTLD in a post-transplant recipient  
12 are dependent on so many different factors, including  
13 the epidemiology of the prior exposures that the  
14 patients had as well as the level of  
15 immunosuppression.

16 Do you want me to present the questions?

17 Okay.

18 CHAIRMAN MASUR: Before proceeding to that  
19 it looks like there are a few members of the committee  
20 that have some questions for you, Dr. Tiernan. Larry.

21 DR. HUNSICKER: I would like to make two  
22 comments about some ways in which you present the



1 statistical analyses, and then finally initiate a  
2 discussion about the GFR issues which you may  
3 understand, I'm very interested in because I've been  
4 very interested in the impact of various effects on  
5 inter-sepsis, the rate of loss of GFR.

6 The two first questions -- maybe these are  
7 very broad policy issues, but when you're talking  
8 about what is in essence, an equivalence study and  
9 you've presented the range of possible or credible  
10 differences in survival of either the kidneys or the  
11 patients, you've done this study by study.

12 Now, when you're looking at positive  
13 outcomes in terms of P-values or something like that,  
14 it probably -- I'm going to make a statement and then  
15 have you respond to it. This gets back to the age-old  
16 question for all you folks. Is one big, combined  
17 study the equivalent of two studies, each of which is  
18 half the size of the big, combined study?

19 At least one position is no, they're the  
20 same. You just have to combine the two. Another is  
21 that you do get a different sense of consistency by  
22 looking at two separate studies.

1           Now, my assertion would be that when  
2           you're looking at P-values that the person is going to  
3           take out a very different conclusion -- I'm sorry,  
4           will take out the same conclusion saying that this is  
5           a study that is significant -- in both cases the study  
6           is significant at the .001; that is to say, we've got  
7           very heavy evidence that this is effective.

8           But if you show the confidence intervals  
9           as including as much as seven percent loss in each of  
10          two studies at the extreme of your 95 percent or  
11          whatever it was, confidence intervals, in fact, you're  
12          overstating the thing. Because in fact, to be that  
13          far off you'd have to be in the far extreme in both  
14          dimensions of a 2-dimensional thing.

15          I would propose to you -- and I ask for  
16          your comments on this -- that to get a confidence  
17          interval, really to be fair you have to combine your  
18          data in some fashion or another. Because the  
19          likelihood that we could be as far off from  
20          equivalence as seven percent in both of these studies  
21          simultaneously is very small.

22          A second and parallel thing has to do with

1 the issue of how to present subgroup analyses. As you  
2 have said in your presentation on several occasions  
3 the study was not really powered to look at subgroups.

4 There are two ways in which you can fail  
5 at significance in subgroup analyses. One is that the  
6 relative reduction in risk or change in risk is  
7 precisely the same, but N is smaller. That probably  
8 has a very different implication from where the  
9 relative decrease or change in risk is very different  
10 and the probability doesn't exist.

11 So some people would say that if you're  
12 going to say look, I'm a little troubled that there's  
13 no consistency here, that you should show that in  
14 addition to the fact that the P-values are no longer  
15 significant, that actually the size of the effect is  
16 different.

17 In most of the cases of the subgroup  
18 analyses here the size of the effect is comparable.  
19 In some cases that's not yet true. But I would just  
20 -- I ask you about this because I feel that the way  
21 this was presented raises more questions than maybe is  
22 fair to have raised.

1           Then I'd like to get back to the issue  
2 subsequently, of the GFRs.

3           DR. TIERNAN: I may not be able to answer  
4 your question statistically. I may refer those to the  
5 Statistician. But the reason that we separated the  
6 studies was basically because the randomization was  
7 different. For instance, with Study 302 that  
8 population may have had more delayed graft function in  
9 it.

10          DR. CAVAILLE-COLL: I'd also like to help  
11 her answer that study, too. That in addition the  
12 problem is, when you combine the two studies, how do  
13 you handle the controls? One of them was a placebo  
14 arm and one of them was a triple arm with  
15 azathioprine.

16          Finally, we expect that there are some  
17 geographical differences between studies that are  
18 essentially run in the U.S. and Global studies. This  
19 has been a consistent finding across clinical studies  
20 of similar designs. So that combining a U.S. study  
21 with a study that was largely non-U.S. and that had a  
22 different kind of control arm, different randomization

1 at baseline, and probably different ways of treating  
2 acute rejection -- patterns really between U.S. and  
3 non-U.S. countries -- I think created more problems.  
4 And I felt that we had to present the studies as they  
5 were.

6 DR. HUNSICKER: Let me respond to that.  
7 I think, as I go back, if you look at the issue of the  
8 -- if you're just presenting P-values that's fine.  
9 You keep your studies separate. But when you give  
10 confidence intervals, what you presented at the end  
11 was that the lowest, reasonable negative difference  
12 in, let's say graft survival, is -7 to -5 percent, or  
13 something like that -- looking at the seven percent  
14 from one study and the five percent from the other  
15 study.

16 But if you look at this as a joint  
17 distribution, even if there are two independent  
18 studies, they are two independent studies. The  
19 chances that you would have that much difference in  
20 both of the studies would be extremely small.

21 So that I just feel that if you're going  
22 to present confidence intervals you really have to --

1 I think you have to correct for the fact that you've  
2 got two cracks at this data and that they are  
3 complementary.

4 DR. CAVAILLE-COLL: Well, basically, this  
5 was the primary analysis. We had to present what was  
6 the prospective primary analysis as defined by the  
7 data analysis plan. And it's customary to do that  
8 before we do things such as combining.

9 DR. HUNSICKER: Well, then maybe you  
10 should do the combining. There are ways to combine.  
11 I don't want to beat this dead horse but I just want  
12 to say that I think that the -- to suggest that there  
13 is a reasonable, plausible chance that there would be  
14 as much as a five percent difference in outcomes for  
15 either patient or graft survival is small. It's just  
16 very small.

17 DR. CAVAILLE-COLL: Well, these are the  
18 97.5 percent confidence intervals. So basically the  
19 way this has to be interpreted is that the maximum  
20 decrease we can exclude was the 97.5 confidence -- are  
21 the ones that we have stated.

22 DR. HUNSICKER: On a per-study basis?

1 DR. CAVAILLE-COLL: On a per-study basis,  
2 yes. Individual studies.

3 DR. HUNSICKER: Combined however one can  
4 combine those things, the chances are smaller.

5 Let me get to the other side. This is as  
6 I say, a philosophic thing that I am concerned about  
7 that we be fair about this. With respect to the  
8 analysis of GFR, first a question to you and that is  
9 that it looked to me as I looked at those data and  
10 going by quickly and on this sheet -- this is the first  
11 time I've seen these put out this way -- it looked at  
12 though the effects of being sirolimus and having a  
13 rejection episode were independent.

14 That is to say, if you were to do an  
15 analysis of variance putting the three treatments and  
16 then with or without rejection, that you'd find that  
17 there was no interaction. Did you actually test that?  
18 Because if you could, that would make things just a  
19 little bit simpler to state; that you have a certain  
20 effect related to the treatment and a certain effect  
21 related to the rejection.

22 DR. DIXON: We didn't do an analysis of

1 variance breaking it down by rejector or non-rejector.

2 DR. HUNSICKER: Well, okay. let's just  
3 assume for a moment that those two are independent,  
4 because they looked to my eyes as though they were.  
5 The data on a rejection episode are very constant with  
6 the data that I presented at the AST meeting this past  
7 spring dealing with the impact of rejection from the  
8 humans database.

9 That is to say, a single rejection episode  
10 as I recall, cost about 8 cc's of GFR when you looked  
11 at six months. And that was very comparable. You  
12 have differences of somewhere between 6 and 14 with an  
13 average of about 8 to 10. That was the cost of a  
14 single rejection episode.

15 If in fact, there is no interaction -- and  
16 I think there probably is not -- there is also a  
17 similar sized adverse effect of being on sirolimus.  
18 Now, the question that was raised is, what is the  
19 clinical significance of this? Well, at the moment  
20 that that happens, probably none. That is to say,  
21 this year I don't much care whether my GFR is 56 or  
22 64.



1           But if the rate of loss of GFR  
2           subsequently is equal in the two groups -- something  
3           we do not know -- but if that is the case, since there  
4           is an average rate of loss of GFR over time following  
5           kidney transplantation of about 2.5 mL/min, a  
6           difference of 8 mL/min at whatever time interval you'd  
7           take it, is the equivalent of three years of graft  
8           survival. So yes, it would be significant.

9           This is something that will not become  
10          apparent until very late. Now what does this mean in  
11          terms of the particular plan here? I think from the  
12          beginning the question when this issue was raised  
13          before is, is this rise in creatinine that we see in  
14          this particular study, the consequence of the way in  
15          which cyclosporine was handled by protocol, as opposed  
16          to the freedom that one would have had -- they would  
17          have had if they had done it differently?

18          Or is this in fact, a nephrotoxic effect  
19          of sirolimus when it is taken together with  
20          cyclosporine? In my mind remains an open question.  
21          Dr. Kahan has presented animal data suggesting that  
22          once you correct for the cyclosporine level in the

1 tissues that you can't see an impact of sirolimus.

2 The data that you have presented which  
3 suggests that the cyclosporine levels were equivalent  
4 as far as we can see in this group, would re-open that  
5 question. And I think because of the size of the  
6 difference in GFR at one year, and my interpretation  
7 of what that impact might mean, it has to be a very  
8 important question to answer; whether in fact, there  
9 is an interaction between cyclosporine and sirolimus  
10 that causes an adverse effect on renal function, or  
11 whether there is not.

12 It would seem to me this is an important  
13 thing for us to find out in the future. But what this  
14 is going to turn out to be I don't know. It depends  
15 upon whether this is replicable in other studies once  
16 the cyclosporine management is freed up.

17 CHAIRMAN MASUR: Any reply to that?

18 DR. CAVAILLE-COLL: I think this is very  
19 well noted. I think we'd like to hear more about  
20 this, too. I think we did show you some slides that  
21 overall, if the rejection occurred or if efficacy  
22 failure occurred, it occurred later in the Rapamune™

1 arm than in the sirolimus arm -- I mean, in the  
2 sirolimus arms than in the control arms.

3 We don't know to what extent that may be  
4 part of the explanation. We'd be interested in your  
5 thoughts, too.

6 DR. HUNSICKER: Well, I'm not enough of an  
7 expert, but lots of people have presented data to the  
8 effect that late rejection episodes are more expensive  
9 in terms of GFR than earlier ones. But you don't know  
10 whether the late rejection episodes you have in this  
11 case are typical late rejection episodes are simply  
12 early rejection episodes that have been deferred. And  
13 so you don't know.

14 The way to find this out would be to look  
15 at the difference in GFR between the non-rejectors and  
16 those who reject early and those who reject late. If  
17 they're the same then all you've done is to defer your  
18 rejections.

19 I tend to think that you're going to find  
20 out that they're the same simply because there looked  
21 to be no interaction between the size of the rejection  
22 effect and whether it was in the sirolimus group or

1 the other. But that's a very fast looking at it; non-  
2 mathematical look.

3 CHAIRMAN MASUR: Other questions or  
4 comments for the presenters? Courtney.

5 DR. FLETCHER: This would be a question on  
6 Dr. Dixon's presentation. My first reaction is on  
7 slide number 20 where you've compared the efficacy  
8 failure rate by race -- Blacks and non-Blacks. I just  
9 want to ask, in the sirolimus 5 mg group whether those  
10 rates are different from controls or not?

11 You don't have it indicated that they are,  
12 but I just wanted to make sure.

13 DR. DIXON: No, they're not different.  
14 Statistically, they're not significantly different.

15 DR. FLETCHER: My second question for you  
16 would be at slide 22 where you've looked at efficacy  
17 failure rate by gender. And a question as to whether  
18 it be appropriate to look at the pooled 2 and 5 mg  
19 doses for females and compare that with controls?

20 Simply just thinking, would that be a way  
21 to increase the sample size to ask the question of  
22 response of what looked to me to be the sex difference

1 in response?

2 DR. DIXON: No, we didn't look at that but  
3 it would be a reasonable way that you can look at the  
4 data to try to interpret some more of the differences.

5 CHAIRMAN MASUR: Terry.

6 DR. STROM: I want to pursue a bit the  
7 points and issues that Dr. Hunsicker just talked  
8 about. I think that the possibility that there's some  
9 subtle drug interaction of the sort that Larry's  
10 pointing to looms is a strong possibility, and perhaps  
11 the most likely.

12 But there is another possibility that I  
13 think ought to be considered, and the possibility that  
14 I'm going to talk about may be considered as  
15 controversial by some.

16 But I've been impressed with these series  
17 of studies that have been done by a consortium of  
18 Canadian transplant units showing the presence quite  
19 often, of substantial lymphoid infiltration in kidneys  
20 that have had stable kidney function at the time that  
21 the biopsies were obtained.

22 And they have gone on to produce data

1 showing that these kidneys are ones that deteriorate  
2 over the long haul and some recent data showing a  
3 rather dramatic effect by anti-rejection therapy. The  
4 numbers are small, the effect dramatic, and some  
5 people have objected therefore, to the interpretations  
6 that are rendered.

7           But one of the possibilities is -- and  
8 while I don't think it will turn out to be the answer,  
9 I think is possible -- that rapamycin has pushed from  
10 overt, clinically detectable rejection episodes into  
11 a format in which rejection is more subtle and is not  
12 easily seen and it is, you know, a grinding kind of  
13 beneath the surface, immune reaction that is causing  
14 the differences in renal function.

15           And I think that in any analysis that  
16 wants to come to grips with what is this all about,  
17 this latter, albeit less likely possibility, should be  
18 taken into account.

19           DR. HUNSICKER: May I respond to that?  
20 There is a problem in that not all of the patients  
21 were included in this final analysis. But if your  
22 hypothesis were correct Terry -- that is, you took all

1 of the patients including the rejectors -- the ones in  
2 the sirolimus arm should at the very least, not worse  
3 than the ones who are getting less immunosuppression.  
4 But they were.

5 DR. STROM: I'm not sure, Larry. I'm --

6 DR. HUNSICKER: You'd have to assume that  
7 there were more rejection episodes that had been  
8 suppressed and therefore not seen and not adequately  
9 treated in the Rapamune™ group, then even occurred in  
10 the control group. And that doesn't make sense.

11 DR. STROM: Yes. You know, while I favor  
12 your explanation I think that these sub-clinical  
13 rejection episodes are common and if an effective  
14 therapy is making clinically overt rejection episodes  
15 tilting the balance toward things that are more subtle  
16 -- and I'm, you know -- and much more easily  
17 repairable.

18 If you knew whether this is a subtle drug  
19 interaction you might go one way with cyclosporine.  
20 If you know that it was sub-clinical rejection  
21 episodes, you would do something entirely different.  
22 So I think that the implications for therapy are

1 important. And while I favor your interpretation of  
2 the data, I think that the other possibilities should  
3 remain on the table.

4 CHAIRMAN MASUR: I guess the question is,  
5 Larry if will allow me the last word, your point is  
6 an important issue but whether or not we can come to  
7 consensus is in a way that is relevant to our  
8 decision.

9 DR. HUNSICKER: This is really relevant to  
10 question 3 which is, what more do we need to know?  
11 And I think I come out of this meeting not knowing  
12 whether there is an adverse interaction, or as Terry  
13 suggested, some -- which I think is very unlikely --  
14 sub-clinical rejection which is doing this.

15 Or as the presenters have suggested,  
16 simply that this is the consequence of being locked-  
17 stepped into a certain cyclosporine dose. I don't  
18 know, but we need to find out the answer because it  
19 does make a difference in the long haul.

20 CHAIRMAN MASUR: Darrell.

21 DR. ABERNATHY: Yes, I think Barry  
22 actually presented some data, the animal data that may



1 help us a little with that, because if we do  
2 hypothesize that sirolimus is a PGP inhibitor, seeing  
3 tissue exposure to cyclosporine enhanced as a function  
4 of that is not terribly surprising.

5 And I agree with you that certainly in the  
6 future you would want to know answers to those  
7 questions because then one would do something quite  
8 different with an adjustment cyclosporine dose.

9 CHAIRMAN MASUR: Steve.

10 DR. PIANTADOSI: Yes, thank you. I have  
11 a couple of questions for the FDA and then a couple of  
12 gripes, also.

13 I'd like to ask you the same two questions  
14 I asked the sponsor this morning. The first being,  
15 was the FDA involved at all in the decision to revise  
16 the sample size and the timing of that decision?

17 DR. CAVAILLE-COLL: No, the FDA was not  
18 involved in that, although we did advise the companies  
19 that they would need to have an adequate safety  
20 database.

21 DR. PIANTADOSI: I understand.

22 DR. CAVAILLE-COLL: But this was actually

1 prior to initiation of the studies.

2 DR. PIANTADOSI: Okay. Did the FDA  
3 perform any analyses that attempt to control this  
4 multiplicity of fairly strong prognostic factors?  
5 We've seen analysis by every subset imaginable one at  
6 a time ignoring all of the other factors that the  
7 other subset analyses demonstrate are important.

8 And I'm wondering if anybody has done,  
9 either within the studies or combining studies,  
10 analyses that attempt to simultaneously control more  
11 than one prognostic factor?

12 DR. SULLIVAN: Hello; Nancy Sullivan,  
13 Statistics, FDA. We did that for GFR. The sponsor  
14 has already addressed the answer to that question for  
15 the 6-month efficacy endpoint.

16 And I'll have to ask Cheryl -- I don't  
17 remember the exact details but there were still  
18 treatment differences in GFR accounting for, you know,  
19 risk factors like race, gender, number of HLA  
20 mismatches, and donor source of the organ -- whether  
21 it was living versus cadaver. We didn't look at  
22 whether people had a rejection or not in that model,

1        though.

2                    DR. PIANTADOSI: I think that's extremely  
3        important information that part of my gripe is that  
4        kind of information should probably be up-front. It  
5        certainly would help me in thinking about some of the  
6        questions that have come directly from the agency.

7                    But trying to make inferences from subset  
8        analyses that don't control for other important  
9        differences I think is at best, confusing, and  
10       potentially misleading. This gets into my general  
11       gripe which is that the emphasis on these kinds of  
12       analyses that ignore the simultaneity of risk factors  
13       is very problematic and the emphasis on percentages  
14       and differences in P-values is also unhelpful.

15                   And I think we've heard from some of our  
16       clinical colleagues very important criticisms in that  
17       regard. There are better methods, for example,  
18       looking at odds ratios and attempting to adjust those  
19       odds ratios for the differences that we've all talked  
20       about, I think would be helpful.

21                   They also can facilitate studying  
22       interactions and there may be some very important

1 clinical and possibly statistically significant  
2 interactions going on here that we can't see from the  
3 views of the data that both the sponsor and FDA have  
4 presented.

5 Furthermore, these subset analyses, if  
6 someone were to come to an Advisory Committee like  
7 this with a claim of efficacy and a desire for  
8 labeling based on a subset analysis, I'm not sure that  
9 effort would ever even get to the Advisory Committee,  
10 and I find it odd that we would consider basing a  
11 modification of the labeling on a subset analysis  
12 without considerably more exploration and prospective  
13 design on the part of this sponsor.

14 So there seems to be a double standard  
15 here about exactly what we do with that. And then  
16 finally, to complain a little bit about the confidence  
17 interval and return to the point that was made  
18 earlier, we look at these confidence intervals and we  
19 look at the very tip of the interval, down somewhere  
20 around five percent, and worry about whether we're  
21 near that five percent cutoff or not.

22 But I think the point is well taken that

1 that's partially an inappropriate thing to do. Aside  
2 from technically being an inappropriate use of the  
3 confidence interval, there's probably no support  
4 whatsoever for values that happen to be on the very  
5 edges of those confidence intervals.

6 The support is in the center of the  
7 interval, and to base a rejection of a hypothesis just  
8 because the tip of the confidence interval is near  
9 some pre-specified limit, is I think, totally  
10 inappropriate. And we need a better method for  
11 summarizing not only across studies, but for deciding  
12 what the definition of equivalence is.

13 And I don't think that just having the tip  
14 of the confidence interval touch some null hypothesis  
15 value is an appropriate basis for deciding on  
16 equivalence or non-equivalence. Those are some  
17 gripes. Thanks.

18 DR. SULLIVAN: Let me just clarify that  
19 the FDA didn't specify a five percent delta in terms  
20 of defining equivalence for that 12-month endpoint.

21 DR. STROM: I understand that, and my  
22 point is really not so much about whether it's five

1 percent or anything else. My point is that there's no  
2 support practically speaking, for values in the tails  
3 of the confidence interval. And therefore one should  
4 not look at what the tail touches and worry that that  
5 somehow represents reality.

6 DR. SULLIVAN: Well, that's similar to  
7 doing a non-inferiority test though. So where the  
8 lower bound is does tell you what type of a non-  
9 inferiority test you would be able to reject.

10 DR. STROM: Yes, but the problem with the  
11 tail of the interval is that if you look at a measure  
12 of support or evidence, the likelihood ratio is about  
13 seven or eight; even a tail of that confidence  
14 interval. And if that tail just touches some value  
15 and then you're going to say, well because it overlaps  
16 that value I'm afraid that that value may be the right  
17 one and therefore I can't declare it to be equivalent.

18 I'm saying it's inappropriate because the  
19 support for the values at the tip of the confidence  
20 interval is only about one-eighth of what it is at the  
21 center of the interval. And you don't want to base  
22 definitions of non-equivalence on such weak support.

1 That's the point. Not whether it's five percent or  
2 seven percent or anything else.

3 DR. SULLIVAN: Right, I think we  
4 essentially agree with you about that.

5 CHAIRMAN MASUR: As we discuss our three  
6 questions we're going to come back to the opportunity  
7 to make some more comments. Were there questions  
8 specifically for the presenters that we ought to do  
9 before we come back?

10 DR. STROM: It's a question that I  
11 probably should have asked at the earlier session, and  
12 with this foregoing discussion about the statistical  
13 analysis -- something of which I know very little --  
14 I probably should keep my mouth shut.

15 However, in the past when we've considered  
16 other treatments there are a number of circumstances  
17 where we know that patients are placed at high risk  
18 for immunologic graft failure. They include race as  
19 we've discussed today, very young patients who aren't  
20 included in the study, and a number of other  
21 circumstances where patients are largely excluded from  
22 the study at hand.

1           And in the past, patients across all of  
2 these high-risk groups have responded relatively  
3 uniformly to other therapies. And the question that  
4 I'm posing is, there's a one-size-fits-all dose  
5 recommendation for high-risk patients at 5 mg. It  
6 seems from the data that we've seen that both efficacy  
7 and toxicity are dose-related.

8           So I'd like to come back to the question.  
9 Are all of the high-risk groups equally benefitted by  
10 the higher dose or are there subgroups that are not  
11 receiving benefit from the higher dose and if so, what  
12 are they?

13           CHAIRMAN MASUR: Larry, are you going to  
14 answer that?

15           DR. HUNSICKER: I am going to start an  
16 answer. I actually had thought that we were going to  
17 get to this when we got to question number 2.  
18 However, I strongly object to the global concept of a  
19 high risk because in fact, within the data presented  
20 to us there were three high-risk groups.

21           There were the group of patients with  
22 increased numbers of mismatches in which the risk was



1 just as high as they were in the African-Americans as  
2 I recall. And in fact, 2 mg did just as well as 5 in  
3 that group.

4 There was the group of patients who  
5 received cadaveric as opposed to living donor  
6 transplants who were at higher risk. They did on  
7 average, just as well with 2 as they did with 5.

8 And I saw no global evidence that any  
9 identifiable "high-risk" group did better with 5 than  
10 with 2, with the sole exception of the African-  
11 Americans. And that is not absolutely solid from  
12 within the data that we have from this study.

13 So my answer to you Terry is that the only  
14 group for whom we have seen evidence that there may be  
15 superiority of a different dose from 2, is African-  
16 Americans.

17 DR. STROM: I mean, that's my recollection  
18 of the data, too. You just have had more in the way  
19 of assertiveness training than I have.

20 (Laughter.)

21 CHAIRMAN MASUR: On that note, maybe we  
22 ought to get to the questions, although Dr. Lipsky has

1 also been taking assertive training here.

2 DR. LIPSKY: No, just a question for the  
3 FDA. Is there any statistical evidence that there's  
4 a dose-related increase in toxicity -- any toxicity --  
5 that was statistically significant?

6 DR. SULLIVAN: I believe Rose covered --  
7 there were several adverse events that were  
8 significantly higher in the 5 mg versus the 2 mg.

9 DR. LIPSKY: Then in the two.

10 DR. SULLIVAN: We could put those slides  
11 back up.

12 DR. LIPSKY: That was what? That was  
13 lipid mainly?

14 DR. SULLIVAN: There were two slides: one  
15 for adverse events greater than 20 percent, I believe,  
16 and then one for adverse events that occurred between  
17 5 and 10 percent.

18 DR. LIPSKY: But not some global -- I  
19 mean, a whole list of things? But if you took --

20 DR. SULLIVAN: For each of those there was  
21 a significantly higher rate on the 5 mg versus the 2  
22 mg.

1 DR. LIPSKY: For each item in the list?

2 DR. SULLIVAN: Yes.

3 CHAIRMAN MASUR: Okay. You can take a  
4 quick look at that while Dr. Goldberger poses  
5 questions to us. Mark, do you want to run us through  
6 the questions?

7 DR. GOLDBERGER: Yes. I was just going to  
8 wait until they put them up on the screen, actually,  
9 and make a few comments here.

10 Our first question: is sirolimus safe and  
11 effective for the prevention of acute rejection in  
12 patients receiving allogeneic renal transplants?

13 And again, obviously the assessment of  
14 safety and efficacy -- particularly the assessment of  
15 safety, takes into account the disease being treated  
16 and the benefit being shown. It's also important  
17 that, as one thinks about this, to be certain that the  
18 product can be adequately labeled for safe use.

19 That is to say, if there are issues that  
20 come up in the assessment of a product and one has a  
21 sense about how to deal with it but they can't be  
22 translated into wording for a label, that poses some

1 problem that needs to be specifically addressed.

2 If the answer to this question is "yes",  
3 we would like an initial recommendation as to what  
4 dose you would recommend. And keep in mind that the  
5 dose must be safe and effective. It need not be  
6 optimal. There's been an enormous discussion about  
7 that issue this morning.

8 I think everyone has come to recognize  
9 that the dose here may very well not be optimal, but  
10 that's really not the issue for approval. It needs to  
11 be safe and effective.

12 If the answer to question 1 is "no", what  
13 additional data would be required? And we would in  
14 particular in that circumstance, like you to  
15 distinguish between new analyses of data that has  
16 already been submitted, versus the need for new  
17 studies of some type. And I think it's very important  
18 to distinguish between the two of those.

19 All right. As to question number 2: is  
20 there a need for an alternate dose in specific  
21 populations? And obviously as a starting point we  
22 would need some definition of who those specific

1 populations would be. And of course, they ought to be  
2 definitions that can be clinically used; that is,  
3 markers that can be assessed easily, clinically.

4 If "yes", is there sufficient information  
5 to support such a dose, and if not, what additional  
6 studies would be needed? And again, that would be  
7 either new data or new analyses on already existing  
8 data. And again, it would be very important for you  
9 to define and distinguish between the two of those.

10 And our last question is: what additional  
11 Phase 4 studies would you recommend? And I would  
12 enlarge that in the following way; that this could  
13 mean both new clinical trials or specific  
14 recommendations about follow-up on clinical trials,  
15 for instance, already underway.

16 As you've heard, follow-up is still being  
17 collected, at least for some parameters, on the  
18 Studies 301 and 302. If you feel it's important to  
19 gain additional information on certain other safety  
20 issues -- for instance, in those studies beyond what  
21 the applicant may already be doing -- then those  
22 should clearly be defined.

1                   CHAIRMAN MASUR: Okay, thanks very much.  
2                   Why don't we start with the first question and maybe  
3                   we could ask our non-voting members first who are to  
4                   my right, then we'll start around the table and get  
5                   comments on question number 1 from members.

6                   Sue, would you like to volunteer to start?

7                   DR. McDIARMID: I think that the data that  
8                   is being presented does give support that this is both  
9                   safe and effective. I'm still struggling with the  
10                  dose issue. Certainly as the sponsor said, 2 mg  
11                  worked, and maybe this is not such a bad starting  
12                  point.

13                  However, I think that there are caveats to  
14                  that and it gets back to the discussion that we've had  
15                  before here, that whether or not there should be a  
16                  recommendation regarding monitoring.

17                  And it would seem to me that because we  
18                  are at the moment, stuck with data that gives us only  
19                  one dose, at least if we accept the 2 mg except for  
20                  the question about high-risk patients, that perhaps  
21                  they should be the caveat that monitoring should be  
22                  included in some way or another in the use of this

1 drug.

2           So I think that the safety and effective  
3 question has been answered except, this is of course,  
4 short-term data. I think that most of the data we  
5 have is at six months, some is at one year. And I  
6 think I personally have a great deal of difficulty in  
7 saying that a new drug is safe at the end of  
8 basically, a 6-month or maybe a 12-month period.

9           So I think that, given the time  
10 constraints it's safe so far, but I think the long-  
11 term issue is particularly in regards to  
12 hyperlipidemia and effects on cardiovascular  
13 complications, the effects on malignancy and  
14 potentially PTLD is still unanswered.

15           CHAIRMAN MASUR: Well, presumably we learn  
16 in each decade that drugs are safe only as far as we  
17 have data, so I guess that is the perpetual problem.

18           During the follow-up -- do we have enough  
19 information on which to project the package insert  
20 could recommend a particular long-range strategy, or  
21 does that still need to be obtained?

22           DR. McDIARMID: I think it probably still

1 needs to be obtained. We really didn't see a lot of  
2 data from the sponsor in regards to monitoring and  
3 levels. They have a sense, I think, of what is  
4 probably within the range of where we should be  
5 aiming. The number I heard was being five and 25.

6 And there's an analysis in the data that  
7 we were given from them that projected that levels  
8 should be somewhere between 10 and 15. But to put  
9 these kinds of numbers into the labeling at this point  
10 when we have not a lot of information I think might  
11 not be the best thing.

12 However, I think part of what needs to  
13 still happen with the development of this drug is a  
14 better understanding of monitoring and levels and  
15 their implications. And this is particularly I think,  
16 applicable to those populations that may have  
17 variables in their pharmacokinetics.

18 CHAIRMAN MASUR: I guess we would have to  
19 presume that monitoring would be available to  
20 clinicians if someone could come up with schema.  
21 Terry, on question number 1?

22 DR. STROM: I have very little to add. I



1 think that we learned today that two seems to be  
2 efficacious and within reason, safe. I think issues  
3 concerning the recommendation of 5 mg for certain  
4 high-risk patients need to be sharpened up.

5 I agree with Larry. I saw data only about  
6 African-American recipients for whom that claim can be  
7 made and I think that warrants some discussion. I  
8 think that there are many other issues that will come  
9 out of the subsequent questions.

10 CHAIRMAN MASUR: Blanche.

11 DR. CHAVERS: I think the drug appears to  
12 be efficacious in reducing the incidence of acute  
13 rejection. As a Pediatrician however, I don't feel I  
14 have any guidelines on management of adolescents who  
15 might receive this medication. And I think there  
16 needs to be some subset analysis of the adolescents  
17 who receive the drug in this study. I think it would  
18 also be appropriate to recommend monitoring of  
19 adolescents who are on the drug.

20 CHAIRMAN MASUR: Okay. Lynt.

21 DR. JOHNSON: I think that when used in  
22 combination with cyclosporine and steroids as this

1 study was done, this drug appeared to be very safe and  
2 effective. I don't particularly see the need for  
3 additional monitoring when used in that combination in  
4 the adult population.

5 I think that there probably needs to be  
6 some mention and caution regarding not necessarily  
7 monitoring for drug levels in this population but for  
8 the lipid issues that were brought up.

9 I think that that really needs to be part  
10 and parcel of this because as Sue mentioned, I think  
11 that the side effects of that are not going to be seen  
12 in one year but further down the road. So we need to  
13 be certain that those are being followed.

14 CHAIRMAN MASUR: So is it we need more  
15 information or we should be recommending we provide  
16 guidelines for management?

17 DR. JOHNSON: Guidelines for management is  
18 what I am suggesting, with additional information.

19 CHAIRMAN MASUR: All right, why don't we  
20 start around with the voting members? Suthan, you can  
21 start.

22 DR. SUTHANTHIRAN: I'm glad I'm going now

1 because if everyone had spoken I wouldn't have too  
2 many new things to say. I think that we have been  
3 presented with very convincing data, that in primary  
4 HLA mismatched transplants the sirolimus 2 and 5 mg  
5 improves outcome as defined by some of these  
6 endpoints.

7 The question really is allogenic renal  
8 transplantation. It is more broader than what we  
9 presented today. For both drugs which work in this  
10 kind of situation would be expected to be useful in  
11 repeat transplants and perhaps even in HLA identical.

12 I think the drug safety profile that was  
13 presented to us is also quite reassuring. So I would  
14 say that the answer to the first question is "yes".

15 In terms of what dose, I think this is  
16 true for any immunosuppressant. We never know what's  
17 really the optimum drug doses for any drug because the  
18 types of experiments you need to perform is beyond  
19 what we can do clinically.

20 I think the data we have is that 2 mg is  
21 effective and that's all we can go by. So I think the  
22 current recommendation would be 2 mg of sirolimus.

1           Now we run into this difficult issue of  
2 whether we should recommend 5 mg for so-called, high-  
3 risk patients. I think when one talks about  
4 clinically high-risk patients I think they classified  
5 them correctly; you know, the one with the repeat  
6 transplants, high PRA, HLA mismatches, African-  
7 American recipients.

8           But the data we really have as it has been  
9 pointed out already by this group, we really have data  
10 in African-Americans, we don't have data in highly-  
11 sensitized patients or repeat transplant patients.  
12 And in fact, in HLA mismatch there is no clear benefit  
13 between 2 and 5.

14           So what do we decide in this situation?  
15 I know the difference in survival and the difference  
16 in terms of the reduction in the risk in African-  
17 Americans did not reach statistical significance.  
18 Nevertheless, as a clinician taking care of patients,  
19 to me it looks like going from a 30 percent, 18  
20 percent, is quite reassuring.

21           So I'm comfortable but I will certainly  
22 look forward to getting additional data. Again, this

1 is a point that has been made before. I think this is  
2 just the beginning understanding of this drug as we  
3 have all done with cyclosporine, tacrolimus, and  
4 mycophenolate, and other drugs which we have improved.

5 So I'm not uncomfortable about saying that  
6 at the present time African-American recipients may be  
7 considered high-risk and may support the suggestion  
8 that maybe 5 mg will be a more appropriate dose in  
9 this patient population.

10 And I'm sure we can add on certain things  
11 to say that the recent dose-dependent adverse effects  
12 and add those qualifiers in the package insert.

13 Do you want me to answer the rest of the  
14 questions?

15 CHAIRMAN MASUR: Why don't we come back to  
16 that. We're doing a loop and we will come around  
17 again.

18 DR. SUTHANTHIRAN: Okay. Thank you.

19 CHAIRMAN MASUR: Ron.

20 DR. SHAPIRO: You want the drug to be safe  
21 and effective and that be at 2 milligrams. Obviously  
22 there are concerns about elevated lipids. And not a

1 very good prediction incidence of rejection of at the  
2 2 milligram dose in cadaveric recipient which are sort  
3 of rare. Everything else is relatively straight  
4 forward transplants. But I believe we have that for  
5 the 2 milligram dose beyond that I think we would need  
6 more information.

7 CHAIRMAN MASUR: Robert.

8 DR. MANN: I would reiterate what has been  
9 said up to this point; that I think at six months it  
10 certainly has been proven to be safe and effective in  
11 reducing the incidence of acute rejection. I do  
12 obviously, as others have voiced, have some concerns  
13 about the long-term effects of hyperlipidemia, and I  
14 am certainly concerned about a drug which may  
15 potentiate the nephrotoxicity of cyclosporine.

16 This is not a trivial matter in patients  
17 who have undergone a renal transplant and as Dr.  
18 Hunsicker has suggested, may in fact potentially  
19 shorten by a significant amount, the long-term  
20 survival of the graft. I think we'll need certainly,  
21 long-term data before that question can be  
22 appropriately answered.

1 I also would reiterate what others have  
2 said in that the only high-risk group in which we've  
3 seen data to support, potentially support, suggestion  
4 of a 5 mg dosing would be in African-Americans. And  
5 we have insufficient data about which to make  
6 appropriate recommendations for pediatric population.

7 CHAIRMAN MASUR: Larry.

8 DR. HUNSICKER: I wish I could bring  
9 myself to a terse answer. I did once just simply say  
10 "yes" and everybody was astonished.

11 If this is the vote, my vote is "yes" for  
12 safe and effective at 2 mg. I have two or three  
13 caveats. One is that we do not have any significant  
14 toxicity data beyond one year. You are correct that  
15 we always know that we don't know how safe a drug is  
16 much beyond -- but a year is a very short lead-time.

17 And I will tell you, based on my long  
18 experience in transplantation, that roughly a year and  
19 two months -- if it takes that long for you folks to  
20 get this drug approved -- roughly a year and two  
21 months everybody will be asking what do we do now?

22 And the fact is, we haven't the foggiest

1 idea. So one of the things that has to be put on as  
2 a caveat is that we do not know the safety of this  
3 drug beyond one year.

4 Now, when mycophenolate was presented I  
5 almost lost all my friends by proposing that we  
6 actually put a one-year limit on the labeling. And I  
7 was talked out of it by my friends who told me that if  
8 they didn't talk me out of it they'd outvote me  
9 anyway. So I'm not going to make that recommendation.

10 But I think that we need to make it very  
11 clear that we need prompt recording of toxicity data  
12 for two years and three years and so forth, because  
13 this issue will be right in front of our nose before  
14 you can turn around. So I'm not willing to just say  
15 well yes, we've got to know more about it; in time we  
16 will.

17 The second thing is, as to use in -- I'm  
18 going to take these special populations aside and I'm  
19 going to say that I do believe that we are not totally  
20 orphans with respect to the issue of what the  
21 appropriate dose is. I referred before to an analysis  
22 that's in this book here that we have not discussed,



1 which relates in a logistic regression, the likelihood  
2 of rejection to the actual plasma levels of the drug.

3 We do know something about this, and  
4 presumably we can use this in many of the populations  
5 that we don't understand right now. I do not believe  
6 we can apply that necessarily, to African-Americans.  
7 I think that may be a different question where we need  
8 to be on a different slope.

9 But I think we have -- very potentially --  
10 we have information we can give to Blanche about her  
11 teenagers or to Sue about either her kids or even her  
12 liver patients if she wants to use this thing on  
13 labeling patients who are recipients of liver  
14 transplants.

15 Because I think we know what the  
16 relationship is between plasma levels and the  
17 likelihood of prevention of rejection episodes. And  
18 since we have not had that presented here we can't say  
19 anything intelligent about it.

20 But I think we can tell the FDA that that  
21 information is known and that they ought to work this  
22 out with the sponsors of the drug and see if they can

1       come to some useful recommendations about dosing based  
2       on plasma levels in those patients who do not fit into  
3       the relatively bland, you know, 70 plus-or-minus 30  
4       kg, white, Anglo-Saxon males -- whatever the heck.

5               So my comments about those are that we can  
6       probably do better than just simply say 2 mg, even  
7       based on today's data, and that we really need to know  
8       about toxicity after a year. And I'll talk more about  
9       what kinds of things we need to know later.

10              CHAIRMAN MASUR: I think just to follow up  
11       on that, I think we do need to be specific as we go  
12       along with questions 2 and 3 for what particular  
13       studies need to be done as opposed to what can be  
14       learned from the current database. Roy.

15              DR. FIRST: I think the data presented  
16       clearly shows safety and efficacy for the 2 mg dose.  
17       I come back to what was said about monitoring. I  
18       think more data is needed and more guidelines for  
19       monitoring because certainly little exists for  
20       pediatric patients.

21              And whether you like it or not, patients  
22       on sirolimus are also going to receive calcium channel

1 blockers and they're going to have fungal infections  
2 and microbacterial infections and have seizures. So  
3 I think there have to be guidelines as to how to  
4 modify doses when you have an interacting drug.

5 The other thing that I remain concerned  
6 about is the dose scheduling and the recommendation  
7 that it should be four hours from the cyclosporine.  
8 Because clearly I think it's been shown that the more  
9 complex a dosing regimen the lower the adherence.

10 And you're now talking about asking  
11 patients to take an additional drug at around one  
12 o'clock, 12/1 o'clock. It's an unusual time in the  
13 transplantation's regimen and I worry about increasing  
14 the complexity and having less compliance or less  
15 adherence.

16 And then finally, of the dose and  
17 recommendation of 5 mg, there is certainly a trend in  
18 the Black patients but I think it's not clearly  
19 established. Why not 3 mg, why not 4 mg? And this  
20 wasn't studied. And I think dose escalation studies  
21 with greater detail need to be done in the future in  
22 Black patients to establish the correct dose, and that

1 there should not be a recommendation for 5 mg at this  
2 point in time.

3 DR. ABERNATHY: I would say "yes" to the  
4 safe and effective at 2 mg for a period of six months.  
5 I think that's what we have data to support. I think  
6 in terms of thinking through more carefully what the  
7 dose should be, I think we've seen nothing today that  
8 didn't suggest a dose response and a concentration  
9 response relationship for efficacy, and we've seen  
10 nothing that didn't suggest a concentration response  
11 relationship for toxicity.

12 So I simply do not understand why that  
13 data hasn't been developed already and I think it has  
14 to be developed.

15 DR. PIANTADOSI: Yes, 2 mg.

16 CHAIRMAN MASUR: There are many people who  
17 appreciate such short answers.

18 DR. WOOLSON: Yes, 2 mg. I do really,  
19 like the gentleman three down mentioned, I do think we  
20 need more information on the African-American  
21 population with regard to dose. And so I think -- I  
22 support the 2 mg but the 5 mg I do not support. I do

1 not believe the data are adequate there.

2 DR. FLETCHER: Yes. And based on the  
3 available data 2 mg but I, like all, I think everyone  
4 else, I don't believe that that's the optimal dose.  
5 I think there's a better dose. I think we have to  
6 remember how many individuals that took this dose  
7 failed, or the therapy failed them: 19 percent in the  
8 301 study, 30 percent in the 302 study.

9 So there is a substantial proportion of  
10 patients that will receive this drug that will not  
11 derive the benefit from it. And so like others that  
12 have commented I think there's a clear need to -- I  
13 think we ought to talk about this more when we get to  
14 question 2 about the need for alternative dosing  
15 studies.

16 But going back to as to whether there  
17 should be information communicated in the package  
18 insert, on what the available data did tell us about  
19 concentration and effect relationship, I do clearly  
20 think there needs to be some information to that  
21 effect in there.

22 We have been led to believe by the sponsor

1 a test to measure -- is going to be problem, and if  
2 it's going to be used people are going to have to look  
3 somewhere for this type of information, and I think we  
4 know something that now can be communicated in a  
5 package insert.

6 DR. LIPSKY: I think on the efficacy  
7 issue, I think that's clearly "yes". On the safety  
8 issue, yes, and now you'd say, well what dose? Well,  
9 I've berated at least -- I shouldn't say berated but  
10 raised questions about why the dose was used in that  
11 and what we have done. And we have the same issue  
12 with safety.

13 Everybody seems to have said 5 is no and  
14 2 is yes. But look at the data. What is that  
15 definition of safety? If we look at a relative risk  
16 and benefit situation and going back at the individual  
17 percentages of the exposure, looking at endocrine  
18 system -- this is page 73 of the brochure -- eight  
19 percent for 2, ten percent for 5.

20 Thrombocytopenia occur at two percent for  
21 2, five percent for 5; ALT increase, eight percent for  
22 2, seven percent for 5; AFT increase, four percent for

1 2, six percent for 5. Do I need to go on and on? I'm  
2 not so sure that if we're worried about, you know, if  
3 we're not worried about the 2 mg dose what is our  
4 definition that makes us worried about the 5 mg dose?

5 At the same -- I think we ought to be as  
6 scientific as we can about that and say what is our  
7 definition? What is it clear about 5 mg that is  
8 inappropriate? Then you say, well what is the dose?  
9 I say, well we don't really know for sure what the  
10 dose is but have a package insert that details the  
11 experience or what has been done with a clinical  
12 trial.

13 But I mean, I need to ask you what about  
14 those comparisons, etcetera? How were the two doses  
15 different in the safety, I would just ask, well what  
16 is the definition you are going to have of safety and  
17 how can you clearly apply it?

18 I realize the sponsor felt that felt a  
19 little bit afraid I think that was on the 5 mg dose.  
20 Maybe that should be clarified. And I think in the  
21 presentation there was some phrase that was, maybe you  
22 shouldn't use the five, or be careful of safety. But

1 I mean, I'm not necessarily downing the 5 mg dose.

2 CHAIRMAN MASUR: At this time, before we  
3 go into questions 2 and 3 and explaining what more  
4 information we need, I think we need to take a vote of  
5 the voting members who are to my left. I guess we're  
6 looking for a hand vote in response to the question --  
7 and I'll read it just to be sure what we're going on.

8 Is there sufficient information to support  
9 that sirolimus is safe and effective for the  
10 prevention of acute rejection in patients receiving  
11 allogeneic renal transplants?

12 So all those who would vote "yes", raise  
13 your hand.

14 All those who would vote "no".

15 And is there any abstention? No.

16 We had a number of people state that  
17 additional information was needed and as we answer  
18 questions 2 and 3 it would obviously be useful to  
19 indicate what new phase ought to be done as opposed to  
20 what can be monitored.

21 We mentioned a variety of issues.  
22 Clearly, everybody wants more information on how to



1 manage high-risk patients, particularly African-  
2 Americans. We need more information about drug  
3 interactions, about how to manage, recommendations on  
4 how to manage lipids, how to manage adolescents,  
5 patients with hepatic insufficiency, more information  
6 about the causes of nephrotoxicity.

7 There are probably a dozen issues which  
8 we've detailed. So today, questions 2 and 3,  
9 hopefully we'll get some comments on what new studies  
10 need to be done and which of that should be Phase 4.

11 DR. GOLDBERGER: One issue that would be  
12 very helpful for us, if you would. Those committee  
13 members, guests, etcetera, who really believe for  
14 instance, that therapeutic dose monitoring or the  
15 option of that would be important to have available,  
16 please make that as clear as possible in your  
17 recommendations in terms of, you know, what type of  
18 availability, etcetera, there ought to be.

19 Because I think that's sometimes very  
20 helpful for us in some of our discussions with the  
21 company. So we would ask, if this is believed to be  
22 something that's important for this drug that you make

1 that as clear as possible.

2 CHAIRMAN MASUR: Do you want to make any  
3 comment? You know, we were presented data here in  
4 terms of the study that did not use drug monitoring.  
5 Is it within our province to recommend that the  
6 package label advocate drug monitoring when there  
7 hasn't been a study shown that's beneficial in terms  
8 of the experience?

9 DR. GOLDBERGER: Well, there would be a  
10 couple of issues. The first I guess, practical issue  
11 is, the package insert could not recommend this until  
12 we were satisfied of course, that the assay was widely  
13 available. And I mean, the question would come up, if  
14 you were to -- if such a recommendation would be made,  
15 would there have to be a delay in the approval of the  
16 drug in order to have this available?

17 It's not my impression from what I'm  
18 listening to that that's what people are saying. On  
19 the other hand, there is a great concern about this,  
20 but we cannot, you know, make statements about  
21 therapeutic drug monitoring in the package insert  
22 until everyone is satisfied that there is a reliable

1        assay that would be widely available.

2                    And I think that would be one issue. The  
3        other issue I suppose is, I'm not sure how much --  
4        whether we would need to do some additional review of  
5        some of the data that the company has put together.  
6        I will say it would probably have been more helpful to  
7        have had than a Board discussion of some of the  
8        modeling, etcetera, that in fact the company has done,  
9        which all of you have seen in the materials that  
10       they've submitted but which were not discussed here.

11                    So I think that makes it a little  
12        difficult about what we could put in the label now.  
13        However, for instance, if you were to make a strong  
14        recommendation about the need for this, then I think  
15        that that would influence obviously, what the Phase 4  
16        recommendations would be, and what we would try to  
17        achieve in subsequent trials and subsequent revisions  
18        in package labeling.

19                    So I think at this point in time that's  
20        probably what would be the most important approach to  
21        doing this.

22                    CHAIRMAN MASUR: As we go along if you

1 have any reason to indicate if an alternative dose  
2 should be recommended for a particular population.

3 DR. GOLDBERGER: Yes.

4 CHAIRMAN MASUR: Most that the comments  
5 have been fairly negative about that. Would anyone  
6 like to make a strong plea then we need to consider  
7 that as a group.

8 DR. GOLDBERGER: And just remember that to  
9 put it in the package it has to be something that we  
10 can translate from an idea into words to put into a  
11 package insert. So although there are many people  
12 sitting around the table who are very experienced  
13 clinicians, who would, when faced with a variety of  
14 patients probably have a feeling about how to use an  
15 increased dose of this drug, that's not necessarily  
16 helpful in terms of putting it into the package  
17 insert. So it needs to be able to be put into words  
18 that we can put clearly into a package insert.

19 CHAIRMAN MASUR: So with that very broad  
20 call for comments, Lynt do you want to start, and  
21 particularly about the issue as to whether we should  
22 be recommending a higher dose and if so, for whom?

1 DR. JOHNSON: I think, to answer your  
2 question, I think there is probably a need for an  
3 alternate dose. I don't think that the company has  
4 provided us with the information to determine what  
5 that alternate dose should be, from my personal  
6 opinion.

7 I think it's hard for me to be in favor of  
8 recommending the 5 mg dose without any real scientific  
9 basis for doing so, and then it turned out to be that  
10 that was the dose that was studied and that seemed to  
11 work in those patients. But on the other hand, why  
12 should we recommend 3 mg or 4 mg?

13 So the answer to the question, I think  
14 there is a need for an alternate dose but I think that  
15 we need to have some dose escalation studies in the  
16 sub-population to recommend what that dose should be.

17 CHAIRMAN MASUR: Are there any other  
18 additional analyses or Phase 4 studies over and above  
19 what has been recommended?

20 DR. JOHNSON: The other area I think that  
21 we need to focus on more is this distinction between  
22 living donors and cadaver donors. As you know, we

1 have a very high population of living unrelated donors  
2 that are probably a different kettle of fish than the  
3 living related donors.

4 And so I think that we have to really be  
5 careful in terms of our insinuations of those two  
6 populations because there are actually probably three,  
7 separate populations: cadaver, living related, and  
8 living unrelated donors. And the living, unrelated  
9 donors are becoming a higher percent of the patients  
10 that are being transplanted.

11 I think that the other high-risk groups,  
12 you know, have been identified here and have not been  
13 sufficiently studied to make a recommendation in those  
14 groups; particularly those who are high PRA and repeat  
15 transplant patients.

16 CHAIRMAN MASUR: Okay. Blanche.

17 DR. CHAVERS: I don't think there is  
18 sufficient data to support a recommended dose in  
19 pediatrics.

20 CHAIRMAN MASUR: Okay. Terry.

21 DR. STROM: Yes. I just want to  
22 underscore what Blanche has said because kids,

1 particularly under ten years old, constitute another  
2 wealth of high-risk group for immunologic graft  
3 failure. And insofar as we have no information on  
4 kids of this age I think this group needs special  
5 study.

6 DR. McDIARMID: Well, I can only echo the  
7 concern about pediatric patients. I would actually  
8 like to ask for some clarification. In the study, the  
9 age range was greater than 13 but the sponsor told us  
10 that they excluded patients less than 40 kilos. If  
11 that's correct then I think that the recommendations  
12 should be based on weight. Because if you start using  
13 an age I think you're going to have a problem.

14 This data that we have is greater than 13  
15 years of age so in theory you could say it could be  
16 approved for those patients greater than 13 years of  
17 age. But I'm quite concerned about the issue of age  
18 and particularly weight with these very small  
19 adolescent patients that could be transplanted.

20 So maybe we should discuss whether we need  
21 to actually have an age range or weight range in the  
22 labels. That's one issue.

1           In regards to the issue of recommending a  
2 different dose for high-risk populations, I don't  
3 think we can for high-risk populations in general  
4 because we've only got data on one. But I think from  
5 a practical point of view the information that's being  
6 provided regarding the 5 mg dose and the African-  
7 Americans, it seems to me should be in the label so  
8 that people can at least just see that information.

9           As a clinician, it seems to me that it's  
10 rather important to know that. And whether you act on  
11 it or not, because it's not given as an absolute  
12 recommendation but is given as information, I think  
13 should be up to clinical judgment.

14           But a great deal of time and effort is  
15 being put into look at that question and this is one  
16 population which, at least in this country, is about  
17 20 percent I understand of the renal recipients. And  
18 I think it's too important an issue to just ignore it.  
19 So I would actually favor at least presenting  
20 information in the label and letting the clinician  
21 decide.

22           In terms of the question about whether or



1 not we should recommend monitoring, again, perhaps the  
2 best way of approaching this is again in the label to  
3 just allow the information that has been provided so  
4 far from the modeling from the sponsor, at least have  
5 it in the package so that people can use that  
6 information.

7           The availability of getting the livers is  
8 actually becoming I think, reasonably widespread, and  
9 at least people have the information to start making  
10 clinical judgments on.

11           And in regards to additional studies I  
12 think that, I'm not a nephrologist but everything I  
13 was ever taught told me that you really ought to be  
14 talking about true GFRs and not basing changes in  
15 monitoring renal function on creatinines and  
16 calculated GFRs.

17           So in regard to what seems to be a very  
18 important issue regarding long-term renal function and  
19 whether or not there's a nephrotoxic effect of  
20 combination of cyclosporine and Rapamune™, it would  
21 seem to me that such new studies should have as their  
22 gold standard a true GFR and not calculated GFRs or

1 assumed creatinines.

2           There's obviously very wide weight ranges  
3 in these patients, these moles and Cmoles, and the  
4 estimate of GFR based on creatinine is always going to  
5 be skewed by that.

6           And finally, in regards to the lipid  
7 question, I think this is very important and should be  
8 a very high priority focused for the Phase 4 studies.  
9 And I think that this body needs to hear what those  
10 results are, particularly in terms of long-term  
11 effects, the use of lipid-lowering agents, and how  
12 often they use, etcetera. Thank you.

13           CHAIRMAN MASUR: Thanks. Jim.

14           DR. LIPSKY: Okay. On issues of what more  
15 needs to be done, obviously we want to get the numbers  
16 for the adverse effects at 18 months and two years,  
17 etcetera.

18           I mean, if you read the data in the  
19 background information that are now presented for why  
20 fixed dose was used in the Phase III studies, and it  
21 would seem we ought to review that again, carefully,  
22 to see if now that what we know of how the results

1 came out, if that model permits or what we have put  
2 together, to see if dose and concentration response  
3 relationships might be useful.

4 And I think that if that had been done  
5 with titer of rapamycin and mechanism of action, but  
6 it almost seems like the fact that it may be something  
7 else, or the metabolite in small amounts in whatever  
8 is being produced and it's  $V_{max}$  that is causing the  
9 effect.

10 Because, at the flat end of the  
11 rectangular hyperbole, the dose response relationship  
12 looking at the toxicity and efficacy it appears  
13 certainly being a subgroup maybe, because something  
14 else is being produced. Now the problems are probably  
15 more complicated here than straightforward.

16 But I think we have to review it again  
17 already -- repeat myself -- review up to now for the  
18 fixed dose. And maybe that could be a guidance that  
19 instead of cumulative to determine concentration  
20 effect if they need to be done without reinventing the  
21 wheel.

22 If that turns out to be the case then it

1 might be very useful to have a reproducible assay.

2 DR. FLETCHER: With regard to question 2,  
3 I think that we have to be clear with alternative  
4 dosing in specific populations, the three major  
5 concerns that I have are the potential for sex-related  
6 differences, for racial differences, and in  
7 pediatrics.

8 The gender to me is very important, as Dr.  
9 Dixon pointed out in her review, she showed in both  
10 301 and 302, the critical mass. And while the  
11 difference, there's about a 50 percent reduction for  
12 male and about a 20 percent reduction for females.  
13 And I also think this is a very important issue that  
14 must be explored.

15 When I talked about the potential racial  
16 differences there may not be pharmacokinetics but  
17 there may well be pharmacodynamics. that needs  
18 clarification. And third, the pediatric issues do as  
19 well.

20 I think I would probably give my strongest  
21 support there. We have a very serious risk if we  
22 under-dose this drug and if we overdose this drug.

1           We know that it may be better affected by  
2           several factors including age, including concomitant  
3           drugs. If we put all of those factors together it  
4           seems to me the only way in which we're going to get  
5           the maximum benefit of this drug is with the right  
6           degree of other factors. If we do that when it calls  
7           for further study.

8           CHAIRMAN MASUR: So you would advocate a  
9           Phase 4 study that looked at strategy based on  
10          concentrated guided doses?

11          DR. FLETCHER: Absolutely. I prefaced the  
12          comment with that. I don't think we could recommend  
13          something that couldn't be done but we need to do  
14          that, absolutely.

15          CHAIRMAN MASUR: Okay. Robert.

16          DR. WOOLSON: The answer to the question,  
17          is there a need for an alternate dose in specific  
18          populations, I think in the African-American  
19          population I think the data suggests that there may be  
20          a need for an alternate dose. I do like the idea of  
21          putting that in the label, the results for the 5 mg  
22          that we did see in Study 301 for the African-American

1 population.

2 I don't think the data are complete enough  
3 on the pediatric population for the reasons that were  
4 given earlier, so I think that's the other point.

5 And with regard to what additional Phase  
6 4 studies, I think the need for longer-term monitoring  
7 is evident. I would like to see additional  
8 information gathered longer-term on the lipids;  
9 particularly since cardiovascular deaths might be a  
10 cause for concern in this population as well.

11 CHAIRMAN MASUR: Steve.

12 DR. PIANTADOSI: With regard to the  
13 question of the need for an alternate dose, I think  
14 the answer for need is likely to be yes, and that  
15 whatever information we convey should go in the label.  
16 But I'd like to be very careful about the attribution  
17 of the effects that go into the label.

18 For example, the way the data have been  
19 presented suggest that the racial composition is the  
20 important factor, but in fact, I heard the company say  
21 in one of their analyses not shown on the slide, that  
22 it wasn't the racial composition but instead it was

1 the number of mismatches.

2 And I think we have to be very careful  
3 about what we attribute the effect to. There may be  
4 some analyses that are important to do that will help  
5 reflect on this question that we've not been presented  
6 with.

7 This touches on the question I asked  
8 earlier and the way to define those specific  
9 populations that may require an alternate dose. We  
10 have to be careful not to use the reciprocal  
11 definition of high-risk; that is to say that high-risk  
12 is defined by those patients who are benefitted by a  
13 high dose but not by a low dose. That's not the  
14 correct definition of high-risk.

15 High-risk is something that's defined a  
16 priori, much in the way the question about Blacks  
17 versus non-Blacks was defined. And then one  
18 investigates whether or not there's a difference in  
19 outcome based on that a priori definition of high-  
20 risk. And that definition of course, ideally would be  
21 not driven by the data in the study.

22 That gets to the need to know the

1 independent contribution of each of the risk factors  
2 that have been mentioned, and there's an assortment of  
3 them: the source of the donor, the mismatches, repeat  
4 transplants, possibly gender, and so on and so on.  
5 And I find myself swimming in one at a time risk  
6 factors and not knowing what's independent of what.

7 So yes, I think there may be a need for  
8 alternate dose but I'd like for the agency to be  
9 extremely careful about the factor to which they  
10 attribute risk or the factor that they name as being  
11 definitive for that population.

12 CHAIRMAN MASUR: Is there any particular  
13 study that other panelists think that needs to be  
14 done? Is there any particular Phase 4 study that you  
15 would like to see other than what we have been talking  
16 about? Concentration dependent study looking at some  
17 of the populations where we have a dearth of data?

18 DR. PIANTADOSI: No, is the short answer.  
19 I think that we've already mentioned everything I  
20 could think of that would need to be done.

21 CHAIRMAN MASUR: Okay. Darrell.

22 DR. ABERNATHY: Yes. With regard to need



1 for an alternate dose, I believe I understood that  
2 this drug is given as a solution, so we really have  
3 the luxury of thinking more openly than you usually do  
4 when you're trying to figure out how many pill sizes  
5 to make.

6 That's correct. I guess I would support  
7 what's been said earlier. We need to really not think  
8 about an alternate dose. We need to define what the  
9 therapeutic concentration range is and then with that  
10 data figure out dosing regimens and populations.

11 With regard to additional studies, not  
12 surprisingly I would think there are a series of drug-  
13 drug interaction studies that need to be specifically  
14 focused on.

15 To simply say that one can predict because  
16 it's a 3A this or that or the other thing, for  
17 potentially critical interactions I'd argue that's not  
18 necessarily so because it simply has to do with  
19 affinity for the enzyme and so on, and just because  
20 something is an inhibitor doesn't mean it's a potent  
21 inhibitor, or what have you.

22 Therefore, I would suggest that we need a

1 careful look at the potential interaction with HMG  
2 reductase inhibitors and to look at that one  
3 seriously. There's been a drug taken off the market  
4 within the last year, year-and-a-half because of that  
5 interaction. We need to look at erythromycin, other  
6 macrolyte antibiotics.

7 CHAIRMAN MASUR: Ron.

8 DR. FIRST: Thank you. Coming back to the  
9 dosage issues, I think the situation is similar to  
10 what occurred in the mycophenolate mofetil studies  
11 where lower rejection rates occurred with higher doses  
12 in Black patients. And that didn't go in as the  
13 recommendation in the package insert, but if my memory  
14 is correct it was added.

15 And I strongly support what Sue says; that  
16 the data should be available in the package insert.  
17 That in the sub-population of Black recipients a lower  
18 rate of rejection was seen with a higher dose.

19 The therapeutic drug monitoring issue,  
20 coming back to that, I don't think it in any way  
21 should hold up approval and that should go into Phase  
22 4 and future studies should be done so that some

1 recommendations are available.

2 And then finally, we've heard a lot and I  
3 think one of the major concerns of everyone is the  
4 long-term effect of the hyperlipidemia, both the  
5 combined hypertriglyceridemia and  
6 hypercholesterolemia. And nothing has been said, why  
7 does this occur, and I think some basic studies, some  
8 more basic research needs to be done or perhaps the  
9 company does have this information.

10 There's been some very interesting work  
11 from Ian Hutchinson in Manchester where he shows that  
12 sirolimus mediates a dose-dependent inhibition of the  
13 glucocorticoid receptor, which is responsible for  
14 breakdown of the various lipids that we are talking  
15 about.

16 And he's convinced this is the mechanism.  
17 But I think when one understands the mechanism then  
18 one may be able to attack it in a more effective  
19 manner.

20 DR. HUNSICKER: I suspect that there will  
21 be a need for more than one dose but I don't think we  
22 know what the other doses are. And I don't want to

1 delay. I think Ms. Kory and Mr. Zylwitis have both  
2 emphasized the sense that we ought to get on with  
3 this, get it out and available to be used.

4 As to the labeling, I agree with Dr.  
5 Suthanthiran that we probably should say something in  
6 the labeling to the effect that there was some  
7 evidence that some populations might do better with a  
8 higher dose, but not be terribly precise because we  
9 haven't the foggiest idea what the higher dose is.

10 Again, as to the labeling, I don't think  
11 it makes a heck of a lot of difference what you put in  
12 there because the people that are going to use this  
13 are going to be from the transplant community.  
14 They're probably not going to read the labels anyway  
15 and they're going to listen to each other in  
16 conference.

17 I'm fairly, I'm meaning what I'm saying here; that  
18 this is going to be used by an expert group of people  
19 who are going to depend more upon their expertise, and  
20 so the precise way in which this caution is put in  
21 there is probably not terribly critical.

22 Ultimately, I agree with those who believe

1 that this should be related to concentration and I  
2 believe that ultimately, maybe sooner rather than  
3 later because I'm given to understand that there are  
4 already some good studies that have been done that may  
5 suffice -- I haven't seen them so I can't say that --  
6 may suffice to give us a very good idea of what kind  
7 of dose guidance, you know, plasma level guidance  
8 there should be.

9 And at such a time as the FDA has those  
10 in-hand and knows what the reasonable dose is, and if  
11 there is an assay out there it's easy enough to change  
12 the labeling.

13 With respect to African-Americans I think  
14 the issue here is whether the African-American has a  
15 different slope in its relationship of dose to the  
16 likelihood of preventing rejection, and I think that  
17 needs to be explored more explicitly.

18 It may be that those data suffice already  
19 to do that but if it doesn't then that should be done  
20 and find out. Is in fact, the African-American a  
21 group that needs a higher level for the same  
22 pharmacodynamic effect? We don't know that.

1           Then finally, additional studies that  
2           ought to be done, I actually would like to reiterate  
3           something that my good friend, Roy, said. I would  
4           like to see at least a very brief study on  
5           simultaneous versus spaced therapy. I think it is  
6           utterly unrealistic of the company to think that  
7           patients are going to take this stuff four hours after  
8           their cyclosporine and I think it would be much better  
9           for us to note what's happening rather than to  
10          extrapolate what's happening.

11           This agent needs to be tested together  
12          with other agents that are currently being used in  
13          immunosuppression, such as mycophenolate and induction  
14          agents. And my understanding is that's being done but  
15          certainly the FDA is going to be interested in that,  
16          both from the point of view of efficacy, but  
17          particularly from the point of view of safety in terms  
18          of over-immunosuppression.

19           Finally, with respect to long-term things,  
20          I reiterate we don't know the safety of this drug in  
21          long-term so we need to get simple things like the  
22          usual kinds of toxicity ratings.

1           But in addition we need to know  
2 specifically about lipids, whether the tendency for  
3 the lipids to fall -- the cholesterol and maybe even  
4 the triglycerides to fall back towards the baseline in  
5 the patients receiving sirolimus for a longer period  
6 of time, whether they continue or whether that  
7 plateaus out.

8           In other words, do these patients continue  
9 to have high levels of lipids after the first year?  
10 And along with that, do they in fact, have evidences  
11 of increased cardiovascular events? And finally, what  
12 happens to their renal function? Not just graft  
13 failure but renal function, long-term. We need to  
14 know those things.

15           CHAIRMAN MASUR: Okay. Richard.

16           DR. MANN: I'll start by saying I don't  
17 think any of the recommendations that we're making  
18 right now should delay approval, but I do think that  
19 there are a number of things that we need to know. I  
20 agree with what Dr. Abernathy said earlier, that  
21 ultimately I think we will be guided by levels and  
22 that once we have what we believe to be an effective

1 level we should then do appropriate studies to  
2 determine what is appropriate dosing.

3 Specific populations that I think may in  
4 fact, likely will require different sort of dosing in  
5 order to achieve comparable pharmacokinetics as well  
6 as pharmacodynamics include pediatric population,  
7 Blacks, as well as women perhaps.

8 I think that one group that was clearly  
9 excluded from this study that we have no data about  
10 are diabetes, and in particular when it comes to  
11 hyperlipidemia and the long-term complications of that  
12 I think we need to know more about what this drug does  
13 in diabetics.

14 And certainly we need to know more about  
15 the long-term consequences on GFR in a drug that's  
16 being used in renal transplant recipients. I'll stop  
17 there.

18 CHAIRMAN MASUR: Ron.

19 DR. SHAPIRO: In terms of approval of the  
20 drug, the answer is probably "yes". Do we have  
21 sufficient information, probably not. These trials  
22 were done in relatively straightforward transplants.



1 We don't have a lot of information about the liver  
2 function of African-Americans. We need more in the  
3 way of research with regard to that.

4 I don't know what I'd put in the package  
5 insert with regards to that. All that would have to  
6 come in Phase 4 trials.

7 DR. SUTHANTHIRAN: I believe it is a  
8 general consensus for the 2 mg dose but I think we  
9 would make a mistake if we exclude the 5 mg dose, for  
10 the following reasons.

11 I think we talked about the African-  
12 Americans but I think there is an even more important  
13 group here which Ron alluded to, which is the donor  
14 source. The majority of transplants in the United  
15 States and elsewhere are cadaveric donor source. And  
16 if you can look at the data in slide 21 from the FDA  
17 group, sirolimus at 2 mg is numerically better but is  
18 not statistically different from the control group.

19 Now, the cadaveric group did best or  
20 significantly better only with the 5 mg. So I think  
21 it's inappropriate for us when we recommend 2 mg.  
22 Because the majority of transplants, if you take the

1 data, how it was presented to us, will not benefit  
2 from 2 mg alone.

3 I think a large segment of patients like  
4 the liver transplants and some other groups would  
5 benefit from 2 mg, but when you're talking about  
6 cadaveric donor transplant, the most common type of  
7 transplants found in the United States are going to  
8 require 5 mg of sirolimus. And this is -- Ron  
9 pointed this out to me and mentioned it in his talk.

10 So I believe that absolutely we require  
11 more than one dose. We should talk about I think, 2  
12 mg is fine. I think we should have the opportunity to  
13 use a 5 mg dose and as was pointed out, the toxic  
14 effects are not that really different between 2 and 5.

15 If you're talking about efficacy in terms  
16 of defining a reduction in certain predefined  
17 endpoints, we ought to allow people to, physicians to  
18 -- yes, unlike Larry Hunsicker, some other physicians  
19 do read the package and read about what doses should  
20 be used.

21 I will say that we do need an alternate  
22 dose and we could all have feelings about what the

1 right dose is but we have to go by what the data is we  
2 have in front of us. And the data here in front of us  
3 is for 2 mg and 5 mg and that's what we need to  
4 support at this point.

5 In terms of what other things should be  
6 done, I'm very concerned about the defeat that you  
7 have found in both the non-rejection group and in the  
8 rejection group. And I think that Terry's point is  
9 well-taken. It is possible there is a subliminal,  
10 subclinical rejection that contributes.

11 I think that it would be very important in  
12 this patient to consider protocol biopsy maybe at 12  
13 months, at 18 months, and to define whether what is  
14 going on in these grafts. How do we obtain important  
15 long term data on these patients like 36 months. We  
16 talked about cholesterol but we don't know whether  
17 it's HDL or LDL. We need to look into it.

18 There may be polymorphisms that may  
19 predispose the patients to respond to the drug in  
20 different ways. I think these are some of the other  
21 things that ought to be done. But I think the dose --  
22 I'm not obsessed about it but I think that dose effect

1 is very critical and we would make a mistake if you  
2 were just to recommend 2 mg.

3 CHAIRMAN MASUR: Well, that's an important  
4 issue here. How would you propose that we recommend  
5 that the two different doses. If you're saying that's  
6 right for cadaveric well are you saying you'd  
7 recommend 2 mg for living related and 5 for unrelated.  
8 Or there are obviously other parameters involved --  
9 how would you sort that out?

10 DR. SUTHANTHIRAN: I think I would  
11 recommend the 2 mg dose, and I would also put it in  
12 the package insert, the data, and let people decide on  
13 the basis of data. You know, again, Professor  
14 Hunsicker said how expert the transplant physicians  
15 are, and perhaps the surgeons, too. I would put the  
16 data in the package.

17 CHAIRMAN MASUR: I want to hear some  
18 comments on it. Does anybody want to say anything  
19 more? Any more recommendations, could the wording be  
20 more specific? Jim.

21 DR. LIPSKY: Yes, I think what we could  
22 say is -- we could say something based on the data